

1 your committee special task force meetings, were there  
2 any discussions on potential safety concerns regarding  
3 other alternative restorative materials, like  
4 estrogenic effect of composite resins.

5 DR. PHILIPSON: Your first question was --  
6 can you just repeat part of it?

7 DR. LI: Yes. Was there a discussion for  
8 the specific measures to address the environmental  
9 concerns for these specially permitted uses?

10 DR. PHILIPSON: Right, right, in hospital  
11 care.

12 DR. LI: Right.

13 DR. PHILIPSON: I don't know the  
14 regulation of the use of medical devices in general.  
15 It's done by the National Board of Health and Welfare,  
16 and I'm not sure how they are going to express what  
17 are the special patients and the reasons for the use  
18 of dental amalgams in hospital care. I haven't seen  
19 those recommendations yet.

20 But they say for very special cases. What  
21 I heard, you would like to avoid longer periods of  
22 anaesthesia, for example, and you might need a longer  
23 period of anaesthesia if you used an alternative  
24 material to amalgam if you do many fillings at once.  
25 So that could be one reason.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   But I haven't seen the recommendations.  
2 I'm not sure they exist yet.

3                   DR. LI:     Can you comment on the second  
4 question? My question was were there any discussions  
5 during your committee meetings on potential safety  
6 concerns with other alternative restorative materials,  
7 for example, the potential purported estrogenic effect  
8 of composite resins? Were there any discussions on  
9 that?

10                  DR. PHILIPSON:     I have not been in  
11 discussions like that. I'm sure this is being  
12 discussed among experts, but the regulatory system is  
13 the same for the new dental filling materials as it is  
14 for the older ones. It is up to the manufacturer to  
15 show and prove that the new dental filling material is  
16 safe and fit for the intended purpose. It's up to the  
17 manufacturer to show that.

18                  DR. LI: Thank you.

19                  CO-CHAIRMAN BURTON: Yes. In the corner  
20 and then Dr. Sacco, please.

21                  DR. TAYLOR: Dr. Philipson, in designing  
22 the registry, it sounds like the principal focus is on  
23 outcomes, events and conditions that might occur.  
24 Were there any considerations for registering the  
25 exposures and what the dental profession might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contribute?

2 DR. PHILIPSON: I think I said already I  
3 don't know what the register or how it is going to be  
4 built. They are still designing it., and so I'm  
5 afraid I cannot answer that question.

6 CO-CHAIRMAN BURTON: Dr. Sacco.

7 DR. SACCO: This is a question again maybe  
8 regarding a little bit more of the European framework.  
9 This Swedish government rule regarding prohibition,  
10 is this only in Sweden?

11 And then the larger question is are other  
12 European nations part of or not part of the European  
13 Union considering anything else like this?

14 DR. PHILIPSON: This is a national  
15 decision, and of course, we're going to communicate  
16 this to the European Commission and other countries  
17 might follow and might not follow.

18 What could happen is that the European  
19 Commission is critical to this national decision, if  
20 they find that our local decision stops the free  
21 movement of goods across the European Union, but we  
22 hope not, but it's a theoretical possibility that they  
23 will not love our national decision in Brussels.

24 CO-CHAIRMAN BURTON: We're running a  
25 little bit behind our agenda. So I'm going to make a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 couple of modifications. We're going to take our  
2 break at this time, and it will be limited to ten  
3 minutes.

4 I did want to make one comment before we  
5 adjourn for our break. I'd just like to remind  
6 everyone about the comments by Mr. Adjodha earlier  
7 about disrupting the meeting and safety concerns and  
8 code issues, not allowing signs or signs with sticks  
9 in the meeting room. The hotel has provided a display  
10 area for that.

11 It is currently 10:35. We will return at  
12 10:45 and pick up with our agenda at that time.

13 Thank you very much.

14 (Whereupon, the foregoing matter went off the record  
15 at 10:38 a.m. and went back on the record  
16 at 10:51 a.m.)

17 CO-CHAIRMAN BURTON: Again, in kindness to  
18 our next speaker, could we please take our seats so  
19 that we can get started with our next presentation?

20 Our next presentation is Dr. Richard  
21 Canady who is a senior health science in the Office of  
22 the Commissioner of the Food and Drug Administration.

23 His presentation will be on the U.S. Public Health  
24 Agencies' evaluations relevant to dental amalgam prior  
25 to 1997.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Canady.

2 DR. CANADY: Thanks.

3 While we're bringing it up on the screen,  
4 I'll just introduce myself. I'm Richard Canady. I'm  
5 a 20 year veteran as a toxicologist. I work in the  
6 Office of Science of the Office of the Commissioner of  
7 the Food and Drug Administration.

8 It's my simple task today to try to run  
9 through some of the U.S. Public Health Agencies'  
10 evaluations prior to 1997 relevant to amalgam, and  
11 this is in the way of presaging the white paper that  
12 you're going to see this afternoon.

13 I'm going to focus on three groupings,  
14 three agencies or actually two agencies and then one  
15 grouping of U.S. Public Health Service Agencies: the  
16 Environmental Protection Agency, which as you all know  
17 is primarily concerned with environmental issues;  
18 Safety Values, they develop with respect to  
19 environmental decisions; the Agency for Toxic  
20 Substances and Disease Registry, which you may be a  
21 little less familiar with, ATSDR, down in Atlanta.  
22 They do, again, reviews related to environmental  
23 issues, particularly hazardous waste sites. They also  
24 develop safety values for environmental decisions to  
25 support decisions of further analysis, for example, at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hazardous waste sites.

2 The U.S. Public Health Service=s combined  
3 agencies, which comprise, for example, the Food and  
4 Drug Administration, Environmental Protection Agency,  
5 other parts of the Department of Health and Human  
6 Services.

7 The U.S. Public Health Service evaluations  
8 that I'm going to talk about include input from  
9 experts across the agencies, but then also from  
10 academia. They evaluated safety of amalgam back in  
11 1993 and 1997, and the 1997 data, again, is the  
12 precursor for what you're going to see in the  
13 evaluation this afternoon, in the white paper this  
14 afternoon or -- I'm sorry -- tomorrow afternoon.

15 The Environmental Protection Agency's  
16 mission I just put up here quickly is to protect human  
17 health and the environment. It has been around since  
18 1970. We probably don't need to spend a lot of time  
19 on that.

20 Within the Environmental Protection  
21 Agency's activities or processes, they develop what  
22 are called reference concentrations or reference  
23 doses, and these are evaluations of the toxicity of  
24 individual chemicals. The reference dose and  
25 reference concentration generally is defined as a dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or an air level likely to be without an appreciable  
2 risk of deleterious effects during a lifetime.

3 For mercury, particularly two forms of  
4 relevance. There's inorganic mercury, which is  
5 predominantly the salts of mercury. They developed an  
6 RFD for that, a reference dose, an oral dose back in  
7 the late 1980s.

8 For mercury vapor or the elemental form of  
9 mercury, they developed a reference concentration, an  
10 air concentration, an air back in about the same time,  
11 in the late '80s.

12 So these evaluations provide an  
13 understanding of the toxicology, and as defined in the  
14 top of this slide, a dose or an air level that is  
15 likely to be without appreciable risk of deleterious  
16 effects, and so, therefore, it's useful information in  
17 understanding the amount of risk associated with  
18 exposures to dental amalgam or the mercury within  
19 dental amalgam.

20 Also, in the mid-'90s, but then  
21 culminating with a report in 1997, EPA did a report to  
22 Congress. This was associated with Clean Air Act  
23 requirements. This report to Congress was primarily  
24 related, obviously to environmental evaluations, and I  
25 give the Web address here in this slide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Within this analysis though they did a  
2 detailed compendium and evaluation of mercury toxicity  
3 studies. There's approximately 650 pages of analysis  
4 within the full report, which is about double that  
5 length in its entirety, in which they looked at  
6 exposure to mercury of all kinds and health effects of  
7 all kinds or, rather, for all forms of mercury.

8           Within that report they confirmed the late  
9 1980s values reference dose and reference  
10 concentrations for inorganic and vapor mercury.

11           They briefly reviewed amalgam exposure  
12 within that report, but they did not do a risk  
13 assessment of it. They simply spent I think it was  
14 approximately a page of two within that looking  
15 through the reports about exposures to mercury that  
16 derive from amalgam use in dental restorations.

17           Moving on to ATSDR, the Agency for Toxic  
18 Substances and Disease Registry, ATSDR was created by  
19 Congress in the '80s to assess the presence and nature  
20 of health hazards specific to different sites, prevent  
21 or reduce further exposure and illnesses that might  
22 result from such exposures and expand the knowledge  
23 base, particularly, about health effects from exposure  
24 to hazardous substances.

25           Again, this is useful information,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 corollary information that helps us understand the  
2 effects of mercury exposure and is a place where a  
3 compendia of information are available to try to  
4 understand those effects and to, again, ensure that  
5 we've looked through all of the available sources for  
6 information.

7 ATSDR, as part of its mandate, is charged  
8 with preparing what are called toxicological profiles  
9 on environmental contaminants. Mercury, again, all  
10 forms of mercury, was profiled at ATSDR in the late  
11 1980s in the first sets of profiles that ATSDR  
12 actually prepared.

13 It was then updated in 1990, 1994, and  
14 again for the last time in 1999. The 1999 profile --  
15 and, again, this is just as a way of giving you an  
16 indication of how detailed or how extensive the  
17 analysis is -- is about 670 pages long. It has an 85  
18 page reference list.

19 Each of these profiles that ATSDR does, as  
20 a matter of practice, goes through a peer review  
21 process. They go through an external public comment  
22 draft, which is sent out to the public. All public  
23 comments are received, reviewed, and then addressed  
24 within the subsequent final draft that goes out.

25 So these profiles go through two separate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 peer reviews and a public comment round. So the  
2 rather careful ATSDR is in trying to figure out where  
3 the data sources are and to address them and  
4 incorporate them appropriately.

5 Within the ATSDR profiles they derive  
6 values which are analogous to the reference doses and  
7 reference concentrations that EPA develops. The  
8 minimal risk levels are what ATSDR calls them.  
9 They've developed them for mercury vapor and inorganic  
10 mercury, the two relevant forms of mercury for this  
11 committee's evaluation.

12 The MRLs go through an independent  
13 interagency review and then are released with the  
14 ATSDR profiles, again, through their public comment  
15 process and go through the peer reviews that the  
16 normal profile goes through.

17 And the definition of the MRL is given at  
18 the bottom, or actually an excerpt from the definition  
19 of MRL is given at the bottom of that slide, and  
20 you'll note that it's quite similar to what EPA's  
21 definition of an RFD or an RFC is, and that is that  
22 it's an estimate of a daily human exposure to a  
23 substance that is likely to be without an appreciable  
24 risk of adverse effects, and they specify that it's  
25 non-carcinogenic, but the RFD and RFC from EPA are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also in reference to non-carcinogenic effects.

2 So the ATSDR MRLs are based on the same  
3 studies, and I'm going to provide a graphic in a  
4 minute that lays all of this out and shows you where  
5 the various values lay out and their derivation.  
6 They're based on the same studies that EPA based their  
7 RFC and RFDs on.

8 And like EPA, the values that are in place  
9 today for ATSDR are the same ones that were derived in  
10 the late 1980s and early '90s. And this is despite  
11 ongoing literature searches, yearly lit searches as  
12 we'll hear tomorrow afternoon in the white paper, and  
13 despite updates of the profiles. So they have looked  
14 pretty exhaustively and retained the same values they  
15 had back in the 1980s.

16 I want to spend a little bit of time going  
17 through how those values, the reference dose, the  
18 reference concentration, and the MRL are derived,  
19 actually just the reference concentration for EPA and  
20 the MRL for ATSDR are derived for the vapor form of  
21 mercury.

22 Both the EPA and ATSDR values are based on  
23 a 1983 study of occupational exposure, Fawer, et al.,  
24 1983, and this is referenced in the white paper, by  
25 the way. So you have the full citation for this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Within that paper, the Fawer, et al.,  
2 study, they identify a dose, the lowest dose that  
3 caused adverse effect, and in this case it was  
4 increased at velocity of an intention tremor that they  
5 measured as the effect on which they based their dose  
6 response analysis.

7                   So that air concentration was 26  
8 micrograms in a cubic meter of air. Now, both WPA and  
9 ATSDR use that value, which was an occupational  
10 exposure so that it was a 40 hour work week, to get to  
11 what would be an equivalent 24 hour a day, seven days  
12 a week exposure.

13                   EPA came to nine micrograms per meter  
14 cubed. ATSDR came to 6.2 micrograms per meter cubed.

15                   The difference is largely because or is because,  
16 rather, EPA actually used the difference in inhalation  
17 in an eight hour work day versus a 24 hour day. So  
18 they divided ten hours or the ten cubic meters that  
19 you would get while you were in a job by the 20 cubic  
20 meters that you would get if you were, you know,  
21 having a 24 hour exposure. So that explains the  
22 difference.

23                   There is no policy difference between  
24 these two values. There is just a different way of  
25 accounting for the exposures and trying to estimate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the 24 hour exposures.

2 And actually, you know, there's experts on  
3 this panel who know probably a little more about this  
4 than I do. So if there's further questions, we might  
5 go into detail with discussions amongst yourselves.

6 Both EPA and ATSDR then derived that their  
7 values, their respective values -- and, again, it's  
8 nine and 6.2 -- by uncertainty factors to protectively  
9 account for the uncertainty and whether the database  
10 was sufficient and whether they have accounted for  
11 sensitive populations and so on.

12 The resulting values were that the EPA  
13 reference concentration in the air is .3 micrograms  
14 per meter cubed, and the ATSDR MRL for vapor in the  
15 air is .2. Within our world, these are pretty much  
16 exactly the same number, I mean, between ATSDR and  
17 EPA. So the difference is really not material.

18 Now, if you lay these values out on a  
19 graph, and I'm going to provide a non-log graph in  
20 just a minute for those of you who don't like log  
21 axes, you have the Fawer value at 26, the two  
22 derivations that lead to a 24 hour exposure below  
23 that, and then the ATSDR and EPA reference values,  
24 health based reference values, below showing, again,  
25 the 30-fold uncertainty factor.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           If you provide that on a non-log graph, it  
2 looks a little different, and that's it, and this is  
3 just by way of laying out the information that was  
4 presented in those two analyses.

5           And, again, I'm going through the EPA and  
6 the ATSDR values or, rather, the EPA and ATSDR  
7 evaluations, including the values, as a way of showing  
8 independent evaluations of the information that were  
9 available at the time in 1997 and that helped  
10 substantiate the understanding of risk at that time.

11           The U.S. public health service, as I laid  
12 out earlier in the presentation, did two or actually  
13 one analysis and then an update. The U.S. Public  
14 Health Service agencies included in those evaluations  
15 participants from the Centers for Disease Control,  
16 Environmental Protection Agency, National Institute  
17 for Environmental Health Sciences within the National  
18 Institutes of Health, National Institute of Dental  
19 Research, which is now dental and craniofacial  
20 research. NIOSH is National Institute of Occupational  
21 Safety and Health; Food and Drug Administration, and  
22 also ATSDR, which is not on this list, but it was  
23 included.

24           But that also included consultation from  
25 academia. The expertise ranged across a wide range of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 toxicology issues and included in addition to that  
2 exposure expertise.

3 The findings were published in a 1993 U.S.  
4 PHS report, and I'm going to show you the major  
5 conclusion or, rather, an excerpt of the conclusions  
6 from that in just a second, and then an update was  
7 published in 1997.

8 The 1993 U.S. Public Health Service report  
9 conclusion excerpted here reads, "The current  
10 scientific evidence does not show that exposure to  
11 mercury from amalgam restorations poses a serious  
12 health risk in humans, except for an exceedingly small  
13 number of allergic reactions."

14 Now, this 1993 report is a rather detailed  
15 evaluation of a lot of studies. It shows 119 studies  
16 reviewed here. There were actually a fair number of  
17 studies that were researched within the literature  
18 searches and that were considered for evaluation and  
19 detail within the report.

20 There were a number of independent working  
21 groups that looked at independent issues, such as  
22 exposure which I'll go into in a few slides, but then  
23 also independent toxicology evaluations. So there's a  
24 fair amount of work that went into this report.

25 One of the findings within the report had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to do with the exposure that we would estimate people  
2 received from dental amalgams in their mouths.  
3 There's a fair amount of variation in estimates that  
4 have been derived for this based on different  
5 methodologies, different estimation techniques,  
6 different instruments used, and so on. And there is  
7 within the 1993 report a fair amount of evaluation of  
8 those various publications and analyses, with an  
9 effort to understand what you might most reasonably  
10 assume or most reasonably estimate is the exposure  
11 from amalgam.

12 That report, and again, I'm just reporting  
13 the information, came to the conclusion as you can  
14 read on the slide up on the screen that values in the  
15 range of one to five micrograms per day per person  
16 would represent exposures from amalgam. They provide  
17 it as a range. It's not described in percentiles or  
18 distributions, and this is the range of values that we  
19 have from that report.

20 You can take this, and I'm going to go  
21 through this calculation just quickly in order to get  
22 us back to the graphic I showed a minute ago just as a  
23 way of providing a rough comparison between the ATSDR  
24 and EPA values and the intake from amalgam that was  
25 estimated in the '93 report.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           Within this calculation I assumed a 15  
2 meter cubed per day inhalation rate, which is out of  
3 the current EPA exposure factors handbook, which is a  
4 fairly detailed, again, compendium of information  
5 about exposure factors such as this.

6           And so if you do this analysis, and this  
7 is just a very rough evaluation, you get to a range of  
8 air concentrations that would lead to a five microgram  
9 per day dose of .33 micrograms per meter cubed and an  
10 air concentration of the result in a one microgram per  
11 day intake of about .066 micrograms per meter cubed.

12           If you put that in comparison to the ATSDR  
13 and EPA reference concentrations or reference values,  
14 rather, health based reference values, it gives you  
15 the following comparison, and again, I'm doing this  
16 just as a way of trying to connect the dots between  
17 the various evaluations and show you what information  
18 was out there in 1997, presaging, again, the analysis  
19 that will come tomorrow afternoon from NCTR.

20           The 1993 U.S. PHS report also identified  
21 research needs or research priorities, rather, and  
22 I'll let you read through them on this slide. I think  
23 the overall message is that there were indications of  
24 additional research needed through that evaluation  
25 that could help us understand the doses and potential

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for effects from amalgam exposures, from mercury in  
2 amalgam exposures.

3           Within the 1997 update, the following  
4 conclusion was reached. And, again, this 1997 update  
5 evaluation by the U.S. Public Health Service included  
6 evaluations or, rather, participation from a wide  
7 range of agencies, Centers for Disease Control, Food  
8 and Drug Administration, Environmental Protection  
9 Agency, and so on.

10           Their conclusion was that the analysis of  
11 the cited studies, and in this case they reviewed an  
12 additional 65 studies, indicated that the current body  
13 of data does not support claims that individuals with  
14 dental amalgam restorations will experience adverse  
15 effects, including neurological, renal or  
16 developmental effects. And, again, they have the code  
17 except for rare, allergic or hypersensitivity  
18 reactions.

19           At the time of the '97 report, they also  
20 identified -- and this is my last slide. So we're  
21 almost done -- research that was currently in progress  
22 that was indicated in the 1993 report, at least in  
23 part in the 1993 report as potentially informative to  
24 decisions about dental amalgam. One is the ranch  
25 hands study, which is a rather large cohort of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individuals who served in the Vietnam War for which  
2 there's a fair amount of existing information  
3 regarding their dental history or regarding their  
4 medical history and a lot of follow-up. That study  
5 was ongoing in 1997.

6 There are also two studies in children,  
7 two epidemiologic studies that were at the planning  
8 states at that time. There were also studies about  
9 immune function in progress, studies of changes in  
10 antibiotic resistance related to amalgam use, and then  
11 also discussions about the relative burden of amalgam  
12 to body burdens.

13 They also had research about alternative  
14 materials that was in progress at the time, and again,  
15 I'm giving this as a way of presaging the white paper  
16 that you'll hear about this afternoon.

17 And with that I'd like to close and,  
18 again, I just hoped to give you a brief whirlwind tour  
19 of what had happened prior to 1997 that was  
20 informative to lead you up to evaluating the white  
21 paper.

22 Thanks very much.

23 CO-CHAIRMAN BURTON: Thank you, Dr.  
24 Canady.

25 Do any of the committee members have any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions for Dr. Canady? Yes, over on the right,  
2 please.

3 DR. DOURSON: Mike Dourson.

4 Thank you very much, Dr. Canady, for your  
5 talk.

6 The question I had to you regarding the  
7 range of distribution of mercury intake, you say it's  
8 between one and five micrograms per day, and the  
9 question regards since 1997, has anybody done studies  
10 to better characterize that range, perhaps the full  
11 distribution or at least give us some probabilistic  
12 understanding of those numbers, please?

13 DR. CANADY: I would love to be able to  
14 answer that question, but that's actually the subject  
15 of the white paper, is the review of information since  
16 1997. My job this morning is simply to provide  
17 information up to 1997 as a way of saying here's where  
18 we were. The white paper takes us from there.

19 So I think that question is better  
20 addressed to discussion tomorrow.

21 CO-CHAIRMAN BURTON: Yes, that's correct.  
22 That will be covered. The portion since 1997 will be  
23 covered in the white paper.

24 DR. DOURSON: Thank you.

25 CO-CHAIRMAN BURTON: Yes, Dr. Goldman.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GOLDMAN: Dr. Canady, two questions,  
2 one having to do with the risk assessments that were  
3 performed by both the EPA and the ATSDR. These  
4 uncertainty factors that they applied are not always  
5 transparent. It's not clear to me at all kind of the  
6 basis of the 30, why 30, and if you have any insight  
7 as to why they did that.

8 And the second thing that I also find a  
9 bit mysterious is why it is that they were focused on  
10 the fillings in children and the levels and the  
11 effects on children and not on the fetus, or were they  
12 studying the effects on the fetus at that time or  
13 trying to support that kind of work?

14 DR. CANADY: The first question about the  
15 uncertainty factors, the dose in the Fawer, et al., or  
16 rather the air concentration of the Fawer, et al., was  
17 associated with the lowest. It was associated with an  
18 adverse effect. So it was not a no adverse effect  
19 level.

20 So in both cases they divided by a factor  
21 of ten, and this is a pretty standard approach for  
22 that. The additional factor of three differed between  
23 the agencies, and I am not entirely sure how it was  
24 attributed between the two agencies, but I think in  
25 one case it was attributed to database insufficiency,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but I'm not sure. I'd have to look that up and get  
2 back to you if I could on that.

3 With regard to sensitive populations, and  
4 in particular, fetal exposures, both agencies would  
5 have used the information, and again, I'm just  
6 reporting information that was previously derived, and  
7 I can help us find that information if you'd like in  
8 more detail, but both agencies have the assumption  
9 that the tenfold uncertainty factor or, rather, the  
10 uncertainty factors are intended to deal with  
11 sensitive populations, and so their assumption is that  
12 if we identify a dose within a population that shows  
13 adverse effect, we can then use standard assumptions  
14 to get to a dose that's below effects that would occur  
15 in sensitive populations, such as field exposures.

16 And the validity of that sort of an  
17 assumption is something that we need to discuss in  
18 quite a lot more detail, and I'm not sure I have the  
19 time to do that.

20 CO-CHAIRMAN BURTON: Okay. I would like  
21 to go ahead and move on. I'd like to thank all five  
22 of our speakers, particularly Canady, at this time for  
23 providing the background information that you have to  
24 the committee.

25 I have a few comments. We will be moving

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on to the next portion of the agenda, which is the  
2 first of our two sessions of open public hearings. I  
3 have some remarks to make prior to our first speaker.

4 The second open public session will be  
5 held tomorrow morning. Of course, this afternoon it  
6 will be the continuation of the first session, which  
7 we are starting at this time.

8 At these times public attendees are given  
9 the opportunity to address the committee, to present  
10 data or views relevant to the committee's activities.

11 The FDA values your input, and members of  
12 the public have the opportunity to speak to the  
13 committee at the public meeting, but there are  
14 practical considerations which limit the amount of  
15 time that we can allocate to public speakers as a  
16 group and, therefore, any individual speaker within  
17 the overall group.

18 For this reason, the FDA established a  
19 docket, FDA Docket No. 2006N0352, for all interested  
20 members of the public to submit written comments of  
21 any length to the FDA. Those will be reviewed in  
22 addition to oral testimony to see what light they shed  
23 on the questions and issues raised at this meeting.  
24 Those have been provided to the committee members both  
25 in printed form and electronically.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We are especially welcoming public  
2 comments about peer reviewed scientific literature on  
3 dental amalgam and its potential mercury toxicity  
4 specifically as it relates to neurotoxic effects.  
5 Based on the number of requests received to this point  
6 and to allow adequate time for committee  
7 deliberations, tomorrow we have allotted each speaker  
8 seven minutes for his or her presentation.

9           Those who have registered to speak have  
10 been given a number which corresponds to your order of  
11 appearance. When it is your time to speak, please  
12 come to the podium area in advance so that the  
13 transition time between speakers can be minimized.  
14 The FDA staff will direct you to the appropriate  
15 podium.

16           Please remain within your time  
17 constraints. As there are many speakers, time limits  
18 will be strictly enforced. Between the two podiums  
19 there is a light box. We'll be using a timer for this  
20 meeting. The yellow light on the timer will signal  
21 you to finish your presentation. The red light means  
22 that your time is up, and at that point in time the  
23 microphone and video will be turned off.

24           PARTICIPANT: When you get the yellow  
25 light, how much time?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 CO-CHAIRMAN BURTON: One minute.

2 Both the FDA and the public believe in a  
3 transparent process for information gathering and  
4 decision making. To insure such transparency at the  
5 open public hearing session of the advisory committee  
6 meeting, the FDA believes it is important to  
7 understand the context of an individual's  
8 presentation. For this reason, the FDA encourages  
9 you, the open public hearing speaker, at the beginning  
10 of your written or oral statement to advise the  
11 committee of any financial relationship that you may  
12 have with a company or organization that may be  
13 affected by the topic of this meeting.

14 For example, this financial information  
15 may include a company's or organization's payment for  
16 your travel, lodging or other expenses in connection  
17 with your attendance at the meeting.

18 Likewise, the FDA encourages you at the  
19 beginning of your statement to advise the committee if  
20 you do not have any such financial relationships. If  
21 you choose, however, not to address this issue of  
22 financial relationships at the beginning of your  
23 statement, it does not preclude you from speaking.

24 I would like to remind the public  
25 observers at this meeting that while this portion of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the meeting is open to public observation public  
2 attendees may not participate except at the specific  
3 request of the Chair.

4 Also, the Chair and other members of the  
5 committee may question a person about his or her  
6 presentation. No other person may question the  
7 presenter or interrupt the presentation of another  
8 participant.

9 Those who did not register prior to the  
10 meeting to speak may be given time if that is  
11 permitted. If you wish to address the committee,  
12 please give your name to Ms. Ann Marie Williams during  
13 the breaks. We will try to accommodate tomorrow's  
14 presentation from this list if time permits.

15 I ask that speakers bring only their  
16 written comments or presentation materials with them  
17 to the podium. Also, please state your name for the  
18 record and begin with the financial disclosure.

19 To clarify one other issue, the hotel will  
20 not permit people holding signs to be on their  
21 property because of concerns about security and  
22 disruption. We know that some of our audience have  
23 brought signs. We have asked the hotel to set up an  
24 easel near the podium so that a public speaker who  
25 wants to include a sign as part of his or her

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentation may do so.

2 After your presentation, please take the  
3 sign outside to the area where they are being stored.

4 We are unable to provide a display on the Holiday Inn  
5 property.

6 Okay. As we move now to our first  
7 speaker, I'd like our first speaker to come forward to  
8 the microphone to address the committee. I believe it  
9 is Ms. Linda Brocato.

10 If you have a copy of your talk available,  
11 please provide it to the FDA staff for the  
12 transcriptionist to help provide an accurate record.

13 MS. BROCATO: Thank you for giving me the  
14 opportunity to speak. My name is Linda Brocato. I am  
15 from Chicago, and I don't have any kind of financial  
16 that would impair anything with this committee.

17 I'm a victim of mercury poisoning. As of  
18 2007, it will be 30 years that I have been suffering  
19 from mercury poisoning. I originally had my amalgam  
20 fillings placed in my mouth at seven or eight years  
21 old.

22 Symptoms of mercury poisoning started  
23 about 1977 when I was about 27 years old. I used to  
24 have pounding and throbbing migraine headaches leading  
25 to light sensitivity and also temporary dizziness,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uncoordinated movements, numbness, and tingling of the  
2 legs and the hands and the feet, weakness of one leg  
3 and then the other.

4 I also had loss of balance. My legs felt  
5 like they had lead in them, where I just couldn't move  
6 them. Very, very difficult.

7 In 1980, I was diagnosed as having a  
8 demyelinating disease and optic neuritis. In 1981, I  
9 was diagnosed as having multiple sclerosis, commonly  
10 known as MS. Between 1987 and 1990, I had three  
11 hospitalizations. The first hospitalization lasted  
12 for about two to three weeks, the second  
13 hospitalization, one week; the third hospitalization,  
14 a month and a half.

15 I had eight different kinds of  
16 medications, including chemotherapy of cytotoxin and  
17 immuran, and also the controversial treatment of  
18 plasma phoresis.

19 Nothing helped, absolutely nothing. I  
20 might have had a temporary relief of my exacerbations,  
21 but then they continued and it=s continued for a very,  
22 very long period of time.

23 My medications included ACTH prednisone,  
24 Attatropfen, Immuran cytotoxin, and also plasma  
25 phoresis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   When I got out of my third  
2 hospitalization, when I was released, I was unable to  
3 take care of myself. I required 24 hour care seven  
4 days a week. I required a Hoyer lift, a hospital bed.  
5 I required ramps, all different kinds of  
6 paraphernalia needed for helping me get around the  
7 house, and it was a very, very difficult period of  
8 time.

9                   And then one day I heard about the amalgam  
10 fillings, and I thought, "Do you know what? If I'm  
11 going to die, this is my last chance." And I had my  
12 16 amalgam fillings taken out. It took a long time.  
13 It took about three months, and when I had my last  
14 filling removed my slurred speech started to disappear  
15 because I had slurred speech for a while, and I was  
16 bedridden for ten years.

17                   After the ten years of being bedridden, I  
18 also had to have live-in help 24 hours a day for seven  
19 days a week, and all of this dissipated after the ten  
20 years.

21                   So in the year 2000, as of September 10th  
22 of 2000, I have been on my own. The important thing  
23 here is some of the tests that I've had. I've had the  
24 mercury vapor test, which revealed a very high  
25 concentration of mercury, and the dentist told me he

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 didn't know what this was going to do for me, but he  
2 suggested that I did have it removed.

3 The other thing is that I had a  
4 neurometric brain mapping EEG and EP report. The  
5 summary of that report was as a result, there is  
6 evidence which supports both a degenerative disease  
7 and toxicity.

8 I also had another test which was  
9 regarding the amalgams that were in my mouth, and this  
10 is from Clifford Research Foundation, and it said the  
11 fillings may not be suitable or may require concurrent  
12 body burden reduction and risk management.

13 Well, after I had the filings removed, I  
14 have not had another symptom of MS for 17 years. I  
15 have not seen a neurologist for MS or had any other  
16 kind of MS medication for 16 years.

17 I am by myself. I am able to do my own  
18 grocery shopping, my own cooking, somewhat my own  
19 cleaning, and I take a bus wherever I go, but the  
20 unfortunate part is I'm still in this wheelchair, and  
21 I will probably have to be here for the rest of my  
22 life.

23 And my dentist never told me there was  
24 mercury in the silver fillings, never, and had he told  
25 me that there was mercury in the silver fillings I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would have prevented myself from having the amalgams  
2 and had them removed a lot earlier than when I did,  
3 and I do not believe that I would be in a wheelchair  
4 right now.

5           It's a very, very difficult thing every  
6 single day not knowing what's going to happen. I  
7 still fall because I don't have the balance that I  
8 should, but the important thing is I don't have the  
9 exacerbations, and I was having exacerbations, two or  
10 three a day, for ten years requiring all different  
11 kinds of medication.

12           So it was a very difficult thing for me,  
13 and I do believe that mercury was a very big part of  
14 that.

15           I don't know what else to say. I really  
16 don't, and at what point did I develop a  
17 hypersensitivity to mercury? I don't know. The only  
18 thing I know is that I had them in at a very young  
19 age, and what I know now is I don't have any of the  
20 exacerbations anymore, and I have known MS patients  
21 who have had their amalgams removed and are still  
22 having exacerbations. I can't account for that. I  
23 really can't.

24           But thank you for your time.

25           CO-CHAIRMAN BURTON: Thank you for your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 input.

2 Are there any questions from the  
3 committee? Okay. Yes. I'm sorry. Dr. Aschner.

4 DR. ASCHNER: Thank you very much.

5 How long after the removal of the amalgams  
6 did you start feeling better?

7 MS. BROCATO: Two weeks.

8 DR. ASCHNER: Thank you.

9 CO-CHAIRMAN BURTON: Ms. Cowley.

10 MS. COWLEY: Would you attribute the  
11 condition you're in at this time to having had the  
12 mercury fillings?

13 Is this as good as you're going to get,  
14 according to your doctors?

15 MS. BROCATO: I believe that I will get  
16 better. I believe that I do need more physical  
17 therapy. I do need a person to take the time with me  
18 because I was at the point back in 1993 where I was  
19 going for physical therapy, and I was able to get up  
20 on the parallel bars myself and be able to move  
21 through the hips, and I didn't -- I wasn't able to  
22 complete that because the physical therapist had moved  
23 out of state.

24 CO-CHAIRMAN BURTON: Okay. Thank you very  
25 much.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 MS. BROCATO: You're welcome.

2 CO-CHAIRMAN BURTON: We're going to move  
3 on to our next speaker, which is Mr. Charles Brown.

4 MR. BROWN: Thank you very much.

5 Good morning. I'm Charlie Brown. I'm  
6 National Council of Consumers for Dental Choice.

7 Our major goal is to abolish mercury  
8 dental fillings, and I want to make that clear.

9 I appreciate the question on the fetal  
10 health. Everyone has the same first name here. It's  
11 very helpful, Doctor. Anyway, and your question,  
12 yesterday three organizations, the Consumers for  
13 Dental Choice, the Mercury Policy Project, and the  
14 International Academy of Oral Medicine and Toxicology  
15 -- that's a mercury free dental society. The ADA is  
16 pro mercury, but dentistry is very divided on this,  
17 and the IOAMT is anti-mercury, mercury free.

18 We filed a petition with the Commissioner  
19 to ban mercury fillings immediately for pregnant  
20 women, and that needs to happen, and we urge you  
21 tomorrow to make that recommendation to the  
22 Commissioner.

23 Now, what do you need to know? Amalgam is  
24 50 percent mercury. We know that. Amalgam is an  
25 exposure to mercury. We know that. In fact, even Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Mackert concedes -- and, by the way, he's the ADA's  
2 point man around the country. I mean, I think  
3 everyone should put their conflict on the table as we  
4 do.

5           Anyway, amalgam is an especially acute  
6 jolt at the moment they're put in. Now, for a  
7 pregnant woman, that's more mercury going through her  
8 body. So amalgam is 50 percent mercury, and mercury  
9 is a neurotoxin. it is an exposure to mercury.

10           Now, the Centers for Disease Control calls  
11 it a major exposure. The staff at FDA wants to ignore  
12 the Centers for Disease Control. The U.S. Public  
13 Health Service says it's one of the two major  
14 exposures to humans, along with diet. The Canadian  
15 Health Canada says it's the major exposure.

16           So although the FDA staff wants to pretend  
17 it's not a problem, it is an exposure, probably a  
18 major exposure, but let's just say it is an exposure.

19           So the pregnant woman is getting an exposure to  
20 mercury that affects the unborn child.

21           Well, then is there any benefit to this?  
22 And the answer is no. I mean, FDA had to wrangle the  
23 fish issue, and I'm not saying they got a good or bad  
24 result, but they had to balance the fact that some  
25 people feel, a lot of people feel fish is a good thing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the diet. They had to balance that against the  
2 mercury for pregnant women.

3           There is no balancing here. Do you  
4 understand? Mercury fillings aren't needed, not for a  
5 single cavity. All through this room are men and  
6 women who have been mercury free dentists year after  
7 year after year, decade after decade. Some of the pro  
8 mercury dentists maybe even on this panel are going to  
9 say, "Say we need to use it." The only advantage for  
10 the mercury fillings today is dentist economics. The  
11 drill, fill, and bill system for the dentists who are  
12 the assembly line dentists and the old fashioned  
13 dentists.

14           Modern dentists don't use mercury  
15 fillings. Every dental school graduate of every  
16 dental school represented here knows how to do the  
17 non-mercury filling for any cavity. So again, no  
18 benefit for mercury fillings. Every cavity can be  
19 filled in every child and every adult by alternatives  
20 to mercury fillings.

21           So you have the pregnant woman exposed.  
22 The unborn child is exposed to mercury. There are no  
23 countervailing benefits. That should be a slam dunk,  
24 and that should be your decision. We very much hope  
25 you will move forward on that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Now, why is this continuing? And to do  
2 this, and I know we've got a lot of physicians, a lot  
3 of dentists here, and a lot of scientists. The  
4 contrast between organized medicine and organized  
5 dentistry is huge, and you may not know that as a  
6 physician.

7                   The ADA endorses products for money, their  
8 seal of acceptance system. It's pay to play  
9 contracts. The AMA says that's unethical.

10                  The ADA has a gag rule on mercury. They  
11 tell dentists, "Don't talk about the mercury unless  
12 it's suggested."

13                  I mean, how else do you account for the  
14 fact that a Zogby poll this year said 76 percent of  
15 the people could not name the main component of  
16 amalgam. Certainly most people go to a dentist. They  
17 aren't learning it.

18                  What are they learning? They're learning  
19 the deception of the ADA, of silver fillings. They're  
20 learning the ADA has a brochure -- I thought I had it  
21 in my package -- a brochure that calls this "silver  
22 fillings." The ADA in its brochure says, well, you  
23 can have an allergy to mercury, something like you  
24 could have to pollen or dust.

25                  I mean, the ADA endorses products for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 money. The ADA has a gag rule. The ADA has patents  
2 on amalgam, but again, the AMA doesn't do any of that.

3 I'm not shilling for any other group, but I mean, I  
4 know the ADA wants to fight for its interest. A big  
5 part of its interest are these pay to play contracts.

6 So they have a gag rule. They have  
7 patents. They have pay to play contracts, and they  
8 still endorse mercury. When did the AMA stop  
9 endorsing mercury? About 1900. I know medicine used  
10 it in the 19th Century and every physician knows that  
11 was an historical mistake. It's an historical mistake  
12 for dentists today.

13 In fact, if you talk to dentists and you  
14 talk to the ADA, the ADA proposed rule -- and it's  
15 rule is dead; I'm going to explain that. That rule is  
16 dead, legally dead -- but the ADA rule, proposed rule,  
17 had said that, hey, this is good. The most compelling  
18 reason is that it has been used for over 100 years.  
19 Like cigarettes? I mean, what are we talking about?  
20 It has been used a long time, and that makes it safe?

21 That's unbelievable. Imagine a medical  
22 school professor saying, "Class, this is the procedure  
23 we've used since before the Civil War. Stand by it."

24 You know, we quit cutting off legs. And,  
25 by the way, there's an economic justice issue, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's huge. I think Congresswoman Watson may address  
2 it here. A lot of the pro mercury dentists say,  
3 "Well, but that's all we can afford for poor people."

4 You know, pre-Civil War we sawed off legs.  
5 It's a lot cheaper, a lot faster, quicker. The  
6 surgery is over and they're out, but we don't say  
7 today to the Medicaid patient, "We're going to saw off  
8 your leg because it's cheaper." We do the full Nelson  
9 on the broken leg.

10 Now, the dentists says but for the poor  
11 person, the minority, they get mercury. Medicaid  
12 allows choices. Most people don't know that. Most  
13 people don't even know it's mercury. So basically  
14 this silver deception has got in.

15 I mean, think of a pregnant woman walking  
16 in a dentist office, and if the dentist says, "Ma'am,  
17 here come your mercury fillings," she would have her  
18 posterior out of that chair immediately and out of  
19 that office. But the dentist says, "Here come your  
20 silver fillings," a massive deception, an outrageous  
21 deception, and it should not continue.

22 We'll hear the next speaker. I'm sorry.  
23 Okay. I have to sum up. I didn't realize I had moved  
24 that fast.

25 Okay. Now, you know, I really think of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this as needing a push, just needing a push. The pro  
2 mercury dentists are the only ones supporting this.  
3 Even manufacturers aren't supporting it.

4 I think a Mississippi friend of mine,  
5 white friend of mine that said, "You know, we just  
6 needed a push to get rid of segregation. We didn't  
7 really want it. We needed to push them out."

8 Please don't let professional courtesy  
9 make your decision for you, Doctors, please. These  
10 dentists -- and some of them are on the panel; some of  
11 them are ideologically pro mercury -- are going to  
12 say, "Let us decide."

13 Let dentists decide if it injures the  
14 unborn child or the child's developing brain, the live  
15 child's developing brain? The kidney of an adult?  
16 Come on. You're the neurologist. You're the  
17 scientist. You've got to step up to the plate.

18 FDA is regulating this by the dentists.

19 CO-CHAIRMAN BURTON: Thank you very much,  
20 Mr. Brown.

21 MR. BROWN: They don't know.

22 Okay. Well, please --

23 CO-CHAIRMAN BURTON: Thank you.

24 MR. BROWN: How do I get this in front of  
25 the panel?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIRMAN BURTON: It can be given to  
2 one of the staff over on the side, to Ann Marie, and  
3 she can provide that to that.

4 Thank you very much for your input, Mr.  
5 Brown.

6 Our next speaker is Dr. Amid Ismail.

7 Dr. Ismail.

8 DR. ISMAIL: Thank you.

9 This doesn't come on. Now it's time.

10 Thank you for allowing me to speak before  
11 you about this issue of amalgams and mercury.

12 I am in the gag order. So I cannot give  
13 my presentation because I represent the American  
14 Dental Association. I only received travel expenses  
15 to come here. I received no fees.

16 My name is Amid Ismail. I am a Professor  
17 of Epidemiology and Health Services Research at the  
18 School of Dentistry and the School of Public Health,  
19 University of Michigan, Ann Arbor. For the past four  
20 years I have served as a volunteer member of the  
21 American Dental Association Council on Scientific  
22 Affairs, and this year I am the council chair, and  
23 that's why I'm here.

24 I want to focus my comments on two  
25 important issues: how the ADA develops its policy and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 position, contrary to what the previous speaker has  
2 said, on scientific matter and how the choice of safe  
3 and effective restorative materials impact access to  
4 dental care.

5 The Council on Scientific Affairs is  
6 charged by the ADA with responsibility for advising on  
7 safety and effectiveness of dental materials, among  
8 its other duties. The council fulfills this  
9 responsibility by keeping abreast of publications in  
10 the scientific literature, listening to the opinions  
11 of others in the scientific community and conducting  
12 its own periodic assessment of the scientific  
13 evidence.

14 For example, the council published a  
15 comprehensive review of the literature on amalgam  
16 safety in the April 1998 issue of the American Dental  
17 Association. Since then the council has updated its  
18 assessment whenever new information has appeared, most  
19 recently with the publication of the withdrawal of the  
20 American Medical Association of the long anticipated  
21 studies known as the children amalgam trials.

22 The council is a body of independent, just  
23 like this panel, scientific experts and has no  
24 interest in the outcome of scientific debate other  
25 than provide dentists with the best available

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scientific information on which to base their  
2 treatment decisions.

3 Individuals who serve on the 17 member  
4 panel are chosen at large among the ADA membership for  
5 their expertise, scientific expertise in a wide  
6 variety of fields affecting our health. Like me, most  
7 members of the council hold academic appointments at  
8 dental schools and are involved in active research.  
9 this gives us the experience and expertise to read and  
10 assess the scientific evidence according to the  
11 accepted standards of scientific rigor.

12 The counsel is served by a professional  
13 staff that includes toxicologists, microbiologists,  
14 material scientists and other research personnel, in  
15 addition to dentists. We have access to a panel of  
16 over 200 scientific consultants covering all the  
17 clinical dental specialist, as well as pharmacology,  
18 material sciences, biostatistics, and many other  
19 disciplines.

20 One fourth of the council memberships  
21 change every year, insuring that we have the benefit  
22 of fresh perspective in our deliberations. Although  
23 we are a committee of the ADA, our scientific  
24 decisions and opinions are our own. They are not  
25 dictated to us by anyone. Council statements and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 positions are submitted for publication in the Journal  
2 of the American Dental Association subject only to  
3 constraints of the regular peer review process.

4 In the opinion of the counsel, dental  
5 amalgam is a safe and effective restorative material.

6 The current scientific evidence does not support an  
7 association between dental amalgam and any adverse  
8 health effects, except for the small number of  
9 documented cases involving individuals who are  
10 allergic to one of its components.

11 Dental amalgam is a valuable restorative  
12 option for dentists and their patients. All dental  
13 patients deserve the right to choose the most  
14 appropriate course of treatment. Eliminating dental  
15 amalgam as a restorative option precludes a dentist  
16 from offering his or her patients what may be the best  
17 choice for a clinical perspective.

18 Dental amalgams are generally the  
19 preferred material for large fillings in back teeth  
20 and very deep fillings or fillings that end at the gum  
21 line. Alternatives are often less effective in these  
22 situations.

23 Amalgam is also the only restorative  
24 material that can successfully be placed in a wet  
25 environment in the oral cavity. This is especially

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 critical when a dentist cannot create a dry field that  
2 is necessary for successful placement of a composite  
3 resin. Without the ease of use offered by dental  
4 amalgam, the dentist might be required to use other  
5 more expensive methods to manage the patient or the  
6 patient might choose tooth extraction over restoration  
7 of a tooth that could be made perfectly serviceable.

8 Loss of natural dentition and their  
9 circumstances would rarely be necessary with dental  
10 amalgam.

11 The ADA's position is that all dental  
12 patients should be provided with the full range of  
13 appropriate treatment options that are supported by  
14 the scientific and experiential evidence available.  
15 Decisions on the most appropriate course of oral  
16 health treatment are best made by the dentist in  
17 consultation with the patient prior to treatment.

18 Dental caries or tooth decay is the single  
19 most common chronic disease in humans. The Surgeon  
20 General reports that dental cavities in children is  
21 five times more common than asthma and seven times  
22 more common than hay fever.

23 Epidemiological evidence demonstrates that  
24 the prevalence and severity of dental caries and  
25 restorative treatment needs are highest in low income

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and special needs populations. Those who qualify for  
2 Medicaid and state children's health insurance  
3 program, or SCHIP.

4 Access to quality dental care for all  
5 children, but especially poor children is a vital  
6 element for all health care and development. The ADA  
7 is concerned that efforts to eliminate the use of  
8 dental amalgam would create unwanted public anxiety,  
9 increased disparities, eliminate viable treatment  
10 options.

11 We strongly believe that all Americans are  
12 entitled to quality dental care. Those populations  
13 that have always received this care deserve to have  
14 all dental care options available to them.

15 In conclusion, based on its review of the  
16 current scientific evidence, the ADA Council on  
17 Scientific Affairs supports the continued usefulness  
18 of dental amalgam as a safe and effective dental  
19 material. The overwhelming body of scientific  
20 evidence supports the safety of dental amalgam. It  
21 remains an important restorative option for all  
22 Americans.

23 And thank you for listening to me.

24 CO-CHAIRMAN BURTON: Thank you, Dr.  
25 Ismail.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Our next public speaker is Dr. Ronald  
2 Zentz.

3                   DR. ZENTZ: Thank you.

4                   Members of the panel, thank you on behalf  
5 of the American Dental Association for allowing us to  
6 provide these comments today. I'm Dr. Ronald Zentz,  
7 a dentist and a pharmacist by training and Senior  
8 Director of the ADA Council on Scientific Affairs.

9                   For almost 150 years the ADA has been  
10 committed to the oral health of the public. It's a  
11 science based organization, and as such we rely on the  
12 Council on Scientific Affairs to provide guidance on  
13 key issues. This includes policy on the safety and  
14 effectiveness of dental materials used in restorative  
15 practice.

16                   The council actively promotes research to  
17 insure that the public and the profession have the  
18 most current, scientifically valid information on  
19 which to make choices about dental treatment. The ADA  
20 does not advocate for the use of one specific dental  
21 restorative material over another. Instead we  
22 champion the principle that the dentists and their  
23 patients should be able to select from a range of  
24 materials that are supported by scientific evidence  
25 and shown to be safe.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The best and latest scientific evidence  
2 indicates a dental amalgam is safe. Findings of two  
3 highly anticipated clinical trials widely known as the  
4 children's amalgam trial were published in the April  
5 Journal Of the American Medical Association. The two  
6 randomized clinical trials funded by the National  
7 Institutes of Health were designed to examine the  
8 effect of mercury released from amalgam on central and  
9 peripheral nervous system and kidney function in  
10 children.

11           The investigators found no adverse health  
12 effects related to the neuropsychological function,  
13 memory, attention, visiomotor function, nerve  
14 conduction velocities, or function arising from the  
15 placement of amalgam restorations in children.

16           While the safety of dental amalgam has  
17 been the subject of a number of previous publications,  
18 panel meetings, conferences, two new clinical trials  
19 are the first to compare overall health effects in  
20 children treated with amalgam restorations and resin  
21 composite restorations.

22           Dental amalgam is accepted by the  
23 scientific community as safe and effective restorative  
24 material based on the weight of the scientific  
25 evidence. As one example, I cite an article from 2003

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the prestigious New England Journal of Medicine.  
2 "Current concern arises from claims that long-term  
3 exposure to low concentrations of mercury vapor from  
4 amalgam either causes or exacerbates degenerative  
5 diseases, such as ametropic lateral sclerosis,  
6 Alzheimer's disease, multiple sclerosis, and  
7 Parkinson's disease. However, several epidemiological  
8 investigations failed to provide evidence of the role  
9 of amalgam in these degenerative diseases. Patients  
10 who have questions about the potential relation  
11 between mercury and degenerative diseases can be  
12 assured that the available evidence shows no  
13 connection."

14 Many other organizations from across the  
15 world have found dental amalgam to be safe and  
16 effective in treating dental decay. In addition to  
17 the ADA those bodies include the World Health  
18 Organization, U.S. Food and Drug Administration,  
19 Centers for Disease Control and Prevention, and the  
20 National Institutes of Health, as well as others.

21 In 1998, the ad hoc working group of  
22 experts from countries in the European Union issued a  
23 report on dental amalgam that concluded there is no  
24 scientific evidence of systemic health problems or  
25 toxic effects from dental amalgam, and the working

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 group did not recommend any special reservations on  
2 its use. A few countries have made recommendations  
3 limiting amalgam use in certain populations. These  
4 same countries admit that the body of scientific  
5 evidence does not substantiate or support the  
6 limitations.

7 While amalgam is still a valued option in  
8 treating dental decay, its use is declining. Those  
9 cavities that previously would have been treated with  
10 amalgam are now primarily filled with resin composite  
11 materials. This trend is primarily driven by ongoing  
12 improvements in resin materials, better education for  
13 dentists in placing the composite restorations, as  
14 well as changes in dental disease patterns, and the  
15 patient's desire especially in the United States for  
16 aesthetic and tooth colored restorations.

17 Dental amalgam is a solid material  
18 composed of mercury, silver, tin, and copper. It's a  
19 hard, stable, and safe intermetallic compound.  
20 exposure to dental amalgam cannot be correctly  
21 compared to exposure to an equivalent amount of  
22 mercury, whether in the human body or in the  
23 environment, nor is mercury contained in dental  
24 amalgam present as methyl mercury or readily converted  
25 to that material.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Methyl mercury is a form which is of most  
2 concern for human health. At present there's no  
3 direct restorative material that works as well as  
4 amalgam for large fillings in the back teeth or in  
5 very deep fillings below the gum line. Alternatives  
6 are often less effective in these situations,  
7 especially in the wet environment.

8           The ultimate decision for dentists and  
9 patients on filling materials is best made between the  
10 dentist and the patient at the time of treatment. To  
11 aid in that decision, the ADA has developed a chart  
12 that compares restorative dental options. It has been  
13 widely circulated through ADA publications. I have a  
14 copy of that here with me today, and I can provide  
15 copies to the panel if you would like to have that.

16           We have also developed a patient brochure  
17 that's available in brochure form as well as ADA.org  
18 for explaining the various options available to  
19 patients, the advantages, disadvantages.

20           In conclusion, the public deserves health  
21 care policy and FDA regulation based on sound science,  
22 not on political agendas. As a leader of the science  
23 based profession, the ADA is open to new scientific  
24 information and welcomes the opportunity to discuss it  
25 according to the standards that prevail in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 scientific community.

2 Clearly, the overwhelming evidence of  
3 scientific evidence supports the safety and efficacy  
4 of dental amalgam and shall continue to be made  
5 available as a restorative option for patients.

6 Thank you for the opportunity to discuss  
7 this. I look forward to answering any questions if  
8 you have any.

9 CO-CHAIRMAN BURTON: Yes?

10 DR. DOURSON: Yes. Mike Dourson.

11 Thank you for your presentation. One  
12 quick question.

13 Has the ADA ever characterized the  
14 exposure to its patients from dental amalgams in a  
15 probabilistic sense?

16 DR. ZENTZ: From a probabilistic sense,  
17 meaning they're --

18 DR. DOURSON: In other words, characterize  
19 the exposure to mercury from dental amalgams.

20 DR. ZENTZ: Regarding the overall  
21 exposure?

22 DR. DOURSON: Right. Means, averages,  
23 standard deviations.

24 DR. ZENTZ: My understanding of the  
25 literature that I'm familiar with is that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 component from dental amalgam is a lower component of  
2 the overall mercury exposure. Also elemental mercury  
3 versus methyl mercury, which is the major concern.

4 DR. DOURSON: Thank you.

5 CO-CHAIRMAN BURTON: Dr. Goldman.

6 DR. GOLDMAN: Yes. I have one comment  
7 first, and that is that I'd love to see a copy of the  
8 chart and the fact sheet, and a question, and that is  
9 whether the ADA surveys its members about the use of  
10 different kinds of fillings and whether you have data  
11 that you gather on that, and if that's the kind of  
12 data that's available.

13 DR. ZENTZ: Yes, I believe there is some  
14 data available. The most recent that I'm familiar  
15 with is in 2003, which is an estimate of about 30  
16 percent of restorations were amalgam restorations, but  
17 there may be some more recent data.

18 DR. GOLDMAN: And also, do you have data  
19 about adverse effects? Does the ADA gather data on  
20 adverse reactions?

21 DR. ZENTZ: The ADA does take calls from  
22 members, the profession as well as patients related to  
23 adverse events. We do refer them to the FDA from the  
24 standpoint of their surveillance activities. We don't  
25 have a full registry by product related to adverse

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events though.

2 CO-CHAIRMAN BURTON: Okay. Thank you. We  
3 need to move on. I'm sorry. Thank you very much, Dr.  
4 Zentz.

5 Our next speaker is Ms. Kathleen Nelson.

6 Ms. Nelson.

7 MS. NELSON: Yes, thank you very much.

8 My name is Kathleen Nelson. I have no  
9 financial affiliations here.

10 I am from Austin, Texas. I'm a 54 year  
11 old mother of two sons and a grandmother of four  
12 beautiful grandsons and a federal civil servant.

13 I'm the survivor of mercury amalgam  
14 poisoning, but before I share my personal experience I  
15 would like to sincerely thank the FDA for having the  
16 courage to hold these hearings, but I must say I am  
17 terribly saddened that these hearings are even a  
18 reality.

19 This question to use mercury or not use  
20 mercury in dental products is simply absurd to me.  
21 The question defies common sense. It does not take a  
22 doctorate in chemistry or toxicology to understand  
23 that mercury is a known neurotoxin and degrades our  
24 health.

25 it does not take a panel of experts to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 argue with doing biased medical studies to determine  
2 whether or not mercury should be placed in our bodies.

3 The scientific evidence about the hazards of mercury  
4 is not new. History has documented that fact for us  
5 over and over.

6 The basic understanding of mercury  
7 toxicity is indisputable. This notion to prove this  
8 or that nuance only serves to cloud this issue, thus  
9 distorting the truth.

10 The truth is we cannot see the forest  
11 through the trees unless we are willing to attack this  
12 problem from a different angle, a paradigm shift of  
13 thinking, thus a dramatic change of heart. There is  
14 no doubt that every single one of us here today  
15 acknowledges that mercury itself is a neurotoxin and  
16 harmful to our health. This ultimate truth is our  
17 common ground.

18 Where we disagree is why we keep using  
19 mercury. So I ask this question: why are mercury  
20 fillings still being used in America knowing full well  
21 the hazards? There is no denying that no one single  
22 scientific or medical case can be made to ethically,  
23 ethically support the intention use of toxic  
24 materials.

25 I applaud the FDA; I sincerely do. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 applaud the FDA for warning pregnant women and young  
2 children of the harmful effects of eating fish tainted  
3 with mercury. But yet the FDA stays silent when  
4 asked to warn the same pregnant women and young  
5 children of the toxic effects of mercury amalgam  
6 fillings.

7 On one hand, the FDA warns mercury is not  
8 health. Be careful, but on the other hand, the FDA  
9 continues to support the use of mercury amalgams by  
10 not warning the Americans.

11 It's bad for your health to eat fish, but  
12 somehow it's okay to directly put it in your head.  
13 FDA, you can't have it both ways. Mercury is either  
14 toxic or it isn't, no matter how it is delivered, be  
15 it in fish, vaccinations, co-plane emissions or  
16 amalgam fillings.

17 The answer is scientifically indisputable.

18 The truth is that simple. The truth is mercury is a  
19 neurotoxin and causes harm to our lives, to our immune  
20 systems, to our embryos, to our babies, to our mothers  
21 and fathers. The more frequent the exposure one has  
22 to mercury, the more mercury burden the body carries.

23 My own personal diagnosis and experience  
24 with mercury amalgam poisoning came to a head a couple  
25 of years ago. For years I suffered with chronic sinus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and ear problems, hypothyroidism, allergy symptoms,  
2 dry, itchy skin, burning skin, severe headaches,  
3 dizziness, extreme fatigue and flu-like symptoms.

4 After years of symptoms that became  
5 increasingly worse with time, I became very ill. The  
6 doctors checked for brain tumors, aneurysms, MS, West  
7 Nile, Lyme, lupus, and other disorders and diseases.  
8 Per the doctors, the cause of my illness was most  
9 likely an unknown virus.

10 I waited for over a year for this  
11 mysterious virus to pass, and I barely functioned from  
12 day to day. A year later and much sicker, information  
13 by the grace of God came to me through my manager at  
14 work who had gone to church with a lady who had  
15 experienced similar health problems. She encouraged  
16 me to see Dr. Kendall Stewart in Austin, Texas.

17 I thank God every day I was able to see  
18 this man. The truth is my mercury poisoning by silver  
19 mercury amalgam fillings has been scientifically and  
20 medically documented. The truth is Blue Cross and  
21 Blue Shield of Texas paid for my medical expenses  
22 caused by mercury amalgam poisoning.

23 My peak illness symptoms were vertigo,  
24 vomiting, nausea, dizziness, peripheral and nervous  
25 system disorders, burning and itchy skin, burning

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 brain sensations, imbalance, severe fatigue, severe  
2 muscle aches, et cetera.

3 Through scientific testing, Dr. Stewart  
4 confirmed I had levels of mercury in my body that were  
5 very, very high. Dr. Stewart recommended immediate  
6 removal of all seven of my 36 year old mercury  
7 fillings. After ten months of chelation and the  
8 removal of my fillings, I am a new woman. I am here  
9 today being able to talk to you to try to convince you  
10 to do the right thing.

11 The truth is simple. I have no allergies  
12 or fatigue. My thyroid medication has been reduced by  
13 33 percent since the removal of my amalgam fillings  
14 and chelation therapy. My prescription has twice been  
15 reduced within a year of treatment.

16 So I ask this question once again. Why in  
17 God's name do we still use mercury in amalgam  
18 fillings? There's only one deriver for this unethical  
19 behavior. No one here can dispute that if minimal  
20 profits were involved with mercury dental products, we  
21 would not be having this hearing today. The truth is  
22 that simple.

23 Finally, this question to use or not use  
24 mercury in dental products mocks our intelligence to  
25 argue at what point mercury becomes more harmful than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 harmful is nonproductive. The fact is we don't have  
2 to poison our children. The fact is we do not have to  
3 poison our earth. And the fact is we do not have to  
4 poison our future.

5 The ugly, ugly truth is we simply choose  
6 to.

7 (Applause.)

8 CO-CHAIRMAN BURTON: Thank you very much,  
9 Ms. Nelson for your input.

10 Our next speaker is Dr. Joel Berg.

11 DR. BERG: Thank you.

12 I am Joel Berg. I'm Professor and chair  
13 of the Department of Pediatric Dentistry at the  
14 University of Washington in Seattle, Washington.

15 Today I thank you for the opportunity to  
16 present the comments on behalf of the American Academy  
17 of Pediatric Dentistry, the AAPD.

18 As pediatric dentists, we are the front  
19 line providers of oral health care to America's  
20 children and educators of dentists and other health  
21 professionals about children's oral health. The AAPD  
22 is the recognized authority on pediatric oral health  
23 care. Among its many activities is the development  
24 of pediatric dentistry oral health policies and  
25 clinical practice guidelines based on the best

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 available scientific evidence.

2 It is on this basis that the AAPD is  
3 testifying today as to the safety of dental amalgam as  
4 a restorative material.

5 Today I want to highlight a few key items  
6 from our written submission. In 2002, the AAPD  
7 sponsored a pediatric restorative dentistry consensus  
8 conference, and the individual research papers  
9 prepared for that conference were subsequently  
10 published in the peer reviewed, scholarly journal  
11 Pediatric Dentistry.

12 The consensus statement related to amalgam  
13 reads as follows: "The dental literature supports the  
14 safety and efficacy of dental amalgam in all segments  
15 of the population. Furthermore, dental literature  
16 supports the use of dental amalgam in the following  
17 situations: Class 1 restorations in primary and  
18 permanent teeth; two surface Class 2 restorations in  
19 primary molars where the preparation does not extend  
20 beyond the proximal line angles; Class 2 restorations  
21 in permanent molars and pre-molars; and Class 5  
22 restorations in primary and permanent posterior  
23 teeth."

24 Our written submission included the two  
25 research papers related to dental amalgam from this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consensus conference by Osborne and Fuchs. Because  
2 the AAPD's clinical guidelines are based on the best  
3 available scientific evidence, the recommendation I  
4 just read is included in the current AAPD Guidelines  
5 on Pediatric Restorative Dentistry, which was also  
6 submitted with our written statement.

7 Quoting from that statement, dental  
8 amalgam has been used for restoring teeth since 1880.

9 Amalgam's properties, such as ease of manipulation,  
10 durability, relatively low cost, and reduced technique  
11 sensitivity compared to other restorative materials  
12 have contributed to its popularity.

13 Aesthetics and improved tooth color  
14 restorative materials, however, have led to a decrease  
15 in its use. Dental amalgam has been one of the  
16 restorative options used in daily clinical care for  
17 children in both dental schools where I have served as  
18 a faculty member for a combined period of over 20  
19 years. Prior to my current position I worked for nine  
20 years in the dental industry. There if there were  
21 evidence in peer-reviewed scholarly literature as to  
22 the negative effects of amalgam, I can guarantee you  
23 there would be many companies rushing to the market  
24 touting this claim to advertise and sell vast  
25 quantities of alternative restorative materials, such

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as glassonomers or resin composites.

2 But because of adherence to scientific  
3 standards, it would be unethical for any organization  
4 to claim that dental amalgam is unsafe as a  
5 restorative material.

6 Further, it would be unethical of dentists  
7 to inform a patient's family that dental amalgam  
8 should not be used in any situation because, due to  
9 the current higher cost of other restorative materials  
10 and techniques, it could increase the treatment cost  
11 for a child's care.

12 Even more recently, studies published in  
13 the Journal of the American Medical Association by  
14 Bellinger and DeRouen provide even stronger evidence  
15 related to dental amalgam safety. According to the  
16 results, children with amalgam fillings experience no  
17 difference in neurological and renal function compared  
18 to the control group of children with composite  
19 fillings.

20 These studies support the existing  
21 scientific understanding that the infinitesimally  
22 small amount of mercury released by amalgam fillings  
23 during common activities, such as eating and drinking,  
24 does not adversely affect health.

25 Based on all of the available research,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the AAPD supports the continued use of dental amalgam  
2 as an important treatment option.

3 I thank you for the opportunity to present  
4 these comments, and I would be pleased to answer any  
5 questions.

6 CO-CHAIRMAN BURTON: Yes, Dr. Dourson.

7 DR. DOURSON: Yes, Mike Dourson.

8 Thank you very much. I do have one  
9 question in regards to a previous presenter's  
10 statement that there might be a jolt -- I'm not quite  
11 sure of the word used -- of mercury when the amalgam  
12 is put in.

13 Has your society or this one symposium  
14 that you had where you had lots of scholarly papers,  
15 have they characterized the exposure to mercury from  
16 not only subsequent to putting the amalgam in, but  
17 also during the amalgam's placement?

18 DR. BERG: Yes, I heard your question  
19 earlier and now as well. No, we have not. We based  
20 it on the available scientific literature that was  
21 presented as part of that symposium that was  
22 presented, as part of the written comments, but it did  
23 not characterize the different amounts at different  
24 time periods.

25 DR. DOURSON: Okay. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIRMAN BURTON: Yes, Dr. Fleming.

2 DR. FLEMING: Dr. Fleming.

3 What I want to ask you is this. What is  
4 your group's opinion on informed consent with respect  
5 to use of any restorative material, whether it's  
6 amalgam or composite? Should these discussions take  
7 place prior to installation?

8 You mentioned it as unethical. The second  
9 part of the question is do you consider it unethical  
10 to have a discussion with a parent or guardian of a  
11 child prior to any dental work being performed?

12 DR. BERG: Well, I think there should be  
13 informed consent before any dental procedure and the  
14 patient should be aware of the alternatives, of the  
15 risk of the procedure itself and the alternatives.

16 In the case of pediatric dentistry that  
17 applies to the longevity of the restoration in many  
18 cases. So in different situations we have two to  
19 three different options, but one is the preferred  
20 based on the age of the child and the size of the  
21 restoration.

22 DR. FLEMING: So my follow-up to that is  
23 who makes the final decision on what is installed?

24 DR. BERG: It's ultimately the parents'  
25 choice, but it's based on information from the doctor-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provider to that parent.

2 DR. FLEMING: And do you think that is  
3 occurring on a regular basis in offices now or is this  
4 something we need to address more deeply?

5 DR. BERG: I believe it is occurring. I  
6 think it should constantly be reinforced.

7 CO-CHAIRMAN BURTON: We're going to break  
8 at this point for lunch. I'd like to thank all of the  
9 committee members for their attention this morning and  
10 to those attending our presenters and to those in the  
11 public session.

12 It is now five after 12. I'd like you  
13 back at 12:55. We will start promptly at one o'clock.

14 So please return to the room and take your seats at  
15 12:55.

16 And Dr. Kieburtz will be assuming the  
17 chair at that time.

18 Thank you very much.

19 (Whereupon, at 12:07 p.m., the meeting was  
20 recessed for lunch, to reconvene at 1:00 p.m., the  
21 same day.)

22

23

24

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



AFTERNOON SESSION

(1:07 p.m.)

CO-CHAIRMAN KIEBURTZ: We won't repeat into the record from this morning everything we said about the interest in hearing from the public and the material about conflicts of interest, but those still stand for this open hearing.

We will continue with speakers in the list we have. There will be some movement around as we are waiting for Representative Watson, but we will just carry on.

I will just reiterate to the members of the committee in anticipation of management of our interests tomorrow I would like to keep the speakers to seven minutes. If there is any time left in their seven minutes, then we can have some questions. I'd like those questions to be about the material the speaker presented, not to introduce new topics beyond which they already spoke about.

So if you have questions about what the speaker presented, please keep your questions to that.

If there is no time left, we will have time for questioning that particular speaker, but if at the end of the day and before the breaks if you have written down to yourself someone you really want

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to question, most of the speakers will still be in the  
2 audience. If we have time, we may be able to come  
3 back to them and ask them questions. If not, we'll  
4 have to forego those questions.

5 If you do have a question, I ask you to do  
6 the following. Just hit your light on your mic so  
7 that it goes on or catch the eye of myself or Darrell  
8 Lyons, and once we see, you can turn your light back  
9 off. That way we will keep a list of who has  
10 questions. We'll follow the same procedure tomorrow  
11 afternoon when we get into the discussion because  
12 there's a lot of us here, and we want to make sure  
13 everybody has the chance to speak.

14 Does everybody understand the procedures?  
15 Any questions for me from the committee members this  
16 afternoon?

17 (No response.)

18 CO-CHAIRMAN KIEBURTZ: If not, then we'll  
19 proceed with the first speaker who will be Dr. Paul  
20 Gilbert. Is Dr. Gilbert here?

21 DR. GILBERT: Thank you, everyone.

22 As far as financial interests are  
23 concerned, unfortunately I don't have any. I had to  
24 pay my way down myself.

25 My name is Dr. Paul Gilbert, and the title

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of my little talk is "Amalgam, It no Longer has  
2 Benefits that are Worth the Risks."

3 I have been practicing dentistry for more  
4 than 40 years. I've been a member of the American  
5 Dental Association since I started practicing in 1962  
6 and have remained a member in spite of the ADA support  
7 of the use of mercury in dentistry. I am now a  
8 lifetime member of the ADA, a distinction that I  
9 suspect the ADA will regret having conferred on me.

10 Using mercury never made any sense to me  
11 because mercury is a heavy metal, and heavy metals,  
12 which also include lead, cadmium and arsenic, are all  
13 highly toxic to living organisms, including humans.

14 In the late 1970s, I found out that a few  
15 very progressive dentists were measuring significant  
16 amounts of mercury vapor coming off patients' fillings  
17 using a sophisticated measuring device called the  
18 Jerome analyzer. This contradicted the ADA's long-  
19 held position that amalgam mercury fillings are stable  
20 and do not emit mercury vapor.

21 The Jerome analyzer was created  
22 specifically for OSHA to measure the mercury in the  
23 air of a manufacturing facility that stored or used  
24 mercury for some product or products. It measures the  
25 mercury in the air of a person's mouth with mercury

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fillings just as accurately and readily as it does in  
2 the air of a factory. It could even be used to  
3 measure the mercury levels in a dental school, but I  
4 am not aware that OSHA has ever done this.

5 I really didn't fully understand then the  
6 simplicity of the concept that mercury is a deadly  
7 poison or the complexity of symptoms that mercury  
8 creates as a poison, but I did have the common sense  
9 to realize that if patients were actually being  
10 exposed to mercury from their fillings, then it was  
11 time to stop using this dental filling material. This  
12 was more than 25 years ago.

13 So I discarded all of my dental equipment  
14 for placing amalgam mercury fillings and totally  
15 stopped implanting it in patients' teeth. I was now a  
16 mercury-free dentist, although I didn't see it that  
17 way back then, especially since I made this decision  
18 based only at that time on common sense and intuition.

19 Fortunately, there were other open minded  
20 dentists then, including a very astute dentist in  
21 Canada, Dr. Murray Vinnie. Dr. Vinnie realized that  
22 exposing patients to mercury didn't necessarily mean  
23 that they were absorbing it into their bodies. If  
24 they weren't, then it couldn't be a poison at least  
25 from amalgam fillings.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So we decided to scientifically find the  
2 answer to this question. At a research facility of  
3 the medical school at the University of Calgary in  
4 Canada, he, along with other researchers created a  
5 seminal piece of animal research which proved beyond a  
6 shadow of a doubt that mercury in dental fillings is  
7 absorbed into the bodies of mammals.

8           First, he placed amalgam fillings in sheep  
9 and then in monkeys with both studies clearly  
10 demonstrating the spread of the mercury from the  
11 fillings into the various organs and tissues. The  
12 results, which were published in the most  
13 distinguished scientific journal in the world were  
14 astonishing and irrefutable because he cleverly used  
15 the radioactive isotope of mercury to track the  
16 mercury from the fillings.

17           Using a manmade radioactive isotope of  
18 mercury which doesn't exist in nature made it  
19 impossible for the mercury he tracked to come from any  
20 source other than the fillings. I couldn't come from  
21 fish or water or the atmosphere or food.

22           Dr. Vinnie actually had the audacity to  
23 assume that the ADA, American Dental Association, an  
24 organization that claims to make its professional  
25 decisions based on science, would have an open mind

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and would at least start a dialogue with him about  
2 this research.

3           Instead, his extremely credible sheep  
4 research was severely attacked and criticized by the  
5 ADA, presumably because it discredited the ADA's long-  
6 held position that amalgam is safe and stable. It was  
7 a sign of things to come.

8           Ironically, when the study was repeated in  
9 monkeys to lie to rest any concerns that sheet are  
10 just too different to draw any valid conclusions that  
11 could be applied to humans, the ADA ignored the monkey  
12 research even though the results were very similar to  
13 the sheep research.

14           Since then a growing and still growing  
15 worldwide collection of research articles collectively  
16 condemning mercury in all of its forms and in all its  
17 uses, including amalgam fillings, has been published  
18 mostly by non-dental researchers in non-dental peer  
19 reviewed publications for anyone, including the ADA  
20 and the FDA to study.

21           Another particular area of interest to me  
22 and I assume should be of interest to both the ADA an  
23 the FDA is the scientific validity of the concept that  
24 mercury has no known level below which it can be shown  
25 to be a non-poison. Mercury is the most harmful

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       poison of all the heavy metals, and its toxicity does  
2       not decrease with smaller exposures.

3                 With smaller exposures all that happens is  
4       that the poison effect becomes more and more  
5       subclinical. It never goes away. This is known in  
6       scientific circles as a NOEL, or no observable effect  
7       level.

8                 The NOEL for mercury is zero because  
9       mercury can be scientifically shown to be a poison in  
10      any amount no matter how minuscule, as the ADA likes  
11      to say, the amount is. The centuries old and outdated  
12      concept that the dose make the poison has no  
13      scientific validity with mercury. This is likely true  
14      for all the heavy metals.

15                Meanwhile, I continued to learn how to  
16      practice general restorative dentistry using mainly  
17      the new composite filling materials. They weren't  
18      very good then, and the dental schools whose curricula  
19      are directly imposed by the ADA's Committee on  
20      Accreditation pretty much refused for many years to  
21      teach students how to use white fillings.

22                Instead, the driving force came from  
23      manufacturers of dental materials who didn't give a  
24      hoot about mercury, but they did recognize the huge  
25      aesthetic improvement with white filling materials,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the marketing potential that these composite  
2 filling materials offered.

3 They kept on developing this filling  
4 material of the future until composites became  
5 durable, functional, strong, essentially nonpoisons,  
6 and economically in the same ballpark as amalgam  
7 mercury fillings. I know that from my own personal  
8 experience with composites for more than 25 years of  
9 general clinical private practice dentistry.

10 Yes, they are harder to place in patients,  
11 which just makes any dentist who learns how to use  
12 composites, well, a better dentist.

13 I also noticed that I was attracting  
14 relatively young patients, many in their 30s, who were  
15 afflicted by all sorts of neurological diseases and  
16 disorders, such as fibromyalgia, Parkinson's, MS,  
17 lupus.

18 CO-CHAIRMAN KIEBURTZ: Dr. Gilbert, I'm  
19 sorry to interrupt you. Thank you for your testimony.

20 Dr. Kennedy.

21 (Applause.)

22 DR. KENNEDY: Good afternoon. I'm Dr.  
23 David Kennedy, and I have no financial interest in  
24 mercury amalgam and this issue. It would probably  
25 save me quite a bit of money because I came here at my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 own expense and actually helped some other people  
2 come.

3 I have before you given you a nice  
4 printout because we have so limited time. We can't  
5 possibly cover what 20 years of research has  
6 accomplished, but I will briefly summarize for you  
7 what the International Academy of Oral Medicine and  
8 Toxicology has focused on for the last 20 years.

9 Back in the 1980s, the question was is  
10 there exposure and intake and body burden. This has  
11 been demonstrated for over 70 years. Albert Stock  
12 first demonstrated mercury vapor was coming off in  
13 1926. It took another 28 years for the NIDR and the  
14 ADA to acknowledge mercury is coming off.

15 Germany reviewed this in detail. You have  
16 a copy of the German peer reviewed -- oh, I guess not.  
17 I guess not. Anyway, James Mazi, a metallurgist,  
18 talked at the meeting in Achtenhauzen in 1994. He  
19 took an electron micrograph of a 25 year old set  
20 dental of amalgam that he touched in four places with  
21 an ozitron that pushed one pound per square inch.  
22 That's a lot less than you hit with your teeth.

23 Do you see the droplets of mercury on the  
24 surface of a 25 year old filling? You heard this  
25 morning it was a stable alloy. Then there's no free

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mercury. Well, that's not true, and that hasn't been  
2 true for 150 years.

3 Rodney Mackert talked to the World Health  
4 Organization in 1990 and they determined that his  
5 estimate of dose was too low and it did not fit the  
6 empirical data. They estimated the daily dose at 17  
7 micrograms.

8 You heard Richard Canady. That exceeds  
9 Richard Canady's minimum risk level. So pay  
10 attention. We've got lots of numbers here.

11 Intake with mercury fillings in sheep.  
12 You just heard about that. You put them in monkeys.  
13 You heard about that.

14 Then you probably didn't hear about the  
15 autopsies of humans and they measured mercury in  
16 humans and they showed that the amount of mercury in  
17 humans is proportional to the number of fillings in  
18 their teeth. No other factor, and they had good  
19 controls, and they said overall the results were  
20 better than neutron activation analysis by a fourfold,  
21 and the amount of mercury in their brains was  
22 proportional to the number of fillings in their teeth,  
23 not the fish they eat.

24 So we have accomplished one, two, three:  
25 exposure, intake, body burden. Let's go on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   How about pathophysiology? Maternal fetal  
2 transfer into the vulnerable subsets. Okay, fine.  
3 Mercury from dental fillings impairs sheep kidneys.  
4 In 30 days, we had a 60 percent drop in the kidney's  
5 ability to clear inulin. We did not find the same  
6 thing in monkeys, and we did not know until they  
7 published the terribly unethical Casa Pia mercury  
8 exposure study that it happens in children.

9                   Here is a study of children where they put  
10 two fillings in to start with and you can see they  
11 doubled the amount of mercury coming out of the  
12 child's urine, and about one filling more a year.  
13 What happened, at two years the mercury peaked and  
14 then began to decline.

15                   So at the end of seven years there's no  
16 statistically significant difference in the urinary  
17 mercury levels. This group is relying entirely upon  
18 urinary mercury levels to discredit the science  
19 showing mercury from fillings is harmful. This study  
20 alone shows that you have damaged that child's kidney  
21 exactly like we damaged the sheep's kidney.

22                   Pathophysiology, Ann Summers couldn't  
23 afford to come here on her own, but she has shown in  
24 repeated studies, including her Ph.D. thesis, and now  
25 she is a professor, in multiple studies that when you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 put mercury fillings in the teeth, you select for  
2 antibiotic resistant organisms. You have that paper  
3 before you.

4 Dr. Frickholm you heard this morning  
5 couldn't find any mercury in the animals that he put  
6 mercury fillings in, and here's a guy putting mercury  
7 fillings in rats and finds it in the fetuses. I think  
8 there might be a flaw in having a dental student do an  
9 experiment.

10 Dr. Drash found it in the human fetuses.  
11 We showed in animals that it happens. It goes from  
12 the animal into the fetus, and when you put them in,  
13 every conceivable tissue in that fetus goes up, but  
14 just like humans, the fetal blood is almost twice as  
15 high as the maternal blood.

16 So when you do a risk assessment, you  
17 can't use the mother's blood level. You've got to use  
18 cord blood. One out of eight children in the United  
19 States are born today with a blood level of mercury  
20 that will cause neurological impairment. Where do you  
21 think it's coming from?

22 They look to the ocean. Oh, maybe it's  
23 the fish. They look to the sky. It might be the air.

24 We've counted the fillings. It's the fillings.

25 Get over it, and it also comes out in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 milk. The fetuses, the sheep, continue to be exposed  
2 to the maternal transfer of mercury from her teeth  
3 into the milk.

4           There are vulnerable subsets. Nobody has  
5 talked about that. There's a genetic subset. They  
6 are nonexcreters, and they have extremely low levels  
7 of mercury in their urine, their fingernails, and  
8 their hair. That's one of the studies that was  
9 supposedly discredited by the FDA white paper that we  
10 showed in children that were autistic. They have  
11 almost no mercury in their hair regardless of the  
12 number of fillings in the mother's teeth.

13           In the controls, the amount of mercury in  
14 the baby's hair was proportional to the number of  
15 fillings in the mother's teeth. That should be of  
16 concern to everyone that wants to have healthy  
17 children.

18           There's another subset, which is a  
19 porphyrin formation. So now we've got two subsets and  
20 a gene that represents probably less than five percent  
21 of the population. What epidemiologist in this room  
22 thinks you can find a subset of the population in  
23 which you have two genetic subsets with an  
24 epidemiologist study? You're not going to find it.

25           So they were confused today. You've asked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over and over what's going on, what's the dose.  
2 Nobody seems to be able to answer your questions.  
3 Maybe you have the wrong people talking to you.

4 Is there pathophysiology? Yes. Maternal-  
5 fetal transfer? Yes. Multiple subsets? Yes. And  
6 the sad news is there's no evidence of benefit. You  
7 hear over and over the risks outweigh the benefit or  
8 the benefits outweigh the risk, blah, blah, blah.

9 In order to put the filling in the tooth,  
10 you have got to drill away 75 percent of the strength  
11 of that tooth. Do you know what happens then? It  
12 breaks and then you need a crown or a root canal and  
13 it has to be extracted. Factor that cost in. Don't  
14 tell me the cost of a piece of filling material. Tell  
15 me the cost of the damage.

16 Amalgam is also linked to gum disease.  
17 When you put a mercury filling in the tooth, you lose  
18 a millimeter of bone around your tooth. Do you want  
19 to do that? That's what you're doing.

20 Sixty-six percent of tooth extraction is  
21 because of gum disease. You haven't even addressed  
22 that. That's a proven consequence of mercury in your  
23 teeth.

24 And then there's what they say is an  
25 allergic reaction. It's also called the pre-cancerous

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lesion. Sixty-six percent of them resolve if you  
2 remove the fillings.

3 And then the cost of neurological  
4 impairment. These people misunderstood the source of  
5 the mercury. They called it methyl mercury, that  
6 Nielander and Eggelston and I had to go too fast.

7 CO-CHAIRMAN KIEBURTZ: Sorry to interrupt  
8 you. Thanks for your testimony.

9 DR. KENNEDY: Well, thank you.

10 (Applause.)

11 CO-CHAIRMAN KIEBURTZ: Dr. Higgins,  
12 Huggins. My apologies.

13 DR. HUGGINS: I'm having a hard time  
14 getting into the 20th Century. I will try to play the  
15 buttons here.

16 All right. This is an explanation  
17 basically of the graph that I like to use in  
18 determining the changes that have occurred in we have  
19 got about 200,000 chemistries over a period of 38  
20 years. Most of the changes that are shown here  
21 occurred within six to ten days. What we are trying  
22 to do is show that there's a stability point.

23 Normal range as shown on the bottom of  
24 this covers by definition 95.56 percent of the  
25 population, but over a period of time the high has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       come down. The lows come up upon dental intervention,  
2       and there appears a stability point.

3               The stability point is an area which  
4       doesn't move a whole lot, and it is the area in which  
5       you find most people who do not have disease.

6               Ten years ago, the Adolph Coors Foundation  
7       sponsored a study on 30 patients with at least three  
8       amalgams and no more than 11. These people had no  
9       other dental restorative materials in their mouths, no  
10       nutrition or any other intervention was performed.  
11       Chemistries were run, amalgams removed, composites  
12       placed; duplicate chemistries were placed. A lot of  
13       changes were noted.

14              The most unexpected change was in  
15       cholesterol. Here you can see that the higher  
16       cholesterol levels dropped, some over 40 to 50  
17       milligrams percent.

18              Expanding this into the non-Coors study,  
19       we found in a study with people with excess of 250  
20       milligrams that the drops were 80 to 100 milligrams  
21       percent in six days after amalgam removal without any  
22       drug intervention.

23              Hemoglobin has four binding sites into  
24       which oxygen can attach. Mercury has a high affinity  
25       for these sites. Should two sites be saturated with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 mercury the total carrying capacity for oxygen drops  
2 50 percent.

3 As curious as that, the sequential removal  
4 of amalgam frequently produced a drop in the red cell  
5 count, hemoglobin, and hematocrit. This was  
6 accompanied by a simultaneous increase in urinary  
7 excretion of mercury. We expected red cell values to  
8 be reflected in an increase in fatigue. However, the  
9 patients reported a dramatic increase in energy. Now,  
10 where did this come from?

11 A study of the venous oxyhemoglobin or the  
12 actual oxygen carrying saturation showed the answer  
13 where huffing and puffing might increase oxyhemoglobin  
14 one percent. Dental intervention produced an average  
15 increase in oxygen of 22.5 percent with some values  
16 reaching as much as 40 percent increase in oxygen.

17 Where does hemoglobin come from? From  
18 porphyrins in the blood stream. Porphyrins develop  
19 into heme, from there into hemoglobin, and by another  
20 pathway into ATP. If there is a toxic blockage  
21 somewhere, porphyrins are excreted in the urine.

22 The presence of toxicity is sometimes  
23 assessed by measuring the amount of porphyrins in the  
24 urine. This is a slide of a male that has never had  
25 amalgam in his mouth. The test shows only one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 porphyrin, the four carboxy, and the total was 12  
2 micrograms.

3 This porphyrin test is on a wheelchair  
4 bound multiple sclerosis patient showing the presence  
5 of four, five, six, seven and eight carboxy porphyrins  
6 with a total of 2,400 micrograms in the urine. Ten  
7 days after dental intervention -- that means getting  
8 rid of the amalgam and other things -- the level had  
9 dropped to 200 micrograms. This means that far more  
10 energy was available for normal biochemical reactions  
11 and less energy was literally going down the toilet.

12 Is there any wonder that the patient was  
13 able to get out of the wheelchair and take a few steps  
14 unaided?

15 Looking further into multiple sclerosis,  
16 these spinal tap results show multiple unusual  
17 proteins in the spinal fluid. Albumen which is  
18 present at about 55 kilodaltons is the only protein  
19 that should be there.

20 The next slide looks very similar because  
21 on the right side of the test, the same patient, you  
22 find only albumen. Four days after dental  
23 intervention all four patients physically improved and  
24 all four demonstrated a total disappearance of the  
25 unusual proteins. Only albumen remained. Were these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the illegal clonal globulins that disappeared?

2 Is there a mechanism that could link  
3 mercury to an autoimmune response similar to that in  
4 MS? The major histocompatibility complex, MHC, on the  
5 cell's surface signals to the immune system whether a  
6 cell is self or non-self. Non-self or abnormal cells  
7 are flagged for destruction. Should an atom of  
8 mercury bind to a sulfur binding site on the cell  
9 surface, the MHC is altered such that the normal self  
10 cell will be seen as non-self or abnormal and be  
11 scheduled for destruction. Thus the origin of  
12 autoimmune disease.

13 Now, I'll cut this one briefly. Those of  
14 you who have experience in immunology would recognize  
15 lymphocyte viability, and you notice after four days'  
16 exposure to the amount of mercury that you would have  
17 in six fillings. The lymphocyte viability dropped  
18 from 100 percent, to 92 percent, to 21 percent. This  
19 suggests that the differential slide of the CBC is not  
20 always indicative of immune competence.

21 This slide demonstrates normal DNA,  
22 contains a single spike of chromosome numbers and more  
23 than one by definition is malignant. This patient  
24 showed abnormal physiological changes immediately  
25 after the placement of dental materials. Serious

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 immune deficit was found, but surprisingly a malignant  
2 DNA was also found.

3           Within two months after dental  
4 intervention, the malignancy was changed to  
5 nonmalignant. The conclusion is objective laboratory  
6 evidence confirms that adverse effects of mercury on  
7 biochemistry are amenable to dental interventions.  
8 Clear our DNA. Ban amalgam.

9           Thank you, ladies and gentlemen.

10           (Applause.)

11           CO-CHAIRMAN KIEBURTZ: Thank you, Dr.  
12 Huggins for your testimony.

13           Our next speaker will be Representative  
14 Watson.

15           (Applause.)

16           CO-CHAIRMAN KIEBURTZ: And there are no  
17 time limits on your presentation. Thank you for  
18 speaking to us.

19           If you could turn your mic on please,  
20 which would just be -- thank you.

21           MS. WATSON: I certainly want to thank the  
22 Chairman and the members of the two-part panel  
23 assembled here today plus the Associate Commissioner  
24 Lutter and the FDA staff for allowing me a chance to  
25 present testimony to the FDA on the issue of dental

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amalgam.

2 I am Congresswoman Diane Watson,  
3 representing the 33rd Congressional District in Los  
4 Angeles, Culver City, and that includes Hollywood as  
5 well.

6 Prior to my current tenure in the House of  
7 Representatives, I served for 20 years in the  
8 California State Senate, and I chaired the Health and  
9 Human Services Committee for 17 years, and I have for  
10 many, many decades been an advocate for banning the  
11 use of dental amalgam in the field of dentistry, and  
12 in my capacity as the chairperson of the Health and  
13 Human Services Committee, I had to educate my  
14 colleagues.

15 And so I wrote legislation into law that  
16 requires dentists in the State of California to  
17 provide their patients with a fact sheet --sheet --  
18 was that Freudian? Anyway --

19 (Laughter.)

20 MS. WATSON: -- with a fact sheet listing  
21 the risk of mercury amalgam fillings, better known as  
22 silver fillings.

23 It was called the Watson law, and it  
24 passed the legislature in 1992. However, it took  
25 another 12 years for the dental board of California to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 finally be convinced of the wisdom of complying with  
2 the law. I had to go through two governors, and  
3 finally when I got the right governor in, the first  
4 thing he did was to disband the Board at the time,  
5 recompose it, and it wasn't until about two years ago  
6 that we finally got a brochure that would inform the  
7 patients what was in their fillings.

8 I am also the co-author of HR-4011, and  
9 that is the Mercury and Dental Fillings Disclosure and  
10 Prevention Act, which prohibits after 2008 the  
11 introduction into interstate commerce of mercury  
12 intended to be used in dental fillings.

13 In October of 2003, Washington, D.C.'s  
14 fire department and HAZMAT unit responded to a mercury  
15 spill at Ballou High School. A student had obtained  
16 250 milliliters, approximately eight ounces, of liquid  
17 elemental mercury from the high school's science  
18 laboratory and sold some of it to students. Don't  
19 know what that was all about, but the HAZMAT team did  
20 not respond in time to contain the spill.

21 So Ballou High School closed its door for  
22 35 days, and EPA and HAZMAT teams eventually tested  
23 over 200 homes for mercury contamination. Total cost  
24 of the clean-up was estimated to have been in the  
25 range of \$1.5 million.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And last year a student at Cardozo High  
2 School, also located in Washington, D.C., removed a  
3 vial of mercury from the chemistry lab and disposed of  
4 it improperly. Consequently, school officials closed  
5 the school for over a month in order to contain and  
6 clean up the contamination.

7           The clean-up cost ran into the multiples  
8 of tens of thousands, which did not include the  
9 sizable additional cost of relocating a whole student  
10 body to another site.

11           Now, the two mercury contaminations of  
12 D.C. Public Schools dramatically illustrate that  
13 mercury is one of the most toxic substances known to  
14 man. Even a small amount of mercury exposure and  
15 contamination can have catastrophic consequences and  
16 require massive and expensive clean-up efforts.

17           To date the FDA has banned mercury in  
18 disinfectants and thermometers, warned against mercury  
19 in certain foods, and prohibited the use of mercury in  
20 all veterinary products.

21           The U.K. prohibits -- United Kingdom --  
22 prohibits the use of mercury silver fillings for  
23 pregnant woman, and the Scandinavian countries are  
24 phasing out its use altogether. Canada is also  
25 limiting the use of mercury fillings, and despite the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 growing awareness among school administrators, among  
2 medical experts, scientists, government officials  
3 around the world, and the general public, in spite of  
4 the awareness of the dangers of human exposure to a  
5 very small amount of mercury, the U.S. federal  
6 government continues to allow the unregulated use of  
7 mercury silver fillings in dentistry.

8           The FDA, the agency in charge of  
9 regulating mercury fillings, is permitting the sale of  
10 a product that has not been proven safe and has not  
11 been classified as the law requires. It continues to  
12 allow the sale and the use of a mercury filling  
13 without disclosing to the patients or the American  
14 people that mercury vapor is released during the  
15 entire life of the dental filling, and that amalgam  
16 fillings contain 50 percent mercury, one of the most  
17 dangerous neurotoxins known.

18           And concerns about the FDA's failure to  
19 address properly a mercury based product implanted  
20 just inches from a person's and in many cases a  
21 child's brain have been raised by Senator Enzi and  
22 Senator Kennedy in letters that have been sent to  
23 Acting Commissioner Von Eschenbach, by Senator Hatch  
24 in a letter to former Commissioner Crawford and by  
25 Senator Lautenberg in a letter to NIH Director

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Zerhouni.

2           Congressman Burton, the former Chairman of  
3 the Government Reform Committee, and myself, we teamed  
4 up to hold three hearings addressing mercury amalgam.

5           Congressional concern clearly reaches across party  
6 lines, but Congressional legislation is not only what  
7 is needed. It's time for the FDA to treat mercury  
8 amalgam as it has treated many other mercury  
9 containing products, and it has already banned the use  
10 of mercury, as I said, in disinfectants, also in  
11 veterinary medicines, and warns pregnant women and  
12 parents of young children to stay away from fish with  
13 mercury.

14           Why would we want to embed anything that  
15 had any amount of mercury in a child's mouth? It is  
16 now time for the FDA to take the next logical step  
17 with respect to mercury amalgams. Let's take away the  
18 risk. If we know that mercury is a neurotoxin, why  
19 allow it to be imbedded in someone's mouth? At least  
20 give them a choice and give them the knowledge to make  
21 a rational and reasonable choice.

22           So the FDA's silence of mercury amalgam  
23 stands in contrast to other federal agencies. The  
24 Centers for Disease Control in 2005 called amalgam a  
25 source of major exposure to mercury and the U.S.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Public Health Services warned in 1999 that mercury  
2 amalgam is one of the two largest sources of mercury  
3 exposure.

4 It should there come as no surprise that  
5 dental offices are one of the prime sources of mercury  
6 pollution in our environment. In my discussions with  
7 doctors of dentistry, it is estimated that nearly or  
8 roughly five dental offices in higher traffic, urban  
9 areas use the equivalent of 250 milliliters of mercury  
10 each year, the same amount illicitly taken from Ballou  
11 High School, in the processing of dental amalgam  
12 material.

13 In each urban community there are hundreds  
14 of dentists who use mercury fillings and in my own  
15 area, I border on the close line, Santa Monica and  
16 Venice in California, and we're finding that the fish  
17 are coming up with a high level of mercury, and we're  
18 warning not to eat fish along the western coast, at  
19 least that segment, and we find our plants that take  
20 care and process our waste are dumping the waste from  
21 dental offices in the ocean waters along the coast.

22 It is also my understanding that due to  
23 the toxicity of mercury, dentists are instructed not  
24 to touch the amalgam before putting it into the  
25 patient's mouth. They're also instructed to change

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their clothes before leaving the office and not to  
2 place carpet on the floors of the actual part of the  
3 office where they're doing the fillings.

4           Clearly, the amount of mercury used in  
5 dental offices around the nation dwarfs the mercury  
6 spill that happened at Ballou High School. The  
7 potential for accidental spills and contamination in  
8 dental offices is enormous.

9           So the term "dental filling" used by the  
10 profession is misleading and inaccurate since dental  
11 amalgam contains 50 percent mercury.

12           A 2006 Zogby poll found that 24 percent of  
13 Americans could identify the major component of  
14 amalgam. When informed that mercury is used in dental  
15 amalgam, over 90 percent of the respondents said that  
16 consumers should be entitled to know that nontoxic  
17 alternatives are available.

18           And a subgroup of those polled were asked  
19 if they believe amalgam should be legal or illegal,  
20 and by a ratio of seven to one they said it should be  
21 banned.

22           So with fewer than one in four Americans  
23 knowing that amalgam contains significant amounts of  
24 mercury and with over three in four Americans seeing a  
25 dentist, it is clear that the dental profession is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failing to disclose a fact that would be of extreme  
2 importance to most consumers if they were only made  
3 aware of it.

4 When the private sector fails to disclose  
5 a salient fact, then it is the duty of the FDA to act  
6 and to inform the American public.

7 Two ironic tragedies exist with the  
8 ongoing use of mercury. First, mercury amalgams are  
9 completely unnecessary. Most dentists will tell you  
10 that any cavity in a child or an adult can be filled  
11 with non-mercury alternatives.

12 Second, the demographics of those who  
13 receive mercury fillings are changing. Today a  
14 growing number of middle class adults do not receive  
15 mercury fillings. Increasingly, mercury fillings are  
16 used on children because it's easier, it's quicker,  
17 and it's cheaper to use them, and lower income groups.

18 That children and low income pregnant  
19 women are more often exposed to mercury amalgams than  
20 any other group should be a cause of alarm for FDA.  
21 So I must also note that the issue of dental amalgam  
22 is of growing importance in my own African American  
23 health community. Both the NAACP and the National  
24 Black Caucus of state legislators have endorsed  
25 legislation to protect children and pregnant women

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from mercury fillings.

2 And sadly, the National Dental Association  
3 is in opposition to my bill, and they have come to my  
4 office and they have said to me, "Look. Our people  
5 don't like to go to the dentist." Well, who likes to  
6 go to the dentist. "But they don't like to come. So  
7 we give them these fillings because they're cheaper  
8 and they're quicker."

9 That is no excuse for allowing toxics. I  
10 don't care how well embedded they are in the compound  
11 into someone's mouth. And so we're working on them.  
12 As I said, it's all a matter of educating.

13 The privilege sanctuary for mercury  
14 amalgam must end, and the fact of the matter is that  
15 it is, I hope, dying of natural causes, and if I can  
16 use an imperfect metaphor because many dentists are  
17 beginning to use alternatives, they understand. But  
18 we cannot afford the luxury of allowing dental amalgam  
19 to succumb to a slow death. Its toxicity to humans  
20 and the environment requires us to act now.

21 The FDA must, therefore, move ahead in the  
22 five following areas.

23 Number one, public awareness. The FDA  
24 must immediately take the simple step of insisting  
25 that the public be told in advance of placement that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amalgam is 50 percent mercury; that it constitutes an  
2 exposure to a neurotoxin; and that alternative  
3 fillings are available and they can be less expensive  
4 than most would imagine.

5 I have always believed that the most  
6 important factor in improving public health is greater  
7 public awareness and education. That is simple, but I  
8 believe very important messages of California's  
9 Watson's law must get out.

10 The second thing, environmental impact.  
11 The FDA has a legal duty to conduct an environmental  
12 impact study of dental amalgam, which it has not done  
13 yet, before it classifies the material. So let's look  
14 even at the laboratories that manufacture mercury and  
15 how they transport it to their sources. So the second  
16 issue is the environmental impact.

17 The third issue, proof of safety. Makers  
18 of amalgam like any advice or device containing a  
19 dangerous substance should have the burden of proving  
20 it is safe. The burden should be on them.

21 In the case of the encapsulated dental  
22 amalgam, however, amalgam manufacturers have neither  
23 sought nor been provided premarket approval of their  
24 product. There is no excuse for a product that  
25 contains one of the most potent neurotoxins known to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 man to be distributed to the public without prior  
2 approval from the Federal Drug Administration.

3 Number four, children and pregnant women.

4 Ten years ago Canada directed its dentists to cease  
5 placing mercury fillings in the teeth of children,  
6 pregnant women, and persons with kidney disease, those  
7 who have mercury hypersensitivity or who have braces,  
8 and it is well past the time for the FDA to take this  
9 sensible and important step to ensure the health and  
10 welfare of the people in our nation.

11 So in closing, I do want to commend the  
12 FDA for holding what I consider this essential and  
13 important hearing, but hearings alone are not the  
14 answer. It is now time for the FDA to address  
15 thoroughly and completely the environmental impact of  
16 mercury fillings require proof of safety, and level,  
17 be honest with the American people about the fact that  
18 silver fillings contain a significant amount of  
19 mercury that can be dangerous to the health of  
20 everyone.

21 And remember regardless of how well  
22 contained that mercury is, it still gives off vapors.

23 The vapors are working on the system, particularly in  
24 the teeth zone, constantly. When you have a cracked  
25 tooth, and you know children are always falling,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cracking their tooth. They will take a hard ball  
2 candy, bite on it, a cracked tooth. That vapor  
3 escapes, and so we should test all of our children to  
4 see if the fumes are there, and they will be if there  
5 is mercury in the fillings.

6 So there are so many things that need to  
7 be done, and I task our federal bureau, the FDA, with  
8 doing what's in its authority to do, to prove that it  
9 is safe, and depending on these other studies, unless  
10 we get out and do our own study, it is just second  
11 hand information.

12 So in closing now, I thank all of you for  
13 listening and I would hope that you would join with me  
14 in getting the FDA to move on mercury amalgams.

15 Thank you so very much.

16 (Applause.)

17 CO-CHAIRMAN KIEBURTZ: Thank you,  
18 Congresswoman Watson.

19 The committee appreciates you giving your  
20 testimony. We'll move on to the next, Ms. Sara Moore-  
21 Hines.

22 MS. MOORE-HINES: Thank you.

23 Good afternoon. I'm a psychotherapist in  
24 Pennsylvania and nationally certified counselor. I  
25 have worked for over 25 years with a wide variety of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 adults, children, couples, and families. I've served  
2 on two mental health boards advocating for  
3 professional standards of licensure, for professional  
4 counselors and managing family therapists.

5 Ten years ago my life was good. Both  
6 personally and professionally, I was happy. I worked  
7 full time in a private practice and was in excellent  
8 health. I was frequently engaged in long walks, bike  
9 rides, and dance classes. My family life was  
10 positive, and the future looked promising.

11 Who would have suspected that a few well  
12 intended visits to the dentist would have resulted in  
13 ten years of unexpected devastating damage to my  
14 health?

15 In the summer of 1996, a local dentist  
16 repaired three to four silver mercury amalgam  
17 fillings, and I felt good that I had caught up on some  
18 long needed dental work. Within one to two months, my  
19 energy began to deteriorate for no apparent reason.  
20 Additionally, I began to experience frequent viral-  
21 like symptoms mimicking early stages of the flu.

22 A top notch Harvard M.D. did an extensive  
23 battery of blood tests that indicated a good bill of  
24 health. I have since learned that blood tests do not  
25 effectively test mercury levels.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           He stated that he had no idea what was  
2 happening to me. Over the next four years my energy  
3 worsened in exhaustive chronic fatigue, and after  
4 eight hours of sleep even I had great difficulty  
5 getting out of bed in the morning and getting through  
6 my work day.

7           Eventually I could no longer walk around  
8 the block. I often felt like I had a low grade fever  
9 and a systemic infection. Increasingly I came down  
10 with frequent colds and illnesses and began to cancel  
11 personal engagements, as well as professional  
12 appointments, including my own counseling clients,  
13 with little advance notice.

14           When I did get the flu, I was so sick I  
15 wondered if I would survive. I noticed that I didn't  
16 have my usual emotional resistance and then started  
17 experiencing bouts of mild and occasionally moderate  
18 depression. I sometimes became very anxious or  
19 frustrated. Both feelings felt hard to contain, quite  
20 unlike my usual calm and understanding personality  
21 style.

22           It became difficult to control worrying  
23 and clearly something was having a powerful and  
24 increasing effect on my body and nervous system.  
25 Eventually it became a challenge to focus and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concentrate. I became the most worried and my memory  
2 began to decline, and I was no longer able to easily  
3 do checkbook calculations.

4 For an extended period of time my sexual  
5 libido declined and my hair fell out. Other problems  
6 emerged, including thyroid and adrenal damage,  
7 tachycardia, chest pains, chronic urinary tract  
8 infections, et cetera.

9 In 2000, a holistic M.D. who was trained  
10 in heavy metal testing performed a DMPS urine  
11 challenge test that indicated that I had an extremely  
12 high level of mercury in my body. Because my immune  
13 system had been so damaged from the mercury, it took  
14 almost a year for a holistic dentist to safely remove  
15 each amalgam one by one separately.

16 I also discovered that the mercury from  
17 two to three amalgam fillings had migrated into my jaw  
18 bone, requiring specialized cavitation surgery. I had  
19 trusted that amalgams were safe.

20 By 2001, all of the amalgams had been  
21 replaced with white composite fillings and over the  
22 next five years, I engaged in a comprehensive and  
23 challenging medical protocol of mercury  
24 detoxification, consulting with several M.D.s who were  
25 knowledgeable in this area. In order to function and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effectively heal, I needed to take intermittent  
2 injections as well as numerous daily supplements for  
3 the purpose of mercury detox, nutritional and  
4 endocrine support.

5           Healing has occurred, but slowly with  
6 disappointing setbacks and financial burden. The real  
7 cost was the cost to my health. Two additional DMPS  
8 tests in '04 and '05 indicated the good news of a  
9 gradual decrease of the mercury level in my body. I  
10 have fought hard to restore my health, and in the last  
11 year my health has significantly improved. Most of  
12 the mercury toxicity symptoms have finally disappeared  
13 or gradually decreased in intensity.

14           My energy is better. I can work  
15 consistently again and recently I have been able to  
16 walk considerable distances and occasionally bike.  
17 This has been a joy.

18           I continue daily healing efforts in the  
19 hope that some day I will feel completely normal and  
20 whole again, including not needing thyroid medication  
21 or multiple supplements to get through my day.

22           The truth is that these years of  
23 devastation to my health were not necessary and should  
24 not have happened. So I ask: how can other people be  
25 protected from the internal assault of this powerfully

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701