

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
PEDIATRIC ADVISORY COMMITTEE  
MEETING  
THURSDAY, NOVEMBER 16, 2006

+ + + + +

The Advisory Committee met in Conference Room 1066, 5630 Fishers Lane, Rockville, Maryland, at 8:00 a.m., Robert Ward, M.D., Acting Chair, presiding.

PRESENT:

ROBERT WARD, M.D., Acting Chair,  
Committee Member  
CARLOS PENA, Ph.D., M.S., Executive Secretary  
AVITAL CNAAN, Ph.D., M.S., Committee Member  
ROBERT S. DAUM, M.D., Committee Member  
DEBORAH L. DOKKEN, MPA, Committee Member,  
Patient-Family Representative  
LEON DURE, M.D., Committee Member  
ELIZABETH A. GAROFALO, M.D.,  
Industry Representative  
RICHARD L. GORMAN, M.D.  
Pediatric Health Organization Member  
MELISSA MARIA HUDSON, M.D., Committee Member  
KEITH KOCIS, M.D., M.S., Committee Member  
JOHN W.M. MOORE, M.D., M.P.H.,  
Committee Member  
THOMAS B. NEWMAN, M.D. M.P.H.,  
Committee Member  
LARRY SASICH, PharmD., FASHP  
Voting Consultant, Acting Consumer  
Representative  
GEOFFREY L. ROSENTHAL, M.D., Ph.D.  
Voting Consultant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

<u>AGENDA ITEM</u>	<u>PAGE</u>
<u>WELCOME:</u>	
Dianne Murphy, M.D. ....	4
<u>OVERVIEW OF ROLE AND EVOLUTION PROCESS:</u>	
Dianne Murphy, M.D. ....	14
<u>Committee Role in BPAC COMMITTEE ROLE:</u>	
Rosemary Johann-Liang, M.D. ....	25
<u>One Year Post-Exclusivity Adverse Event Review:</u>	
<u>Zyvox (Linezolid):</u>	
Alan Shapiro.....	43
DISCUSSION:.....	55
<u>One Year Post-Exclusivity Adverse Event Review:</u>	
<u>Avandia (Rosiglitazone):</u>	
Lisa Mathis, M.D. ....	79
DISCUSSION:.....	87
<u>One Year Post-Exclusivity Adverse Event Review:</u>	
<u>Zofran (Ondansetron):</u>	
Felicia Collins.....	94
DISCUSSION:.....	110
<u>One Year Post-Exclusivity Adverse Event Review:</u>	
<u>Celexa (Citalopram) - Safety Update:</u>	
Lisa Mathis, M.D. ....	113
<u>Review Update: QT Prolongation With Citalopram</u>	
<u>and Escitalopram:</u>	
Lisa Jones, M.D.....	116
DISCUSSION:.....	123
<u>One Year Post-Exclusivity Adverse Event Review:</u>	
<u>Trileptal (Oxcarbazepine):</u>	
Felicia Collins, M.D.....	138
<u>Suicidality and Antiepileptic Drugs:Status of</u>	
<u>Clinical Trial Data Analysis:</u>	
Evelyn Mentari, M.D., M.S.....	168
DISCUSSION:.....	175
<u>Tamiflu (Oseltamivir) - Safety Update:</u>	
Rosemary Johann-Liang, M.D., FAAP.....	195
Andrew Mosholder, M.D., M.P.H.....	208
DISCUSSION:.....	223
<u>Update on Current FDA Labeling Initiatives:</u>	
Iris Masucci, PharmD, B.C.P.S.....	270
DISCUSSION:.....	294
<u>One Year Post-Exclusivity Adverse Event Review:</u>	
<u>Norvir (Ritonavir):</u>	
Alan Shapiro, M.D., Ph.D., FAAP.....	323

### NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

<u>One Year Post-Exclusivity Adverse Event Review:</u>	
<u>Rapamune (Sirolimus):</u>	
Alan Shapiro, M.D., Ph.D., FAAP.....	335
DISCUSSION:.....	348
<u>Abbreviated Presentations and Updates to</u>	
<u>Committee: Invanz (Ertapenem):</u>	
Alan Shapiro, M.D., Ph.D., FAAP.....	352
DISCUSSION:.....	356
<u>Abbreviated Presentations and Updates to</u>	
<u>Committee: Gemzar (Gemcitabine):</u>	
Alan Shapiro, M.D., Ph.D., FAAP.....	364
<u>Abbreviated Presentations and Updates to</u>	
<u>Committee: Amaryl (Glimepiride):</u>	
Lisa Mathis, M.D. ....	368
<u>Abbreviated Presentations and Updates to</u>	
<u>Committee: Novolog (Insulin Aspart Recombinant):</u>	
Lisa Mathis, M.D. ....	371
DISCUSSION:.....	375
<u>Abbreviated Presentations and Updates to</u>	
<u>Committee: Mobic (Meloxicam):</u>	
Lisa Mathis, M.D. ....	376
<u>Abbreviated Presentations and Updates to</u>	
<u>Committee: Ditropan (Oxbutynin) - Safety Update:</u>	
Lisa Mathis, M.D. ....	380
<u>Abbreviated Presentations and Updates to</u>	
<u>Committee: Lipitor (Atorvastatin) - Safety</u>	
<u>Update:</u>	
Jean Temeck, M.D.....	384
<u>Abbreviated Presentations and Updates to</u>	
<u>Committee: Zocor (Simvastatin) - Safety Update:</u>	
Jean Temeck, M.D.....	390
<u>GENERAL DISCUSSION:</u> .....	395
 <u>ADJOURN:</u>	
Robert Ward, M.D.....	415

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 8:19 a.m.

3 DR. MURPHY: Despite the weather  
4 and everything then, we're ready to go. So  
5 Bob and Carlos, I guess, you will get started  
6 then and I will come up after that. But one  
7 last thing, I did want to make sure the  
8 Committee was aware of the new Members. That  
9 this, I hope, looks like a smooth prepared  
10 process, despite this morning, in which you  
11 have received the material, had time to review  
12 it and you have a logical presentation and we  
13 ask you good questions and you provide  
14 excellent advice.

15 This doesn't occur without a hoard  
16 of people and I just wanted to say before we  
17 get started today, it does feel like tomorrow  
18 already, that this involves an enormous number  
19 of people from the Office of Surveillance and  
20 Epidemiology who provide you both the Adverse  
21 Event Report and then the Use Report from the  
22 Office of New Drugs.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Each of these drugs is in a  
2 division, you know, a Review Division that is  
3 responsible for all of these products. They  
4 have been involved in making sure that any  
5 information that is relevant to these drugs is  
6 also thought about and potentially it will  
7 need presentation to the Committee, so the  
8 Committee is aware of what else might be going  
9 on and the staff of Pediatrics and Maternal  
10 Health who are critical in helping us  
11 coordinate this across the Agency.

12           I really wanted to thank all of  
13 those people, including the Office of Science,  
14 which you have already heard. Dr. Johannessen  
15 and Pena are from the Office of Science. And  
16 with that last comment, I will turn this back  
17 over to Bob.

18           ACTING CHAIR WARD: Let's see, do  
19 you want to go ahead and read the statement  
20 for today?

21           DR. PENA: Thank you and good  
22 morning. The following announcement addresses

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the issue of conflict of interest in regard to  
2 today's discussion of a report by the Agency  
3 on Adverse Event Reporting as mandated in  
4 Section 17 of the Best Pharmaceuticals for  
5 Children Act. The Pediatric Advisory  
6 Committee will hear and discuss the report by  
7 the Agency as mandated in Section 17 of the  
8 Best Pharmaceuticals for Children Act.

9 On Adverse Event Reports for  
10 ertapenem, gemcitabine, glimepiride, insulin  
11 aspart recombinant, linezolid, meloxicam,  
12 ondansetron, oxcarbazepine, ritonavir,  
13 rosiglitazone and sirolimus. The Committee  
14 will also receive updates to Adverse Event  
15 Reports for atorvastatin, citalopram,  
16 oseltamivir, oxybutynin and simvastatin, which  
17 were requested by the Pediatric Advisory  
18 Committee or its predecessor, the Pediatric  
19 Subcommittee of the Anti-Infected Drugs  
20 Advisory Committee.

21 When the reports were first  
22 presented, the statement is made part of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 record to preclude even the appearance of such  
2 at this meeting. Based on submitted agenda  
3 for the meeting and all financial interest  
4 reported by the Committee participants, it has  
5 been determined that all interests in firms  
6 regulated by the Food and Drug Administration  
7 present no potential for an appearance of a  
8 conflict of interest at this meeting.

9 In the event that the discussions  
10 involve any other products or firms not  
11 already on the agenda for which an FDA  
12 participant has a financial interest, the  
13 participants are aware of the need to exclude  
14 themselves from such involvement and their  
15 exclusion will be noted for the record.

16 We note that Dr. Geoffrey  
17 Rosenthal is participating as a temporary  
18 voting Member and that Dr. Larry Sasich is  
19 participating as a temporary voting consumer  
20 representative. We also would like to note  
21 that Dr. Elizabeth Garofalo has been invited  
22 to participate as the non-voting industry

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 representative acting on behalf of regulated  
2 industry. Dr. Garofalo is an industry  
3 consultant.

4 Dr. Richard Gorman is  
5 participating as the non-voting Pediatric  
6 Health Organization representative acting on  
7 behalf of the American Academy of Pediatrics.

8 With respect to all of the participants we  
9 ask, in the interest of fairness, that they  
10 discuss or they address any current or  
11 previous financial involvement with any firm  
12 whose product they may wish to comment upon.  
13 Thank you.

14 ACTING CHAIR WARD: Dr. Rosemary  
15 Johann-Liang is going to outline the Committee  
16 role and BPAC safety reviews for us, I  
17 believe. No? Okay. Introduce?

18 DR. MURPHY: We changed the agenda  
19 on you, too, Bob.

20 ACTING CHAIR WARD: Oh, that's  
21 good. Okay. Okay.

22 DR. MURPHY: Fitting 16 drugs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 required some resorting.

2 ACTING CHAIR WARD: Right. All  
3 right. Well, everybody just go ahead and  
4 introduce yourselves. Betsy, do you want to  
5 start?

6 DR. GAROFALO: Sure. My name is  
7 Elizabeth Garofalo.

8 ACTING CHAIR WARD: Turn on the  
9 microphone.

10 DR. GAROFALO: Okay. My name is  
11 Elizabeth Garofalo. I'm a pediatric  
12 neurologist.

13 DR. MURPHY: Can you use another  
14 mike? The mikes now went dead? Try it now.

15 DR. GAROFALO: Okay.

16 ACTING CHAIR WARD: Is it working?

17 DR. GAROFALO: I think so, this  
18 one. I'm Elizabeth Garofalo. I'm a pediatric  
19 neurologist. I have more than a dozen years  
20 of experience in the pharmaceutical industry.  
21 Right now I am a pharmaceutical consultant  
22 and I'm the non-voting Member from -- the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 representative for the industry.

2 DR. GORMAN: I'm Rich Gorman. I'm  
3 the Pediatric Professional Health Care  
4 Organization representative and non-voting,  
5 former Chair of the Committee on Drugs. And  
6 I'm a pediatrician in private practice in  
7 Ellicott City, Maryland.

8 DR. SASICH: Hi, I'm Larry Sasich.  
9 I'm the substitute or the stand-in consumer  
10 representative. I'm a faculty member at the  
11 Lake Erie COM and School of Pharmacy in Erie,  
12 Pennsylvania and I also consult for Public  
13 Citizens Health Research Group here in  
14 Washington, D.C.

15 DR. ROSENTHAL: My name is Geoff  
16 Rosenthal. I'm a pediatric cardiologist.

17 ACTING CHAIR WARD: We can't hear  
18 you.

19 DR. ROSENTHAL: My name is Geoff  
20 Rosenthal. I'm a pediatric cardiologist from  
21 the Cleveland Clinic and an epidemiologist and  
22 I'm here as a consultant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CNAAN: Ah, it works. My name  
2 is Avital Cnaan. I was introduced here as the  
3 new statistician on the Committee. I direct  
4 the biostatistics at Children's Hospital of  
5 Philadelphia and have been doing so for about  
6 a decade and a half. And I didn't realize I  
7 was taking Judy O'Fallon's place.

8 DR. KOCIS: Good morning. Keith  
9 Kocis. I'm a professor of pediatrics at the  
10 University of North Carolina in Chapel Hill.  
11 My background is in pediatric cardiology and  
12 pediatric critical care with an interest in  
13 clinical studies, clinical trials.

14 DR. DURE: I'm Leon Dure. I'm a  
15 professor of pediatrics and neurology at the  
16 University of Alabama, Birmingham. My  
17 interest is in clinical trials and movement  
18 disorders.

19 DR. DAUM: I'm Robert Daum from  
20 the University of Chicago. I'm a pediatric  
21 infectious disease guy.

22 DR. HUDSON: I'm Melissa Hudson.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm a Member at St. Jude Children's Research  
2 Hospital and with the focus in hematological  
3 malignancies, particularly lymphoma and long-  
4 term follow-up of childhood cancer survivors.

5 DR. MOORE: I'm John Moore, a  
6 pediatric cardiologist from the University of  
7 California, San Diego.

8 DR. PENA: Carlos Pena, Executive  
9 Secretary of the Pediatric Advisory Committee.

10 ACTING CHAIR WARD: I'm Bob Ward,  
11 neonatologist and direct the Pediatric  
12 Clinical Trials Program at the University of  
13 Utah. We're off to an auspicious start here.

14 MS. DOKKEN: I'm Deborah Dokken.  
15 I'm the patient-family representative on the  
16 Committee. I have been involved in a number  
17 of health care initiatives around family  
18 advocacy, including for the last eight years  
19 the initiative for pediatric palliative care.

20 DR. NEWMAN: I'm Tom Newman. I'm  
21 a professor of epidemiology and biostatistics  
22 in pediatrics, it's not staying on, at UCSF

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and a general pediatrician.

2 DR. MURPHY: Dianne Murphy,  
3 Director of Office of Pediatric Therapeutics  
4 and we will continue to work on the  
5 microphones as we go through this meeting  
6 today. And there is a circulating mike and  
7 when you are through with your's, please, turn  
8 it off, because sometimes that also affects  
9 the mikes.

10 DR. JOHANN-LIANG: Rosemary  
11 Johann-Liang. I'm the Deputy Director for the  
12 Drug Risk Evaluation, Office of Surveillance  
13 and Epidemiology.

14 DR. MATHIS: I am Lisa Mathis from  
15 the Center for Drug Evaluation and Research,  
16 Office of New Drugs. I'm an Associate  
17 Director for Pediatric and Maternal Health  
18 Staff.

19 DR. MURPHY: Okay. We've got car  
20 accidents, red line traffic, weather, is this  
21 working? No microphones, but we will forge  
22 forward.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   ACTING CHAIR WARD: It's on now.

2                   DR. MURPHY: It's all on. Thank  
3 you. My task this morning is particularly for  
4 the new Members and for some of the Members  
5 who have only been here one or two other times  
6 is to quickly provide an overview for you,  
7 because we are going to be going through 16  
8 products today and we wanted to march through  
9 what the process usually is and why we are  
10 here.

11                   Okay. This is Section 17 of the  
12 Best Pharmaceuticals for Children Act. It is  
13 a legislation which fundamentally mandates  
14 that any product that is granted pediatric  
15 exclusivity will have its adverse events  
16 reviewed and brought forth to this Committee  
17 for assessment and, if necessary,  
18 recommendations.

19                   That is one of the activities  
20 which this Committee has been very busy doing  
21 over the last couple of years, sometimes  
22 meeting two and three times a year, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 might be better than trying to do 16 at one  
2 meeting. We'll have to get your feedback on  
3 that.

4           However, where there is also  
5 another section of the Best Pharmaceuticals  
6 for Children Act which we have not had to ask  
7 this Committee to do, but you are authorized  
8 to do that, which is dispute resolution for  
9 labeling changes and that's why we have  
10 throughout every year or so additional  
11 training for this Committee and presentations  
12 on labeling, risk management, etcetera.

13           We had presentation at our last  
14 meeting on the new physician's labeling. We  
15 are going to do another training session today  
16 with you and ask you some questions about  
17 that. This is a section which we have really  
18 not had to use, though we think it has been  
19 effective, because fundamentally it says that  
20 if the FDA and the sponsor can't come to  
21 resolution about this labeling, when they have  
22 come to resolution and agreement on everything

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 else, that it will go forward to this  
2 Committee. And usually anybody would sensibly  
3 not want to have to take the argument to the  
4 public, if we can settle it. So it usually is  
5 resolved.

6 I wanted to quickly review for  
7 this Committee the evolution of the process of  
8 the safety review. And I have lumped them  
9 into two categories: Database issues and  
10 reporting practices. A recurrent theme that  
11 we have is we don't have a denominator. And  
12 this is true. And Dr. Rosemary Johann-Liang  
13 is going to review for you the limitations and  
14 the strengths of our present Adverse Event  
15 Reporting System and some changes that have  
16 been made over the last year, as far as  
17 additional databases that we have acquired.

18 We do not have an Active  
19 Surveillance Pediatric Program for Adverse  
20 Events related to drugs. There is some  
21 limited data in that area. And again Dr.  
22 Johann-Liang will point those out. We are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 working with our Center for Devices and the  
2 Office of Safety and Epidemiology is working  
3 with them and looking at their MEDS on Active  
4 Surveillance Program to see if there are some  
5 ways we might work with them and leverage some  
6 of that activity.

7 This Committee has expressed the  
8 need for denominator and we understand that  
9 need, but again, we will provide you with what  
10 we have.

11 So the second area in which we  
12 have heard from the Committee is that you  
13 would like us to focus our presentations on  
14 the serious adverse events or adverse events  
15 we think that might be serious, even though  
16 they may not fit the complete FDA definition  
17 for serious, which Rosemary will go over with  
18 you, and deaths. And we have done that.

19 So in response to your request, is  
20 that we no longer just provide you the top 20,  
21 if you will at, you know, what's the frequency  
22 of the top 20 adverse events. We do focus on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the deaths and the serious AEs. And if  
2 required to provide a better understanding of  
3 what is happening in this area, the adverse  
4 events are also presented from not only just  
5 the one year post-exclusivity, but I know you  
6 all will see in the reports, particularly if  
7 we don't have enough numbers and the deaths  
8 are serious AEs and there is something that  
9 might be of interest, we do go back and give  
10 you the adverse event since approval for that  
11 product.

12 We also try to make sure that if  
13 there is anything going on within the division  
14 in the arena of adult information in adverse  
15 events that you also hear about that.

16 The other thing that we have done,  
17 in the very beginning, we just gave you the  
18 label and our adverse events and you really  
19 felt that was not enough. And so we have  
20 tried to provide more context for you. And  
21 one of the ways that we do that is to give you  
22 a review, provide a review of the controlled

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trials that were asked for under the  
2 exclusivity program. That's done in the  
3 presentations that are not abbreviated.

4 And this is done for two reasons  
5 we think. It provides you some idea of what  
6 safety signals were we're not seeing during  
7 the control trials and it gives you some  
8 context of what the benefit might be by  
9 looking at this.

10 The comment I want to make about  
11 this is that this plus the medical summary  
12 that we also provide you, they are completed  
13 facts. We're not going to go back and redo  
14 those trials. Certainly, if you want to make  
15 comments to us about how you would have done  
16 the trial differently or better, we're open to  
17 those, but the point of the presentation is  
18 really to look at the safety and the efficacy.

19 And if you have suggestions, please, do  
20 provide those to us. But they are not the  
21 focus of the meeting.

22 The other things that we have done

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 relate to how we do these presentations. We  
2 have for the last two meetings provided a  
3 number of very abbreviated presentations.  
4 Normally, the default is a standard, a  
5 standard presentation where we go through the  
6 exclusivity trials, we go through, you know,  
7 the adverse events, the use and then any other  
8 information you may need.

9           When we had looked at this  
10 material and see either there is so little  
11 use, so little adverse event reporting, we  
12 really don't know that it's a useful -- it's a  
13 good use of your time for us to go through all  
14 of that in a public presentation. And you all  
15 have agreed that we can present this in a very  
16 abbreviated manner, as long as you receive all  
17 of the background material.

18           So that's why you saw that 8 of  
19 the 16 products today are being presented in  
20 abbreviated manner. They fell into that  
21 category of very little use, very little AEs,  
22 no deaths or something that was so confound it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was clear the child died from whatever the  
2 underlying disease was.

3 So the standard, our usual  
4 approaches and the in-depths are the ones  
5 where it may be anything from just a little  
6 more than standard. In other words, we're  
7 trying to tell you what's going on within the  
8 division and you'll see today we have a couple  
9 of those.

10 And in those situations, we're  
11 trying to make sure that the Committee --  
12 there's full transparency, the Committee  
13 understands not only what happened with the  
14 pediatric adverse events, but also what may be  
15 happening elsewhere with these products or the  
16 in-depth may be an extensive two day meeting,  
17 such as we had with the SSRIs or a one day  
18 meeting with the ADHD products.

19 And then we have only done this  
20 one other time, where the Committee requested  
21 a follow-up, and that was on the effects of  
22 the SSRIs on neonates and we did provide that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Today we're providing five more follow-ups  
2 that the Committee has requested. And those  
3 background packages are a little different,  
4 because we sometimes elect not to resend you  
5 all the old material again.

6 Okay. Just a quick summary. From  
7 June of 2003 to November 2006, this is just  
8 for the safety, there have been 10 other  
9 meetings or 10 other subjects that we have  
10 dealt with under the category of scientific  
11 issues. But we have had 10 sessions just to  
12 look at safety and there have been 65 drugs  
13 that have come forward for review under the  
14 first time review. And as I noted today, six  
15 follow-ups.

16 We have had nine products, five of  
17 those were SSRIs. Each had in-depth full PAC  
18 reviews and there are over 15 categories of  
19 drugs that have been reviewed by this  
20 Committee. The only thing we can say about  
21 drug usage, Rosemary Liang has provided this,  
22 is that we can't say much about it except it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 widely variable.

2           These are the drug categories that  
3 products have come to the Committee and you  
4 can see it covers the gamut of clinical  
5 medicine and the most common product we have  
6 here now that has been presented to the  
7 Committee has been ecology. And I think that  
8 cardiorenal, you can read what the codes are  
9 there. This reflects the number of written  
10 requests. It's pretty clear that you can get  
11 more in the more you ask for.

12           So today's activities, as has been  
13 alluded to, this is the largest number of  
14 products we have ever tried to review in one  
15 meeting. We welcome your feedback, card to  
16 Carlos, please, let him know and me whether  
17 you think this has been too much to try to  
18 cram into one meeting or you think by doing  
19 the abbreviated that it works or it's just too  
20 much reading for you all, even though we do  
21 abbreviate it. So we would like feedback on  
22 that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           There are 11 new products and five  
2 follow-ups. Eight of the products have the  
3 abbreviated presentations. As a comment  
4 though, you received the exact same background  
5 materials whether the presentation was  
6 abbreviated or not. Some of these products  
7 are in the midst of active reviews by the  
8 divisions and the approach the Agency is  
9 taking is being provided for the Committee.

10           In these situations, we are often  
11 providing information more than we are asking  
12 you a question, but we may. In the past, we  
13 have asked you to agree with our approach. Do  
14 you have any other comments? So you will see  
15 some of those today. Some products will have  
16 completed the reviews and the Agency will be  
17 asking if the Committee is in agreement with  
18 returning these products to routine review.

19           And that, I think, is the end of  
20 my comments. And I look forward to your  
21 discussion this morning. Rosemary Liang,  
22 Carlos, do you want to give a little bit of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 introduction for Rosemary, please?

2 DR. PENA: Dr. Johann-Liang is  
3 board-certified in pediatrics and pediatric  
4 infectious diseases and is currently the  
5 Deputy Director of the Division of Drug Risk  
6 Evaluation in the Office of Surveillance and  
7 Epidemiology.

8 DR. JOHANN-LIANG: Good morning.  
9 Okay. There we go. Okay. As Dr. Murphy just  
10 discussed, we're going to be really looking at  
11 -- you know, our legislative mandate is to  
12 look at the one year post-exclusivity period  
13 and report to you all in the Committee. But  
14 as she also said, often times we really end up  
15 looking at the whole post-marketing  
16 experience.

17 And, you know, I'm going to be  
18 talking a lot about the limitations of post-  
19 marketing surveillance data available, but I  
20 want to point out up front, you know, the need  
21 for post-marketing data as well and that's  
22 because, you know, the limitations of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 premarketing clinical trials in the narrow  
2 populations are being studied and there are  
3 indications of limited duration of study.  
4 And, of course, you know, the sample size  
5 being small.

6           And so when we go to post-  
7 marketing data what happens is that we start  
8 to see safety signals and issues that occur  
9 when the drug is exposed to large populations  
10 in variable doses and durations and in new  
11 populations, including high-risk groups. And  
12 the other thing is that even though we may  
13 have seen signals in clinical trials, for  
14 example, elevated LFTs per say, it's not until  
15 it gets to the post-marketing time that we  
16 actually see the full development of the  
17 clinical presentation of drug-induced  
18 hepatitis, hepatonecrosis, etcetera.

19           The main tool that we use and you  
20 have in your reviews for the pediatric adverse  
21 events is the FDA Adverse Event Reporting  
22 System or AERS. And this captures post-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 marketing adverse drug experiences. And I'll  
2 go through some of the definitions for you.

3 This database is voluntary. It's  
4 a passive surveillance system and it contains  
5 reports that have come in for drugs and  
6 therapeutic biologics. It excludes vaccine  
7 adverse events, which go to VAERS.

8 The source of these reports coming  
9 into AERS, there are a lot of issues and there  
10 is direct reports that come in from consumers  
11 and health care professionals and patients and  
12 that's the minority of the reports that come  
13 in. And there is really no reporting  
14 requirement at all for health care providers  
15 in this country.

16 The majority of reports come in  
17 through the manufacturer, but again, the  
18 requirements for reporting are variable  
19 depending on the seriousness, the definitions,  
20 and I'll go over this with you, and the  
21 expectedness of the adverse event. And we do  
22 get reports from foreign sources as well a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 domestic U.S.

2 And because of the source of these  
3 reports, there are lots of associated  
4 limitations with this data. This is again  
5 passive. We're not going out there soliciting  
6 or actually getting systemic, systematic  
7 reports coming in. This is voluntary. The  
8 information is very incomplete and I'll give  
9 you an example, many examples. There are lots  
10 of reporting biases.

11 Electronic submissions are coming  
12 in more and more and therefore our Adverse  
13 Event Reports are going up and up, but there  
14 are some good things about it and some not so  
15 good things about electronic submissions, too.

16 And all in all, it's the follow-up of the  
17 initial report that comes in that we find very  
18 difficult to do. So, therefore, the  
19 information is not perfect by any means at  
20 all. And really it's important for everyone  
21 to understand that.

22 This is an example of a report

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that came in and I just sort of blew up the  
2 narrative for you. And it basically says this  
3 is a 13 year-old patient who developed  
4 hepatitis from, you know, a drug, I wiped out  
5 to protect innocent, and then it says no  
6 further details are included.

7 And this was an initial report,  
8 but there really wasn't a follow-up. And in  
9 trying to get the follow-up, this is a case  
10 where it was very difficult to do. So this is  
11 just an example of sort of the lack of data  
12 that sometimes we have to work with. There  
13 are times and lots of examples where you get a  
14 really long, long narrative that goes through  
15 the whole, you know, so you get the other  
16 spectrum, end of the spectrum, too.

17 This is just the numbers. All of  
18 this does rise out of, you know, regulation,  
19 as defined in Code of Federal Regulations, and  
20 these are the areas in the CFR that speak  
21 about the regulations of post-market safety  
22 reporting, if you wanted to browse through CFR

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at some point.

2 Okay. The definitions for adverse  
3 drug experience is any adverse event  
4 associated with the use of a drug whether or  
5 not considered drug-related, including, and  
6 I'm going to just skip over some stuff in the  
7 interest of time, unexpected adverse drug  
8 experience, and that is any event not listed  
9 in the current labeling. So it really turns  
10 out to be things are not labeled.

11 And serious adverse events, this  
12 is sometimes confusing, you know, when we  
13 think about clinical seriousness and it gets  
14 confused with severity of disease. But there  
15 is a strict sort of regulatory definition for  
16 what constitute an SAE in reporting. And they  
17 fall into these categories.

18 So for example, in the other at  
19 the end, this could constitute something where  
20 a patient, you know, shows up in the emergency  
21 room possibly due to an ADE and needed  
22 intervention. That would be considered an SAE

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a well.

2           So, first, what's the positives of  
3 this AERS data? Once again, you know, it does  
4 include all the drugs and therapeutic  
5 biologics that is marketed in the U.S. and it  
6 is simple and expensive as compared to other  
7 surveillance systems and it is very large and  
8 growing. It's up to about 3.5 million reports  
9 now and we expect for it to continue to grow,  
10 especially with more and more manufacturers  
11 doing e-submissions.

12           It is good for discovery of  
13 previously unknown adverse drug events. So  
14 adverse events too rare to be seen in trials,  
15 adverse events in populations not exposed to  
16 drugs and trials, especially pregnant women  
17 and possibly young children as well, as you  
18 very well know. And what it does is it  
19 triggers us. It's a signal generating tool.  
20 It triggers us to do further investigation.

21           We do follow-ups, try to review  
22 available data, what's in the literature, what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other possible class effects, etcetera. And  
2 we request further studies to study this  
3 signal that has been generated through AERS.  
4 And as I said before, where the AERS really  
5 helps us sometimes is to expand on previous  
6 known ADE clinical description. You know, how  
7 much broader the breadth of the clinical  
8 experience, the seriousness and the severity  
9 of ADEs seen in trials.

10 This is just to show you the size  
11 of the database that's continuing to grow and  
12 I have modified this from Dr. Kortepeter's  
13 slide from a recent talk that she gave and  
14 it's 2006 we will probably at the end end up  
15 sort of growing too. And when we look at  
16 these reports, we try to assess how much of  
17 what's being reported to us actually has some  
18 relationship to the drug.

19 We cannot ever attribute direct  
20 causality through AERS. Now, it's very  
21 important to know we really need randomized  
22 controlled trial data to do that. But the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 AERS reports does help us, especially if a lot  
2 of these categories already in place if there  
3 is biologic causability, if there is already  
4 signaling an animal preclinical study, if we  
5 already have hints, small or large, from  
6 clinical trials, there is laboratory help and  
7 also with the temporal dechallenge,  
8 rechallenge aspects of the case, we can  
9 generate a case series to say this is really  
10 something that we are concerned about.

11 Looking at the other side, what  
12 are the data limitation? I talked about this  
13 already. If that it's a voluntary reporting  
14 database and sort of by definition, it's  
15 under-reporting. Nobody thinks that AERS  
16 isn't over-reporting of adverse events. Even  
17 when we talk about stimulated reports due to  
18 media attention or etcetera, that's probably  
19 still an under estimate of what truly goes on  
20 as a drug reaction overlay to what is  
21 happening in the big population.

22 You know, the literature says

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 possibly 1 to 10 percent of a true incident  
2 depending on what adverse events, for what  
3 populations, for what diseases. But the  
4 nature of the reporting, the bias that occurs  
5 is -- you know, it depends on many factors and  
6 I have listed them here. How long it has been  
7 on the market, what recent regulatory actions,  
8 what has come to media attention, different  
9 surveillance systems that actually bring this  
10 into AERS, etcetera.

11 And there is variable quality and  
12 completeness of reports, as I have talked  
13 about already, lots of duplicates in the  
14 reports, so you can't -- sometimes grossly you  
15 figure out these are the number of this, in  
16 the AERS this is the number, but really to try  
17 to discern what's going on, you really have to  
18 go to hands-on, case review series, which is  
19 what we try to do for you for the reviews that  
20 are coming from our office.

21 It's gross estimation of reporting  
22 rates of events. This is not incidence rates.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So we give you the numerator of the adverse  
2 event counts and then we give you the  
3 denominator from the drug use databases that  
4 are available to us and we try to sometimes  
5 figure out what the reporting rate is. But  
6 this spontaneous report numbers cannot be used  
7 to determine incidence of adverse events. And  
8 that's really important for us to keep in  
9 mind.

10 Again, the limitation is that we  
11 cannot attribute causality from AERS data.  
12 This is a voluntary system. The main utility  
13 is to hypothesis generate.

14 I have a slide here taken from our  
15 Drug Use Specialist Team, Laura Governale's  
16 talk recently, and this sort of gives you a  
17 very brief overview of drug use databases that  
18 are available to us now. And she is supposed  
19 to be here, so if you have further questions,  
20 you can direct it to her later. But just as a  
21 general overview, there have been changes in  
22 the last year, some big changes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           You know, for the Members that  
2 have been here before you have seen the IMS  
3 and the database that was used before. We  
4 have sort of converted over all to Verispan  
5 now. And for outpatient drug use, we use the  
6 Verispan Vector One National or VONA. This  
7 really does give us good projections  
8 nationally of prescriptions, drug  
9 prescriptions and how much prescriptions have  
10 gone actually to the patient.

11           We actually also could look at of  
12 those prescriptions, because there is a lot of  
13 repeats, right, how many actual patients? And  
14 we have gone to a patient level basis and we  
15 can do that now, which is very helpful. And  
16 also, there is an ability, although this takes  
17 a lot of effort from our drug use specialist,  
18 to do concurrency analysis. Meaning, we're  
19 doing some of this with the ADHD drugs,  
20 meaning, if you are on one drug, you know,  
21 what other drugs is the patient taking and  
22 sort of try to look at trends by age and over

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time, etcetera.

2 And there is also the physician  
3 drug and diagnosis audit which is a way to try  
4 to assess what are the drugs being used for.  
5 So we can't directly link the diagnosis to the  
6 prescription, but we do a survey to the  
7 physicians to say what are you using the drug  
8 for?

9 For inpatient drug use, we  
10 actually can now, before you've been told that  
11 we cannot project nationally for inpatient  
12 drug use, but with the Premier database, our  
13 drug use specialists tell us that they can do  
14 that now. You can project nationally for  
15 inpatient. What we cannot still do is the  
16 Premier pediatric part. It's only 37 centers  
17 in the U.S. and we're not at the point where  
18 we can project nationally with the pediatrics  
19 inpatient drug use.

20 There are still limitations, the  
21 unmet sort of needs. These are all still  
22 projections and estimates and for inpatient,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 especially, there is no direct link between  
2 drug and diagnosis. We do not have good  
3 measure of radiological or operating room drug  
4 use. Hospital outpatient clinics, especially  
5 treatments for chemotherapy or dialysis, and  
6 also for over-the-counter drugs, we still have  
7 a ways to go.

8 This is a cartoon taken from Dr.  
9 Willy's recent talk. I just wanted to  
10 illustrate for you, we may need, especially  
11 for you guys in this Committee, to deal with  
12 the passive surveillance adverse reporting of  
13 AERS, that's the numerator, and then we use  
14 the drug utilization data, which is much  
15 better now, to estimate the denominator.

16 But there are other things that we  
17 do to try to understand and put the drug  
18 safety issue in perspective. But those still  
19 have long ways to go. We do have this  
20 external health care databases that we have  
21 awarded the contract last year, but it is  
22 really a study-by-study funding basis now and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's -- so we need the resources and the  
2 money to actually study drug safety question  
3 utilizing these contracts that we have in  
4 place.

5 Active surveillance system is  
6 very, very at the infancy. We do have some  
7 national and regional active surveillance  
8 systems in this country and we do try to  
9 utilize that, especially look at our, you  
10 know, opiod drugs and etcetera, but for the  
11 purposes of this Committee in pediatrics, it's  
12 really at its infancy as Dr. Murphy had  
13 pointed out.

14 We also try to go in and look at  
15 literature to find the actual incidence rates  
16 that may be available there. And you will be  
17 seeing another sort of a project, ongoing  
18 project of another way that we look at adverse  
19 events which is to do a meta-analysis of all  
20 the clinical trials data available sort of  
21 putting it together to see if there is a  
22 differential frequency between the drug in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question and whatever the control would be.  
2 But this is very resource intensive.

3 For the ADHD project it took 10,  
4 you know, people from our division to work on  
5 this to actually put this together.

6 So moving now to your role today,  
7 what we're asking of you is that, you know,  
8 you have reviewed the primary materials, which  
9 is the one year post-exclusivity AERS reports  
10 that was done by our divisional safety  
11 evaluator, that focuses in on pediatric AE  
12 reports. And I wanted to just reiterate that  
13 we are putting emphasis on the serious adverse  
14 events and death reports, as per your request.

15 And then the pediatric drug use  
16 data comes from the division of surveillance,  
17 research and support, research support, and  
18 communication support, that's it. And the  
19 drug use specialist will put together the drug  
20 use review for you. And you also -- depending  
21 on what issue is at hand, you have additional  
22 materials for review, material from other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 possibly preclinical pediatric exclusivity  
2 reviews.

3           You have drug labeling, possibly  
4 literature if applicable and materials from  
5 the sponsors and other relevant reviews and  
6 information from the Agency. And we ask you  
7 to provide feedback and recommendations to us  
8 regarding possible changes to labeling,  
9 further studies and investigations needed,  
10 further surveillance and reports that you want  
11 back and other proposed approaches.

12           And, you know, it really is  
13 different depending on the different products  
14 and the different types that we need the input  
15 from, that we need your input. And again,  
16 just to go over, I'm not going to go over this  
17 too much again, because Dr. Murphy went  
18 through this, is that the abbreviated format,  
19 the standard format, there are sometimes when  
20 the drugs really need more in-depth review and  
21 we have actually devoted whole entire AC  
22 meetings on a certain drug or a certain issue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And we now have actually a new  
2 category which is that sometimes you want  
3 certain drugs reported back to you, maybe  
4 because the first time when we presented, when  
5 we had the information, there just wasn't  
6 enough reports during that one year and you  
7 wanted more or there were certain issues that  
8 you wanted a further ongoing follow-up on.  
9 Such as with Celexa and Tamiflu discussions  
10 today.

11           Okay. So today's presentations,  
12 the abbreviated drugs, RDs, ertapenem, Gemzar,  
13 Amaryl, NovoLog, Mobic, for the abbreviated  
14 briefly and then the standard presentations  
15 are Zyvox, Avandia, Zofran, Norvir, Rapamune  
16 and Trileptal and then we will also bring back  
17 to you some follow-up information on these  
18 five drugs, Ditropan, Lipitor, Zocor, Celexa  
19 and Tamiflu and then open up for your  
20 discussion and your input.

21           And I wanted to acknowledge all  
22 these folks from, you know, lots and lots of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 places throughout the Agency that come  
2 together to bring this to you.

3 ACTING CHAIR WARD: Very good. Is  
4 Alan here? You made it.

5 DR. MURPHY: And, Carlos, do you  
6 want? Rosemary's slides will be sent to the  
7 Committee. Okay. If you don't have them in  
8 front of you, which apparently you don't, we  
9 will send them to you. Okay.

10 DR. PENA: The next speaker is Dr.  
11 Alan Shapiro. He is a pediatric infectious  
12 disease specialist with a Ph.D. in  
13 biochemistry and a medical officer within the  
14 Division of Pediatric Drug Development. The  
15 Division representative is Dr. Alfred  
16 Sorbello, Medical Officer, in the Division of  
17 Anti-Infective and Ophthalmology Products.

18 DR. SHAPIRO: Thank you. I would  
19 like to go on to discuss the post-exclusivity  
20 adverse event review for linezolid.  
21 Linezolid, also known as Zyvox, is an anti-  
22 infective, its sponsor is Pfizer, it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 indications are treatment of vancomycin-  
2 resistant enterococcus faecium, nosocomial  
3 pneumonia caused by Staph aureus, including  
4 MRSA, complicated and uncomplicated skin  
5 infections, community-acquired pneumonia. It  
6 gained market approval in April of 2000 and  
7 pediatric exclusivity was granted in February  
8 of 2005.

9 Now, I would like to talk about  
10 the drug use trends in the inpatient setting  
11 for linezolid. Pediatric patients accounted  
12 for, approximately, 1.2 percent of the 27,900  
13 discharge associated with linezolid use in the  
14 U.S. from August 2004 to July of 2005.  
15 Pediatric discharges associated with linezolid  
16 increased from 30 percent from 141 discharges  
17 in the six months prior to exclusivity to 184  
18 discharges in the six months following the  
19 exclusivity.

20 Now, to give you a context of our  
21 review, we also talk about the exclusivity  
22 studies. In linezolid there were several

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies that I'm going to discuss here. The  
2 first study was assessment of linezolid  
3 pharmacokinetics in full-term and pre-term  
4 infants in less than 3 months of age.

5 The second study was a randomized,  
6 blinded comparison of safety and efficacy of  
7 oral linezolid versus a cephalosporin for the  
8 treatment of skin and skin structure  
9 infections in pediatric patients age 5 years  
10 to 17 years.

11 The third study was a randomized,  
12 open-label comparison of IV linezolid and oral  
13 linezolid and IV vancomycin in suspected Gram-  
14 positive infections in pediatric patients from  
15 birth to 11 years.

16 Now, going on to a slightly  
17 different tact was a perspective study of  
18 vancomycin-resistant enterococcal infections  
19 in pediatric patients age birth to 17 years.  
20 Also, we did a pharmacokinetics study in  
21 pediatric patients aged birth to 11 years with  
22 cerebrospinal fluid shunts.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, the results of these studies.

2 First, I would like to go over efficacy. The  
3 overall results of studies 2 through 4  
4 supported the efficacy of linezolid in  
5 treating the following infections in children.

6 One thing I would like to emphasize even  
7 though we had all these indications that we  
8 did find that there was a highly variable CSF  
9 penetration.

10 In studies 2 and 3, the most  
11 common adverse events were diarrhea, fever,  
12 vomiting, headache and skin rash. The most  
13 common lab abnormalities were reduction in  
14 hemoglobin, reduction in platelet counts,  
15 white blood cell counts and elevation of  
16 alanine aminotransferase.

17 Also, in Study 4, the study with  
18 VRE, the most frequent AEs were  
19 gastrointestinal events and the most  
20 significant lab abnormalities were decreased  
21 platelet count and elevations in ALT and  
22 bilirubin. Overall, the safety profile in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 children is similar to that in adults and is  
2 consistent with the known safety database and  
3 current labeling.

4           Now, I would like to go over the  
5 pharmacokinetics results. Systemic exposure  
6 to linezolid varied as a function of age.  
7 There was rapid clearance in patients greater  
8 than 1 one week old to 11 years, hence, there  
9 is a need for every eight hour dosing. The  
10 mean clearance in adolescents approached that  
11 in adults, hence, there was a need for every  
12 12 hour dosing. But one thing we did notice  
13 was reduced clearance in neonates less than 34  
14 weeks of gestation and less than 7 days post-  
15 natal age, hence, the need for every 12 hour  
16 dosing.

17           Due to the wide variability in  
18 clearance of linezolid in pediatric patients,  
19 there is a possibility of subtherapeutic  
20 levels with the recommended dosing regimens.  
21 One concern is in treatment of infections with  
22 a high MIC of the infecting organism. This is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 especially true in the context of severe life  
2 threatening infections. Thus, the recommended  
3 dose of linezolid depends on the weight, the  
4 age of the patient and the clinical  
5 indication.

6 Now, labeling changes resulting  
7 from exclusivity studies. There were  
8 pediatric labeling for the following  
9 indications listed, including nosocomial  
10 pneumonia, community-acquired pneumonia,  
11 vancomycin-resistant enterococcus faecium  
12 infections, complicated skin and skin  
13 structure infections, uncomplicated skin and  
14 skin structure infections.

15 Now, also, there was  
16 pharmacokinetics data in pediatric patients  
17 with ventriculoperitoneal shunts. They did  
18 find variable cerebrospinal fluid  
19 concentrations and the therapeutic  
20 concentrations were not consistently achieved  
21 or maintained in the CSF. Therefore, I would  
22 like to emphasize that the use of linezolid

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 for the empiric treatment of pediatric  
2 patients with central nervous system  
3 infections is not recommended.

4 Okay. Now, to go on to the  
5 Adverse Events Report since marketing  
6 approval. In all ages, there were 1,846  
7 Adverse Event Reports of which 1,418 were  
8 serious and there were 168 deaths. Now, in  
9 the pediatric age range, there were 50 reports  
10 of which 40 were serious and there were two  
11 deaths.

12 Now, going on to the reports in  
13 the 13 months in the post-exclusivity period.

14 For all ages, there were 395 reports of which  
15 377 were serious and there were 61 deaths.  
16 Now, in the pediatric age range, there were 18  
17 reports of which 16 were serious and there was  
18 one death.

19 One thing that's important with  
20 linezolid is that many of these adverse events  
21 that I'm going to talk about are in the label,  
22 so I want to make you familiar with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 linezolid's label, so I'm going to go over  
2 some significant aspects of it.

3 The first is the warning section.

4 There is a hematologic. We do find  
5 myelosuppression, also pseudomembranous  
6 colitis, which is a standard warning for all  
7 antibacterials.

8 And the safety concerns in the  
9 precaution section include lactic acidosis,  
10 serotonin syndrome, drug interaction with  
11 adrenergic agents, including  
12 phenylpropanolamine and pseudoephedrine, and  
13 serotonin agents, including antidepressants  
14 such as SSRIs. There are also food-drug  
15 interaction with foods containing tyramine.  
16 Also, peripheral and optic neuropathy usually  
17 with the use of greater than 28 days.

18 Now, in the post-marketing reports  
19 there were myelosuppression, peripheral and  
20 optic neuropathy and lactic acidosis and  
21 serotonin syndrome. Now, pediatric deaths  
22 since marketing approval, there were three. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to emphasize that. In your report, you  
2 have two of them mentioned. The third one  
3 came after our safety cutoff date of March 11,  
4 2006.

5 Now, the first death was in a 2  
6 year-old with severe thermal burns with  
7 vancomycin-resistant enterococcus who had a  
8 poor prognosis when starting antibacterial  
9 therapy. The second was in a patient, a 3  
10 year-old, with graft versus host disease,  
11 acute respiratory distress syndrome, renal  
12 failure, GI candidiasis and staphylococcal  
13 infections. This patient was on multiple  
14 medications, including cyclosporin, other  
15 antibacterials, micafungin and acyclovir.

16 And the third case, as I  
17 mentioned, that is not in your paperwork is a  
18 12 month-old patient treated for MRSA sepsis  
19 and endocarditis, which we have no additional  
20 data available.

21 Now, the serious pediatric adverse  
22 events in the post-exclusivity period, there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were 15 unduplicated pediatric reports for  
2 patients on linezolid. There were five  
3 neurologic adverse events, which were listed  
4 below, which are all labeled. There were six  
5 cardiac adverse events, which were unlabeled,  
6 which include tachycardia, irregular heartbeat  
7 and chest pain, arrhythmia and abnormal EKG.  
8 There was one in the gastrointestinal/  
9 hematological category and three in the  
10 metabolic. All of the gastrointestinal/  
11 hematologic and metabolic were labeled.

12 Now, going on to the cardiac  
13 adverse events, I would like to discuss in a  
14 little more detail. In the cases of  
15 tachycardia, we had a 2 year-old male treated  
16 for enterococcal urinary tract infection with  
17 tachycardia, which additional history is not  
18 available.

19 We also had a 16 year-old male  
20 with osteomyelitis who experienced persistent  
21 tachycardia which normalized two to three days  
22 after stopping therapy. This patient had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consumed a large amount of beef jerkey and  
2 there is an interaction between tyramine and  
3 the weak monoamine oxidase inhibition of  
4 linezolid.

5 There was also a 6 year-old female  
6 with MRSA catheter infection with sepsis who  
7 developed tachycardia of 220, hypertension and  
8 rapid breathing within the first few minutes  
9 of initial infusion. This patient recovered  
10 after treatment was stopped.

11 Now, for a case of chest pressure  
12 and irregular heartbeat, we have a 9 year-old  
13 female with cystic fibrosis on multiple other  
14 antibiotics for upper respiratory infection.  
15 After the first dose of linezolid, there was a  
16 crushing chest pressure and irregular  
17 heartbeat. The irregular heartbeat and chest  
18 discomfort persisted after linezolid was  
19 stopped.

20 There was also a case of abnormal  
21 electrocardiogram. This was a 10 year-old  
22 female with MRSA pneumonia who developed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypokalemia and an abnormal electrocardiogram  
2 on the sixth day of therapy. Hypokalemia and  
3 the abnormal EKG improved following  
4 discontinuation of linezolid. This patient  
5 was also on other medications.

6 Now, also to discuss the case of  
7 cardiac arrhythmia. There was a 15 year-old  
8 male who experienced chest discomfort and AV  
9 disassociation and a junctional rhythm. This  
10 arrhythmia persisted despite reduction of  
11 linezolid dose and resolved two days after  
12 linezolid was stopped. This patient though  
13 had a history of premature atrial contractions  
14 with junctional escape beats and wandering  
15 atrial pacemaker.

16 Now, to summarize. The Office of  
17 Surveillance and Epidemiology will conduct a  
18 full review of cases of cardiac arrhythmias  
19 reported with linezolid in patients of all  
20 ages. We will provide the Committee with the  
21 results of this OSE review. This completes  
22 the one year post-exclusivity Adverse Event

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Reporting as mandated by BPCA.

2 FDA recommends routine monitoring  
3 of adverse events for linezolid in all  
4 populations. Does the Advisory Committee  
5 concur?

6 I would like to acknowledge the  
7 following individuals who have helped in the  
8 preparation of my presentation. Thank you.

9 ACTING CHAIR WARD: Alan, thanks  
10 for a very thorough presentation. Let me just  
11 ask the Committee if they concur with the  
12 recommendations that have been made, noting  
13 that cardiac events will be brought back to  
14 the Committee, or if they want to open this to  
15 discussion. Bob?

16 DR. DAUM: First, we'll talk about  
17 nothing happening?

18 ACTING CHAIR WARD: Right.

19 DR. DAUM: So the first, the  
20 question is in terms of developing resistance  
21 to the nasal, an antibiotic resistance during  
22 therapy. Is that within or outside the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 purview of this review?

2 DR. SHAPIRO: If there are cases  
3 of failed therapy, that does come under an  
4 adverse event. It depends on the clinician to  
5 report it to us. But definitely failure of  
6 therapy does fall in that spectrum.

7 DR. DAUM: Well, then what's the  
8 proper thing to do, because I could make a  
9 comment about the issue or I could just  
10 propose that this be something that you  
11 monitor as well in the coming year. Advise me  
12 here.

13 DR. JOHANN-LIANG: In AERS, we get  
14 reports in for lack of efficacy, but it's  
15 really not a good database, I think, to look  
16 at antibiotic resistance, for example. We may  
17 get occasional examples in saying, you know,  
18 this drug was resistant and therefore it  
19 didn't work, etcetera. But again, it's not  
20 considered really an individual adverse event  
21 drug experience that I can -- it doesn't mean  
22 that that's something that we shouldn't be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 looking at.

2 It is a safety issue and a global  
3 safety issue. But I just think that the  
4 database that we have for AERS is not the  
5 appropriate tool for that kind of question.

6 DR. DAUM: So the question then is  
7 what is? How should we proceed with that?

8 DR. MURPHY: Our infectious  
9 disease societies would take this on as an  
10 issue, you know, something along that line.

11 DR. DAUM: Beyond the scope of  
12 what we're doing here.

13 DR. MURPHY: Yes. I think that to  
14 get to that issue, you really need a  
15 prospective trial and I don't think we could  
16 get it out of our AERS database, Bob.

17 DR. DAUM: Okay. Well, I might  
18 just comment then that there is resistance  
19 being seen now. It is known to the company  
20 and known to many clinicians and it appears to  
21 be related to duration of therapy, so that the  
22 longer you use the drug and the organisms able

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to survive because it's sequestered medically  
2 during that time, resistance may occur.

3 The number of isolates are still  
4 very small and there's no reason for alarm,  
5 but it's a prolonged use kind of thing. Also,  
6 the hematologic toxicity that you talked  
7 about, I believe, is also related to duration  
8 of use. And so if you're going to collect  
9 additional data for even in the cardiology  
10 sphere, noting something about duration of use  
11 would be a very helpful kind of thing. It  
12 looks like the more you use it, the more these  
13 things occur.

14 DR. SHAPIRO: I would like to add  
15 one thing. In the label, there is a warning  
16 about optic and peripheral neuropathy in use  
17 longer than four weeks and that actually, as a  
18 clinician, I also practice infectious  
19 diseases, that always worries me. Whenever I  
20 get to the four week mark on a patient on  
21 linezolid, I always look at either stopping or  
22 getting ophthalmology exams.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So I think there is also that  
2 other limitation and it's prolonged use, it's  
3 those side effects. And some of the  
4 ophthalmology even though you have an  
5 ophthalmologist check their eyes, that does  
6 not always predict that you are going to  
7 develop an optic neuropathy or not. So I  
8 think there is a built in concern already in  
9 prolonged therapy for this drug.

10           DR. JOHANN-LIANG: I wanted to add  
11 a couple of things. What you can recommend  
12 maybe for the purposes of understanding this  
13 pediatric safety issue and the resistance is a  
14 safety issue, further is to request that  
15 perhaps a dialogue between the Review Division  
16 and the sponsor take place to see if there are  
17 further prospective investigations that can be  
18 done to address this.

19           And then, secondly, regarding  
20 infectious disease and antibiotic-resistance,  
21 there is an interagency task force called The  
22 Get Smart Program that is trying to look at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibiotic-resistance from a, you know, broad,  
2 multiple federal agency level.

3 And so it is mainly geared towards  
4 outpatient use, but there are other ways that  
5 perhaps we can look at antibiotic-resistance,  
6 but it wouldn't be in the purview of post-  
7 marketing spontaneous data. It really needs  
8 to -- we need to engage the sponsor and other  
9 stakeholders to examine this issue. Okay.

10 ACTING CHAIR WARD: Good.  
11 Rosemary, if, for example, Dr. Daum at  
12 University of Chicago establishes a certain  
13 rate of resistance and it's confirmed in a  
14 couple of other places around the country and  
15 it's published, would that be information that  
16 could later be placed in the label through  
17 negotiations between the Agency and the  
18 sponsor?

19 DR. SORBELLO: Let me just make a  
20 few comments. I think certainly the Review  
21 Division -- let me make a few comments.  
22 Certainly, the Review Division would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interested in acquiring more information about  
2 resistance.

3 Frequently, we have to rely on  
4 what is published in the literature, whether  
5 it's case reports, case series or other  
6 studies that have been published to give  
7 ourselves an idea of what is happening. We'll  
8 certainly be interested in that.

9 I think the other thing to keep in  
10 mind with some of the AERS reports is that the  
11 use of the drug, and it's frequently off-  
12 label, and it's of more prolonged duration  
13 that's within the label, the label talks about  
14 durations up to 28 days and some of these,  
15 they are quite extensively long periods of  
16 time that patients have been on the drugs.

17 And we're also limited by the  
18 quality of the reports themselves. We don't  
19 often get information as far as other  
20 concomitant meds or even concurrent illnesses,  
21 but when you look at the age group, a number  
22 of them are usually older patients, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be at a higher risk to having other  
2 medical problems and other issues going on.  
3 So it does tend to compound trying to sort out  
4 some of the safety issue with linezolid.

5 DR. MURPHY: I guess to answer  
6 your question, Bob, if anybody has a trial  
7 which has important information, they can  
8 submit it to the sponsor, to the Agency,  
9 publish it in the literature. You know, I  
10 mean, a multi-prong information approach and  
11 submission for review would be the way to go.

12 ACTING CHAIR WARD: Well, I think  
13 what we're hearing, there is an avenue to  
14 inform the prescribers about changes in  
15 resistance patterns.

16 DR. DAUM: We clearly need more  
17 information and I think we should try and get  
18 that information via the appropriate routes  
19 that we have been discussing.

20 PARTICIPANT: We need duct tape or  
21 something on these connections.

22 DR. NEWMAN: To answer, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies done for exclusivity are a done deal,  
2 but I have to say to see in Study No. 2 that  
3 this big gun drug about -- we're worried about  
4 resistance developing. And it costs \$60 a  
5 pill being compared to a first generation  
6 cephalosporin for uncomplicated skin  
7 infections in a randomized double-blind trial  
8 makes no sense to me at all to be able to show  
9 that it's not inferior to something that costs  
10 100 times less and is safer.

11 Does anyone have any idea what the  
12 thinking was of having that be one of the  
13 studies for exclusivity?

14 DR. MURPHY: Tom, I think that the  
15 trials that are designed, at the time that  
16 they are designed for exclusivity, have to be  
17 consistent with whatever their -- with two  
18 things. First, I should say, with what the  
19 division thinks is a public health need and  
20 then the second is it has to be consistent  
21 with what they think is the best approach that  
22 they have been using.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Now, that doesn't mean that we  
2 don't change over time and it may be that if  
3 they had to do that study now, they would not  
4 do it that way, because we have changed how we  
5 do studies as we get data in. What you're  
6 saying is you don't think then back in  
7 whatever, 2000 -- when was it, Alan, that this  
8 was studied?

9                   DR. SHAPIRO: I think, I can check  
10 with Alfred, but I think they go back to about  
11 2000 sounds right.

12                  DR. MURPHY: Yes, 2000, right. So  
13 six years ago when the trial was designed,  
14 that that was consistent with the approach  
15 that they were taking. And, as I said, Dr.  
16 Sorbello and others would be in the -- in the  
17 Anti-Infective Division would be glad to hear  
18 your thoughts.

19                  DR. NEWMAN: So my thought is the  
20 study that is being done for uncomplicated  
21 skin infections compared to a first generation  
22 cephalosporin that seems -- it makes no sense

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 to me at all.

2 DR. MURPHY: Noted.

3 DR. NEWMAN: I'm just asking.

4 ACTING CHAIR WARD: Dr. Sasich?

5 DR. SASICH: Thanks. Also a  
6 comment. Is this working? I will speak  
7 loudly then. Also on Study No. 2 or 065, if  
8 you take a look at the labeling in pediatric  
9 studies, it mentions a comparison trial  
10 between Zyvox and cephalosporin. One isn't --  
11 the cephalosporin was used as a comparators  
12 actually means it seems like that would be a  
13 useful piece of information for prescribers.

14 Then I have a couple of other  
15 questions also. It seems to be inconsistent  
16 policy within the FDA when we see these  
17 studies that if you go to review documents and  
18 you go to the website, you can see comparative  
19 trials, and then you go to the labels and  
20 comparators aren't named. I could have missed  
21 cefadroxil in the pediatric trial section.  
22 It's an extremely long paragraph.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: That's a labeling  
2 issue that is negotiated between the division  
3 and the sponsor. I can tell you that usually,  
4 and if anybody from that division would like  
5 to correct me, I will be glad to stand  
6 corrected, they tried not to label the  
7 comparator, but sometimes they do. There have  
8 been times when the comparator is put in the  
9 label.

10 DR. SASICH: I think it would be  
11 useful for clinicians to know what the  
12 comparator was. A couple of other questions.

13 On the three cases of neuropathy,  
14 do we know the duration of treatment of those  
15 patients? I think we had one optic and two  
16 peripheral.

17 DR. SHAPIRO: See, one was a 6  
18 year-old. I have it for eight months of  
19 therapy on the optic neuropathy, which is well  
20 beyond the recommended time.

21 DR. SASICH: Yes.

22 DR. SHAPIRO: And the peripheral

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neuropathy I see about four weeks of oral  
2 linezolid, so just to get you on those cases  
3 there. I have the two in front of me.

4 DR. SASICH: So they were right at  
5 the edge of the labeling. The gentleman over  
6 at the other side of the room mentioned a lot  
7 of off-labeled use that -- of the drug greater  
8 than 28 days.

9 Is it worthwhile considering  
10 strengthening the 28 day warning or to think  
11 about it if we're seeing a lot of off-labeled  
12 use?

13 DR. SORBELLO: I think certainly  
14 we would like -- you know, we would definitely  
15 consider that type of issue, because the  
16 Agency has warned in the past --

17 DR. SASICH: A number --

18 DR. SORBELLO: -- over off-label,  
19 off-label.

20 DR. SASICH: Sorry.

21 DR. SORBELLO: The Agency has  
22 warned in labels in the past over off-labeled

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 use, particularly when it was harmful.

2 DR. SASICH: Yes. I mean, it  
3 certainly may be something to consider,  
4 because when you look at the cases that you  
5 see through AERS, a number of them are  
6 patients with bone infections, serious staph  
7 infections that require prolonged durations  
8 beyond four weeks.

9 DR. SORBELLO: Thank you.

10 DR. MURPHY: So should we take  
11 that as a recommendation then? Is that what  
12 you're suggesting, that we go back and look at  
13 AERS and see where we have prolonged use and  
14 off-label use as far as adverse events?

15 DR. SASICH: I think it's entirely  
16 worthwhile, particularly if it was a skin and  
17 soft tissue infection or some other condition  
18 for which there was another approved  
19 antibiotic that wasn't without the adverse  
20 events.

21 ACTING CHAIR WARD: Okay. Lisa?

22 DR. MATHIS: I do want to just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 make it really clear that it's very important  
2 for the Committee to recognize the difference  
3 between FDA labeling and the practice of  
4 medicine. The FDA does not regulate the  
5 practice of medicine and we actually expect  
6 physicians to be able to use their judgment  
7 and to be able to look at an individual  
8 patient and treat that individual patient.

9 So while we'll go back and look at  
10 the data, I think it's always really important  
11 to remember that we don't want to fill the  
12 labeling so full of individual cases that it  
13 makes it very difficult for a physician to be  
14 able to use that medication as they feel they  
15 need to for an individual patient.

16 So, again, the off-label usage I'm  
17 sure will be considered, but we have to be  
18 very careful that we don't get into the  
19 business of regulating the practice of  
20 medicine.

21 DR. SASICH: Well, I don't see how  
22 warning regulates the practice of medicine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It's simply warning. It's reflecting what we  
2 know.

3 DR. MATHIS: It just has to be  
4 based on the information, so I think --

5 DR. SASICH: Right.

6 DR. MATHIS: Yes.

7 DR. MURPHY: So I think what we  
8 hear, just to wrap this up, is that there is a  
9 concern that we know we have a problem with  
10 prolonged use and we want to look at that  
11 amount of prolonged use, and then we want to  
12 look at our adverse events and see in those  
13 cases is it a matter of prolonged uses causing  
14 them.

15 And then ask the question if it  
16 is, if it isn't, if that doesn't -- if those  
17 prolonged use cases are not having adverse  
18 events, which would -- actually, if it's not  
19 the usual adverse events you associate with  
20 prolonged use, resistance, optic issues, then  
21 it's going to be hard to put additional  
22 warnings.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           If, however, that additional  
2 prolonged use seems to be associated with some  
3 of the things that we think would result from  
4 that, then we would ask to look at  
5 restrengthening. You are asking to look at  
6 strengthening the labeling in that area.

7           ACTING CHAIR WARD: Dr. Cnaan, one  
8 last comment.

9           DR. CNAAN: I'm trying to  
10 understand the recommendation from the FDA.  
11 The recommendation is to further review more  
12 in-depth the cases that we are seeing and then  
13 not to come back with the same review for,  
14 say, a period of another year.

15           And the reason I'm asking that is  
16 if I'm looking at the slide that summarized  
17 everything, it should a comparable number of  
18 the cardiac cases and the neurologic cases  
19 within this one year with the neurologic being  
20 labeled, the cardiac being not labeled. In  
21 the absence of any denominator, the only thing  
22 I could compare the cardiac is to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neurologic.

2           It seems to me that the logical  
3 approach would be to come back in a year, if  
4 we're still seeing the same pattern to say,  
5 well, there is something there. But I'm not  
6 sure that that is what the proposal is,  
7 because I don't entirely yet understand the  
8 language.

9           ACTING CHAIR WARD: Alan, do you  
10 want to respond to that?

11           DR. SHAPIRO: Well, the thing was  
12 with the cardiac adverse events, when we did  
13 the review, it highlighted to us. So what we  
14 have is OSE is now in the process of reviewing  
15 that and that review is in process, and that  
16 we had mentioned that we were going to report  
17 back to you what that review says.

18           Right now, it's too early for us  
19 to make a definition, but they are trying to  
20 get an idea of the scope of the cases there  
21 and it's hard to say when you see these cases  
22 until you take a further look of how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 significant they are, so we're still in that  
2 process.

3 DR. DAUM: Just one comment in  
4 response to Dr. Mathis and then one other  
5 comment about just the table.

6 I don't think the issue is  
7 regulating the practice of medicine. I think  
8 the issue is informing practitioners that  
9 there may be problems with prolonged use both  
10 in safety and efficacy. And so I think the  
11 educational part is what we need to be a bit  
12 proactive in.

13 And the second point is that I  
14 don't know if everyone at the table realizes  
15 it, but MRSA infections in the community are  
16 epidemic now in most of our country. And this  
17 is one of the few drugs that actually is sort  
18 of helpful in the beyond MRSA kind of sense,  
19 and so that it becomes very, very important  
20 for us to understand all the issues with  
21 safety and all the issues with resistance,  
22 because pressure is going to be put on this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drug for increased use both on-label and off-  
2 label.

3 DR. SHAPIRO: I'm sorry. I would  
4 concur. I would -- you know, right now it's  
5 basically, as all of us know, it's the  
6 insurers that basically regulate that use of  
7 linezolid and, for the most part, you have to  
8 show that the patient does not tolerate or has  
9 a problem with vancomycin before I can get  
10 most insurances to approve it there, and so  
11 it's one of these things.

12 Yes, it's the next line. If a  
13 patient needs, you know, therapy and you're  
14 concerned that they are not tolerating the  
15 vancomycin, linezolid is an option.

16 The other thing is that people  
17 like to say, oh, it's convenient. You don't  
18 have to have the patient on IV therapy. You  
19 can put them on the oral form, but I think for  
20 most of us we're kind of reluctant, because we  
21 are worried about resistance if it starts  
22 being used that way.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KOCIS: I just want to come  
2 and agree completely with the need for coming  
3 back with a report on the cardiac toxicities,  
4 but I wonder whether it would be prudent to at  
5 least mention the cardiac side effects mostly  
6 because of the severity of them, heart plate,  
7 heart block, hypertension and tachycardia  
8 which, in my mind, reach a threshold based on  
9 severity that would again lead me to want to  
10 warn not create hysteria or change labeling or  
11 things like this time.

12 But so the question really is  
13 should we make a comment at this point while  
14 we're studying further or is it best to wait  
15 to get further data before making comment?

16 DR. MURPHY: I would posit that  
17 usually if the division, meaning the Review  
18 Division, has had enough adverse events, and  
19 again this is hypothesis setting because they  
20 are always confounded, but if there is enough,  
21 as you're going to hear about Tamiflu where we  
22 still don't know, I mean, because the cases

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can have -- they will decide to provide  
2 additional education in the label to the  
3 physician. This is something else, you know,  
4 we think you should think about. We don't  
5 know if it causes this problem or not.

6 I think what they are trying to  
7 tell you right now is that with the cases they  
8 have, even though the numbers are the same for  
9 Adverse Event Reporting, there were other  
10 things that went on in the trials that caused  
11 them to have those other things in the label  
12 previously.

13 So this is post-marketing Adverse  
14 Event Reporting. They really are asking to  
15 have additional time, which is what happened  
16 again, you know, as you heard with some other  
17 products where we think we need to get more  
18 cases, see if we get better information.

19 And the reason for doing that is  
20 if you can have better clarity. The more  
21 diffuse something is, the less useful it is to  
22 the practitioners. The more information you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can get, the more clarity you can get on it,  
2 the more useful you can hopefully provide that  
3 information.

4 And that is what I think I  
5 understand is what is happening with both OSE  
6 and the division, is that they would -- they  
7 just don't want to be premature at this point  
8 and they want to have some additional data,  
9 but they will come back and present this to  
10 the Committee.

11 ACTING CHAIR WARD: Right. Any  
12 other discussion? Okay. Let's -- yes,  
13 Rosemary? That's fine.

14 DR. JOHANN-LIANG: I just wanted  
15 to quickly respond to Dr. Newman's comment  
16 about studying these big gun antibiotics in  
17 the face of resistance for things like, you  
18 know, mainly sort of uncomplicated, self-  
19 resolving diseases like uncomplicated skin  
20 indication, sinusitis indication, you know,  
21 AECBS.

22 I mean, there is a lot of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion going on in the Agency for us to  
2 try to do better that is not just a non-  
3 inferiority act of control, you know, not  
4 understanding even the margin of efficacy  
5 anymore, but really trying to scientifically  
6 derive what the margin of benefit is and then  
7 go on from there, and to possibly really start  
8 doing placebo-controlled trials and trials  
9 that make sense in the sense of what is that  
10 drug going to be used for in the face of all  
11 the resistance that is happening.

12 So your point is very well-taken  
13 and thank you.

14 ACTING CHAIR WARD: Very good.  
15 Thank you. Lisa, let's go to rosiglitazone.

16 DR. PENA: The next speaker is Dr.  
17 Lisa Mathis. Dr. Mathis is the Associate  
18 Director for the Pediatric and Maternal Health  
19 Staff in the immediate office of the Office of  
20 New Drugs in CDER. Dr. Mathis is a board-  
21 certified pediatrician and Associate Professor  
22 of Pediatrics at the Uniformed Services

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 University of the Health Sciences.

2 The division representative here  
3 is Dr. Joanna Zawadzki. She is a medical  
4 officer within the Division of Metabolism and  
5 Endocrinology Products.

6 DR. MATHIS: Hi, and you will see  
7 on the slide that it says Dr. Hari Cheryl  
8 Sachs and she did actually prepare all these  
9 slides, but is taking care of patients today,  
10 so I'm going to be presenting them for her.  
11 Thanks. All right. Okay. Okay.

12 This is just an outline for the  
13 standard review, but since you have already  
14 seen one, you know what is already included in  
15 them. That includes background information,  
16 drug use trends, a description of the  
17 exclusivity studies, the labeling changes that  
18 occurred as a result of the exclusivity  
19 trials, additional relevant safety labeling  
20 and post-marketing adverse events.

21 So I'm going to start with Avandia  
22 or rosiglitazone, which is an oral

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypoglycemic agent from GlaxoSmithKline. It  
2 was originally approved for marketing in 1999  
3 and pediatric exclusivity was granted December  
4 9, 2004. I should take a minute to mention  
5 that there are no pediatric approvals for this  
6 drug, no indications.

7 The current indication for  
8 rosiglitazone is adjunct to diet and exercise  
9 to improve glycemic control in type II  
10 diabetes melitis in adults. There are also  
11 other related combination products that  
12 contain rosiglitazone. That is Avandamet and  
13 Avandaryl.

14 The dispensed prescriptions for  
15 Avandia and Avandamet have been increasing in  
16 the last three years with Avandia and  
17 Avandamet together accounting for greater than  
18 55 percent of the total thiazolinediones  
19 dispensed during the one year post-  
20 exclusivity.

21 Pediatric patients account for  
22 less than 1/10<sup>th</sup> of a percent of those who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 received prescriptions and a majority of these  
2 patients are between the ages of 12 and 16  
3 years with the only diagnosis being diabetes  
4 melitis. The reviews from the exclusivity  
5 studies are posted on the FDA website. This  
6 is where you can find it. And, now, I'm going  
7 to describe those studies conducted for  
8 exclusivity.

9 There was a safety and efficacy  
10 trial of which a subset of those patients  
11 underwent population PK. From that population  
12 PK study, there were 96 adolescents aged 10 to  
13 17 years of age and the results were that the  
14 systemic exposures were similar to estimates  
15 from adult studies. This information was  
16 incorporated into labeling.

17 For the efficacy trial, this was a  
18 24 week, multi-center, randomized, active-  
19 controlled trial of 200 adolescents with type  
20 II diabetes. There were treatment naive, as  
21 well as treatment experienced patients with a  
22 HbA1c of 7.1 to 10 who had failed diet and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 exercise alone and had no evidence of type I  
2 diabetes.

3 The primary endpoint was the  
4 change from baseline in both the fasting  
5 glucose level, as well as the HbA1c. The  
6 secondary endpoint was a non-inferiority  
7 between the comparator, metformin, and  
8 rosiglitazone.

9 The Review Division actually ended  
10 up looking at both of these endpoints as  
11 primary because of the fact that the  
12 difference between groups was not felt to be  
13 sufficient enough to determine whether this  
14 drug was efficacious.

15 The studies were unable to detect  
16 a meaningful difference in HbA1c between the  
17 treatment groups. There was also increased  
18 weight gain in those patients who were on  
19 rosiglitazone when compared to metformin and  
20 there were labeling changes to reflect this.

21 There were no deaths in these  
22 trials, but there were serious adverse events.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There was one for rosiglitazone, which was  
2 mild DKA, which required insulin rescue, and  
3 six for metformin to include hyperglycemia,  
4 suicidal ideation, status asthmaticus and  
5 menorrhagia.

6 The adverse events that resulted  
7 in withdrawal from the study included six for  
8 rosiglitazone, hyperglycemia, bronchitis,  
9 gastroenteritis, rectal hemorrhage and facial  
10 and hand edema. Some of those occurred all in  
11 one patient. And seven for metformin,  
12 hypoglycemia, hyperglycemia, elevated LFTs and  
13 nausea and vomiting. Oops, sorry.

14 For the labeling changes that  
15 resulted from the exclusivity studies, we have  
16 in the pediatric clinical pharmacology section  
17 that the PK findings are consistent with those  
18 seen in adults. Under the precaution section  
19 of labeling, we have clinical trials described  
20 under the pediatric use subsection and also a  
21 precaution about weight gain.

22 Under the adverse reaction

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 section, we have adverse events described that  
2 occurred in the trial. We also have -- this  
3 is existing labeling. I'm sorry, this was not  
4 as a result of the pediatric exclusivity  
5 trials. So we do have a contraindication for  
6 hypersensitivity.

7 There is a warning about cardiac  
8 failure and other cardiac events, as well as  
9 fluid retention. There are precautions  
10 regarding hypoglycemia, edema, weight gain,  
11 decreased hemoglobin and hematocrit, ovulation  
12 and elevation in LFTs, potential liver failure  
13 and a need to monitor liver function tests.

14 Rosiglitazone is a Pregnancy  
15 Category C and under the dosage and  
16 administration section of labeling, it says to  
17 use the lowest dose and to monitor for fluid  
18 retention.

19 Now, turning to adverse events  
20 since market approval in 1999. For the raw  
21 counts, all ages, all reports, there were  
22 9,072. 3,841 were serious with 365 deaths.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In the pediatric population, there were 14  
2 reports with 10 being serious and one being a  
3 death.

4 The adverse events prior to  
5 granting of exclusivity were 12 and after,  
6 two, so you can see that the adverse event  
7 rate remains about the same for this drug  
8 before and after exclusivity.

9 For the fatal serious adverse  
10 events since approval, this was a 6 month-old  
11 male product of a premature gestation,  
12 multiple birth gestation who died from  
13 respiratory failure secondary to ascites from  
14 liver failure and biliary artesian.

15 This infant was exposed in utero  
16 to metformin, clomiphene and rosiglitazone.  
17 Again, this was a premature birth with  
18 multiple medical problems and the twin B  
19 survived and is actually described later under  
20 adverse events.

21 The non-fatal adverse events prior  
22 to exclusivity included six accidental

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ingestions, three in utero exposures to  
2 include twin B from the pregnancy previously  
3 mentioned and two liver enzyme abnormalities.

4 The adverse events since  
5 exclusivity was granted for all ages, all  
6 reports, 1,389. 679 of those were serious  
7 with 50 deaths. In the pediatric population  
8 there were two reports with zero serious and  
9 zero deaths.

10 So, in summary, labeling was  
11 updated after exclusivity studies describing  
12 the pharmacokinetics and clinical studies and  
13 to reflect that data are insufficient to  
14 recommend the use in the pediatric population.

15 Adverse events were incorporated into  
16 labeling and include weight gain,  
17 hyperglycemia and DKA risk.

18 There are no new pediatric adverse  
19 events identified during the one year post-  
20 exclusivity period. This completes the one  
21 year post-exclusivity Adverse Event Reporting  
22 as mandated by BPCA, and the FDA recommends

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the routine monitoring of rosiglitazone for  
2 adverse events in all populations. Does the  
3 Advisory Committee concur?

4 ACTING CHAIR WARD: Dr. Sasich?

5 DR. SASICH: Just another labeling  
6 issue. In taking a look at the information  
7 for patients, let me -- sorry, I don't have it  
8 open.

9 The patient information portion of  
10 the professional product labeling says that  
11 the safety and efficacy of Avandia has not  
12 been established in children under 18 years of  
13 age, and I think that is an enormously unclear  
14 statement and I think that is the type of  
15 statement that used to appear in the  
16 professional product labeling and has finally  
17 been resolved to name comparators, and I think  
18 this is the other example that I was thinking  
19 about.

20 The comparator is named in the  
21 professional portion of the labeling for this  
22 drug, but it's not in the patient information

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 section, even though patients probably never  
2 see the patient information section, because I  
3 doubt if pharmacists actually ever pass them  
4 out to anyone.

5 DR. MATHIS: Yes. You know, this,  
6 that patient information section, is actually  
7 for the physician, the information that the  
8 physician should be providing to their patient  
9 as they are prescribing drugs. Are you  
10 looking under the precautions?

11 DR. SASICH: No, this is the  
12 portion. This is the portion of the label  
13 that is written specifically for the patient  
14 with the intentions on the part of the sponsor  
15 or the Agency that patients will see this  
16 information. The Agency can request it or the  
17 sponsor can voluntarily do it, but it's  
18 written in non-technical language for --

19 DR. MATHIS: Okay. Okay.

20 DR. SASICH: That is the part that  
21 is hooked onto the end of the professional  
22 label.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 DR. MATHIS: Got it. Okay. Yes.  
2 I'm sorry.

3 DR. ZAWADZKI: I think you may be  
4 looking at an old version of the prescribing  
5 information.

6 DR. MURPHY: Which we sent you.

7 DR. SASICH: No, I am looking at  
8 the patient information. This is in the label  
9 that the Agency sent around. It's at the very  
10 end of the professional product labeling.  
11 It's numbered page 27.

12 DR. ZAWADZKI: Thank you.

13 ACTING CHAIR WARD: Just above the  
14 what is type II diabetes.

15 DR. SASICH: It's the last page --

16 DR. ZAWADZKI: The last part.

17 DR. SASICH: -- of the label that  
18 you distributed to the Committee.

19 DR. ZAWADZKI: Oh, okay. You're  
20 looking at the comment that safety and  
21 efficacy have not been established in children  
22 under 18 years of age?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SASICH: Yes, and the material  
2 that was written for patients with someone's  
3 intention that it be distributed to patients.

4 DR. ZAWADZKI: That is a good  
5 point. Can you tell me how you would rephrase  
6 it?

7 DR. SASICH: Some way that I would  
8 communicate to the reader that the drug  
9 actually has been tested. Reading this  
10 statement, it could be, well, it hasn't been  
11 studied so maybe it's worth a chance. We'll  
12 try the drug.

13 If it has been studied compared to  
14 another drug, the Agency's opinion that it  
15 doesn't rise to the level of safety and  
16 effectiveness for type II diabetes. It has  
17 got to say that. Nobody can do anything with  
18 this statement.

19 DR. ZAWADZKI: Sure.

20 DR. MURPHY: So it's in the  
21 labeling, other part, not the part that's at  
22 the bottom for the patient. We had that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information. So what you're saying is we need  
2 to put something in that latter part that says  
3 we all agree and we're getting away from that,  
4 and what you're saying is that you're getting  
5 there, FDA, but you missed.

6 And so what you're suggesting is  
7 that in the patient part of the end of the  
8 label, we at minimum say, please, see  
9 pediatric statement about or something that  
10 has been -- this has been studied. Please,  
11 see other information.

12 DR. SASICH: Remember, this is  
13 supposed to be given to patients.

14 DR. MURPHY: Right.

15 DR. SASICH: And so patients  
16 normally don't have --

17 DR. MURPHY: They won't get the  
18 whole rest of the label is what you're saying.

19 DR. SASICH: Right.

20 DR. MURPHY: So we need to --

21 DR. SASICH: And so it needs to be  
22 clear in --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Right.

2 DR. SASICH: -- the information  
3 that you distribute to patients.

4 DR. MURPHY: Yes.

5 DR. SASICH: Or to patients'  
6 parents in this case.

7 DR. MURPHY: Yes.

8 ACTING CHAIR WARD: Yes, I think  
9 the point is that this is a very clear section  
10 back in the main label.

11 DR. MURPHY: Right, right.

12 ACTING CHAIR WARD: And that the  
13 wording probably needs to be changed a little  
14 bit for the public, but it really communicates  
15 effectively.

16 DR. MATHIS: I agree and I think  
17 it's funny. You're right. We have come to  
18 the realization that that statement in  
19 physician labeling is absolutely not helpful  
20 and that it's much better to describe exactly  
21 what we know, and it would be nice to  
22 translate that into more common language for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the patients as well.

2 DR. SASICH: If the physicians are  
3 confused, imagine how the patients --

4 DR. MATHIS: Yes.

5 ACTING CHAIR WARD: So can we make  
6 that general recommendation about that issue  
7 about labeling, that we move some of that  
8 information, where it's possible, into the  
9 patient section.

10 DR. ZAWADZKI: I think one of the  
11 limitations with the patient labeling is that  
12 it is very brief and in order to communicate  
13 the complexity of this issue in the  
14 prescribing information, it really is a fairly  
15 extended section actually with a description  
16 of the actual study and the comment, the  
17 conclusion that the data are not sufficient  
18 for an indication.

19 That is very difficult. You know,  
20 it sounds -- I think it's an excellent  
21 recommendation and looking at it from a new  
22 perspective now, I totally agree, but I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the translation will be a challenge.

2 ACTING CHAIR WARD: We wouldn't  
3 minimize that. All right. Rich?

4 DR. GORMAN: The drug has been  
5 studied in children and found not to work.

6 DR. MATHIS: Very good.

7 ACTING CHAIR WARD: Okay. If  
8 there are no other issues, does anybody object  
9 to moving on to the next discussion? Okay.  
10 The Zofran ondansetron will be presented by  
11 Dr. Collins.

12 DR. PENA: Dr. Collins is a board-  
13 certified pediatrician, an assistant professor  
14 of pediatrics at the Uniformed Services  
15 University of the Health Sciences. The  
16 division representative here with us is Dr.  
17 Joyce Korvick, Division Director, Division of  
18 Gastroenterology Products.

19 DR. COLLINS: Good morning. I am  
20 pleased to be able to present to you the one  
21 year post-exclusivity adverse event review for  
22 ondansetron.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Zofran            or            ondansetron  
2 hydrochloride is a serotonin HT<sub>3</sub> receptor  
3 antagonist. Although its mechanism of action  
4 is not fully characterized, ondansetron's  
5 binding to serotonin receptors is thought to  
6 block the stimulation of vagal afferents that  
7 initiate the vomiting reflex. The drug  
8 sponsor is GlaxoSmithKline and original market  
9 approval occurred on January 4, 1991 and  
10 pediatric exclusivity was granted on December  
11 1, 2004.

12                   Prior to the pediatric exclusivity  
13 studies, ondansetron was indicated for the  
14 prevention of nausea and vomiting associated  
15 with initial and repeat courses of emetogenic  
16 cancer chemotherapy, including high dose  
17 cisplatin, and the prevention of postoperative  
18 nausea and/or vomiting. And for the remainder  
19 of this presentation, I will abbreviate  
20 chemotherapy-induced nausea and vomiting as  
21 CINV and postoperative nausea and vomiting as  
22 PONV.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The next three slides provide  
2 information about the use of ondansetron in  
3 outpatient and inpatient settings. In the  
4 outpatient setting, 1.6 million ondansetron  
5 prescriptions were dispensed for all age  
6 groups during the 12 month post-exclusivity  
7 period. 6.6 percent of these prescriptions  
8 were for the pediatric population.

9           There was an 11 percent increase  
10 in outpatient prescriptions for all age groups  
11 between the 12 month pre and post-exclusivity  
12 period with a 39 percent increase for the  
13 pediatric population.

14           Ob/gyn was the most frequent  
15 prescriber specialty during the 12 month post-  
16 exclusivity period at 23 percent compared to  
17 pediatrics at 4 percent. Malignant neoplasm  
18 of the brain was the diagnosis most frequently  
19 associated with ondansetron use in the  
20 pediatric population at 18 percent.

21           In the inpatient setting, per a  
22 database of 450 acute care hospitals, there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 were, approximately, 390,000 in-patient  
2 discharges associated with ondansetron use for  
3 all age groups during the six month post-  
4 exclusivity period. 3.2 percent of these  
5 drug-associated discharges were in the  
6 pediatric population.

7 There was a 2.7 decrease in  
8 discharges associated with ondansetron use for  
9 all age groups between the pre and post-  
10 exclusivity periods and a 7.3 percent decrease  
11 in the pediatric population.

12 Prior to the FDA's issuance of a  
13 written request for pediatric studies, there  
14 already was drug labeling for older children.

15 Thus, the written request sought studies of  
16 younger populations for which there were no  
17 data.

18 Three trials contributed to the  
19 pediatric exclusivity studies. Number one was  
20 a PONV pharmacokinetics or PK study in 1 month  
21 to 2 year-olds in which 51 pediatric surgical  
22 patients utilized ondansetron

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prophylactically.

2           Number two was a PONV efficacy and  
3 safety study in 1 month to 2 year-olds in  
4 which 670 pediatric surgical patients utilized  
5 ondansetron or placebo prophylactically, and  
6 number three was a CINV efficacy and safety  
7 study in 6 month to 4 year-olds in which 76  
8 pediatric cancer patients receiving moderately  
9 or highly emetogenic chemotherapy utilized  
10 ondansetron prophylactically.

11           The PK study in 51 pediatric  
12 patients utilized a multi-center, two-arm,  
13 single dose design with doses of 0.1  
14 milligrams per kilogram or 0.2 milligrams per  
15 kilogram IV. The results were that drug  
16 clearance was lower and half-life was  
17 prolonged in patients 1 to 4 months-old  
18 compared to those greater than 4 months to  
19 less than 2 years-old.

20           The population PK analysis  
21 combined data from the PK study and the CINV  
22 study, that I will describe in upcoming

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 slides. The population PK results were that  
2 0.15 milligrams per kilogram per dose IV every  
3 four hours for three doses in cancer patients  
4 aged 6 months to 4 years-old resulted in  
5 systemic exposure levels similar to those  
6 achieved in older pediatric cancer patients at  
7 similar doses.

8 The PONV study in 670 pediatric  
9 patients was a multi-center double-blind  
10 placebo-controlled, randomized study of a  
11 single dose of 0.1 milligrams per kilogram  
12 ondansetron IV administered within five  
13 minutes following anesthesia induction.

14 The primary endpoint was the  
15 proportion of patients experiencing at least  
16 one episode of emesis during the 24 hour  
17 assessment phase. There were five secondary  
18 endpoints that included time to first emetic  
19 episode, time to first rescue medication,  
20 incidence of emetic episodes, proportion of  
21 patients receiving rescue medications and  
22 proportion of patients with emetic episodes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after the receipt of rescue medications.

2 For the PONV efficacy results,  
3 fewer patients experienced at least one emetic  
4 episode in the drug group at 11 percent or 38  
5 out of 335 compared to the placebo group at 28  
6 percent or 93 out of 335. In addition, the  
7 drug performed better than placebo in four of  
8 the five secondary endpoints, including time  
9 to first emetic episode, incidence of emetic  
10 episodes, proportions of patients receiving  
11 rescue medication and proportion of patients  
12 with emetic episodes after the receipt of  
13 rescue medications.

14 The CINV study in 76 pediatric  
15 patients was a multi-center, open-label study  
16 with three doses of 0.15 milligrams per  
17 kilogram ondansetron IV. This dose was based  
18 on the results of the PK evaluation, a review  
19 of the worldwide literature on the use of  
20 ondansetron in children, a survey of  
21 ondansetron use by pediatric oncologists and  
22 current prescribing information for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701