

1 have a lower incidence or prevalence of variant CJD,  
2 very few cases across Europe compared to even France,  
3 and then also the amount of beef products that they  
4 received is much less. So, the relative risk there is  
5 much less.

6 All right, so the next component is the  
7 percentage - we had to figure out the percentage of  
8 donors that actually traveled to these countries for  
9 a duration or a period of time that they would have  
10 been exposed. So, this slide is actually incorrect,  
11 I didn't give an updated slide, but this is  
12 approximately 7.2 percent of U.S. residents had a  
13 history of travel to the U.K. and Europe during the  
14 1980s and 1990s. Three percent of those individuals  
15 were military and dependents, hence, we are treating  
16 those separately in the equations that we are doing.  
17 They also tend to spend approximately two years, one  
18 year, they have more sort of defined periods of time  
19 that they were in the United Kingdom or in Europe.

20 Of blood donors that were questioned, 1.7  
21 percent traveled to the U.K. during the time period  
22 1980 to 1996 for a three-month period, and then .2  
23 percent for a five-year period since 1980, and then .7  
24 percent traveled to Europe since 1980 for a five-year  
25 period.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The duration of travel. So, we have  
2 information from U.S. surveys that were conducted in  
3 blood banks and blood organizations, and we have  
4 information on travel history and duration of travel,  
5 and Alan Williams has presented this, from the FDA,  
6 has presented this in previous meetings, so I'm not  
7 going to in depth into it, and he'll probably actually  
8 touch on it this afternoon as well in his talk.

9           But, travel history and duration of travel  
10 was taken from that information and incorporated, so  
11 we could get the number of days and years that people  
12 resided or traveled to these three areas of the world,  
13 U.K., France and Europe. That was included in the  
14 model.

15           What we assumed was that blood donor  
16 travel history is the same for plasma donors. Again,  
17 plasma donors are known to have less of a probability  
18 of having traveled, or less of a history of travel to  
19 U.K. and Europe than blood donors, but right now we  
20 have the best information for blood, so we are  
21 assuming they are the same.

22           Just sort of moving on quickly, talking  
23 about plasma donation, so a plasma donor walks in, we  
24 want to know something about their age of donation,  
25 because that's related to variant CJD rates, so most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the individuals that donate are under the age of  
2 35, I believe that's about 70 percent of donors, and  
3 that's really the group that's largely affected or  
4 most affected by variant CJD. So, the median age,  
5 remember, for variant CJD is 28 years, so there's a  
6 lot of overlap and a lot of potential for risk in the  
7 U.S. from these groups, because they so overlap in  
8 their demographics.

9 The probability of variant CJD donation  
10 per plasma pool, we've calculated that. The quantity  
11 of ID50s, again, what we are doing here is, the  
12 quantity of ID50s we are getting in from the previous  
13 model, our estimates of quantities of ID50s from blood  
14 and animal studies, and then the probability of donor  
15 deferrals. So, this all goes into the plasma  
16 donation.

17 The probability of donor deferrals is  
18 quite important, because we think that that's an  
19 effective risk reduction strategy and probably knocks  
20 out about 90 percent of the risk or more. So, that's  
21 an important component of all this.

22 So, just to give you an idea. This is  
23 some of the representative data we are using. This  
24 model uses estimated age-specific source plasma  
25 donation rates. So, we characterize all donors by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these characteristics, and, for instance, this is  
2 about 70 percent of the donors fall under age 34.  
3 People over 35 represent about 20 to 30 percent.

4 Some of the other data we use for the  
5 model is the age-specific variant CJD rates. Again,  
6 we are plugging this all in to see the overlap between  
7 these two age demographics of donation and variant CJD  
8 rate in these populations.

9 The probability that a variant CJD  
10 donation will be in a plasma pool or the probability  
11 of variant CJD per plasma pool, what we are doing here  
12 is, we are using a mathematical equation, a binomial  
13 distribution, to estimate the number of variant CJD  
14 donations per pool. Basically, the assumption is, is  
15 that the risk is very low, the variant CJD prevalence  
16 in the United States is very low, so the chances of  
17 getting one or more donations in a pool is very small.  
18 The chance of even getting one donation in a pool is  
19 small, but it is possible.

20 So, this equation, basically, gives us the  
21 probabilities. We can plug it in for zero donations,  
22 which, you know, would probably be something like 99  
23 percent or more, but you'll have a few pools that will  
24 have donations, and then we can run the probabilities,  
25 plug in one, run the probability, two, what's the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 chance of having two donations, three donations, very  
2 small probabilities once you get past one, of having  
3 more than one donation per plasma pool, unlike the  
4 situation with Factor XI this morning.

5 All right. The quantity of agent, I'm  
6 just going to say this is data from this morning, so  
7 I'll refer you to that from the earlier presentation.,  
8 all assumptions that you've seen before.

9 Again, the probability of donor deferral,  
10 we assumed that the universal donor questionnaire,  
11 with the questions on travel history, are about 90 to  
12 95 percent effective in eliminating potential donors  
13 that may have been exposed to BSE through travel  
14 history to the U.K., France or Europe.

15 All right, so processing, I'm not going to  
16 say much about this, because this is very similar to  
17 Factor XI. The effects of processing do reduce -  
18 potentially reduce the amount of variant CJD  
19 infectivity. I think it's important to emphasize the  
20 processes, like Dot had just mentioned, processes are  
21 going to vary for each different product. You use  
22 different sort of methods of purification. So,  
23 specific reduction is going to be based on processing  
24 steps, and I haven't presented a complete picture  
25 here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           What I'm going to do is present some of  
2 the information for Factor VIII. So, high purity  
3 product, for instance, is an immunopurified product,  
4 and then intermediate uses some other steps, like  
5 alcohol precipitation, chromatography, et cetera.

6           And, the levels of reduction we're  
7 assuming for Factor VIII at this moment in time are  
8 given here. So, a minimum of three, most likely of  
9 five, a maximum of six logs of reduction for Factor  
10 VIII, and then a much lower profile two, three and  
11 four, for intermediate purity Factor VIII.

12           We get on to utilization. Again, we're  
13 interested in probability and quantity of exposure  
14 that influence - probability and quantity that a  
15 person will be exposed to the variant CJD agent is  
16 really influenced by the amount of product that they  
17 are using. So, if it's a one time or sort of an  
18 incidental use for surgery, like Factor XI, there's  
19 less of a risk, but we've got a number of patients  
20 that use these products, Factor VIII for instance,  
21 constantly. It's a chronic use type of situation, and  
22 there are also degrees of severity of disease, so very  
23 severe disease for, I believe, about 60 percent of the  
24 population, and the remaining population is less  
25 severe disease, and these patients that are taking the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 most product are the severe patients and would be at  
2 higher risk if there is a risk for variant CJD  
3 exposure.

4 I thought I would just present this, this  
5 goes back to Doctor Salman's question about  
6 variability and uncertainty. This is actually a good  
7 example of variability, so we are looking at  
8 utilization of products. This comes from a paper by  
9 Jeanne Linden's group in New York, where the mean  
10 utilization of Factor VIII was predicted at 200 - and  
11 you can say about 240,000 units, but there are  
12 percentiles around that utilization as well that we  
13 can do. So, that represents - we know that  
14 information, so this is true variability.

15 So, episodic, just to note, if you are  
16 treated on an episodic basis about two and a half  
17 times less amount of product you are using and being  
18 exposed to. Again, what we are doing is, we are not  
19 only doing Factor VIII, but Factor IX, immunoglobulins  
20 and albumin in this larger risk assessment. So, the  
21 concepts for utilization for these products is very  
22 similar. We are interested in probability and  
23 quantity of exposure, and that's influenced by  
24 utilization, processing, and a number of different  
25 specific, product-specific steps that go into the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 manufacture and use of these products.

2           Again, I think I've just covered most of  
3 those points in what I said.

4           Many of the concepts in this model are  
5 very similar. You know, probability of variant CJD,  
6 the probability of contaminated pool, effectiveness of  
7 screening questionnaire, all of those are sort of the  
8 common components of these models, and then what's  
9 going to vary are the plasma pool size, the reductions  
10 that we see, product package size, amounts dispensed,  
11 and then utilization by patients. Those things are  
12 going to vary, but these are going to pretty much stay  
13 the same.

14           So, to sort of move on. Again, more data  
15 are needed, and we've talked about these data needs,  
16 and I think I'll leave that for the Committee to  
17 discuss, and then I just again wanted to thank all  
18 these people. I have a limited list of people. Hong  
19 Yang, though, has been doing most of the modeling, and  
20 so she's done an extreme amount of work just in sort  
21 of, you know, developing this model and getting the  
22 Factor VIII and several other models up to snuff.

23           And then, we have a lot of people  
24 providing technical assistance, and a lot of people  
25 that I haven't mentioned. Again, I have to say that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 I'm really pleased by the compliments that we've  
2 received, but, you know, it is Steve Anderson sort of  
3 the face of this, but underneath it all there is this  
4 whole effort by a large number of people that really  
5 need recognition as well.

6 So, I thank you for your time.

7 CHAIRPERSON PRIOLA: Okay, thank you very  
8 much, Doctor Anderson and Doctor Scott.

9 We are going to have a discussion and vote  
10 period after lunch, but I want to give you an  
11 opportunity to ask any pressing questions right after  
12 these talks.

13 So, Doctor Salman, do you have a question?

14 DOCTOR SALMAN: Thank you, Doctor Anderson,  
15 it was a very good presentation, but just a couple  
16 points for clarification.

17 In your model D, you are saying the output  
18 will be annual predictions?

19 DOCTOR ANDERSON: Right, based on  
20 utilization of the product, but it could be different  
21 because things like albumin are used more for a  
22 surgical procedure.

23 DOCTOR SALMAN: So, I assume, just  
24 listening to the methodology, you are taking the  
25 entire cumulative data, and then you try to divide

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           them by the number of years? How you will get annual  
2           prediction, that's what I don't know how you will get  
3           this.

4                           DOCTOR ANDERSON: I'm sorry, what?

5                           DOCTOR SALMAN: How you will get the annual  
6           predictions, when you are dealing with a cumulative  
7           data of several years, unless if you assume like the  
8           exposure are equal among all these years, is that  
9           right?

10                          DOCTOR ANDERSON: Right now, the model is  
11           assuming - you mean for the U.K. prevalence, for  
12           instance?

13                          DOCTOR SALMAN: U.K. prevalence or any of  
14           this.

15                          DOCTOR ANDERSON: >From the period from  
16           1980 to 1996.

17                          DOCTOR SALMAN: Yes.

18                          DOCTOR ANDERSON: We haven't done, right  
19           now we are assuming equal risk throughout those entire  
20           years, from 1980 - for each year. Right now, it's  
21           equal. I think what we need to do in the model that's  
22           taking a lot of time is, we'll actually link it to the  
23           BSE prevalence. So, it will be different, and we'll  
24           link it to the BSE prevalence and epidemic, and then,  
25           you know, it will be a more realistic representation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the risk.

2 DOCTOR SALMAN: And, that's taking me to  
3 the second question about the BSE, you are taking  
4 relative risk, which I think is a good indicator for  
5 France versus U.K., and versus Europe, but these are  
6 whatever is the output from the surveillance data.  
7 There are other countries, specifically, in Europe, in  
8 which they have much, much higher cases, but they have  
9 poor surveillance, and you are actually penalizing  
10 countries in which they showed result, where the other  
11 countries they don't show results, such as East  
12 Europe. I can tell you, if I'm traveling in East  
13 Europe I will be at much higher risk there to be  
14 exposed to the BSE agent as compared to France or  
15 Switzerland.

16 DOCTOR ANDERSON: Right. I mean, I would  
17 agree with you. Right now we haven't gotten to the  
18 refinements in the model. I mean, right now we are  
19 making large blanket assumptions, but, eventually  
20 we'll refine those estimates.

21 I think a good source to potentially do  
22 that could be the geographic-based risk assessments,  
23 but, you know, the information on those countries that  
24 aren't doing surveillance are really poor, but you can  
25 also consider other factors in risk, as far as do they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have food chain controls in place, do they have  
2 surveillance, et cetera, and then link it to what's  
3 been determined by the GBR, the geographic-based risk  
4 assessment.

5 DOCTOR SALMAN: I really believe, like if  
6 you take the GBR classification that will be much  
7 better, and associate that with your calculation of  
8 relative risk.

9 DOCTOR ANDERSON: I just want to say, I  
10 mean, I like getting these ideas from people, because  
11 it tells us what direction we should really be going,  
12 and what you are saying is, we need to refine these  
13 estimates as much as possible. It does take a great  
14 deal of work to do that, but we are putting that  
15 effort in.

16 So, it just takes time, you know, we've  
17 got bundles of data out there to sort of go through  
18 and integrate in.

19 CHAIRPERSON PRIOLA: Are there any other  
20 questions from Committee Members before we break for  
21 lunch?

22 Okay, so let's break for lunch until 2:00,  
23 and come back with questions and discussion.

24 (Whereupon, the above-entitled matter was  
25 recessed at 1:04 p.m., to reconvene at 2:00 p.m., this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 same day.)

2 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

3 2:19 p.m.

4 EXECUTIVE SECRETARY FREAS: Okay, Doctor  
5 Priola, with your permission we are going to resume  
6 and starting with the open public hearing.

7 And, I would like to ask, I have not  
8 received any requests to speak in the second open  
9 public hearing, is there anyone in the audience who  
10 wishes to address the Committee at this time?

11 Yes, Doctor Baron.

12 DOCTOR BARON: Thank you.

13 My name is Henry Baron. I am an employee  
14 of ZLB Behring, a major producer of plasma protein  
15 therapeutic agents, and I'm also the Chairman of the  
16 TSE Task Force of the PPTA, the Plasma Protein  
17 Therapeutics Association, and I just wanted to make a  
18 comment about the risk assessments and the exposure  
19 assessments that we've seen today.

20 I think that there was unanimity, as far  
21 the fact that the models being used to generate an  
22 outcome in these assessments appear to be valid. I'm  
23 no expert about that, but it certainly - they  
24 certainly look like good models to me.

25 Where the problems seemed to occur were in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the data gaps that led to some of the assumptions, and  
2 if there were two assumptions that had a huge impact  
3 on the final outcome of the assessments those were the  
4 ones involving the estimation of the prevalence of  
5 vCJD in a given donor population, and the estimation  
6 of the amount of infectivity in the blood of a vCJD  
7 patient. And, these are the two areas with the  
8 highest degree of uncertainty.

9 Now also, clearance factors were indicated  
10 as a critical variable as well by Doctor Scott and  
11 also by Doctor Anderson, and I think that there's less  
12 variability there, even in view of some of the caveats  
13 demonstrated by Doctor Scott, because of the fact that  
14 even though people are doing these studies in very  
15 different ways the data seems to converging in the  
16 same direction.

17 But, I would like to bring us back for a  
18 second, if the Committee would consider this, to a  
19 question that Doctor Gambetti asked when looking for  
20 ways to fairly rapidly address one of the main data  
21 gaps, and that was the one that involves the amount of  
22 infectivity potentially present in the bloods of vCJD  
23 cases. And, he asked the question to Steve DeArmond,  
24 who seemed to give an unqualified no, there doesn't  
25 seem to be anything that we can really do in a short

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 amount of time.

2 And, I would just like to suggest that  
3 there could potentially be something that could be  
4 done in a short amount of time if the FDA could make  
5 it an aggressive part of its agenda to try to obtain  
6 samples of blood and/or plasma from known vCJD  
7 patients in the U.K., these samples could be analyzed  
8 in a relatively fast amount of time by one of the  
9 laboratories currently implementing and employing the  
10 CDI immunoassay, which has an extremely high degree of  
11 sensitivity as far as human prions are concerned.

12 So, I think that this is not an approved  
13 test, as you had asked earlier, Doctor Priola, it's  
14 not an approved test, but it is, nonetheless, the  
15 endogenous infectivity experiments which have been  
16 used to be the basis for the current estimations, or  
17 the current assumptions are not approved tests either.  
18 It's a research tool, but it's a highly-effective and  
19 highly-sensitive research tool, that I think could  
20 give us some reasonably realistic and relevant answers  
21 about the potential or the amounts of potential  
22 infectivity in blood.

23 And, that's what I wanted to say. Thank  
24 you.

25 DOCTOR SCOTT: I would just like to comment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on that. I think it would be useful for everybody to  
2 understand what these sensitivity and specificity of  
3 those tests are for blood of infected animals.

4 DOCTOR BARON: As far as blood of infected  
5 animals is concerned, there has been no study done  
6 with the CDI, as far as I know. Now, Steve DeArmond  
7 might have some further comments to make upon that.

8 The sensitivity of those tests, as far as  
9 brain material is concerned, is that the CDI detects  
10 less than one ID50 per ml of brain homogenate, so you  
11 would have an answer at least as far as the ability to  
12 detect less than so much infectivity, as demonstrated  
13 in tests with brain homogenate.

14 As far as the animals are concerned, the  
15 problem is also what antibodies you are using with the  
16 test. The test that we've employed for the human  
17 prions uses antibodies that capture antibody, that  
18 only reacts with human PRP.

19 Steve, do you have any further comments  
20 that you would make?

21 DOCTOR DeARMOND: Not specific. All I can  
22 say is, there are multiple new ways of enhancing the  
23 sensitivity of the detection tests that our lab have,  
24 but Aguzzi's lab and other labs are using, and the  
25 most remarkable part about it is that they show

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 positivity even when the pathology is negative, that  
2 is, there's no vacuolation and when  
3 immunohistochemistry is negative.

4 And, what I was trying to say this morning  
5 is that today, because of these newer developments,  
6 and as I say, it's not just our group, it's multiple  
7 groups have done this, we are in a new era of  
8 detecting abnormal prion protein.

9 DOCTOR BARON: If I could just add that  
10 while we haven't tested human blood, because we  
11 haven't had access to those samples, what we have done  
12 is, we've taken variant CJD brain tissue and  
13 formulated it into different preparations, including  
14 microsomes, caveolae-like domains, and purified PRP  
15 scrapie, and spiked that at the human plasma, and I  
16 can say that human plasma does not interfere with the  
17 ability of the CDI to detect those preparations of  
18 human prions.

19 EXECUTIVE SECRETARY FREAS: Thank you very  
20 much, Doctor Baron.

21 Is there anyone else in the audience who  
22 would like to address the Committee during this open  
23 public hearing?

24 Seeing noone else, Doctor Priola, In turn  
25 the meeting over to you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON PRIOLA: Okay, so this is the  
2 discussion part of Topic 2, where the FDA wants the  
3 Committee to consider and comment on the U.S. risk  
4 model in regards to assessing risk for TSE  
5 contamination and exposure via U.S. plasma  
6 derivatives.

7 So, the comments are just as in the - just  
8 as for the first topic, that is, do we have any  
9 comments on the model per se, and is there any  
10 additional information that is needed to improve the  
11 risk estimates for the various plasma derivatives?

12 Doctor Bracey?

13 DOCTOR BRACEY: In have one question, and  
14 that is, unlike the U.K., there seems to be sort of a  
15 greater variance in terms of variability, in terms of  
16 how plasma products are made in the U.S., and,  
17 perhaps, Doctor Petteway could comment on that. Are  
18 there, I understand that there has been a move,  
19 perhaps, by some to increase the number of apheresis  
20 donations, and, therefore, perhaps, the volume in a  
21 given lot of product might be greater.

22 What is the trend, and what is the  
23 uniformity, and, you know, is the U.S. product that  
24 different?

25 DOCTOR PETTEWAY: Well, in general, cone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fractionation uses ethanol, pH, temperature to  
2 precipitate proteins along a train that's then  
3 purified.

4           There's a significant difference in the  
5 way that those steps are coupled together and not  
6 coupled together. One company may take three steps  
7 and make three fractions, fraction I, II and III, all  
8 in one process step, and that has one set of  
9 consequences for partitioning. Another company may  
10 separate the fraction I part of it from the fraction  
11 II plus III.

12           So, in general, for the data that we have,  
13 where different companies have used different  
14 processing to look at removal, as Hank Baron said,  
15 they all converge and they all coincide, and as you  
16 move down the trunk you remove prion.

17           That said, I think as Dorothy Scott said,  
18 they also differ significantly beyond the trunk. So,  
19 the trunk is one aspect, but, perhaps, some of the  
20 most important removal steps are in the purification  
21 processes, and they are very different from company to  
22 company. So, they really have to be looked at  
23 independently.

24           And, if you are going to look at them as  
25 a composite, then you have to look at, say, for all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 immunoglobulin products, then you have to look at a  
2 composite of data from different companies.

3 And, actually, I think that industry has  
4 presented that sort of data here before.

5 CHAIRPERSON PRIOLA: Doctor Hogan?

6 DOCTOR HOGAN: Yes, I'm going to come back  
7 to this question again, and I really don't know the  
8 answer to this, I'm not an epidemiologist or a  
9 statistician, but if you are looking at prevalence  
10 rates, that's a population-based figure, and I still  
11 think you need to think about, or add to your model,  
12 or at least consider, what percentage of a certain  
13 prevalence might donate. That is, if only 7 percent  
14 of the 28-year olds are going to donate, why would you  
15 expect 100 percent of those patients to have CJD,  
16 vCJD, would donate?

17 So, I don't know, is that a useful tool to  
18 put into your model, or am I just confabulating?

19 CHAIRPERSON PRIOLA: Doctor Anderson, do  
20 you want to comment on that?

21 DOCTOR ANDERSON: Can you repeat that?

22 CHAIRPERSON PRIOLA: Could you go to the  
23 microphone?

24 DOCTOR ANDERSON: Sorry.

25 DOCTOR HOGAN: Essentially, what I'm asking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is, when we look at corneal donor data -

2 DOCTOR ANDERSON: Sure.

3 DOCTOR HOGAN: - and there's two ways of  
4 looking at it, that everybody that has CJD donates, or  
5 the percentage of people in a specific population  
6 would donate, that is, the corneal donor population is  
7 less than 1 percent of the total population.

8 DOCTOR ANDERSON: Right.

9 DOCTOR HOGAN: So, you don't look at all  
10 corneal donors, you look at 1 percent of the  
11 population.

12 DOCTOR ANDERSON: So, the question is, is  
13 there a way for us to narrow down the population that  
14 we are looking at that's at risk for variant CJD in  
15 donations?

16 DOCTOR HOGAN: That is, you say there's a  
17 prevalence rate of vCJD, what percentage of that  
18 population, given the ages or whatever, might be  
19 expected to donate? You can assume 100 percent for  
20 worst case scenario, but what's the real world?

21 DOCTOR ANDERSON: Right. We are trying to  
22 adjust for that as much as possible by including age  
23 specificity for variant CJD rates and then donation  
24 rates. But, I can't, beyond that, really sort of  
25 narrow it any further. I mean, we are open to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 suggestions in doing that.

2 CHAIRPERSON PRIOLA: Doctor DiMichele?

3 DOCTOR DiMICHELE: In think I might know  
4 the answer to this question, but I feel like I need to  
5 ask it anyway.

6 There's also an issue that we are going to  
7 be discussing later on, and that's the whole issue of  
8 Euroblood and the four million units that sort of have  
9 come into the United States, primarily, in the New  
10 York area.

11 And so, I was just wondering if  
12 transfusion acquired variant CJD needs to be included  
13 in the model.

14 CHAIRPERSON PRIOLA: Doctor Anderson?

15 DOCTOR ANDERSON: We can do that, and  
16 that's probably something that we can consider in the  
17 future. We are not considering - I mean, I haven't  
18 incorporated that concept yet in the model, but  
19 certainly something that we can do.

20 CHAIRPERSON PRIOLA: Doctor Belay?

21 DOCTOR BELAY: Related to that, I was a  
22 little bit worried about the 90-95 percent  
23 effectiveness of the donor deferral policy. That  
24 seems to be the assumption in the model.

25 My recollection was the 90-95 percent was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 removal of the person time exposure in the U.K. or  
2 other European countries, rather than the risk of  
3 exposure.

4 So, the actual risk of exposure that's  
5 reduced by the donor deferral policy could actually be  
6 smaller.

7 DOCTOR WILLIAMS: You're correct. They are  
8 really two different concepts. The deferral for  
9 geographic risk by our calculations, given the overall  
10 potential geographic exposure, removed 91 percent of  
11 that risk.

12 I think what Steve was referring to in the  
13 model was, actually, something like the predictive  
14 value of the question itself, to remove those folks  
15 who, for instance, for the U.K. had a three month or  
16 greater geographic exposure.

17 I believe the model does not cover that  
18 residual 9 percent risk for those who had a shorter  
19 geographic exposure.

20 EXECUTIVE SECRETARY FREAS: Those comments  
21 were made by Doctor Alan Williams.

22 CHAIRPERSON PRIOLA: Any other comments  
23 from the Committee on this issue?

24 Is there a consensus of the Committee that  
25 this model is - the Committee is as satisfied, in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 general, with this model as the one presented earlier  
2 this morning for Factor VIII, since they are  
3 relatively similar with these differences, donor  
4 addition and what not? I see nodding. Okay.

5 One more chance, any other comments?

6 Doctor Belay?

7 DOCTOR BELAY: Yes, Doctor Steve Anderson  
8 maybe already is thinking about this issue, but I  
9 didn't see it on the slides so I just wanted to  
10 mention it, and that is, I think the risk assessment  
11 should be stratified by the time that the donor  
12 deferral policy actually came into effect. In other  
13 words, the risk before 1999 and after 1999 is  
14 dramatically different, and the risk assessment should  
15 accordingly be stratified during at least the two time  
16 periods, although there are some nuances and  
17 differences in terms of the time period that the  
18 different donor deferral policies were instituted.

19 DOCTOR ANDERSON: Right.

20 I can tell you right now that we are  
21 focusing on one year, which is the current year or one  
22 of 2003, 2004, and trying to get the model set for  
23 that period, and then the idea would be to sort of  
24 move back and look at, you know, previous time  
25 periods, like before these mitigations were put in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 place and the donor deferral policies, et cetera, and  
2 look at the risk for these products at those times at  
3 well.

4 So, right now we are just sort of starting  
5 where we have the most information and developing the  
6 model, then we'll sort of probably do a retrospective  
7 look at previous years as well.

8 DOCTOR BELAY: Right.

9 Obviously, the bulk of the risk would be  
10 pre-1999.

11 DOCTOR ANDERSON: Sure.

12 CHAIRPERSON PRIOLA: Doctor Schonberger?

13 DOCTOR SCHONBERGER: One other refinement,  
14 perhaps, is the issue of when they actually were  
15 visiting the U.K., and you talked about refining it  
16 per the epidemic of BSE, or having it proportional to  
17 the epidemic of BSE, but Doctor Belay has also  
18 mentioned preventative measures that could influence  
19 exposure of humans. I think the U.K. instituted their  
20 preventive measures for humans, at least, was around  
21 1999, figure a couple years to make it effective, so  
22 that, in fact, even though the peak may have been '92-  
23 '93, it may well be that the peak human exposure,  
24 given the preventative measures, may be a year or two  
25 earlier than that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So, you might want to take that all into  
2 consideration.

3           DOCTOR ANDERSON: I think we'd really like  
4 to do that, but we don't have travel - that type of  
5 travel history. We are getting this from survey data,  
6 so, you know, that type of survey information would  
7 have to be collected, and that's a pretty large  
8 undertaking.

9           DOCTOR SCHONBERGER: Yeah, I can imagine.

10          DOCTOR ANDERSON: So, we don't have a year  
11 of attribution for the travel, which is unfortunate,  
12 and that would certainly help in doing the types of  
13 things that you are talking about.

14          DOCTOR SCHONBERGER: Yeah, that came up in  
15 part because of this recent Japanese case that was  
16 only there for a month, and it happened to be 1989,  
17 which of all the years that might be the year, because  
18 that was the first time that the U.K. was starting to  
19 put in the preventative measures to protect human  
20 exposures.

21          DOCTOR ANDERSON: Right, and prior to, I  
22 think, you know, yesterday or the day before, we  
23 weren't really thinking about under three months as a  
24 risk.

25          DOCTOR SCHONBERGER: Yeah, exactly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DOCTOR ANDERSON: I think we'll have to  
2 reconsider that as well.

3 CHAIRPERSON PRIOLA: Doctor Belay?

4 DOCTOR BELAY: Last point. I think this  
5 current risk assessment focuses on blood derivatives,  
6 right?

7 DOCTOR ANDERSON: On blood?

8 DOCTOR BELAY: Blood derivatives, not blood  
9 components.

10 DOCTOR ANDERSON: Correct.

11 DOCTOR BELAY: Yeah, but we know blood  
12 components, particularly, before 1999, could  
13 potentially be highly risky, because -

14 DOCTOR ANDERSON: Right.

15 DOCTOR BELAY: - there was no donor  
16 deferral policy.

17 DOCTOR ANDERSON: Right.

18 DOCTOR BELAY: So, in fact, in most of the  
19 patients who potentially would have been exposed as a  
20 result of blood transfusions would probably be exposed  
21 to blood components collected from people who have  
22 visited to the United Kingdom before 1999.

23 How are you addressing this issue?

24 DOCTOR ANDERSON: We aren't addressing that  
25 at this point in time. I mean, that's something we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can certainly do in the future. Yeah, I can't really  
2 add much more to that. So, it's definitely something  
3 that we can consider in the future, but at this moment  
4 in time we are not doing a risk assessment on that  
5 topic.

6 DOCTOR BELAY: That's, obviously, a real  
7 risk than a theoretical risk for blood derivatives,  
8 that's basically what I'm trying to point out.

9 CHAIRPERSON PRIOLA: Doctor Allen?

10 DOCTOR ALLEN: This gets very difficult,  
11 and I think what I hear you saying with your cautious  
12 statements is, we really need to look at how reliably  
13 we can collect the data, how much effort has to go  
14 into collecting and analyzing that, and what are we  
15 really going to use it for as we move further back.

16 And, I think those are very important  
17 considerations, you know, from an epidemiological  
18 perspective what you've heard expressed is right on  
19 target, but I think unless you've got a good way to  
20 reliably collect the data it makes it very difficult  
21 to -

22 DOCTOR ANDERSON: Yeah, you are correct,  
23 because then we went into issues of, you know, recall-  
24 wise as you go further back. I mean, who can recall,  
25 you know, where they traveled, you know, a year ago,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 two years ago, three years ago, and the further and  
2 further you go back what you ate, the types of  
3 behavior, the activities, things that you did, that  
4 all becomes much more difficult, you know, the further  
5 back we go.

6 And so, that's some of the challenges that  
7 we are going to be facing if we sort of go in that  
8 direction. So, I think it's important to do, because,  
9 you know, we have had these deferrals and other  
10 policies that we've put in place relatively recently,  
11 in the last three or four years, but again, just  
12 collecting the data and the information, and getting  
13 our hands on it, is really difficult.

14 DOCTOR ALLEN: And, what you've just  
15 expressed is the real conundrum that faces all of us  
16 sitting around the table, as well as the Blood  
17 Products Advisory Committee, the FDA in general, in  
18 terms of how do you set policy that is reasonable,  
19 because, you know, I'm very concerned that we not do  
20 anything that is going to be disruptive to the supply  
21 of blood. On the other hand, we want to make it as  
22 safe and good as possible.

23 And, we need to be very clear in terms of  
24 every step that we take to enhance the safety of the  
25 blood supply, that it really is effective, so that I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think this kind of model, the studies that will  
2 emanate from it, are extremely important, but we  
3 always have to be trading off.

4 DOCTOR ANDERSON: Right.

5 Well, we are well aware, I mean, of the  
6 issue of balancing this safety versus supply, and so,  
7 you know, we can incorporate supply issues into the  
8 future models as well, because, you know, we have  
9 developed some minimal supply models, and, you know,  
10 do more of a risk-risk tradeoff type of model, as  
11 we're considering other deferral policies, et cetera,  
12 or other policies that might affect supply.

13 So, that's something that we can do in the  
14 future.

15 CHAIRPERSON PRIOLA: Doctor Telling?

16 DOCTOR TELLING: Could we review what we  
17 know about the etiology of this Japanese case? I  
18 think it would be useful for the Committee to visit  
19 this, it seems to me germane to this discussion.

20 CHAIRPERSON PRIOLA: Comments on the  
21 Japanese case?

22 CHAIRPERSON PRIOLA: Comments on the  
23 Japanese case?

24 DOCTOR SCHONBERGER: In just wrote an e-  
25 mail on it a couple days ago, I'll try to remember

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what I actually said, but I think the patient was in  
2 his 40s, that the onset was like in 2001, December I  
3 think, and the patient died three years later in  
4 December of 2004, 36 month duration. What we've  
5 learned is that there was a visit to - oh, just got my  
6 memo here -

7 DOCTOR ALLEN: Instant messaging.

8 DOCTOR SCHONBERGER: What I wrote here was  
9 that the CJD Surveillance Committee in Japan confirmed  
10 the case as vCJD as of February 4, 2005. The month of  
11 onset was December, 2001, and the month of death was  
12 December, 2004, so that's good. I am aware of only  
13 four vCJD cases, I wrote, with a longer duration of  
14 illness, so it's not the longest illness that ever  
15 occurred here. The vCJD case patient had been  
16 classified officially earlier by the Surveillance  
17 Committee as a probable sporadic CJD case, because of  
18 the report of the patient's developing an EEG finding  
19 more characteristic of sporadic CJD. So, this report  
20 indicated that late in a very long vCJD illness an  
21 EEG, more similar to that of the classic CJD, does not  
22 rule out vCJD, a fact that may not have been  
23 previously appreciated.

24 The patient is classified as definite,  
25 based on pathological Western Blot and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 immunocytochemistry findings. There's been genetic  
2 analyses performed, and they revealed no PRNP  
3 mutation. It also revealed the presence of the codon  
4 129 met/met homozygosity characteristic of all food-  
5 borne vCJD cases to date. A presumed exposure of the  
6 case patient to the U.K. in 1989, which was a one-  
7 month exposure, they did not say - have a history of  
8 what he ate or anything, but it suggested an  
9 incubation period of, roughly 12 years.

10 This probably, if it's true that that was  
11 the source, then I was saying that it suggests that  
12 one does not need cumulative exposures lasting for a  
13 year or more as some people have postulated was  
14 responsible for some of the cases.

15 And, more information from Japan should be  
16 forthcoming, and the source of this information is  
17 Doctor Yoshikazu Nakamura from Jichi Medical School,  
18 who is a member, as I understand it, of the CJD  
19 Surveillance Committee in Japan, and he helped us  
20 write a couple articles on dura mater, the dura mater  
21 epidemic that they are having, so we had just e-mailed  
22 him and asked whether he knew about this case, and  
23 that's what he answered.

24 CHAIRPERSON PRIOLA: Doctor Bird, did you  
25 have a comment that you wanted to make about something

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 that came up earlier?

2 DOCTOR BIRD: Yes, I did, just to remind  
3 the surveillance data from the United Kingdom relate  
4 to tissues that were removed at operation 1995 to '99.  
5 So, although the paper was published in 2004, it was  
6 a retrospective study, relevant to the period 1995 to  
7 '99, which is important.

8 CHAIRPERSON PRIOLA: Thank you.

9 Are there any other comments from the  
10 Committee for FDA? So, is FDA - oops, sorry, Glenn?

11 DOCTOR TELLING: You mentioned the John  
12 Collinge had done some studies on tonsil biopsies,  
13 what time periods did those tissues cover?

14 DOCTOR BIRD: I'm not absolutely sure,  
15 because as far as I'm aware those results haven't been  
16 published, but I think they are fairly similar towards  
17 the end of, you know, 1999-2000, so it's about the  
18 same sort of time period. But, it was a prospective  
19 collection of tissues for the prion unit.

20 CHAIRPERSON PRIOLA: Is FDA satisfied with  
21 the discussion on Topic 2? Okay.

22 I know we have a break scheduled here, but  
23 we just got back from lunch, so with the Committee's  
24 permission we'll go ahead to the speakers and take a  
25 break after that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, this will start a new topic, Topic 3,  
2           which has to do with the risk reduction aspect of all  
3           these models. That's the potential deferral of blood  
4           and plasma donors as a consequent of a history of  
5           transfusion in Europe, and the first speaker is Doctor  
6           Alan Williams.

7           DOCTOR WILLIAMS: Thank you. It's my  
8           pleasure to chair this third session.

9           This session can really be viewed as a  
10          continuation of the last TSE Advisory Committee  
11          meeting held October 14<sup>th</sup>, where the Committee heard  
12          an extensive set of presentations regarding to  
13          development of FDA policy related to interventions  
14          related to potential BSE vCJD exposure. And, although  
15          the Committee voted that the measures currently were  
16          adequate and did not recommend any additional changes,  
17          there were some threads of discussion in the course of  
18          the meeting that we wanted to pick up on and continue  
19          in a little more focused fashion in this session.

20          Specifically, some more discussion about  
21          history of transfusion in countries other than the  
22          U.K., specifically, France and other BSE countries of  
23          Europe.

24          Fortunately, I don't really need the  
25          slides for this intro, but the goal of this session is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that FDA seeks the advice of the Committee whether the  
2 recommended donor deferral for history of transfusion  
3 in the U.K., which is currently 1980 to present,  
4 should now be expanded to include history of  
5 transfusion in France and other BSE countries of  
6 Europe.

7 We have several data presentations in this  
8 session. The first speaker was scheduled to be Doctor  
9 Jean-Philippe Brandel, who is a Neurologist with the  
10 Epidemiosurveillance Network, however, he,  
11 unfortunately, was not able to travel, and his talk  
12 will be shared by two FDA speakers. The first will be  
13 a presentation on vCJD in France by Doctor Pedro  
14 Piccardo, who is with FDA, and the second will be risk  
15 of vCJD transmission by plasma-derived medicinal  
16 products, risk assessment in France, and this will be  
17 addressed by Doctor Steve Anderson.

18 The next talk will be deferral - I'm  
19 sorry, estimates of blood-borne vCJD risk in the U.K.  
20 and other European populations. This will be by  
21 Doctor Sheila Bird, with the Medical Research Council,  
22 Biostatistics Unit, Institute of Public Health, at  
23 Cambridge University, and then I will round out the  
24 speakers by addressing some of the risks and benefits  
25 of deferring donors transfused in France and other BSE

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 countries of Europe, potential impact on blood and  
2 plasma supplies.

3 Because I do want you to see the  
4 questions, I'm going to just take a second and flip to  
5 those slides. The first question for the Committee  
6 is, based on the available scientific information does  
7 the Committee recommend deferral of blood donors  
8 transfused since 1980 (A) in France, or (B) in other  
9 BSE countries of Europe?

10 Then, as a separate question considering  
11 the potential for infectious agent clearance, as part  
12 of the fractionation process, a separate question,  
13 based on the available scientific information does the  
14 Committee recommend deferral of source plasma donors  
15 transfused since 1980 (A) in France, or (B) in other  
16 BSE countries of Europe?

17 Thank you very much.

18 CHAIRPERSON PRIOLA: Okay, thank you,  
19 Doctor Williams.

20 I think we'll move on to the first part,  
21 so Doctor Piccardo will be giving part one, and the  
22 second part will be given by Steve Anderson, about  
23 variant CJD epidemiology in France.

24 DOCTOR PICCARDO: Okay.

25 So, as was said, unfortunately, Doctor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Brandel cannot be here, so I have to present for him.  
2 Unfortunately, for me, I did not have the chance to  
3 talk with Doctor Brandel about these slides, so I will  
4 make the best I can, try to be objective on this  
5 presentation.

6 The whole issue is about an overview of  
7 vCJD, the situation of vCJD in France. Okay.

8 So, there are nine patients with vCJD in  
9 France, eight died, five were diagnosed with definite  
10 vCJD and three with probable vCJD, and one of the  
11 cases is probably vCJD and is still alive. The age of  
12 onset is - the mean is 33, and between 17 and 52, and  
13 the mean duration of disease in months was 20 years.

14 What you see here is the distribution of  
15 cases according to year, and according to onset, and  
16 this is between 1994 and 2004, and according to death  
17 between 1996 and 2004. I think that the only thing we  
18 can conclude from this slide is that probably because  
19 of the small amount of cases is that there is no  
20 pattern or no trend.

21 Okay. So, the diagnosis of vCJD patients  
22 in France, the five definite cases had typical  
23 neuropathological data, just to remind you that to  
24 make the diagnosis of definite you need the  
25 neuropathology, and florid plaques, and the Western

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Blot analysis showed a type 2B according to a  
2 specification of Parchi and Gambetti. As you know,  
3 different - I mean, there are basically two groups  
4 that have a slightly different version of how to  
5 classify PrP, and one is John Collinge's group, and  
6 the other is Parchi and Gambetti, so this was  
7 classified as 2B, type 2B, according to the Parchi and  
8 Gambetti specification, and there are four probable  
9 cases, and to make it probable cases you need the  
10 clinical consistent with a vCJD case, plus the MRI  
11 that showed the typical posterior thalamic sign, and  
12 positive tonsillar biopsy shown by positivity for PrP  
13 by immunohistochemistry or Western Blot analysis.

14 Genetic analysis was done in all these  
15 cases, and in nine out of nine cases were met/met  
16 homozygous for the 129 polymorphic site on PrP, and  
17 there were no mutations detected.

18 Actually, this slide was not provided by  
19 Doctor Brandel, but we decided to include just a few  
20 slides to exemplify what we mean, and this is the MRI  
21 of a patient with vCJD, sorry, with sporadic CJD, that  
22 show high signal on the basal ganglia, and this is the  
23 classical or typical MRI for a patient with vCJD, that  
24 shows this high signal, pulvinar signal, or in the  
25 posterior thalamus.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And, what you see here is, basically, a  
2 case of sporadic CJD with a typical vacuolation, and  
3 usually patients with sporadic CJD show PrPres, or PK-  
4 resistant PrP that could be of approximately 21 or  
5 approximately 19 kV of the non-glycosylated isoform.  
6 Patients with vCJD that show in the pathology this  
7 typical florid plaque, usually show a pattern that is  
8 slightly different that is notorious for the presence  
9 - for the over-representation of the de-glycosylated  
10 PrP isoform as shown here.

11           Actually, this slide was provided by  
12 Doctor James Ironside, and this slide was - these two  
13 slides were generated at the Indiana Alzheimer's  
14 Disease Center, working for the WHO.

15           The other thing is that patients with  
16 vCJD, with clinical vCJD, that are positive, usually  
17 there is immunohistochemistry positive for PrP in  
18 tonsils.

19           Now, talking about, once again,  
20 specifically, about these nine patients that were  
21 recognized in France, these patients had no specific  
22 medical risk factor, so therefore there was no history  
23 of human growth hormone treatment, or dura mater  
24 graft, or neurosurgery, were not blood recipients, or  
25 treatment with albumin, immune globulins or clotting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 factors. Well, no other risk factors, for example,  
2 there was no family history of dementia or CJD, or  
3 contact with CJD patients, or contact - frequent  
4 contact with animals or animal products that - are  
5 considered to at risk, there were no professional risk  
6 factor, no special diet, and traveling the U.K.,  
7 except for short stays for three patients.

8 And now, what about, once again, we go out  
9 of the nine cases, two cases with vCJD happened to be  
10 blood donors. The first patient provided 14 donations  
11 between 1993 and 2003, that result in 13 transfusion  
12 of red cells and one transfusion of platelets, and the  
13 recipients, five are still alive, and nine are dead.

14 The second patient provided two donations  
15 in 1984, and the two - resulting two transfusions of  
16 red cells, and two transfusions of plasma, and seven  
17 donations between 1996 and 2002, that resulted in five  
18 transfusions of red cells and three transfusions of  
19 platelets.

20 Okay, what about risk for vCJD in France?  
21 And, the sources of exposure to BSE of the French  
22 population could be bovine carcasses and other beef  
23 products imported from the United Kingdom, endogenous  
24 BSE in French cattle, or travel to the U.K. It has  
25 also been recognized that the distribution of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 incubation period and age-specific susceptibility  
2 happens to be similar or the same to that seen in the  
3 U.K. population.

4 And, continuing with the risk factors, the  
5 predicted number of vCJD cases in France by birth  
6 cohort and gender, according to this publication, the  
7 total number of vCJD cases would be up to 33 cases,  
8 and 67 percent in the post-1969 birth cohort, and the  
9 number of cases would be equal to the number of  
10 infection, meaning that very few cases would be  
11 censored by death, meaning that if they are patients  
12 they will tend to die in that birth cohort due to  
13 vCJD, and not to other causes. And, no expected cases  
14 attributed to travel in the U.K., which is something  
15 that is not very clear to me.

16 And now, I'll pass on the podium to Steve  
17 Anderson, who is going to present the risk assessment,  
18 the French risk assessment.

19 DOCTOR ANDERSON: All right, I'm going to  
20 talk about the risk assessment that was done in  
21 France. You'll notice these slides are a little bit  
22 starker than the other slides.

23 Okay, this risk assessment, there were  
24 actually several iterations of the risk assessment and  
25 reviews and comments made on the document. The report

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is a December, 2000 document, "Risk Analysis of  
2 Variant CJD Transmission By Blood and Blood Products,  
3 and Recommendations," again, a number of different  
4 iterations to this French document.

5 The French document and risk assessment  
6 actually estimates numbers of cases of variant CJD  
7 based on the Ghani model, statistical model, and I  
8 discussed that a little bit earlier. I presented  
9 earlier a few starker estimates from that, but they  
10 are using a more realistic hypothesis or sort of the  
11 middle level hypothesis, that there is a mean  
12 incubation of the disease of 30 to 60 years, and you  
13 can predict 150 to 6,000 cases in the United Kingdom.

14 Earlier this morning, I think it was at  
15 the 230,000 range, at the upper end.

16 Again, there's a 20-fold lower level of  
17 exposure to the risk of BSE. That sort of relates to  
18 the relative risk that I was talking about for our  
19 risk assessments, 20-fold is about a 5 percent level  
20 compared to the United Kingdom, and that's based on  
21 consumption of bovine products, and then the number of  
22 cases of variant CJD in the U.K. versus the number of  
23 cases in France.

24 So, what they estimate is, the total  
25 number of cases in France would be six to 300 in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 next 60 years, and if you remember what I told you  
2 this morning, is that since the number of cases in the  
3 U.K. are sort of decreasing Ghani's most recent  
4 estimates suggest the number of cases in the U.K. are  
5 also decreasing, but what the French are doing is,  
6 they are keeping this assumption based on the earlier,  
7 more sort of conservative estimate, and using that in  
8 their estimate of risk for France.

9 And, what they are doing here, here are  
10 some of the estimates of the blood of these 300 - I'm  
11 sorry, assumptions that they are using in their risk  
12 estimates on the blood of these 300 subjects,  
13 currently asymptomatic, is infected throughout the  
14 incubation period. That's seen in several risk  
15 assessments. Blood donors are random sampled the  
16 French population. We also assumed that these are  
17 very sort of standard risk assessment assumptions.  
18 Asymptomatic subjects are restricted to the general  
19 population, and the prevalence of pre-clinical  
20 incubating disease is approximately in the blood donor  
21 population is 8.3 per million.

22 And then what they do, what that works out  
23 to is a maximum of one blood donation is predicted per  
24 120,000 that could be infected with variant CJD agent.

25 And, this is the risk for the transfusion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 product, assuming no significant reduction in  
2 infectivity occurs from the donor to the recipient.  
3 This is for blood product.

4 Working assumptions on infectivity of  
5 blood. They are using animal models as well. I think  
6 the important thing here is, not to sort of get  
7 confused, you are seeing a lot of numbers here, 20 to  
8 30 infectious units, that would correspond  
9 approximately to ten to 15 ID50s, because their one  
10 infectious unit is defined as a minimal infectious  
11 dose capable of transmitting the disease at 100  
12 percent level, essentially. So, this is what they are  
13 assuming, 20 to 30 infectious units per ml of blood.

14 And, they don't do a correction for the  
15 intracerebral route, which is probably a more  
16 efficient route compared to the intravenous route, so  
17 they are assuming intracerebral and intravenous are  
18 equally infective and capable of transmitting the  
19 disease.

20 The infectivity in blood, again, they are  
21 assuming, very similar to what's in the literature, 50  
22 percent of the infectivity is in plasma, 30 percent in  
23 buffy coat, so this is the number they are working  
24 with.

25 Now, they also do leukodepletion in France

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and so infectivity in the plasma, what they do is  
2 estimate it at not more than ten infectious units per  
3 ml, and that's intravenous, and that corresponds,  
4 essentially, to five ID50s per ml of blood.

5 Here are some further working assumptions  
6 in their risk assessment, just calculating residual  
7 infectivity in plasma-derived medicinal products.  
8 Some of the things that they follow are the volume of  
9 the plasma pools, every single pool is infected by at  
10 least one donation. The extraction yield for the  
11 protein, for instance, if it's Factor VIII then you  
12 have a range of, you know, 120 to 160 units per liter  
13 of plasma, they are assuming, you know, the lower end  
14 of that as far as the yield from these proteins that  
15 they are purifying.

16 The extraction yield for the proteins is  
17 at the lower end. Cumulative reduction factors  
18 resulting from the manufacturing processes, so you can  
19 - I believe these processes they believe are additive,  
20 although they don't add for reduction factors that  
21 have similar mechanisms, so they only allow for adding  
22 reduction factors for different processes. It can't  
23 be two ethanol precipitations, it's got to be, you  
24 know, two specific processes, like ethanol  
25 precipitation and chromatography, et cetera.

1 Total dose of the product received by a  
2 yearly patient is at the maximum dose regimen, so if  
3 it were a hemophilia A patient they would assume that  
4 they are receiving the maximum dose, if they had  
5 severe disease and were, say, on prophylaxis. So,  
6 that type of sort of the worst case for the treatment  
7 scenario.

8 Now they walk us through a number of  
9 different reduction steps that could occur during the  
10 processing of these different products that I'm going  
11 to show you a list of at the end, near the end of the  
12 talk. So, for cryoprecipitation, and these are of the  
13 assumptions that they are making for the levels of  
14 reduction associated with the process, so, for  
15 instance, cryoprecipitation and the cryoprecipitate,  
16 they assume zero levels, zero logs of reduction. But,  
17 up here for ethanol precipitation of fractions I and  
18 III in the supernatant the reduction factor is  
19 approximately three logs. Again, you can just go  
20 through these, four logs for ethanol precipitation of  
21 II and III in the supernatant, et cetera. So, they  
22 have a number of assumptions for different types of  
23 processes and allow for the variation in the  
24 processes, and their level of reduction accomplished  
25 with each.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Again, just going through more of the  
2 processes.           For instance, absorptions in  
3 chromatography, for instance, two to three logs of  
4 reduction, et cetera, so these are very sort of  
5 straightforward hypotheses. They don't allow for any  
6 reductions due to, I believe this was detergent,  
7 enzyme or heat treatments.

8                   So then, they go through a number of  
9 example calculations. They are going to present one  
10 here for Factor VIII. The smallest pool necessary for  
11 fractionation is approximately 4,000 liters. That, I  
12 think, sort of corresponds to about 20,000 donations,  
13 20,000 donations of recovered plasma that is.

14                   The extraction yield is about 100 units of  
15 Factor VIII per liter of plasma. The cumulative  
16 reduction factor, they assume a range which could be  
17 as low as four logs, and it's between four and seven  
18 logs or a max of up to seven logs, so between four and  
19 seven logs of reduction in their model for Factor  
20 VIII.

21                   Again, the yearly dosage that somebody  
22 might receive on Factor VII, they assumed the maximum  
23 dose regimen would be as high as 500,000 units, and  
24 given that theoretical residual infectivity in that  
25 product, if they receive all infected, contaminated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 product, would be  $2.4 \times 10^{-2}$ , or as low as  $2.4 \times 10^{-5}$ .

2 All right. So, what they do here is, they  
3 present sort of a tour de force of all these models,  
4 and they are just presenting results. So, for Factor  
5 VIII, they are presenting this sort of as three logs  
6 of reduction, greater than three logs of reduction the  
7 risk is  $10^{-3.21}$ , Factor IX it's  $10^{-5}$ , as far as levels  
8 of infectivity. Now, this is, remember, levels of  
9 annual infectivity, so what would be sort of typical  
10 for annual usage of these products.

11 And, what they are trying to show here, I  
12 think, is that there's a hierarchy of risk, some  
13 products are certainly riskier than others, as far as  
14 - and that is dependent on the types of processes and  
15 the utilization of these products and the number of  
16 other product specific processes.

17 So again, just albumin, again, down at the  
18  $^{-3}$  logs of ID50s, as far as risk, Hepatitis B down to  
19  $^3$ , you have some that are even lower, and  $^{-7}$  et  
20 cetera. So actually, what they've done is, a lot of  
21 simple calculations, but on a lot of products, and  
22 they give you at least this general range of risk for  
23 each product, so you know sort of a hierarchy of which  
24 products are the riskier, and which are less riskier.

25 So, what they are saying here in their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 conclusions, that the residual infectivity value given  
2 for each product must be considered as sort of  
3 indicative, or you might say relative, and it's not an  
4 absolute value, and although absolute values are  
5 imprecise the risk hierarchy, again, they are focusing  
6 on this risk hierarchy. The interesting thing out of  
7 this model is that they give you the risk hierarchy  
8 that you get from this model for the different  
9 products is more robust, and you can take more meaning  
10 from those, at least the relative risk from one  
11 product to the next.

12 And, none of the plasma-derived products  
13 has been judged as bearing a risk that would warrant  
14 its withdrawal.

15 Risk assessment in France, the measures  
16 taken in France, so they have permanent deferral for  
17 blood donations for previously transfused individuals,  
18 permanent deferrals for people that lived in the  
19 United Kingdom or British Isles, equivalent to one  
20 year between 1980 and 1996, and they also have  
21 mandatory plasma leukoreduction, plasma for  
22 fractionation undergoes this process, and you get just  
23 - I'm not sure exactly what that means - oh, removing  
24 down to or a minimum of  $10^4$  or  $10^6$ . These are two  
25 different types of process, plasma for fractionation,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 removes less cells, plasma for therapeutics remove  
2 more of the leukocytes per liter of cells.

3           Some of the other measures taken in  
4 addition, improvement of - and I'm not sure what LFB  
5 processes are, I assume those are the manufacturing  
6 processes for the production of these products, and  
7 they recommend TSE validation studies for the  
8 fractionation steps that are performed for these  
9 various different products, and then revision of the  
10 recommendations on the use of plasma-derived medical  
11 products.

12           Boy, they keep on going.

13           And then, they go into nanofiltration,  
14 which is a new technology for reduction of risk.  
15 Before 2000, they talk about for Factor XI and Factor  
16 IX, using these 15 nanometer filters, now you are  
17 using a combination, you are sort of hitting the  
18 products first with a larger filter, and then  
19 following it up with a smaller size filtration device,  
20 and that's just an improvement over the previous  
21 purification schemes for those products.

22           TSE validation for these processes, these  
23 are the numbers and the levels of reduction that they  
24 are achieving. So, for albumin precipitations of  
25 fractions I, II and III, you are getting greater than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 2.8 logs of reduction, et cetera. For the rest of  
2 these, and then they are looking at polyvalent IV  
3 immune globulin in Factor IX, and through  
4 nanofiltration with a 15 nanometer filter you are  
5 getting greater than 2.7 logs of reduction.

6 So, these are validated processes, and  
7 then they use those in their risk assessment to  
8 estimate risk. Validation again for Factor VIII, with  
9 nanofiltration you are getting the greatest, greater  
10 than 3.3 logs, von Willebrand factor, 3.9, et cetera.

11 All right, and that's it.

12 CHAIRPERSON PRIOLA: Okay.

13 Doctor Allen, I'm not sure it's entirely  
14 fair to ask too many questions, but go ahead.

15 DOCTOR ALLEN: Going back to one of the  
16 earlier slides, it talked about a mean incubation  
17 period of 30 to 60 years.

18 DOCTOR ANDERSON: Yes.

19 DOCTOR ALLEN: I wondered if the context  
20 seemed to be that, perhaps, that was a population-  
21 based incubation period with, perhaps, the out years  
22 ranging from 30 to 60 years? I don't know.

23 DOCTOR ANDERSON: Um -

24 DOCTOR ALLEN: I mean, 30 years as the low  
25 end of the incubation period is incredible.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DOCTOR ANDERSON: Right, and that would  
2 give you the lower estimate, but if they used a  
3 shorter incubation period I believe the numbers would  
4 go up, and they'd get more cases, basically.

5 CHAIRPERSON PRIOLA: Do you know why they  
6 picked that 30-year lower limit?

7 DOCTOR ANDERSON: I think in the study they  
8 just presented a number of different scenarios, like  
9 30 to 60, you know, ten to 20, et cetera, if I  
10 remember correctly. And so, and there were different  
11 case predictions from those studies.

12 DOCTOR JOHNSON: The mean age of onset of  
13 variant CJD is 29, it didn't work, and I think the  
14 longest incubation period on record are the kuru  
15 patients at 40 now. So, I don't know where they got  
16 60. Those numbers seem just way off base, I agree.

17 DOCTOR ALLEN: Yes. I guess I was assuming  
18 that if they looked at a fairly short, you know,  
19 controlled incubation - controlled exposure point, and  
20 then you follow the population through that, they are  
21 saying by the end of 30 years - I don't know, I just  
22 had trouble - I think there's probably a problem in  
23 interpretation here.

24 DOCTOR JOHNSON: At the end of 30 years  
25 they'll all be gone, or maybe as long as 60 years.

1 DOCTOR JOHNSON: Yeah, that was -

2 DOCTOR JOHNSON: Yes.

3 CHAIRPERSON PRIOLA: Doctor Belay?

4 DOCTOR BELAY: You say they permanent defer  
5 from donating blood patients who have been transfused?

6 DOCTOR ANDERSON: They did, yes.

7 DOCTOR BELAY: Did they do that for plasma  
8 donors, did they defer them from donating plasma, do  
9 you know?

10 DOCTOR ANDERSON: I don't know off hand.

11 DOCTOR BELAY: Jay, do you know?

12 DOCTOR ANDERSON: I don't think we know.

13 DOCTOR BELAY: That's one of the questions  
14 that we are asked in the document.

15 DOCTOR ANDERSON: Yeah, and I don't know  
16 what their policy is off hand.

17 CHAIRPERSON PRIOLA: Doctor DiMichele, did  
18 you have a question?

19 DOCTOR DiMICHELE: It was, actually, for  
20 Doctor Piccardo, and it was just the slide went by so  
21 quickly, I was wondering if we could re-clarify the  
22 second donor, how much that donor had actually  
23 donated, and the implications with respect to the  
24 recipients?

25 DOCTOR PICCARDO: That I can read to you.

1 DOCTOR DiMICHELE: Okay, yes, please.

2 DOCTOR PICCARDO: Second patient?

3 DOCTOR DiMICHELE: Yes.

4 DOCTOR PICCARDO: Two donations in 1994,  
5 and that was two transfusion of red cells, two  
6 transfusions of plasma, and the recipients are two are  
7 still alive, one dead, one to be confirmed. You don't  
8 have to take notes, I'll be happy to give you this.

9 DOCTOR DiMICHELE: Oh, okay.

10 DOCTOR ALLEN: Can you?

11 DOCTOR PICCARDO: Definitely, I will give  
12 you these. Okay? Do you want me to keep on reading,  
13 or should I just make the copies?

14 DOCTOR DiMICHELE: Okay, I'm sorry, of all  
15 of them, how many recipients are potentially still  
16 alive then from the two donors?

17 DOCTOR PICCARDO: >From the two donors,  
18 two, two are alive.

19 DOCTOR DiMICHELE: Oh, so just two.

20 DOCTOR PICCARDO: Two, yes.

21 DOCTOR SCHONBERGER: The first had five  
22 alive.

23 DOCTOR DiMICHELE: Five alive, yeah.

24 DOCTOR SCHONBERGER: So, there's five there  
25 alone.

1 DOCTOR PICCARDO: Let me see, of the second  
2 patient, yeah, second patient, recipients two still  
3 alive, recipients two still alive -

4 DOCTOR DiMICHELE: Okay, so a total of  
5 seven, okay.

6 DOCTOR PICCARDO: No.

7 DOCTOR DiMICHELE: A total of nine? Okay,  
8 never mind.

9 DOCTOR JENNY: Were any of the recipients  
10 of that blood tested? Do we know?

11 DOCTOR DiMICHELE: So, it was nine?

12 DOCTOR PICCARDO: I'll make you copies.

13 DOCTOR DiMICHELE: In think there was  
14 another question.

15 CHAIRPERSON PRIOLA: Yes, Pedro, Al Jenny  
16 had a question for you.

17 DOCTOR JENNY: Do we know if any of the  
18 recipients of the blood from those individuals were  
19 tested when they died, after they died?

20 DOCTOR PICCARDO: In don't have that  
21 information. If any of the recipients have been tested  
22 you say, that is the question?

23 CHAIRPERSON PRIOLA: It might be unlikely,  
24 because isn't it true that often - I mean, the  
25 transfusions are given to people in dire straits

1 frequently.

2 DOCTOR PICCARDO: Or, who are dying.

3 CHAIRPERSON PRIOLA: Right, so by the time  
4 these look-back studies are done that's probably not.

5 Doctor Johnson?

6 DOCTOR JOHNSON: Yeah, isn't the 1994 case  
7 the one in which there was a series of letters back  
8 and forth in Lancet about the body-building auto  
9 mechanic who was taking injections of pituitary  
10 hormones? I mean, I know they said no pituitary  
11 hormones, but isn't that the same case, that's the  
12 1994 case in France, I think, the first case, the  
13 first French case. It was reported then, there was a  
14 letter afterwards saying that he'd been taking some  
15 kind of pituitary hormone extract that was approved by  
16 the FDA of France for a while and then taken off the  
17 market.

18 DOCTOR BELAY: I don't know, the question  
19 is whether or not the first case in their slide refers  
20 to the very first vCJD case or the first vCJD blood  
21 donor, I don't know.

22 DOCTOR JOHNSON: I know there was a  
23 pituitary hormone.

24 DOCTOR DeARMOND: But, wasn't the pathology  
25 variant CJD in all of those cases?



1 DOCTOR JOHNSON: Yes.

2 DOCTOR BELAY: Yes.

3 CHAIRPERSON PRIOLA: Doctor Bird, do you  
4 have a comment?

5 DOCTOR BIRD: Just a clarification, that  
6 the two French vCJD cases who had been blood donors  
7 were the 8<sup>th</sup> and 9<sup>th</sup> case, so it was certainly not the  
8 first vCJD patient in France. The blood donor cases  
9 are France's 8<sup>th</sup> and 9<sup>th</sup> cases.

10 EXECUTIVE SECRETARY FREAS: Could I just  
11 clarify one thing for the record. If we get  
12 permission we, of course, will give the slides to the  
13 Committee member and to the public, we cannot give it  
14 just to the Committee members unless we have  
15 permission, because it's not in the public domain at  
16 this time.

17 CHAIRPERSON PRIOLA: If there are no more  
18 questions - whoops, there, Mr. Bias.

19 MR. BIAS: I don't know if this is a  
20 question, it sounds like we are asking a lot of  
21 questions, we are not getting a lot of answers, so I'm  
22 not even sure we are going to be able to answer the  
23 FDA's question, based on the information we've been  
24 given here.

25 But, it was nice of them to tell us how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1 many logs per product that you needed to remove - or  
2 that they consider safe, so you won't have to figure  
3 that out. That's good.

4 I don't know if I have a question, it's  
5 just that there seems to be a lot of missing  
6 information, and I'm not exactly sure we are going to  
7 be able to give you a confident answer without getting  
8 some of those questions answered.

9 I was also disappointed, and I guess LFB  
10 is the fractionator in that country, but we've written  
11 them several times about product lines that are  
12 finished or partially produced there and then shipped  
13 to the United States for sales, and we've been able to  
14 get very little information out of them as the  
15 manufacturer, which doesn't bode well in terms of  
16 building confidence in terms of this question that the  
17 FDA is asking.

18 CHAIRPERSON PRIOLA: Let me - what's your  
19 name, sorry?

20 DOCTOR WEINSTEIN: Weinstein.

21 CHAIRPERSON PRIOLA: Doctor Weinstein.

22 DOCTOR WEINSTEIN: Could you clarify that,  
23 because there aren't any licensed products, as far as  
24 I'm aware, LFB plasma derivatives, was this personal  
25 importation, is that what you are referring to?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1 DOCTOR ANDERSON: Are you talking about the  
2 ZLB?

3 DOCTOR WEINSTEIN: We are talking about  
4 LFB, the French company, a different company, LFB, not  
5 ZLB.

6 MR. BIAS: But, still my comment is, we  
7 don't seem to have all the information we need to  
8 answer your question.

9 CHAIRPERSON PRIOLA: Doctor Schonberger?

10 DOCTOR SCHONBERGER: Well, I was just going  
11 to comment that if in France they don't accept as  
12 donors people who have received blood in their own  
13 country, it's not clear that we should be. That's my  
14 comment, I guess.

15 CHAIRPERSON PRIOLA: The gentleman at the  
16 microphone, could you identify yourself, please?

17 MR. JACKMAN: Yes. My name is Dennis  
18 Jackman. I'm with the ZLB Behring, and I'm trying to  
19 answer Val's question, possibly, in some ways referred  
20 to as ZLB incident, actually, there was a donation  
21 from a single French donor in 1996, who then  
22 subsequently developed variant CJD. He was the 8<sup>th</sup>  
23 so-called French donor that went up in one batch of a  
24 European product which had been recalled, and there  
25 was notification given around the world on that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1           Beyond that, what LFB has done, I think  
2 you are trying to get answers to questions beyond  
3 that, whether other products might have been imported  
4 possibly under personal importation or other aspects,  
5 that would be something only LFB would know, and so we  
6 can't answer that any further.

7           So, just to clarify, I hope that clarifies  
8 a little bit.

9           MR. BIAS: Thanks, that clarifies it in  
10 terms of ZLB, and your excellent service to the  
11 community, it doesn't clarify it in terms of them  
12 answering questions that we might have about their  
13 processes over there, which makes the FDA's question  
14 loom pretty large if we can't get answers out of them.

15           CHAIRPERSON PRIOLA: Doctor Bracey?

16           DOCTOR BRACEY: In noted that when the  
17 Netherlands had the rejection of people that had been  
18 previously transfused, there was a loss of about 8  
19 percent of the donor base, and I think it would be  
20 important for us to know in France what the impact on  
21 the donor base has been, so that we can make an  
22 assessment of the tradeoffs.

23           CHAIRPERSON PRIOLA: Okay, if there are no  
24 other comments or questions we'll move on to the next  
25 speaker, and that's Doctor Sheila Bird, who is going

1 to talk about estimates of blood-borne variant CJD  
2 risk in the U.K. and other European populations.

3 DOCTOR BIRD: Thank you very much, Madam  
4 Chairman.

5 I'd like to talk about the BSE cascade  
6 through human dietary BSE exposure, primary exposure,  
7 to blood-borne vCJD exposure.

8 When we think about human dietary  
9 exposure, we have to consider U.K. exports of bovine  
10 carcasses, 60 percent of which went to France, U.K.  
11 exports of contaminated feed for cattle, and U.K.  
12 exports of infected livestock.

13 These last two featured large in the  
14 European Union's Geographic BSE Risk Assessment, which  
15 was concluded in 2000, by which the European Union  
16 regarded that all member states bar two were GBR III,  
17 in other words, BSE likely or proven at a low level.  
18 And, that classification applied to member states that  
19 had not had any clinical BSE cases. But, that  
20 geographic BSE risk assessment was part of the  
21 underpinning for the obligatory post-mortem BSE  
22 testing that was introduced on the 1<sup>st</sup> of January,  
23 2001 in the European Union.

24 You've heard earlier this afternoon about  
25 the work of Marc Chadeau and Annick Alperovitch, just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 published in January of this year, which suggested  
2 that in dietary exposure in France is about 1/20th of  
3 the U.K. dietary exposure, and that that dietary  
4 exposure in France was mainly through U.K. exports of  
5 bovine carcasses, 60 percent of our exports having  
6 gone to France, and, therefore, that would suggest  
7 that in other member states they would account for two  
8 thirds of the cases that one might be projecting for  
9 France.

10 U.K. human dietary BSE exposure raises the  
11 issue that we would in dietary cases expect a male  
12 predominance of 58 to 60 percent of dietary vCJD cases  
13 being male, on account of male's greater consumption  
14 of contaminated - of the likely implicated foods.

15 And, indeed, as you see here, variant CJD  
16 cases presumed dietary in the United Kingdom have been  
17 152 to the end of 2004, nine in France, as you heard  
18 earlier, and there have been seven other vCJD cases,  
19 two in the Republic of Ireland, one in Italy, one in  
20 Saudi, one in Japan just announced on Friday, one in  
21 Canada, and one in America.

22 When it comes to blood-borne vCJD  
23 exposure, we need to consider U.K. versus France,  
24 versus other exports of pre-clinical or sub-clinical  
25 infections of variant CJD. By pre-clinical, I mean

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients who might ultimately go on to develop  
2 clinical disease. By sub-clinical carriers, patients  
3 who potentially, even if no matter how long they  
4 lived, might never actually manifest clinical disease,  
5 and not only exports of individuals, but exports of  
6 contaminated blood and blood products.

7 I'd like to take you through a brief  
8 history of vCJD and BSE from 1980 to 1999, to remind  
9 you that BSE was announced in November, 1986, variant  
10 CJD on the 20<sup>th</sup> of March, 1996, although the first  
11 onsets of variant CJD were in 1994, and the first  
12 diagnoses in 1995.

13 The United Kingdom's BSE projections have  
14 been too low, largely because we assumed that later  
15 cases would be due only to maternal transmission,  
16 rather than continued exposure to contaminated feed.  
17 We were wrong, France protested, and the projections  
18 in various ways were revised.

19 Variant CJD projections, again, as you've  
20 heard, have been generally newsworthy, initially they  
21 were vast, and have been coming down until the latest  
22 surveillance data, which have put them up again, and  
23 I'll talk a bit more later about the geographic BSE  
24 risk assessment.

25 This shows abattoir removal of spinal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cords, so abattoir workers were the guardians of the  
2 public health. The BSE controls after November, 1986,  
3 remember, that highest infectivity is in brain, spinal  
4 cord and the dorsal root ganglia, which nestle closely  
5 against the vertical column. So, the first action of  
6 the - Food Committee was to introduce a slaughtering  
7 compensation policy to ensure that the carcasses of  
8 BSE affected cases, clinical cases, did not go into  
9 the human food chain, which they had been doing for  
10 about two years, and in August, 1988 also we  
11 introduced the ruminant food ban.

12 It was over a year later before the  
13 specified bovine offal's legislation was introduced,  
14 which removed brain and spinal cord, and some other  
15 tissues, from all slaughtered cattle, irrespective of  
16 BSE infected or not.

17 Over the next six years, there were some  
18 amendments to our specified bovine offal's regulations  
19 and inspections by the State Veterinary Service,  
20 particularly, in 1995, which eventually persuaded us  
21 that we were not doing a good job at the abattoirs  
22 and, in fact, we could not do an adequate job, so that  
23 the use of mechanically-recovered meat from vertical  
24 column walls ended in December, 1995.

25 Variant CJD announced in March, 1996 the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 recovery of head meat was ended in March 1996, our  
2 over-thirty-month scheme, whereby cattle slaughtered  
3 over the age of 30 months would not enter human food  
4 or animal feed chains introduced from April, 1996, and  
5 from August, 1996 a reinforced feed ban, which was  
6 supposed absolutely to protect our cattle from  
7 contaminated feed. It has not. We have had BSE cases  
8 in cattle born after the 1<sup>st</sup> of August, 1996.

9 And, the rest of the European Union  
10 introduced its reinforced feed ban from January, 2001  
11 and they, too, have had BSE cases in cattle born after  
12 January, 2001.

13 Human surveillance of CJD was reactivated  
14 in the United Kingdom in 1990. The remit of the CJD  
15 Surveillance Unit was to alert to any changes in the  
16 age-specific incidents, occupational distribution or  
17 dietary correlates of CJD that might alert to humans  
18 having been affected by exposure to BSE.

19 Presentation, if it happened at all, was  
20 considered more likely to be atypical, but, therefore,  
21 couldn't be described in advance.

22 And, of course, the CJD Surveillance Unit  
23 sadly fulfilled its remit spectacularly well, ten  
24 cases of variant CJD were announced on the 20<sup>th</sup> of  
25 March, 1996, characterized by young age at onset,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 longer clinical course, distinctive neuropathology,  
2 and methionine homozygosity, which applies still for  
3 all clinical cases.

4 The very next day, the French were going  
5 to ban British beef. Our Ministry was still reluctant  
6 to release data, and they phoned calls to pass on data  
7 from the dam-to-calf experiments that had already -  
8 that were already showing 10 percent maternal  
9 transmission risk in the last six months prior to BSE  
10 onset in the dam.

11 That information was used by Roy Anderson  
12 and colleagues in their seminal paper in Nature on the  
13 transmission dynamics and epidemiology of BSE,  
14 according to which mean BSE incubation period was five  
15 years, and they estimated the U.K. had had 1 million  
16 BSE infections. We now know that was an underestimate  
17 by a factor of three, we had over 3 million.

18 In order to be BSE affected, what you  
19 observe as clinical cases is a convolution of the BSE  
20 incidence curve, the incubation period, and the age-  
21 specific slaughter or export pattern.

22 Now, the BSE incidence curve depended upon  
23 access to contaminated feed, to maternal transmission,  
24 and possibly to another exposure, though no other  
25 exposure has been definitively identified.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Age-specific slaughter pattern for cattle  
2 is different for dairy versus beef herds, and it was  
3 assumed, wrongly, that it was irrespective of whether  
4 the cattle were BSE infected or not.

5           So, BSE projections in the United Kingdom  
6 were essentially back calculations from clinical  
7 cases, and that procedure led to serious  
8 underestimation of our BSE infections. The back  
9 calculations assumed no under reporting of BSE cases  
10 after the thought on compensation policy in 1998,  
11 wrong, no diversion of BSE cases into the over-thirty-  
12 month scheme, implausible, no differential survival of  
13 BSE infected, wrong, and no exposure except maternal  
14 after August, 1996, wrong.

15           Beware, therefore, that variant CJD  
16 projections, which have, of course, been very  
17 newsworthy, have also, essentially, until recently,  
18 been back calculation from clinical cases. That we  
19 cannot rely upon with this disease. The projections  
20 have been reined in from vast 200,000 to under about  
21 400, and are now on their way up again because of the  
22 surveillance data.

23           Our own work considered dietary BSE  
24 exposure by birth cohort, because we were interested  
25 as to whether differential dietary exposure of itself

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would explain the young age of variant CJD cases. It  
2 does not.

3           These were the dietary results for the  
4 U.K. Shown separately here for the 1980s, exposure in  
5 terms of bovine oral ID50 units in the 1990s, you'll  
6 see that exposures were actually greater in the 1990s  
7 than in the 1980s for each of the birth cohorts. The  
8 youngest birth cohort was, of course, being added to  
9 by birth. The oldest birth cohort being depleted by  
10 deaths. But, it was, in fact, the middle birth  
11 cohort, those born in 1940 to '69, who had been most  
12 exposed to BSE infectivity, but most of the cases had  
13 not occurred in that birth cohort.

14           The work just published by Marc Chadeau  
15 and Annick Alperovitch used, essentially, the same  
16 approach, but based on U.K. exports of bovine  
17 carcasses to France, and this shows the cumulative  
18 exposures for the U.K., dietary exposures for the U.K.  
19 versus for France, and you can see that the French  
20 exposure is, indeed, about 1/20 of that of the United  
21 Kingdom.

22           The reason that I say that dietary  
23 exposure does not sufficiently explain the young age  
24 of the variant CJD cases, this slide shows for the  
25 first 112 vCJD onsets in the United Kingdom the period

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of birth for those born 1940 to 1969, and you'll see  
2 that there were 21 of those 47 were actually born 1965  
3 to '69, whereas, the age distribution predicted by our  
4 dietary exposures was shown in the middle column,  
5 which you can see is a very poor fit to the age - the  
6 periods of birth of the actual cases, and only became  
7 a good fit when we incorporated the idea put forward  
8 by Valleron, again, vive la France, that age-dependent  
9 susceptibility decreased for exposures beyond the age  
10 of 15. And so, the work that Annick Alperovitch and  
11 Marc Chardeau have just published for France  
12 incorporated our estimate of a .06 exponential decay  
13 in susceptibility, and then one can get the age  
14 distributions to match that of the cases.

15 So, we think it is not dietary exposure,  
16 and this raises the specter that sub-clinical BSE  
17 infections may be differential by birth cohort.  
18 What's happened to those exposures in the older birth  
19 cohort that have not materialized as clinical cases,  
20 and we do not know that they are not blood or  
21 operation transmissible, even although they may not  
22 materialize as clinical disease.

23 And so, I'll go rapidly over this, because  
24 you have seen this already, that the French  
25 projections, that France will have a further central

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 estimate of about 33 variant CJD cases, and on that  
2 basis the United Kingdom might be expected to have  
3 about 20 times more, around an additional 600.

4 Let me turn now to this rapid post-mortem  
5 testing, introduced first in Switzerland. Switzerland  
6 tested all of its fallen stock, and 5 percent of its  
7 normal slaughter, in 1999. In that year also, the  
8 United Kingdom tested 4,000 cattle in the over-thirty-  
9 month scheme, and found 16 of them, to our horror,  
10 positive. So shocked were we, that the next year we  
11 tested 10,000 and found 40 positive, unfortunately,  
12 confirming what we'd seen the year before.

13 France and Ireland had also started  
14 testing of risk stock and found that their late-stage  
15 BSEs were being considerably underestimated by their  
16 veterinary surveillance.

17 In 2000 also, there was a comparison of  
18 four tests for rapid-post-mortem testing, three of  
19 which were approved for use throughout the European  
20 Union, in the program that started on the 1<sup>st</sup> of  
21 January, 2001, which obliged all member states to test  
22 all cattle born - coming for slaughter at 30 months or  
23 above, and to test risk stock age 24 months and above.

24 That surveillance, from the very first  
25 year, showed that BSE positivity is ten to 15 times

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 higher in risk stock than in normal slaughter bovines  
2 - in bovines slaughtered over the age of 30 months,  
3 and in order to understand BSE in the country you need  
4 to consider the threesome of the clinical cases, plus  
5 their BSE test positives in risk stock, plus their BSE  
6 test positives at normal slaughter, and both of those  
7 surveillances should be comprehensive, unlike they  
8 appear to be in the United States for risk stock.

9 Because here, compare in the first four  
10 months only of 2004, the European Union's BSE  
11 surveillance in member states, the old member states  
12 other than the United Kingdom, which had about 36  
13 million adult cattle compared to the U.S.'s 45  
14 million, and in four months the European Union tested  
15 400,000 risk stock, and you are talking about having  
16 tested 200,000 in eight months in a larger herd, which  
17 suggests that on a European basis you are testing  
18 between one in four and one in five of what we would  
19 consider risk stock.

20 In white, for the member states other than  
21 the United Kingdom, you can see that the BSE positive  
22 rate in risk stock was 245 per million tested risk  
23 stock, whereas, in normal kill the rate was 16 per  
24 million tested, in other words, about 15 times less.

25 Whereas, in the United Kingdom in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 first four months of 2004, still our test rate in risk  
2 stock was about four times higher than in other member  
3 states throughout the European Union. And, in the new  
4 member states who had just started rapid-post-mortem  
5 testing at this time, they had no clinical cases, but  
6 they had 12 cases, late-stage BSE test positives.

7 And, indeed, the implications of the U.K.  
8 testing in this over-thirty-month scheme in 2000, when  
9 we had 40 positives in just over 10,000 tested, in  
10 that year between 600,000 and 700,000 cattle five  
11 years of age or over, had gone through the over-  
12 thirty-month scheme. So, although we were credited  
13 with 40 BSE test positives in that year, we probably  
14 had 2,700 go through the over-thirty-month scheme.  
15 So, unless your testing is comprehensive, you need to  
16 multiply up, adjust for your sampling fraction.

17 Briefly on scrapie, where the European  
18 Union in 2002 set up a TSE testing scheme in 60,000  
19 adult sheep per member state, and 6,000 fallen sheep  
20 per member state, and also did genotyping of a sample  
21 of 500 adult sheep per member state, again, within the  
22 first year of that surveillance we found four TSE  
23 positives in what had previously been considered to be  
24 the scrapie-resistant genotype. So, this post-mortem  
25 testing is revising our understanding of scrapie

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 epidemiology. Also, it's leading to a reappraisal of  
2 the ARQ/ARQ genotype, which accounted for nearly 40  
3 percent of the positives, although it had been  
4 considered to be only a moderately-susceptible  
5 genotype. So, one fails to understand TSEs adequately  
6 by looking only at clinical cases.

7           And so, to post-mortem testing in humans,  
8 you've heard already about the two blood-borne cases  
9 in the United Kingdom. Let me remind you that the  
10 first of those was detected, essentially, because we  
11 had a study that was flagging recipients of vCJD  
12 implicated blood, and the death certificate, copy of  
13 the death certificate was sent to the CJD Surveillance  
14 Unit and had a sort of query dementia, an astute  
15 physician had been concerned about the clinical course  
16 of this patient, had persuaded the family to agree to  
17 a post-mortem. The post-mortem was not done on the  
18 basis this was query CJD. Had we not had that  
19 surveillance and that study in place, we could have  
20 missed this case, methionine homozygote, born pre-  
21 1940.

22           And, the second patient, the aortic  
23 aneurysm, abdominal aortic aneurysm case, again this  
24 was a patient who had received vCJD blood, the general  
25 practitioner had been alerted to this fact. It's not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clear whether the patient had been, but there was a  
2 medical legal post-mortem. So again, another special  
3 reason for there to be a post-mortem which discovered  
4 this sub-clinical case in a patient who was  
5 heterozygote at codon 129.

6 And again, the testing for abnormal prion  
7 and tonsils, appendix, that you've heard about, this  
8 was unlinked anonymous testing of stored tissues,  
9 those stored tissues relate to 1995 to '99, even  
10 although the results were published in 2004, and the  
11 subjects whose tissues they were were mainly,  
12 predominantly, age ten to 30 years at operation.

13 A positive was found in the first 8,000  
14 tested, when we had expected that there would only be  
15 likely to be one positive in 40,000, on the basis of  
16 that calculation from clinical cases. So, this study  
17 was not done to be powerful in terms of an estimate of  
18 prevalence, this study was done to give the  
19 opportunity to falsify the assumptions that went into  
20 the back calculations from clinical cases. And again,  
21 spectacularly fulfilled its remit, unfortunately,  
22 because we have three positives in just over 12.5  
23 thousand, two of them atypical and subject presently  
24 to genotype.

25 So, there is a conflict, again, just as in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the animal studies, between clinical cases and  
2 surveillance, essentially, tissue testing or post-  
3 mortem testing. And, that conflict is reflected in  
4 the latest estimates published by Clarke and Ghan in  
5 the Journal of the Royal Society, again, in January of  
6 this year, where based on back calculations in variant  
7 CJD cases only they would be projecting only a further  
8 70 cases in the United Kingdom. If you take into  
9 account the surveillance data, then the numbers are  
10 hugely uncertain, affecting conflict between cases in  
11 surveillance, anything from 32 to 4,000 additional  
12 cases.

13 And, a model which makes the assumption  
14 that we are dealing with sub-clinical carriage would  
15 estimate that we could be dealing with, in terms of  
16 prevalent infections in 2004, anything - a central  
17 estimate of about 5,000 prevalent infections, but a  
18 range from 1,000 to 13,000. So, vast uncertainty. We  
19 don't know whether these sub-clinical infections would  
20 be transmissible in blood. We would be prudent, of  
21 course, to assume that they could be.

22 Therefore, I suggest that the United  
23 Kingdom in particular needs to limit human vCJD  
24 transmission, but we also need to acquire key data,  
25 and in order to do that we need to consider

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       attributable testing for abnormal prion, particularly,  
2       at autopsy, people who come to autopsy under the age  
3       of 55. And, we also need to approach those who have  
4       been alerted that they are at vCJD risk recipients, to  
5       ask them to consider giving permission in life for  
6       post-mortem testing in the event of their death,  
7       because otherwise we will not have evidence from vCJD  
8       informative tissues, and I would on that caution you  
9       about the interpretation of the hemophilia data in the  
10      United Kingdom, what we need to ask is how many men  
11      with hemophilia were subject to post-mortem from 1995  
12      onward, or had testable operative tissue, and should  
13      we be doing, essentially, unlinked anonymous testing  
14      in those to find out whether there was sub-clinical  
15      carriage of variant CJD. That has not, to my  
16      knowledge, yet been done, but your discussions today  
17      persuade me of the importance of doing so.

18                 Reminding you again of the rural analogy,  
19      experimental BSE in scrapie transfusion risks in  
20      sheep, infusing when the transfusion was made halfway  
21      through the incubation period in the donor sheep, have  
22      given rise to transmission rates of between 10 and 20  
23      percent in sheep.

24                 And then, if we look at the vCJD  
25      transmission risk in humans in the U.K., and we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 consider only those who are five-year survivors, we  
2 have had 17 five-year survivors of vCJD implicated  
3 blood transfusions, two of whom we already know are  
4 clinical or sub-clinical carriers, but five of those  
5 17 there was no autopsy, and so if you reduce that  
6 denominator from 17 to 12 you are looking at the  
7 current evidence in man is of a transmission risk  
8 between 10 percent and 20 percent, which reemphasizes  
9 the need, in my view, that we in the United Kingdom  
10 should be testing without consent at autopsy for sub-  
11 clinical variant CJD, because then we would be able to  
12 have surveillance data by age group, by gender, and by  
13 genotype, and if we did identify sub-clinical variant  
14 CJD then we could alert the recipient network, in  
15 terms of recipients of blood products or tissues  
16 surgical network, needle stick network, maternal  
17 transmission network, and those recipient networks  
18 would then be alerted in order to prevent onward  
19 transmission and to contribute key data to document  
20 their exposure risk.

21 And, that comes from their giving  
22 permission in life for post-mortem testing in the  
23 event of their death, because, ultimately, at the  
24 bottom of this slide what we need to consider are how  
25 many post-mortem detected sub-clinical variant CJDs do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we have in those who were vCJD exposed at least five  
2 years previously, divided by the number who were  
3 subject to post-mortem and/or who were exposed at  
4 least five years ago. So, we need a relevant  
5 numerator and a relevant denominator, and you need to  
6 be hearing about that in all future talks, or asking  
7 why we can't provide you with numerators and  
8 denominators.

9 So, in terms of detectability, if you take  
10 a worst-case scenarios of 10 percent transmission  
11 risk, if we, for example, conducted 500,000 tests on  
12 operative tissue, and if in 2004, as opposed to in  
13 1995 to '99, it was still the case that about 1 in  
14 5,000 of those was positive, we would be looking at  
15 100 sub-clinical vCJDs, 10 percent of those might have  
16 been donors with an average of about four recipients,  
17 40 recipients, about a quarter of those 40 recipients  
18 will survive to about five years, for at least five  
19 years, so we would have ten recipients of implicated  
20 blood who were five-year survivors, and if you had a  
21 10 percent transmission risk one of those might,  
22 indeed, develop vCJD. So, we would have one viable  
23 blood-borne vCJD transmission. That's not the worst  
24 case, it's just a worse case, and even if screening  
25 were to cost us 200 pounds per tissue, that's about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 \$400, then the cost of that sort of surveillance is a  
2 10<sup>th</sup> only of the cost of the over-thirty-month scheme  
3 to prevent one cattle to human variant CJD, dietary,  
4 vCJD, over the next 60 years, no species barrier for  
5 human-to-human transmission species barrier in the  
6 second case.

7 Now, clearly, if transmission risk were  
8 100 times less than my worst case, then we couldn't  
9 afford to reduce them, but we are in the scenario  
10 that, perhaps, we need to consider that detector now.

11 Thank you.

12 CHAIRPERSON PRIOLA: Thank you, Doctor  
13 Bird.

14 Are there any questions for Doctor Bird  
15 from the Committee?

16 Ms. Kranitz?

17 MS. KRANITZ: If I understood you  
18 correctly, if you suspect that there is maternal  
19 transmission in BSE, what do you feel the risk is of  
20 maternal - human maternal transmission?

21 DOCTOR BIRD: It is a risk which we need to  
22 be alert to. We know that vCJD is blood-borne, and  
23 whenever you have a blood-borne disease you have to  
24 consider the possibilities of maternal transmission.

25 It is early yet to think in terms of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 finding of a clinical case. If, for instance, only  
2 one in ten vCJD mothers was anyway capable of  
3 transmitting, who delivered a baby in the last six  
4 months of her incubation period, then we probably have  
5 had fewer than ten such deliveries in the United  
6 Kingdom as yet, and the incubation period for vCJD, as  
7 you've heard, is probably on average 11, 12 years, and  
8 so it's too early yet for us to know.

9 And, we could be looking at, you know, one  
10 potentially at-risk child so far, which is not, you  
11 know, a core that statisticians hail, a denominator of  
12 one, and so it's really very uncertain at present. We  
13 have to be alert to the possibility, and we can't rule  
14 it out.

15 CHAIRPERSON PRIOLA: Doctor Telling?

16 DOCTOR TELLING: So, we hear a great deal  
17 about with respect to scrapie, a great deal about,  
18 albeit apocryphally, the potential for environmental  
19 transmission, or at least mainly apocryphally.

20 What about your rather alarming numbers  
21 for the existence of the BSE epidemic after these  
22 measures were put into place, what about the role of  
23 environmental contamination in sustaining the epidemic  
24 in the U.K.?

25 DOCTOR BIRD: In the U.K., we think in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 terms now of the third wave of our BSE epidemic, the  
2 first one before the ruminant feed ban in August,  
3 1988, and then the second epidemic between August,  
4 1988 and the introduction of the reinforced feed ban  
5 on the 1<sup>st</sup> of August, 1996.

6 So, the BSE cases that were born after the  
7 1<sup>st</sup> of August, 1996 are what we think of as our third  
8 wave of the BSE epidemic, and in terms of such cases  
9 the United Kingdom is now probably at about the peak  
10 of BSE cases coming through from that third wave, and  
11 there are about 60 to 80 a year. So, it's very low  
12 level.

13 There are at least a third of those cases  
14 that you can definitely say were not due to maternal  
15 transmission, and the current - I mean, the U.K.  
16 veterinary epidemiologists are trying to set up a sort  
17 of case control study, not only in the United Kingdom,  
18 but would apply to born after the reinforced feed ban  
19 also in other European member states, to see whether  
20 it is still some residual contamination at feed mills  
21 or in transport of materials in ships or whatever.

22 So, one can't rule out the potential, but  
23 the geographic distribution of the third wave of cases  
24 is distinctive from both of the first two waves, which  
25 argues a bit against environmental contamination.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DOCTOR TELLING: Can I ask a second  
2 question?

3 CHAIRPERSON PRIOLA: Go ahead.

4 DOCTOR TELLING: So, a second question  
5 relates to scrapie genotype, scrapie susceptible  
6 genotypes, and I ask this because there was a lot of  
7 attention given several years ago to the possibility  
8 of BSE infection in sheep, and I notice you talked  
9 about the ARQ genotype.

10 What is your thought about the possibility  
11 of those cases actually being BSE in sheep?

12 DOCTOR BIRD: Well, in the European Union  
13 any TSE positive in the scrapie resistant genotype had  
14 to be reported and has been subject to experiments and  
15 passaging in mice. And, as many of you will be aware,  
16 that surveillance program covered goats as well as  
17 sheep, and in France they detected a field case of BSE  
18 in a goat, and that detection was in 2002, but the  
19 definitive results of the mice studies have just come  
20 through. And so, about a week ago was the  
21 announcement that, yes, this is a field case, the  
22 first that we are aware of.

23 I don't know, I'm afraid, to what extent  
24 there has been passaging of any of the positives in  
25 the sheep. There have also been some odd positives in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the sheep, not necessarily just in the ARQ/ARQs, and  
2 that particular genotype is highly variable between  
3 member states, the sheep in different member states.

4 CHAIRPERSON PRIOLA: Doctor Johnson?

5 DOCTOR JOHNSON: Yeah, I just thought it  
6 needed to be clarified for Ms. Kranitz and others that  
7 this is variant Creutzfeldt-Jakob Disease that's being  
8 discussed, and that there's a lot of epidemiological  
9 data that would show that there's no vertical  
10 transmission, or horizontal transmission, of the  
11 sporadic disease that we see here in the United  
12 States.

13 CHAIRPERSON PRIOLA: Doctor Belay?

14 DOCTOR BELAY: I'm just trying to  
15 understand the last slide that you showed us, where  
16 you said potentially there could be one avoidable vCJD  
17 transmission via blood.

18 How are you proposing to identify the  
19 donors? You said testing operative tissues, are you  
20 saying that anybody that goes into some kind of  
21 surgery should be tested? I'm trying to understand.

22 DOCTOR BIRD: Well, for example, if we  
23 would - if in the United Kingdom we were doing  
24 attributable testing when somebody had their appendix  
25 removed, or when they had their tonsil removed, and if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we found 100 positives in 500,000 such tests, then we  
2 would know who those positives were.

3 Remember that in the United Kingdom  
4 patients who have received at vCJD risk blood have  
5 been alerted, and so, I mean, if we found a tonsil  
6 positive or an appendix positive they would be in the  
7 same category of requiring to be alerted in terms for  
8 the public health.

9 We are not doing attributable testing at  
10 operation at present. I'm raising the issue as to  
11 whether we should be.

12 DOCTOR BELAY: So, you have to notify the  
13 patients that they are positive and that they should  
14 not donate blood?

15 DOCTOR BIRD: That's correct.

16 DOCTOR BELAY: All right.

17 DOCTOR BIRD: Yes.

18 CHAIRPERSON PRIOLA: Doctor Salman?

19 DOCTOR SALMAN: This is, again, for  
20 clarification. As far as your birth cohort, are you  
21 saying like due to this type of study, like the  
22 dietary is not as important a factor in the  
23 transmission of new variant CJD? Is that what you are  
24 saying?

25 DOCTOR BIRD: No, I'm saying that dietary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exposure is a very important factor, and for the - so,  
2 for example, this was based on the dietary exposure,  
3 the average age at onset in the youngest birth cohort  
4 was 21.8 years of our 64 cases - yes, the 64 cases,  
5 and based on the dietary exposure data we simulated  
6 onsets, and the average age of our simulated cases was  
7 21.3 years. I mean, very good, directly from the  
8 dietary data.

9 But, when we did exactly the same thing  
10 for the middle birth cohort, the agreement was  
11 insufficiently good, that was illustrated, so in the  
12 older birth cohort it's not just dietary exposure, and  
13 we suspect that there is an age-related susceptibility  
14 to, as it were, progression of that exposure. Okay?  
15 So, we are getting less clinical cases than their  
16 dietary exposure would suggest.

17 DOCTOR SALMAN: That's in the old cohort.

18 DOCTOR BIRD: In the -

19 DOCTOR SALMAN: Old cohort only.

20 DOCTOR BIRD: - exactly, in people who  
21 were born before 1970, and it's even more pronounced  
22 in what I've called the oldest birth cohort, those  
23 born prior to 1940.

24 DOCTOR SALMAN: I have another question,  
25 and this is merely just, I wonder what's your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 speculation about Germany, why Germany would not see  
2 any case of new variant CJD, why you think that, and  
3 giving the number of BSE cases, giving the exposure,  
4 giving the dietary there, giving the number of tests  
5 they are doing, or testing they are doing, and no  
6 clinical cases, what's your -

7 DOCTOR BIRD: Germany has had - Germany has  
8 a large herd of cattle, and it has done - and it has  
9 a low BSE rate per million tested in its risk stock.  
10 So, it has a much - it has had a much lower level BSE  
11 epidemic than France has had.

12 DOCTOR SALMAN: I thought the opposite,  
13 actually, just recently for the last couple years  
14 Germany is much higher than France.

15 DOCTOR BIRD: So here, for example,  
16 Germany, now these are the data in 2003, for the whole  
17 of 2003, Germany has about 6 million adult herd,  
18 cattle herd, it had 13 clinical BSE cases, 20 cases in  
19 risk stock, and 23 cases in normal slaughter. It's  
20 BSE positivity rate in risk stock was 80 per million  
21 tested, whereas, France's rate was 300 per million  
22 tested.

23 DOCTOR SALMAN: But, the denominator, as  
24 you said, the denominator for France is 11 million, as  
25 compared to Germany's 6.2 million, the adult cattle.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DOCTOR BIRD: Yes, but that would mean that  
2 if France was operating at the same level as Germany,  
3 then in its risk stock France should have had less  
4 than 40 cases. France has had 87 cases. It has  
5 considerably higher level of epidemic in its own herd  
6 than Germany has. Germany has a very low level of  
7 BSE.

8 CHAIRPERSON PRIOLA: Any other comments,  
9 questions, for Doctor Bird?

10 Okay, thank you very much.

11 We'll move on to the final speaker in the  
12 session, and that's Doctor Alan Williams.

13 DOCTOR WILLIAMS: Thank you.

14 Both the slides and the speaker will be  
15 somewhat less colorful, but there are a couple of  
16 additional concepts that we wanted to bring to your  
17 attention to support the discussion.

18 First of all, just very quickly, because  
19 most of you have seen this many times before, just to  
20 review the current FDA recommended policies with  
21 respect to donor deferral.

22 In guidance to industry issued in January  
23 of 2002, which updated prior guidance, FDA recommended  
24 that individuals who had greater than or equal to  
25 three months residence or travel in the U.K., between

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the period of 1980 to 1996, be deferred indefinitely.  
2 Similarly, individuals with greater than or equal to  
3 five years residence or travel in Europe for whole  
4 blood donors, but specific to source plasma donors,  
5 donors of source plasma, this would only apply to  
6 France based on some of the relative risk  
7 considerations that you've heard earlier several  
8 times.

9 In addition, deferral for individuals who  
10 spent greater than or equal to six months on certain  
11 U.S. military bases in Europe that were supplied with  
12 U.K. beef in the commissaries, deferral for  
13 transfusion in the U.K., from the period of 1980 to  
14 the present and receipt of bovine insulin sourced in  
15 the U.K. after 1980.

16 Now, at the October meeting you received  
17 a comprehensive review of certain FDA recommendations  
18 and their development, and the Committee did not  
19 provide additional recommendations but there were  
20 discussions in a couple of areas that I wanted to  
21 probe just a little bit in this talk.

22 The first is the predictive value of the  
23 donor questions to exclude TSE risk. This is, again,  
24 an instance where the donor screening process is the  
25 only intervention currently available to potentially

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 reduce risk from an infectious disease agent, so it's  
2 an important question, just how well these screening  
3 measures work for us.

4 Second is to consider the feasibility of  
5 deferral for history of transfusion outside of the  
6 United Kingdom.

7 So, first to address the donor question  
8 itself. Of course, there are very limited data, as in  
9 many things in this field. True validation of the  
10 donor screening process is complex and expensive for  
11 many reasons, one of which is the outcomes depending  
12 on what the gold standard is, your outcomes tend to be  
13 very rare, particularly, if you are trying that to a  
14 specific post-transfusion adverse event.

15 Secondly, most of the deferral of donors  
16 actually takes place before that donor appears at the  
17 blood center, based on educational information,  
18 conversations with the blood center, and just overall  
19 knowledge of the donors themselves. So, then what you  
20 see as far as on-site deferral of donors based on  
21 administered questions is really only a fraction of  
22 the total deferral that occurs.

23 Thirdly, it's a difficult venue in which  
24 to conduct studies, because the finding of alternate  
25 information related to a donor's eligibility has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 operational implications. So, it is a difficult area  
2 in which to conduct studies, but that said there have  
3 been many successful epidemiologic studies conducted  
4 with the blood community.

5 Another factor is understanding of the  
6 questions, and I just wanted to acknowledge that in  
7 collaboration with the Donor History Task Force, which  
8 is sponsored by the American - the AABB, formerly  
9 known as the American Association of Blood Banks,  
10 there's been major progress over the past five years  
11 to take a hard look at the donor questionnaire itself,  
12 which for many years had simply been additive in terms  
13 of adding new questions without any thought for their  
14 coherence as an overall questionnaire.

15 Each of these questions has been looked at  
16 for content and subjected to cognitive study, either  
17 by one-on-one cognitive interview, or focus groups, or  
18 both. So, I think there's been a major improvement of  
19 this, and in terms of the full-length donor  
20 questionnaire I think there are major improvements  
21 occurring.

22 Trying to get at the very difficult  
23 estimate of what would be the false negative donor  
24 response rate to some of the risk questions, there are  
25 several studies that begin to address this, but none

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of them really give a complete picture.

2 The NHLBI sponsored Retrovirus  
3 Epidemiology Donor Study in the '90s conducted some  
4 anonymous mail surveys post donation to donors who had  
5 been accepted for donation, had donated, and then  
6 received a mail survey afterwards, which, basically,  
7 reasked some of the screening questions.

8 And, the study found that through that  
9 survey one could document between a 2 and 3 percent  
10 overall deferrable risk, i.e., positive responses to  
11 questions by donors after successful donation that  
12 would have resulted in their deferral, had they given  
13 the same answer at the time of deferral. That's a  
14 cumulative factor.

15 For individual risk, this ranged from .1  
16 to .5 percent, but all of the risks were represented,  
17 including things like IV drug use, and clearly for  
18 some of the less well focused questions, like needle  
19 stick or body piercing type questions, that rate goes  
20 higher in proportion to the vagueness of the question.

21 There were parallel findings and some  
22 similarly designed studies, Canadian Blood Services  
23 did one, also there was one done in Hong Kong, several  
24 other types of study formats. Blood centers  
25 frequently, in the course of notifying donors of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 positive infectious disease test results, combine that  
2 with a study to determine what risk factors the donor  
3 might have had that contributed to that positive test  
4 result, and there are numerous studies for known  
5 infectious agents like HIV, HCD, HTLV, et cetera, that  
6 show that a high proportion of donors who successfully  
7 donated and were found to be test positive, in fact,  
8 had the risk factors that should have requested in  
9 their deferral. So, there clearly is a level of false  
10 negativity, although it's very hard to quantitate.

11 One other thing I'll mention, it's not on  
12 the slide, but getting at it from a different area one  
13 can look at risk factors measured in the general  
14 population versus risk factors which result in  
15 deferral in the first time donor population, and one  
16 example that's been used before is the Dallas  
17 Household Survey of HIV Risk Factors, when those risk  
18 factor prevalences were compared between general  
19 population surveys, albeit limited, to first time  
20 donor deferrals, the risk reduction was about 20 fold.  
21 So, I think in keeping with the estimates put forward  
22 by Steve Anderson in his model, a 95 percent efficacy  
23 rate of the screening process, is a reasonable  
24 estimate.

25 There's one additional measurement, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 does provide some information, and I think this  
2 information addresses some of the confusion that  
3 arises when you ask a very complex donor screening  
4 question. Manufacturers are required to report to the  
5 FDA any deviations which are discovered after the  
6 collection of a product that was, in fact,  
7 distribution. These are known as Biological Product  
8 Deviation Reports, used to be known as error and  
9 accident reports.

10 But, keep in mind in looking at the  
11 information that these are only reported to the FDA  
12 when an implicated product is issued, so that if an  
13 error was discovered in the course of a collection,  
14 but the product was still in house, that would be  
15 useful information, but that's not sent to FDA and  
16 collected.

17 The most common cause of BPDRs is what's  
18 known as post-donation information, information that  
19 becomes known after the fact, after the donation, and  
20 the most common PDI for 2003 was variant CJD travel,  
21 reflecting the complexity of the question.

22 Where does the post-donation information  
23 come from? Generally, it comes from subsequent  
24 donation, where a donor who had a false negative  
25 screen at one time is found at a subsequent donation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Some time it's from the donor calling back to the  
2 blood center, and sometimes, occasionally, from a  
3 third party.

4 When was the deferral known by the donor?  
5 Generally, it was known at the time of donation, but  
6 not provided for one reason or another. This isn't  
7 necessarily, you know, lack of truthfulness on the  
8 part of the donor, it may reflect the quality of the  
9 question or attentiveness of the donor or some other  
10 factor. About 92 percent of the time it was known at  
11 the time of donation but not provided, and about 8  
12 percent of the time not known at the time of donation.

13 I don't need to reflect on all of these  
14 numbers, this is simply total BPDRs reported to FDA  
15 for 2001 through 2004, the percentage of those which  
16 are post-donation information, and the percentage of  
17 PDIs which are due to variant CJD travel. I put this  
18 up mainly just so you can get a feel for the numbers  
19 that are involved. They are in the thousands, but I  
20 think another eye opener is just anything considered  
21 in the context of blood donation or plasma collection  
22 gets large really fast, just simply due to the large  
23 number of donors. There are approximately 9 million  
24 whole blood donors, approximately, the same number of  
25 plasma donors, so the deferral itself results from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 400,000 to 500,000 donors deferred in each category.  
2 So, it's really major impact of some of these  
3 deferrals, and the numbers that you see reflected in  
4 PDI 1/100th of those total numbers.

5 So, in summary, estimates of the  
6 predictive value of donor screening are crude, I'll  
7 say very crude. However, based on available data false  
8 negative self-report of donor eligibility may be in  
9 the 3 to 5 percent range of donors deferred on site  
10 and may be higher in relation to complex questions.

11 Okay. Switching to a different concept,  
12 the current FDA recommendation is deferral for  
13 transfusion in the U.K. from 1980 to present. There  
14 have been a handful of recent developments, not that  
15 many since the last discussion of the Committee.  
16 There have been two probable variant CJD transmissions  
17 by transfusion, which I think most importantly reflect  
18 the capacity in humans, similarly to the animal  
19 models, to have an incubation period with circulation  
20 in the blood prior to clinical disease, and the  
21 numbers here, I think as Dave Asher summarized, would  
22 be on the order of 18 months and 36 months for the two  
23 cases.

24 There were recognized prior donations by  
25 variant CJD cases in France. I'm not sure this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 contributes that much scientifically, but simply opens  
2 your eyes if some of the variant CJD cases were  
3 donors.

4 There's also known to be donor deferral  
5 for any previous transmission. France, the policy  
6 goes back to 1998. We don't know what the donor loss  
7 is related to this, but I would propose that it's  
8 probably not too much different from the losses  
9 estimated by the Netherlands, and as you'll see some  
10 estimates for the U.S.

11 The Netherlands put the policy into place  
12 in December of 2004, with an estimated 8 percent donor  
13 loss.

14 So, what are the range of possible policy  
15 extensions, if any? First, would be a consideration  
16 of transfusion in France since 1980, again, looking at  
17 that relative risk relationship.

18 Second is transfusion in any BSE country  
19 in Europe since 1980.

20 And, the third, any transfusion since  
21 1980.

22 The donor loss estimates, I believe you  
23 saw quickly at your last meeting, they are based on,  
24 again, the survey data for travel among donors. We  
25 used as an assumption, because the longest period of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 travel that we had available was five years or  
2 greater, we used this as an estimate of long-term,  
3 lifetime exposure.

4 For U.K. travel, that was .4, there should  
5 be a percent there, estimating that about 5 percent of  
6 donors were transfused, we estimated donor loss  
7 already taken place of about 2 per 10,000 donors.

8 Similar calculation for France, we did not  
9 collect any data specific to France, but what we did  
10 do was get lifetime travel to countries and then  
11 established a ratio between travel to France versus  
12 travel to the U.K. That ratio was .7 for France to  
13 U.K., so we simply inserted that with a donor loss  
14 estimate of 1.4 per 10,000.

15 In considering source plasma donors  
16 separately, there are no even crude calculations of  
17 donor loss, but we would expect it to be somewhat less  
18 because history of transfusion is an age-specific  
19 relationship, and the plasma donor population is  
20 somewhat younger than whole blood donors.

21 Estimating history of transfusion anywhere  
22 in Europe, exclusive of the U.K., similarly, .7  
23 percent times 5 percent estimated transfusion history,  
24 loss of about 3 per 10,000 and the same comment for  
25 source plasma donors.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, overall, the donor loss, again, if you  
2 multiply by 9 million individuals it can create a  
3 larger number than one might think, but compared with  
4 some of the other donor deferrals comparatively  
5 smaller.

6           For overall transfusion, and I know you  
7 saw this slide at the last meeting, one reason I  
8 wanted to show this is probably more than considering  
9 history of transfusion between countries, it's  
10 important to understand the distinction of donations  
11 versus donors. These are data, again, from the NHLBI  
12 RED study, this is percentage of donations given by  
13 transfused allogeneic donors, overall has dropped a  
14 little bit over the years, but we use the figure of,  
15 roughly, 5 percent of donations come from transfused  
16 donors.

17           It hasn't been calculated on a donor  
18 basis, but if you look on a donor basis it's going to  
19 be, roughly, in that 7 to 7.5 percent range, because  
20 you get different rates of donation for donors of  
21 different ages. So, it's important to keep those two  
22 constructs in mind.

23           This one, similarly, percentage of  
24 donations, and this just gives an example of the more  
25 frequent history of transfusion among older

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 individuals, as high as 11 to 11-1/2 percent, and  
2 among the college-aged group well under 2 percent for  
3 an overall mean of about 5 percent.

4 Now, in considering the three potential  
5 extensions for transfusion, it's important to consider  
6 the Euroblood program, as was mentioned earlier. The  
7 reason it's important is, certainly one could, you  
8 know, recommend a policy independent of considerations  
9 of the Euroblood program, but it would potentially set  
10 up an asynchrony if one were to defer for time spent  
11 in Europe with individuals in the U.S. who were  
12 transfused with blood derived from Europe who may not  
13 be traceable. So, I did want to give you some  
14 observations about the Euroblood program. All of these  
15 were derived from testimony provide by the New York  
16 Blood Center and the Greater New York Hospital  
17 Association in the 2001 era, when the deferrals were  
18 being discussed.

19 The program began in the early 1970s, and  
20 at its peak represented about 1/3 of the New York area  
21 red cell supply, and approximately 2 percent of the  
22 total U.S. red cell supply.

23 Euroblood was provided to over 200 New  
24 York metropolitan area hospitals over the 30-year  
25 period of existence, approximately, well over 4

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 million components.

2 In the absence of a massive look-back  
3 effort, Euroblood recipients currently living in the  
4 U.S. are largely untraceable. A couple of comments  
5 related to that. One is that it is known that the  
6 five-year mortality following transfusion is well  
7 above 50 percent, may, in fact, be as high as 80  
8 percent, so many of these individuals are not living,  
9 and that mortality we'd actually say is generally  
10 unrelated to transfusion. It's simply that it's  
11 people who aren't well, who generally receive  
12 transfusion.

13 And a second is, look-back efforts are  
14 notoriously inefficient, that even if you conduct a  
15 look back two to three years after an implicated unit  
16 of blood is identified, your likelihood of finding the  
17 recipient goes down over time, and it tends to be a  
18 very inefficient process.

19 A final comment is, the Euroblood program  
20 ended in the months prior to the October, '02  
21 implementation of FDA deferral recommendations, which  
22 was Phase II, and the pan-European deferral for travel  
23 and residence.

24 That brings us to the questions.

25 CHAIRPERSON PRIOLA: Are there any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701