

1 DR. MOORE: Can I have the frozen section  
2 slide for LMP tumors? When you say there's --

3 DR. LEVY: Well, remember, you guys were  
4 doing this at a GYN oncology center --

5 DR. NETTO: Correct.

6 DR. MOORE: I'm actually showing you data --

7 DR. NETTO: The 5 percent is a concern --

8 DR. MOORE: -- published data, not our data.  
9 When we look at -- slide up, please. When we look at  
10 all the data from across the country, it really is  
11 variable from site to site. And you can see the  
12 percent of LMP tumors that get upgraded on final  
13 pathology ranges anywhere from 7 percent up to 27  
14 percent. But, as you see, we've gained a bigger  
15 understanding of LMP tumors in the last ten years. I  
16 would say that every LMP tumor ten years ago would have  
17 been staged. In this day and age, we don't because we  
18 have a wider understanding of LMP tumors.

19 And if you look at Kerman's data and his  
20 philosophies on the origins of ovarian cancer, we now  
21 realize that there is Type 1 and Type 2. And the  
22 Type 1's fall into a line where you go from a  
23 nonmalignant tumor into a pre-malignant cancer like an  
24 LMP tumor, and that's exactly what it is. They're not  
25 invasive cancers. And then they go on to become

1 invasive tumors.

2           And so in this day and age, the rate of  
3 having a false negative frozen section is really around  
4 7 to 9 percent in most centers. And if you have an LMP  
5 tumor and you didn't stage them, that's they're final  
6 diagnosis, that's they're final diagnosis 90 percent of  
7 the time. They're not going to need chemotherapy and  
8 they're not going to need further treatment. If you  
9 notice in our study, only half of the LMP tumors were  
10 staged.

11           DR. FREEDMAN: Let me follow on in this  
12 question.

13           DR. NETTO: Go ahead.

14           DR. FREEDMAN: I think we accept that the  
15 earlier you can diagnose ovarian cancer the better, the  
16 better the outcome, the better the survival. I think  
17 everyone understands that. And we know we've got  
18 subgroups within that. And LMPs are considered one of  
19 the subgroups. And we've been trying to make sure that  
20 patients are adequately managed whatever stage they are  
21 in their disease whether it be early or later stage.  
22 And we accept the fact that somebody who has expertise  
23 needs to make the decision how complete a staging to do  
24 in these cases.

25           If you allow that decision to be made out in

1 the community, there is a danger there that these  
2 patients will be -- who should be adequately staged,  
3 patients who might end up having invasive implants, for  
4 example, you can't diagnose that with any test prior to  
5 surgery, and there is no way of knowing what you're  
6 going to find in these patients until you get in there,  
7 as you know. So it's important not to -- I think we've  
8 heard this talk about retro shifting of patients. If  
9 we shift more patients back into the community where  
10 there is less expertise, we have to consider the risk,  
11 the potential risk from doing that, that patients with  
12 either LMP with invasive implants or patients with  
13 early stage ovarian cancer may be under-staged and  
14 then -- not end up with a treatment that -- or the  
15 monitoring, even, that they should get.

16 DR. MOORE: They're --

17 DR. FREEDMAN: I mean, that should be a  
18 concern.

19 DR. MOORE: And I understand that point. If  
20 we look at the population of ovarian cancer patients  
21 now, over 50 percent of them are having their surgery  
22 in the community. And we need to do better. We need  
23 to do better for these patients.

24 DR. NETTO: But we've addressed that in the  
25 morning.

1 DR. MOORE: Okay.

2 DR. NETTO: This test does not resolve that  
3 issue, correct because these are already -- I'd like to  
4 see the consumer representative opinion, any discussion  
5 on that?

6 MS. LONDON: I would feel as a consumer I  
7 would want to be given the best information that I  
8 could from my doctor, and if I had been to my community  
9 doctor or gynecologist for a long time, I think I'd  
10 have the confidence there and I'd want a choice of  
11 whether I could go to the center or not as a consumer.  
12 Did that answer your question?

13 DR. NETTO: In general.

14 MS. LONDON: Or no?

15 DR. NETTO: Not just on this point. If you  
16 have on any other discussion about the test --

17 MS. LONDON: I have one for later if we're  
18 going to make comments. It can wait for later.

19 DR. NETTO: Sure. Okay. The industry  
20 representative?

21 DR. BRACCO: Yeah, I think -- and, again,  
22 I'll discuss this later as well, but I believe with --  
23 I agree with Dr. Levy that the intended use as it's  
24 written right now -- and I understand why FDA asked for  
25 the changes they asked for. And, basically, what they

1 tried to do was compensate for what they believe to be  
2 a lacking part of the study population to be addressed  
3 in the intended use of the product, which I don't think  
4 is a prudent decision. I think there are other ways to  
5 address limitations in the study design or the study  
6 population, and that's by adding additional limitations  
7 to the labeling or other information that defines very  
8 clearly that there are some limitations in the study  
9 population.

10 But I think what we're faced with right now,  
11 and I think this is what's causing a lot of the angst  
12 here, is that the intended use is confusing because we  
13 tried to address a limitation and the population in the  
14 intended use, and I don't think that should happen.  
15 But, again, we can discuss that later once we go over  
16 some of the questions.

17 DR. NETTO: All right. Thank you.

18 Dr. Lichtor, any comment, any discussion?

19 DR. LICHTOR: Well, I guess, I mean, the  
20 thing that bothers me most is the intended use. And I  
21 mean, I think as a test for evaluating patients, it  
22 does seem to add a little to what is currently used. I  
23 don't like -- it really bothers me a lot actually that  
24 the indication is basically, the way I read it, the  
25 indication is to triage patients to appropriate

1 specialists because, to me, that creates monopolies and  
2 is bad medicine. I think that the various doctors  
3 should know their limitations and may use a test like  
4 this to decide whether they want to refer or not, but I  
5 don't think it should be written in there that the goal  
6 of this is to refer patients to GYN oncologists.

7           That's what bothers me, not the test, all the  
8 issues with it. To me, it helps a little in terms of  
9 the diagnosis, and I think that can be used however you  
10 want to use it. And that part is okay, but I really  
11 don't like the wording in the triage issue. I think  
12 that needs to be addressed.

13           DR. NETTO: Thank you. Dr. Julian, since  
14 you're going to be in the crossfire at some point?

15           DR. JULIAN: Well, all I can say is that with  
16 all due respect, a gynecologic oncologist can offer any  
17 of these patients a world of options that a regular  
18 gynecologist cannot. I am not a gynecologic  
19 oncologist. Okay. I'm a regular gynecologist.  
20 Anything that suggests triage of these patients away  
21 from gynecologic oncology, in my opinion, is a danger,  
22 okay? They are the finest surgeons in our specialty.

23           Ms. Holland this morning told us that  
24 somebody in her community had ordered a CA-125 and  
25 ignored the result. In my community, nobody goes to

1 surgery without a CA-125, but they still don't know how  
2 to use it because it's not for that indication. I  
3 don't know that from the data this morning presented.  
4 I'm a real simple child of God, statistically, here.  
5 But, to me, two statisticians arguing about whether  
6 this test is any good or not really puts some doubt in  
7 my mind how useful it is under any circumstances when  
8 we already have guidelines that aren't being followed  
9 by the general gynecologists in the community.

10           If they followed the existing guidelines by  
11 any one of these methodologies described here from the  
12 imaging whatnot, there wouldn't be half of these  
13 patients being done elsewhere. So I don't know how  
14 that fits into the discussion, but I certainly believe  
15 gynecologic oncologists should handle these patients by  
16 any means necessary. But the difficult part is going  
17 to be getting the people that have ignored this since  
18 1981 to all of the sudden wake up and use something  
19 correctly.

20           DR. NETTO: Thank you. Anybody else from the  
21 Panel? Go ahead.

22           DR. LEVY: I've got --

23           DR. MOORE: Can I just address that for a  
24 minute, and I assume that you're referring to the ACOG  
25 guidelines, which, you know, help us decide who gets

1 sent on to GYN/ONCs or stay in the community. Is that  
2 what you were addressing?

3 DR. JULIAN: Well, to me, it's actually sort  
4 of confusing because they will -- in any review of this  
5 material that supposedly has gone before them, they  
6 will say that you should not use this CA-125 as a thing  
7 for referral and routing, but, yet, as you well know,  
8 Gostaut and Weber did publish some guidelines that do  
9 appear in one of these -- it's not the --

10 DR. LEVY: Technical bulletin.

11 DR. JULIAN: Technical bulletins.

12 DR. MOORE: Yeah, yeah.

13 DR. JULIAN: It's one of the other  
14 advisories.

15 DR. MOORE: Yeah, it's --

16 DR. JULIAN: It's for an opinion or whatever  
17 it is.

18 DR. MOORE: Can we bring the slide up?

19 DR. JULIAN: But they're in the system --

20 DR. MOORE: This is what I assume that you're  
21 talking about is the ACOG referral guidelines or  
22 committee opinions. And you can see in here that they  
23 use a CA-125 level for pre-menopausal of 200 along with  
24 a number of clinical factors, and in the post-  
25 menopausal group, it's 35. Now, Deer King (ph.) in



1 Minnesota did a very nice analysis of this in patients  
2 that presented with a pelvic mass, and can you bring up  
3 the slide --

4 DR. JULIAN: You mean Wisconsin?

5 (Laughter.)

6 DR. JULIAN: Oh, I see.

7 DR. MOORE: No. Up slide -- no, the previous  
8 slide that you had there before with the Deer King  
9 results. So Deer King in Minnesota looked at the ACOG  
10 referral guidelines, and, hopefully, we can get that  
11 slide up. Up slide. And when they looked at the ACOG  
12 guidelines, we see that they had an incidence of 34  
13 percent with Stage 1 to 4, and they had a sensitivity  
14 of 91 percent and a specificity of 63 percent. And  
15 then, you know, when we put ours beside theirs, you  
16 know, you can't do a direct analysis with that because  
17 it's not the same cohort, but we're achieving higher  
18 sensitivity and specificities.

19 And when Deer King looked at Stage 1 and 2 --  
20 up slide, next slide, when they looked at Stage 1 and  
21 2, we now see the sensitivity falls with their triage  
22 guideline, and this is what our college supports, down  
23 to 74 percent and a specificity of 64 percent. And in  
24 that same patient, you know, stage, Stage 1 to 2, we  
25 see that we compare much, much better than what we see

1 is being used clinical by our OB/GYNs, and this is  
2 really the only data that we have currently --

3 DR. LEVY: And if I could see the ROMA  
4 criteria applied to a general gynecology population,  
5 which is what Deer King did, I would be thrilled.  
6 That's what I really feel like we need to see because  
7 reality is that that is how this test will be used. I  
8 mean, that's what we really need to see is the  
9 performance in that same cohort so this is comparing  
10 apples and oranges.

11 DR. NETTO: Correct.

12 DR. LEVY: The ROMA is being used --

13 DR. NETTO: How can we compare that?

14 DR. LEVY: -- in a GYN oncology referral  
15 population.

16 DR. MOORE: This was a referral population as  
17 well. It's the same. It was the Mayo Clinic that  
18 looked at it, and they actually looked at both their  
19 referred population and their local population, and  
20 this is what we looked at. And I bring this up just  
21 because this is what we currently have.

22 DR. NETTO: It's actually --

23 DR. MOORE: And that's what you were saying.  
24 There is a whole spectrum of what we do right now, and  
25 I do wholeheartedly --

1 DR. NETTO: Was a formal comparison between  
2 the two populations done in terms of being the same  
3 population because I'm a little bothered by putting  
4 these figures next to each other not knowing what the  
5 qualities of each population is. Just because a  
6 patient is treated in Mayo Clinic and it's as famous as  
7 Harvard doesn't make these two populations the same.

8 DR. MOORE: No, but when --

9 DR. NETTO: Correct?

10 DR. MOORE: -- you looked at the inclusion  
11 criteria in the --

12 DR. NETTO: So that's my question. Did you  
13 look at this --

14 DR. MOORE: We did look at that in the --

15 DR. NETTO: So did you show us the data where  
16 there is significant or no significant difference  
17 between the two populations? Are they exactly the  
18 same?

19 DR. MOORE: We didn't go ahead and do those  
20 analysis.

21 DR. NETTO: Okay. Then, no, yeah.

22 DR. MOORE: Right. Thank you.

23 DR. OZOLS: Why couldn't you do ACOG and your  
24 study?

25 DR. NETTO: Correct.

1 DR. MOORE: You know, that is a good  
2 question. And the reason that we weren't able to do  
3 that -- can you bring up the ACOG recommendations  
4 again -- is that there is such huge variability in a  
5 physical exam and there was some data that just wasn't  
6 captured, you know, as, you know, such as -- slide up,  
7 please -- such as the fixed or nodular mass on the  
8 physical exam or the physical exam part of this just  
9 wasn't captured in our database. So I didn't feel  
10 comfortable using the ACOG reference guidelines. So we  
11 went with a more objective test that is being currently  
12 used, and that's the RMI that we talked about this  
13 morning. And we did do direct comparisons with that in  
14 our own study cohort.

15 DR. NETTO: Yeah, the only difference in that  
16 was the imaging, which you said it was very subjective.

17 DR. MOORE: Imaging can be subjective, yes.

18 DR. NETTO: Yeah. So my question is, which  
19 bring us to the question is, why was not the clinical  
20 data captured? If you're recommending a test even if  
21 it's being a standalone, to be interpreted in light of  
22 the other clinicopathologic parameters, which is a no-  
23 brainer for any test, and that's what you stated in  
24 your recommendation, why wasn't this data captured  
25 although it was supposedly part of the secondary

1 objectives? And if it was, why is it not discussed?  
2 Why wasn't it discussed?

3 DR. ALLARD: Well, let's start at the  
4 beginning. The purpose of the pivotal trial, the  
5 multicenter trial was, in fact, to validate the ROMA  
6 algorithm. It was not to validate imaging or other  
7 modalities. It was to validate the ROMA algorithm. So  
8 it was designed to capture the data that was necessary  
9 in order to do that. We did, in fact, capture imaging  
10 data. And the imaging data was used by Dr. Moore and  
11 his colleagues to look at the RMI and to make that  
12 comparison.

13 What we didn't have was all of the data  
14 necessary, as Dr. Moore has just pointed out, to do the  
15 ACOG referral guidelines primarily because of the one  
16 component there, the fixed or nodular mass. That just  
17 wasn't always -- that wasn't something we captured.  
18 And it was because it was not an intent of the trial to  
19 compare to imaging. It was to validate the performance  
20 of the algorithm.

21 DR. BERRY: Is it possible that you mis-coded  
22 the imaging? I mean, what you got, and it's really  
23 crazy, you got that the adding imaging to CA-125 makes  
24 it a worse test.

25 DR. ALLARD: Well, that --

1 DR. BERRY: And so, I mean, if you were  
2 building a model, you would never do that. You would  
3 instead of the coin coming up heads, you would say it  
4 came up tails because it's that particular imaging  
5 category is, in fact, indicating bad things. So it  
6 doesn't make sense.

7 DR. ALLARD: I understand the confusion, but  
8 let me explain that because there is a very good  
9 explanation for that. They're very different analyses.  
10 So on the one hand, you're analyzing CA-125 with a  
11 specific cutoff, and I believe the Agency used 30 and  
12 60 were the CA-125 cutoffs. RMI is done very  
13 differently, and if we could have that slide up,  
14 please.

15 This is something Dr. Moore showed this  
16 morning, but it uses the imaging score, and it uses the  
17 menopausal status, and it multiplies it times serum CA-  
18 125. And what you can see there -- and the cutoff that  
19 we wound up using in order to obtain 75 percent  
20 specificity was somewhere in the 80s. I've forgotten  
21 exactly what the number was, but it was in the 80s.

22 And what you see, then, is you could clearly  
23 have a CA-125 level that might be positive but would  
24 not be positive in the RMI because of the cutoffs that  
25 are used. So they're very different methods. And

1 because of the differences in those methods, you can  
2 clearly see differences in the results.

3 DR. OZOLS: No, presumably, Jacobs built this  
4 on a database, and the database, if in fact it would  
5 have gone in the direction of your study would have  
6 been instead of U=0123 -- 13, it would have been U=310  
7 because that would have been better. So there is  
8 something basically different about his database than  
9 yours.

10 DR. ALLARD: Although in the published  
11 studies that have validated the RMI, and there are  
12 several of those, the results look very similar to the  
13 RMI results that we show. They are very similar to the  
14 published data.

15 DR. SKATES: So just to add to that, it's  
16 very possible that the form that they used for  
17 combining CA-125 and imaging isn't optimal, and that is  
18 the reason decrement. If you don't combine biomarkers  
19 or multiple tests in an optimal fashion, you can  
20 actually decrement the results of the combined analysis  
21 compared to a single analysis alone. And that could be  
22 the case here.

23 DR. NETTO: Dr. Kondratovich, would you like  
24 to comment on this?

25 DR. KONDRATOVICH: I think that one of --

1 that imaging was available only for 80 percent of  
2 subject. But from other point of view, my  
3 understanding is that you used serum CA-125, which  
4 collected in this study, yes? Yes. So for particular  
5 pre- and post-menopausal group, this value is constant.  
6 And we used the same level of specificity because  
7 usually, like, if you have the same level of  
8 specificity -- let us compare the test, how they  
9 perform. If they have the same level of specificity,  
10 what's the level of sensitivity? And we see that it  
11 behave relatively strange. Why? It's difficult to  
12 tell. Maybe because its population is really different  
13 and it should be different RMI index for this  
14 particular referral population.

15 But my point was that we really need to have  
16 clinical data, how it was evaluated without ROMA test  
17 because this is some kind of information which probably  
18 related to the real-life clinical pre-surgical  
19 evaluation but cannot completely be considered like  
20 clinical evaluation because we see that there are some  
21 problems with this RMI.

22 DR. NETTO: True. So what you're saying is  
23 the RMI, by the nature of it being such a calculation  
24 and showing surprising directions compared to CA-125  
25 alone probably --



1 DR. KONDRATOVICH: Yes.

2 DR. NETTO: -- is not the best clinical  
3 surrogate to compare this test to as --

4 DR. KONDRATOVICH: You're absolutely right.  
5 You're absolutely right. So this is something like we  
6 decided, like, yes, we need to have clinical, real  
7 information, pre-surgical. What was assessment of this  
8 patient based on the all available pre-surgical  
9 information, and this RMI, it's probably it cannot  
10 serve very good --

11 DR. NETTO: Bad choice --

12 DR. KONDRATOVICH: I cannot -- in general,  
13 maybe this index is working in some situation. But  
14 definitely, in this study, for this particular  
15 population, we see that, yes, you are right, it's  
16 surrogate and probably has a lot of drawbacks. Like,  
17 even don't use any imaging, use only CA-125. Your  
18 performance is even better.

19 DR. BERRY: Flip a coin.

20 (Laughter.)

21 DR. NETTO: Thank you.

22 DR. BERRY: Don't use imaging. Flip a coin.

23 DR. BECKER: So we would recognize and have  
24 some reservations about the completeness and rigor by  
25 which a comparison of the ROMA analysis to either the

1 ACOG or the RMI analysis might be considered  
2 appropriate. And it just leaves us back to the  
3 original question of for the test as it worked in the  
4 intended use population, okay, do the performance  
5 characteristics match up with what one would consider  
6 safe and effective because I don't know that we have  
7 the ability to come to a clean consensual agreement  
8 about exactly how the test matches up against RMI or  
9 how it matches up against ROMA. None of those analyses  
10 were pre-specified. And, to a degree, some of them are  
11 not complete. So there are holes there that need to  
12 be --

13 DR. NETTO: Can you speak up, please? Can't  
14 hear you.

15 DR. BECKER: -- moved past.

16 DR. NETTO: Thank you. Any comments from the  
17 Sponsor? Okay. Yes?

18 DR. OZOLS: Yeah, I still have, you know,  
19 three issues that there were -- many of us. You know,  
20 I am persuaded by the FDA analysis that CA-125, if it's  
21 not -- if ROMA is better, it's not much better. And I  
22 agree with my colleague that if two statisticians are  
23 arguing about the statistical significance from tests,  
24 it's probably clinically not much different for -- as a  
25 clinician, I say, you know, if you guys can't agree

1 that, you know, it's statistically significant, I  
2 really doubt whether it's going to be clinically  
3 beneficial. So we're talking about a small number  
4 patients potentially benefiting, very small number.

5 I'm concerned about the intended use  
6 population. I'm very concerned about sending back,  
7 triaging back a pre-menopausal woman who is "low-risk"  
8 back to her gynecologist. First of all, I don't think  
9 it'll happen much, but if it does happen, I think there  
10 is a potential for harm, that she will not get the best  
11 operation that she would have had if she stayed at the  
12 cancer center.

13 And I'm also concerned -- this also was  
14 pointed by colleagues -- that if this test is approved  
15 for this specific, again, very small population, who  
16 are already referred to a cancer center, it will be  
17 used all over the place, and it will be used in ways  
18 that you can't even imagine. And there's a potential  
19 risk of that.

20 I can foresee a situation where a woman has a  
21 slight elevation CA-125 and some other abnormality, and  
22 her doctor does this ROMA test and says, "Don't worry  
23 about it. You're at low-risk," and doesn't do  
24 anything. I mean, you know, there are -- and where  
25 this test never was even tested in that population.

1 It's like when CA-125 first came out, you know, 20  
2 years ago, some people -- lots of patients had  
3 unnecessary operations just because their CA-125 was  
4 elevated alone. So these tests, they get a life of  
5 their own, and, you know, they will be used extensively  
6 by -- and perhaps in a harmful manner.

7           So we're really stuck with a very small  
8 patient population that were tested in that is not  
9 perhaps relevant to the community. And in that  
10 population, the benefit that exists is small.

11           DR. NETTO: Thank you. Go ahead, Dr. Skates.

12           DR. SKATES: Can I just respond to this --

13           DR. NETTO: Sure.

14           DR. SKATES: -- idea that because  
15 statisticians debate about particular statistical  
16 tests, therefore there is no value in the clinical  
17 scene, I think that's really jumping to an unwarranted  
18 conclusion, with due respect. There was evidence --  
19 one of the issues that I -- one of the points that I  
20 neglected to make was that we actually had a range of  
21 specificities in our pilot trials. It ranged from 80  
22 percent to 90 to 95 to 98.

23           DR. NETTO: And none of them were 75.

24           DR. SKATES: And it wasn't 75 percent, that's  
25 true, but it was down to 80 and --

1 DR. NETTO: Why not? Why -- didn't do it  
2 retrospectively? When you found out that 75 percent  
3 was your --

4 DR. SKATES: So in retrospect, we could have  
5 gone back to do that, but --

6 DR. NETTO: Because that would answer -- if  
7 you're saying the pivotal study is not powered to show  
8 the comparison in the subset analysis, and, clearly, we  
9 have huge concern about the subset, pre-menopausal.  
10 And so if --

11 DR. SKATES: Well --

12 DR. NETTO: Why wasn't it -- if -- was the  
13 pilot powered enough to show these subsets?

14 DR. SKATES: The pilot was powered to show a  
15 difference between CA-125 and CA-125 plus HE4. We  
16 didn't --

17 DR. NETTO: But not at the 75 percent? I  
18 mean, the problem is --

19 DR. SKATES: And --

20 DR. NETTO: -- it showed the difference in the  
21 range, dynamic range. That's probably not clinically  
22 useful. So the question, if that's the intention of  
23 use, why not go back and look at it --

24 DR. SKATES: We can go back, and we will do  
25 that now that we know that that's such a crucial issue.

1 The point was that we convinced ourselves that HE4  
2 added to CA-125 with the pilot study. Then the  
3 question was how do you combine it. Once we figure  
4 that out, how do you validate it. And what we showed  
5 was the validation.

6 And this is -- and it was primarily because  
7 there is no indication, no approved test for this  
8 indication that we didn't compare it to CA-125 alone,  
9 all right? So that's why we didn't think it was a  
10 point to really focus on. And what we wanted to do was  
11 validate the test that we came up with for this  
12 indication.

13 DR. NETTO: And that's exactly the point  
14 about knowing that once the cat is out, the CA-125 is  
15 not even approved for this, and it's ACOG, first one on  
16 the ACOG list on how to refer. So it will be very hard  
17 for the gynecologic community doctor not to look at  
18 this sexy test that is being used by the gynecologic  
19 oncologists who decide who is going to go back to them,  
20 not to use it at initial. So that's why we're  
21 extremely careful in the wording, and I hope you  
22 understand that.

23 DR. SKATES: Absolutely. And we're, you  
24 know, very open to, of course, any Panel's  
25 recommendation about wording and adjustments to that.

1 DR. NETTO: Dr. --

2 DR. LEVY: I mean, yeah, from a clinical  
3 standpoint, the relevant questions are refer/don't  
4 refer, operate/don't operate. I mean, those really are  
5 the key decision-making issues for patients. So we've  
6 already taken away both of those decision points in the  
7 labeling for this test. I mean, the labeling says it's  
8 already patients who are referred and already patients  
9 who are going to have surgery regardless. So we've  
10 taken away the clinically relevant decision points.

11 DR. NETTO: So you're saying what's the  
12 point, then, by doing the test?

13 DR. LEVY: Exactly.

14 DR. BRACCO: If you go back to the original  
15 labeling submitted by the Sponsor, you can see it  
16 actually says women presenting with an adnexal mass who  
17 are candidates for surgical intervention. And then all  
18 these concerns, as I said earlier, could be listed in  
19 the labeling, all of those limitations, however FDA  
20 wants it.

21 DR. LEVY: However, they weren't -- this test  
22 wasn't evaluated in that population of patients --

23 DR. BRACCO: That's right.

24 DR. LEVY: And we don't have any data on  
25 which to make that decision.

1 DR. BRACCO: That's right, but it's clear if  
2 you put those limitations around the intended use that  
3 I think is --

4 DR. LEVY: But we don't have any data to  
5 present the clinician how to analyze and result because  
6 we don't -- haven't tested it in that population.

7 DR. NETTO: Understood.

8 DR. JASON: Let me also raise -- the concept  
9 of creating this algorithm is very appealing. But one  
10 concern I'd have is I think you do have data that  
11 suggests that this new assay does add something to a  
12 certain group. The question is, will that algorithm --  
13 is the algorithm going to make things less clear to the  
14 clinician if perhaps just straightforwardly presenting  
15 the possibility of some combination of these two assays  
16 might be useful in certain settings. Would that be a  
17 clearer approach rather than having someone just  
18 blindly enter data into the algorithm and not really  
19 understanding what it is they're doing with that  
20 especially if we get down to the general practitioner?

21 And I think in a referral center, they're  
22 probably sophisticated enough that they don't need an  
23 algorithm because they can weigh these parameters  
24 themselves. And are you in fact potentially in centers  
25 other than the ones involved in this study creating a



1 false sense of absolute that I can say you have an 11  
2 percent chance, when in fact it may be 15 percent or 10  
3 percent or 5 percent? Would you not be better,  
4 potentially, as appealing as this is, to simply do it  
5 in a more straightforward way?

6 DR. SKATES: So I think one of the responses  
7 to that is that what gets sent back to the physician is  
8 the CA-125 value, the HE4 value, and then the  
9 Predictive Probability if the patient is pre-menopausal  
10 and the Predictive Probability of the patient is post-  
11 menopausal. That is then left to the clinician as to  
12 which part of that information whether they want to use  
13 it all or only part of it to use. So they could weigh  
14 it one way or another. But what we have data on is  
15 what that particular combination that we came up with  
16 will give in terms of the upgrading characteristics --

17 DR. JASON: Now, will you be specifying --

18 DR. SKATES: -- in terms of the sensitivity  
19 and the specificity.

20 DR. JASON: And will you have in that insert  
21 that this is based on a study done on this population  
22 and give those --

23 DR. NETTO: With a lab report because that's  
24 another issue. See, if the lab report is going to come  
25 with a cutoff for positive HE and cutoff for positive

1 CA-125 and a PP, would the lab report indicate that we  
2 don't know what the significant of this HE4 positivity  
3 means? You cannot use it by yourself? Because that's  
4 a little -- what you just mentioned is a little  
5 concerning. If --

6 DR. ALLARD: No, let me explain the way that  
7 it will be presented to the clinician and how we  
8 believe that they could use it. It will be presented,  
9 as Steve just mentioned, they will get a CA-125 result,  
10 an HE4 result, and they will get predictive  
11 probabilities for pre-menopausal or post-menopausal.  
12 Of course, it's up to the clinician to determine is  
13 this woman pre or post-menopausal.

14 And then, in fact, in terms of how they  
15 implement it, what we've added to -- thank you. Could  
16 I have the slide up, please? What we've added to our  
17 package insert are frequency plots of this type, and  
18 this is for pre-menopausal women. And what it shows is  
19 the frequency of disease, either cancer or benign  
20 disease, as a function of the cutoff, the ROMA value  
21 that you choose. And the reason we've included is that  
22 we believe that there may be some clinicians that have  
23 different -- that would like to use different  
24 thresholds. We selected cut points of 13 percent, 27  
25 percent.

1           We selected them for very good reasons, and  
2 we've talked about that extensively today. But there  
3 may be clinicians that have different risk thresholds,  
4 sometimes lower, or, in some cases, higher, depending  
5 on their training, depending on their background,  
6 depending on their comfort level. So they can choose.  
7 Based on these frequency plots, they can quite readily  
8 choose cut points that they feel fits their individual  
9 practice most appropriately.

10           So they can slide the cut point lower if they  
11 have a higher tolerance for cancers. They can slide  
12 the cut point higher if they have a lower tolerance for  
13 cancers in their practice. So that's how we will  
14 present the data. So they'll get all of the raw data,  
15 the probabilities, and then they have the ability to  
16 adjust the cutoff as they feel is appropriate for them.

17           DR. NETTO: And that's based on the pivotal?

18           DR. ALLARD: Pardon?

19           DR. NETTO: That's based on the pivotal  
20 population?

21           DR. ALLARD: Yes, it is, correct.

22           DR. NETTO: These cutoffs?

23           DR. ALLARD: That is based on the data from  
24 our pivotal trial, correct.

25           DR. JASON: And that would be specified --

1 DR. BERRY: But that's cumulative, that's  
2 cumulative. That's not someone has a 70 percent  
3 Predictive Probability that 70 percent of those who do,  
4 you know, in the neighborhood of 70 percent actually  
5 have invasive cancer. It doesn't say that at all.  
6 That's cumulative.

7 DR. ALLARD: It is cumulative.

8 DR. BERRY: So it's not the right picture.

9 DR. CHAN: Also, we have not seen this data.  
10 Okay. We have not seen what he's presenting. And,  
11 also, you know, when you are -- when we're clearing  
12 this test, we are not really clearing the CA-125 and a  
13 HE4 and the ROMA all separately. So I want you to  
14 remember that.

15 DR. ALLARD: We have submitted this --

16 DR. NETTO: Say that again?

17 DR. ALLARD: -- to the Agency in our package  
18 insert.

19 DR. NETTO: Would that affect the mentioning  
20 of the lab report this way listing two --

21 DR. CHAN: Yes.

22 DR. NETTO: -- tests and then a formula?

23 DR. CHAN: They are going to present this  
24 way, but just bear in mind, CA-125 and HE4 and ROMA are  
25 not all cleared separately, okay --

1 DR. NETTO: But would, then, the FDA have  
2 concerns about --

3 DR. CHAN: -- but just -- the ROMA --

4 DR. NETTO: -- presenting this and a lab  
5 test --

6 DR. CHAN: Yes. We have to have more  
7 discussion with the Sponsor about how this should be  
8 presented in the data, in the report.

9 DR. NETTO: All right.

10 DR. ALLARD: So we're just addressing the  
11 mathematical formula?

12 DR. JASON: The ROMA test.

13 DR. CHAN: Well --

14 DR. BERRY: So you do HE4, you do CA-125, you  
15 get these two numbers --

16 DR. CHAN: And they are supposed to put  
17 into --

18 DR. BERRY: What we are addressing today is  
19 the way you put those two numbers together and can you  
20 put them together and say anything about what the  
21 implication is.

22 DR. CHAN: Yes.

23 DR. BERRY: But it's not a machine that  
24 suddenly gives you a Predictive Probability.

25 UNIDENTIFIED SPEAKER: It is --

1 DR. CHAN: They said that. That's why --

2 DR. NETTO: No, that's exactly what we're  
3 addressing --

4 UNIDENTIFIED SPEAKER: It is --

5 DR. NETTO: We're addressing the results of  
6 the calculation, but this is the point that was just  
7 brought up, which I mentioned is concerning, is having  
8 three numbers now because there could be some  
9 misunderstanding that the approval is for all that --  
10 that we address the individual test or not, which we  
11 didn't. We didn't address the individual test at all.

12 DR. BRACCO: But it is a mathematical  
13 formula. There is no instrument or anything.

14 DR. BERRY: Yeah. I mean, it's not a device,  
15 you know, you --

16 DR. NETTO: We have no data on the individual  
17 test, and my fear is having the result for the  
18 individual test with a cutoff value, that that can  
19 also -- because what -- dangers of what was just  
20 mentioned and the clinician can really decide how they  
21 want to use the data. No, they cannot decide because  
22 when we approve that, it's based on the calculation and  
23 the data -- in the calculation in this population that  
24 we intend to restrict even further. So to open the  
25 Pandora's box and say you can just pick the HE4, I like

1 it this high, then may --

2 DR. ALLARD: No, no, no. The value that is  
3 being presented that is to be used for triage or  
4 management of women with pelvic mass is the ROMA value.  
5 There are other reasons --

6 DR. NETTO: So why put the others -- put the  
7 cutoffs?

8 DR. ALLARD: Well, there's a couple of  
9 reasons for that. One is for reimbursement purposes  
10 that they have to be shown on the lab report. Another  
11 one is that there is also a baseline value. HE4, as  
12 you know, is approved for monitoring. And the baseline  
13 value is in fact used for that purpose for serial  
14 monitoring.

15 MS. WOOD: Mr. Chairman, permission to speak,  
16 please?

17 DR. NETTO: Go ahead.

18 MS. WOOD: I'm Geretta Wood. I'm the  
19 director of the advisory Panel program. In an effort  
20 to bring some more control to this meeting, I'm  
21 requesting that the Panel members wait to be recognized  
22 by the Chair before they begin their comments, and I'm  
23 also asking that the FDA and the Sponsor please remain  
24 in your seats, raise your hand if you have a comment to  
25 make and wait to be recognized by the Chairman. Thank

1 you.

2 DR. NETTO: All right. Thank you. You heard  
3 it.

4 (Laughter.)

5 DR. NETTO: All right. Any other comments?

6 (No response.)

7 DR. NETTO: If no other comments, we'll take  
8 a break of 15 minutes. We'll reconvene at 3:15 --  
9 3:18, actually.

10 (Off the record.)

11 (On the record.)

12 DR. NETTO: Open Public Hearing, please. So  
13 we will have three people who requested to speak this  
14 afternoon in the Public Hearing portion.

15 Dr. Knapp, are you in the room? Please come  
16 forward to the podium and state your name, affiliation,  
17 and indicate your financial interests, if any, in the  
18 device being discussed today or any other medical  
19 device company. Please be reminded that due to the  
20 number of people wishing to speak, each speaker will  
21 have ten minutes on it. Thank you.

22 DR. KNAPP: I'm Dr. Robert Knapp. I'm the  
23 William Baker professor emeritus of Harvard Medical  
24 School and former director of gynecology and  
25 gynecologic oncology at the Brigham and Women's



1 Hospital and the Dana Farber Cancer Center. I'm now  
2 visiting scholar at the Wilde Medical School of Cornell  
3 University.

4 I'm going to speak today a little bit  
5 about -- a little background, as some of you know. I'm  
6 a co-developer of the CA-125. We first evaluated the  
7 reactivity of a monoclonal antibody with human ovarian  
8 carcinoma in 1981. And in 1983, we described the use  
9 of the CA-125 radioimmunoassay in monitoring patients  
10 with ovarian cancer who receive chemotherapy. The FDA  
11 approved a PMA for the use of CA-125 assay in ovarian  
12 cancer in 1987.

13 The first paper evaluating CA-125 in ovarian  
14 masses, comparison of CA-125, clinical impression, and  
15 ultrasound in ovarian masses was written by my fellow,  
16 Neil Finkler and published in *Obstetrics and Gynecology*  
17 in 1988. It is now very exciting for me to see the  
18 improvement over CA-125 in the new assay.

19 The risk of ovarian cancer algorithm, the  
20 ROMA, incorporates both HE4 and CA-125 in a single  
21 mathematical function and reports to the physician if  
22 the adnexal mass is low or high-risk for malignancy.  
23 This is a significant improvement over reporting just a  
24 number. The CA-125 frequently elevated in the pre-  
25 menopausal women with a benign adnexal mass, and the

1 HE4 is usually not elevated in a benign mass.  
2 Therefore, the HE4/CA-125 assay will be more accurate  
3 than the CA-125 assay in evaluating an adnexal mass in  
4 pre-menopausal women.

5           It is the physician evaluating all parameters  
6 who makes the decision as to whether the mass is  
7 possibly benign or malignant. The patient's history,  
8 including symptoms such as pain, abnormal vaginal  
9 bleeding, whether the patient is pre or post-menopausal  
10 and a family history all play an important role. The  
11 by manual and rectal vaginal examination is essential  
12 in evaluation of the mass. This will determine whether  
13 the mass is solid or cystic, its size, and mobility.  
14 The information from imaging by ultrasound, CT scan or  
15 MRI is also a part of the evaluation. It must be  
16 understood that the HE4/CA-125 assay is only part of  
17 the decision-making process in the evaluation of the  
18 adnexal mass.

19           In 1994, I wrote an article in the journal  
20 *Gynecologic Oncology* with the distinguished professor  
21 series: "Reflection on Ovarian Cancer, A 33-Year  
22 Experience." In the last paragraph, "the future," I  
23 stated that, "I am satisfied if the continued study of  
24 ovarian cancer is secure and in capable hands." The  
25 work on HE4/CA-125 enforces my belief that the future

1 is secure and that my goal will be achieved, a decrease  
2 in death from ovarian cancer.

3 The HE4/CA-125 algorithm is a significant  
4 advance that I endorse wholeheartedly. The FDA would  
5 contribute positively and significantly towards women's  
6 health by clearing the ROMA assay. Thank you.

7 DR. NETTO: Thank you very much, Dr. Knapp,  
8 thank you. Thank you, Dr. Knapp. It's an honor.

9 The next speaker is Ms. Tenenbaum from the  
10 Ovarian Cancer National Alliance.

11 MS. TENENBAUM: Hi, good afternoon. My name  
12 is Cara Tenenbaum. I'm with the Ovarian Cancer  
13 National Alliance. I first want to thank all of you  
14 for your time and your attention to this matter. You  
15 all seem very passionate, and as a patient advocate, I  
16 take that role very seriously and I appreciate your  
17 attention as well.

18 These comments are submitted on behalf of the  
19 Ovarian Cancer National Alliance. For 11 years, the  
20 Alliance has worked to increase awareness of ovarian  
21 cancer and has advocated for federal resources to  
22 support research that would lead to more effective  
23 diagnostic tools and treatment. The Alliance is a  
24 national organization representing more than 180,000  
25 ovarian cancer survivors.

1           In addition to the individual donations from  
2 the survivor and family community, we receive some  
3 funding from pharmaceutical and biotechnology  
4 companies, including Fujirebio. We have a strict  
5 policy that fundraising efforts do not affect our  
6 policy work, including my presence here today. The  
7 Ovarian Cancer National Alliance supports evidence-  
8 based medicine and does not endorse any specific  
9 device, drug, or therapy for ovarian cancer.

10           The Ovarian Cancer National Alliance  
11 conducted a survey in 2007, the results of which I'll  
12 be referring to. Results generally showed, however,  
13 that women with ovarian cancer, one, tend to see  
14 multiple doctors before being accurately diagnosed as  
15 having ovarian cancer; two, are diagnosed at late  
16 stages of the disease; three, have their symptoms  
17 confused with symptoms of other diseases and  
18 conditions; and, four, are generally unaware of genetic  
19 tests or other risk factors that could help detect the  
20 propensity to develop ovarian cancer. In my  
21 presentation today, I will refer to some of these  
22 results.

23           I'm not going to repeat the grim statistics  
24 that we've already heard today about ovarian cancer and  
25 that show the need for an accurate and reliable early

1 detection, risk stratification or other diagnostic  
2 tools. But I will remind you that the majority of  
3 women with ovarian cancer continue to be diagnosed in  
4 Stages 3 or 4 when survival rates are low.

5 One key reason is that a valid and reliable  
6 early detection test does not exist for ovarian cancer.  
7 The CA-125, as you've heard numerous times today, is  
8 not a screening test, and it's not approved as an early  
9 detection test. Respondents to our survey share  
10 personal stories of delays that prevented an early  
11 diagnosis. One told us about her sister's story, which  
12 I'm going to -- very short:

13 "My sister was 43 when she was diagnosed with  
14 ovarian cancer. She had been going to the  
15 doctor for almost a year with symptoms of  
16 ovarian cancer before she was diagnosed. She  
17 was told she had GI problems or she was pre-  
18 menopausal. At one point, a doctor told her  
19 he couldn't find anything wrong with her.  
20 She said she was in extreme pain. What  
21 should she do? He said if it gets really  
22 bad, go to the emergency room. She went home  
23 and went to the emergency room that night.  
24 They did several tests and found out she was  
25 full of fluid. Later that week, we found out

1 she was in Stage 3 of ovarian cancer."

2 Early and comprehensive testing for ovarian  
3 cancer remains a critical need. Our major survey  
4 results show, one, most women are unaware of ovarian  
5 cancer symptoms. Almost 90 percent of respondents to  
6 the survey, most of them being survivors, were unaware  
7 of the symptoms. Yet, in retrospect, more than 80  
8 percent of them had exhibited some of these symptoms.  
9 The inability of both women and their healthcare  
10 providers to recognize these symptoms as being  
11 indicative of ovarian cancer means a test, which we  
12 have today like the CA-125, ultrasound, were not used.

13 Most women first see a general practitioner.  
14 They don't see a gynecologic oncologist. One woman  
15 said:

16 "I'm 48 years old and I was diagnosed with  
17 ovarian cancer six months ago. I had not  
18 been feeling well for over a year and had  
19 been to several doctors, who had dismissed  
20 the symptoms as being early pre-menopausal,  
21 fibroids, benign ovarian cysts, stress,  
22 appendix, constipation, depression." My  
23 periods had become very heavy and painful,  
24 and then I started bleeding in between  
25 periods. At that time, one of the doctors

1 recommended an endometrial ablation, which I  
2 had done, and he noticed that my right ovary  
3 did not look good but did nothing about it.  
4 I continued feeling not well, having lower  
5 back pain, pain on my right side, feeling  
6 bloated, gaining weight, and feeling sick.  
7 About seven months later, I made an  
8 appointment with another gynecologist. She  
9 did an ultrasound and did not like what she  
10 saw. She sent me to get a CA-125. I had no  
11 idea what that was until that day. When I  
12 found out what I was being tested for, I was  
13 scared. I was stressed out and scared for  
14 three days before I found out the results,  
15 that I had ovarian cancer. My daughter, who  
16 is 14 years old, was with me the day my  
17 doctor told me. My whole world came apart."

18 Women are largely unaware of gynecologic  
19 oncologists. Our survey shows that about 50 percent of  
20 respondents did not know about the specialty and about  
21 40 percent of them said that their doctors who  
22 evaluated their symptoms did not refer them to  
23 gynecologic oncologists.

24 Dr. Berry, I think you said something about  
25 we should just flip a coin, and if only 50 percent of

1 patients are seeing a gynecologic oncologist -- I'm  
2 sure you didn't mean to be crass or flip, but that's  
3 about the care they're getting. Correct diagnosis  
4 occurs only slightly more often than incorrect  
5 diagnosis. About 41 percent of the women who responded  
6 to our survey were treated for other conditions,  
7 including the ones I've mentioned, acid reflux,  
8 endometriosis, pre-menopause, nerves, stress, irritable  
9 bowel syndrome, gall bladder, allergies.

10           The results of the survey show that women and  
11 their healthcare providers do not always consider the  
12 exhibited symptoms as signs of ovarian cancer. We know  
13 the importance of referral to a GYN oncologist, and any  
14 support for referral to them will aid patients in  
15 survival.

16           The improvement in the accuracy of any risk  
17 stratification device could encourage testing among  
18 general practitioners who may be reluctant right now to  
19 use methods that have limited accuracy. This, in turn,  
20 could lead to women being diagnosed earlier and  
21 increase survival. I want to make the point that a  
22 reliable test will be used by more front-line doctors.  
23 Currently, they don't use the CA-125 because it's not  
24 hugely reliable.

25           And I have a couple questions to you as you

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947



1 go into your discussion. I'd like you to think about  
2 the risk to women for using this test that's been  
3 proposed and how that compares to the risk of not using  
4 the test. If this test is not approved, what other  
5 tools do women and their doctors have? And recognizing  
6 that the Sponsors are not asking for this, but if the  
7 test is only approved for use by GYN oncologist, not  
8 front-line doctors, how does that help women? And what  
9 would the burden be on women to wait for this or other  
10 tests to be studied among front-line doctors, given the  
11 incidence of ovarian cancer and the time it might take  
12 to complete those studies.

13 Thank you again for your time and attention.

14 DR. NETTO: Thank you very much. Next is  
15 Dr. David Fishman from NYU Cancer Institute.

16 DR. FISHMAN: Well, this should be  
17 entertaining since I'm never politically correct, and  
18 I'm not going to start. My name is Dr. David A.  
19 Fishman. I'm the director of gynecologic oncology at  
20 New York University School of Medicine. And as some of  
21 you know I have a long-standing interest in early  
22 detection and actually use our tax dollars from the  
23 National Cancer Institute to perform a lot of our  
24 research on ovarian cancer. My travel was covered by  
25 the Sponsor today. And I want to thank you for the

1 opportunity to come and see old friends and hopefully  
2 make new ones.

3           My hat today I'm going to wear is as a  
4 gynecologic oncologist who actually takes care of  
5 patients. And the problem that we have, as we've seen  
6 today, is that we have no tools that help us detect  
7 early-stage disease, and there are no tools that are  
8 available. And as we've heard today, this is  
9 unacceptable because our success in curing women with  
10 ovarian cancer is the same as it was in 1960. I'm  
11 talking cure, not three-year survival or five-year  
12 survival.

13           Any tool that would help us identify women  
14 with ovarian cancer and have them referred to and be  
15 treated by a gynecologic oncologist has been proven to  
16 save lives, decrease morbidity, pain and suffering, and  
17 any tool that can have value is important to be brought  
18 into clinical use as long as it meets the metrics you  
19 decide because I as a clinician and those of you who  
20 take care of patients want to have tools that we can  
21 say we believe in. So we hear you, and I think your  
22 questions have been outstanding.

23           I want to explain what a gynecologic  
24 oncologist is because, other than Ralph, I think there  
25 is only one of you there who is a gynecologic

1 oncologist. We are the only board-certified physicians  
2 in the world, and the United States is the only country  
3 that has board-certified clinicians who are trained  
4 experts in dealing with a treatment and diagnosis of  
5 women with gynecologic malignancies. Only the United  
6 States has this as a board-certified specialty. There  
7 might be 1,000 of us in the United States. This is a  
8 tremendous honor to take care of these women. We do  
9 not take this lightly.

10           Women's healthcare is compromised, as is  
11 proven by multiple articles when they're operated on by  
12 non-gynecologic oncologists even if it's something  
13 simple as spilling a capsule, an ovarian mass cancer  
14 that's confined to the ovary, if it's ruptured and  
15 there is no other cancer found, that person just went  
16 from not needing any further therapy to buying at least  
17 three to six months of chemotherapy. Think about the  
18 cost of quality of life and societal costs that incurs.  
19 So optimizing patient triage is a critical step to  
20 saving women's lives.

21           The thing I've heard today is why refer  
22 anybody back? You're right. Gynecologic oncologists  
23 are the best skilled pelvic surgeons in obstetrics and  
24 gynecology. I won't say we're the best vaginal  
25 surgeons. You guys probably are. But the bottom line

1 is if we took every patient that came to us, there has  
2 to be a fairness here.

3           If I have a patient that I think is benign,  
4 and I am a practicing doctor who does do a lot of  
5 surgery, I'll send them back to the referring doctor,  
6 saying, "I don't know whether she has cancer, but I've  
7 had the discussion with her. So preoperative  
8 consultation, discussion, meeting with the patient, not  
9 an intraoperative disaster parachuting in, but talking  
10 to the patients if this is cancer and if it's not  
11 cancer, talking with the doctor, informed consent,  
12 decreasing anxiety. And if the patient does have  
13 cancer, I make sure I'm available surgically to help my  
14 colleague out. So we can optimize patient care.

15           What else is missed? If patients aren't  
16 optimally debulked at time of surgery, then they're  
17 probably not going to have an intraperitoneal Port-a-  
18 Cath placed. Our standard of care has changed. Now,  
19 whether you -- intraperitoneal therapy or not, it  
20 doesn't matter. We can optimize patient care in one  
21 operation. If there is a delay, that's fine, as long  
22 as the patient is referred. But when the delay is 16,  
23 17, 18 weeks, that's unacceptable.

24           You talk today about intraoperative frozen  
25 analysis. Well, with all due respect to Brown, most of

1 us have a 50 percent accuracy rate for intraoperative  
2 frozen analysis. And certain tumors, mucinous  
3 especially, are very difficult to determine. LMPs can  
4 be wrong, and a lot of us will stage these patients  
5 because invasive implants is cancer. It's not benign  
6 disease, and we can discuss it with Bob Kerman later.

7           The bottom line is that we want to optimize  
8 patient care. We have no good tools. Any tool that  
9 will help us improve patient care, even if it's  
10 something as simple as getting them referred to a  
11 gynecologic oncologist, will save lives. Maybe I'm  
12 beating that over the head, but I think this is  
13 important to hear. As a clinician who takes care of  
14 patients, any tool that we have that we can have faith  
15 and confidence in is important to bring to the table.  
16 I do not own stock in this company, nor am I buying  
17 stock in this company.

18           I'd like to thank you all for at least  
19 allowing us who take care of patients to talk to you.  
20 And as a gynecologic oncologist, I'd like to let you  
21 know that those of us in this specialty and all of you  
22 who are healthcare providers take great pride in  
23 optimizing our patient care. We believe this is a tool  
24 that will help. I hope you will as well. Thank you.

25           DR. NETTO: Thank you very much, Dr. Fishman.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 At this point, we will proceed to the FDA and Sponsor  
2 summation, if any. Is there any further comment or  
3 clarification from the FDA?

4 (No response.)

5 DR. NETTO: All right. Thank you. Is there  
6 any further comment or clarification from the Sponsor?

7 DR. ALLARD: Yes, thank you very much,  
8 Mr. Chairman.

9 DR. NETTO: Ten minutes, please.

10 DR. ALLARD: Very good. We will do that. I  
11 will introduce Dr. Moore for one final time. I just  
12 want to make the statement that Fujirebio remains  
13 committed to bringing better diagnostics to bear on  
14 this awful disease. This is the mission of the  
15 company, after all, and they believe in it. And they  
16 will continue to invest in this area because of their  
17 commitment, and they look forward to working with you  
18 and with the FDA to improve the labeling of the product  
19 to the extent that that's necessary.

20 I'd like to introduce Dr. Moore, who will  
21 deliver a brief summation.

22 DR. NETTO: Thank you. Dr. Moore?

23 DR. MOORE: It's truly been amazing that CA-  
24 125 was discovered over 30 years ago and we're finally  
25 getting tumor markers that are going to help us improve

1 the care of ovarian cancer. As gynecological  
2 oncologists, we are dedicated to decreasing the  
3 suffering that these patients have to undergo. The  
4 board-certified gynecological oncologists, we're  
5 committed to improving women's healthcare by increasing  
6 survival and decreasing the pain and suffering  
7 associated with gynecological cancers. We are the only  
8 board-certified physicians, as you have heard, and  
9 physicians that are trained to surgically and medically  
10 manage women with ovarian cancer.

11 The literature clearly indicates, as we've  
12 shown today, the survival is improved for those women  
13 whose initial surgical care is managed by a  
14 gynecological oncologist. Unfortunately today, just as  
15 in 1975, the majority of women with ovarian cancers are  
16 not referred to gynecological oncologists when they  
17 present to their primary physician with suspicious  
18 adnexal masses. And we've heard that from our patients  
19 and our patients' advocates today.

20 Today, the vast majority of women with  
21 epithelial ovarian cancer continue to be diagnosed with  
22 advance stage disease and have dismal prognoses. We  
23 need to be better at taking care of and diagnosing  
24 these patients. Therefore, we've got to ask ourselves,  
25 we have to ask ourselves how can we do better. We have

1 to ask that question to us in order to take care of our  
2 patients, and we have to ask what tools can we use  
3 today to save lives of our loved ones. This is a very  
4 serious disease, and we need every little tool that we  
5 can use to help improve the survival for this deadly  
6 cancer.

7           ROMA is a novel objective ovarian cancer risk  
8 assessment tool and the only algorithm and the best  
9 test available to distinguish benign from malignant  
10 masses. It is imperative that the Panel understands  
11 that ROMA is intended to be used only in the population  
12 of women who have a pelvic mass and are going to have  
13 surgery. It's not intended to be used as a diagnostic  
14 tool, nor is it intended to be used as whether women  
15 will undergo surgery or not undergo surgery. Rather,  
16 ROMA will allow us to more accurately identify those  
17 women with a pelvic mass at risk for ovarian cancer.

18           Also, remember, there is currently no FDA-  
19 approved or cleared objective test for stratifying risk  
20 of ovarian cancer in women with a pelvic mass scheduled  
21 for surgery. CA-125 is not FDA-approved for diagnosis,  
22 screening or risk assessment of ovarian cancer.  
23 However, the pilot studies were appropriately powered,  
24 as we've talked about, and found to have a significant  
25 additive effect to HE4 and CA-125. The pivotal study



1 was not designed or powered to detect the difference  
2 between CA-125 and ROMA. It was appropriately powered  
3 and designed to detect ovarian cancer in women with a  
4 pelvic mass.

5           The ultimate benefit of ROMA is to improve  
6 women's healthcare. The appropriate care of women with  
7 a pelvic mass utilizes multiple clinical tools,  
8 including detailed personal and family histories,  
9 physical examinations, diagnostic imaging, and, now,  
10 ROMA should be one of those tools included.

11           In the intended use population, ROMA will  
12 help us in preoperative planning and patient counseling  
13 and can influence surgical approaches. Based on the  
14 data, ROMA will allow us to help optimize women's  
15 healthcare and save lives. Thank you.

16           DR. NETTO: Thank you, Dr. Moore. At this  
17 point, I would like Dr. Chan just to make a comment  
18 about the logistics and the upcoming questions.

19           DR. CHAN: I'd like the Panel to keep in mind  
20 that FDA do not regulate medical practice, and we will  
21 really try very hard to build a firewall in the  
22 labeling to keep off-label use, you know, as much as we  
23 could. And, also, in your deliberation/discussion of  
24 the questions we're going to pose in a few minutes, to  
25 keep in mind this is limited to the intended use that's

1 specified for this device. Thank you.

2 DR. NETTO: Thank you. Which bring us to the  
3 FDA questions. At this time, we will focus our  
4 discussion on the FDA questions. The FDA --  
5 interviewer will now read the questions. Dr. Reeves?

6 DR. REEVES: Actually, what is the Panel's  
7 preference with regard to this? Should I just display  
8 the questions? Do you want me to read the questions  
9 out loud? I will do as the Panel desires.

10 DR. NETTO: We have the questions in front of  
11 us. All right. So what's the decision? Would you  
12 like Dr. Reeves to read it or -- so question number 1.

13 DR. REEVES: My apologies. I hit the wrong  
14 button. Okay. What's your desire? Do you want me to  
15 read the questions out loud?

16 DR. NETTO: Go ahead and read it, yeah. Go  
17 ahead and read it.

18 DR. REEVES: Okay. Fine.

19 Question 1: The proposed intended use  
20 population is "pre-menopausal and post-menopausal women  
21 presenting with an adnexal mass who have already been  
22 referred to an oncologic specialist and are scheduled  
23 for surgery." Bearing in mind the likelihood that  
24 different populations vary in their disease spectrum  
25 and clinical performance by the test:

1 (a) Does the population accrued to the  
2 pivotal study adequately match the population and  
3 indications described in the Sponsor's proposed  
4 intended use?

5 (b) Is the proposed intended use sufficiently  
6 clear and appropriately crafted to prevent ill-advised  
7 use of the test beyond its stated indications?

8 (c) If "no," how can this be remedied in  
9 labeling or through obtaining additional data?

10 DR. NETTO: Thank you. Any discussion from  
11 the Panel?

12 DR. BERRY: I think the answers are yes.

13 DR. NETTO: To which one?

14 DR. BERRY: (a) and (b).

15 DR. NETTO: Does the population accrued to  
16 the pivotal study adequately match the population of  
17 indication? Yes.

18 DR. BERRY: (b), I'm not sure; (b) there may  
19 be some statement that there have been no studies in  
20 the actual clinical practice of -- in your general  
21 practitioner use of this procedure, but it should be  
22 done in the context of a referral clinic.

23 DR. NETTO: But that's not what the intent to  
24 use is. It's not the general practitioner. So they  
25 intend to use -- let me remind you exactly how --

1 DR. BERRY: But I thought it said the pre-  
2 menopausal/post-menopausal women presenting with  
3 adnexal mass who have already been referred to an  
4 oncologic specialist and are scheduled for surgery.

5 DR. NETTO: Correct.

6 DR. BERRY: So I'm saying, specifically, it  
7 could say in the label, this is not for use --

8 DR. NETTO: Exactly --

9 DR. BERRY: -- of, you know, in the ordinary  
10 clinic. It hasn't been shown to be beneficial.

11 DR. NETTO: So you feel it would -- the  
12 intent to use will benefit from adding a negative  
13 sentence --

14 DR. BERRY: Yes.

15 DR. NETTO: -- to prevent this concern that  
16 several members of the Panel felt?

17 DR. BERRY: Yes.

18 DR. NETTO: Go ahead, Dr. Bracco.

19 DR. BRACCO: I just want to comment that I  
20 think the intended use is confusing enough, and I think  
21 we should take advantage of, actually, 21 C.F.R. 809,  
22 which I know the FDA is very familiar with. Those are  
23 the labeling requirements for in vitro diagnostic  
24 devices which require adequate limitations and warnings  
25 to be presented in the labeling. And, Dr. Chan, to

1 your point, it is not FDA's purview to regulate medical  
2 practice, just to provide the physicians with the  
3 proper information so that they can make the right  
4 decisions.

5           So I think the intended use, as I said  
6 earlier, should either be set back to its original  
7 intended use with some very strong limitations and  
8 warnings in the labeling, or kept as is and also  
9 include the limitations and warnings to make sure that  
10 it's very clear to the medical community in which  
11 populations this device was studied and we have the  
12 clinical data for.

13           DR. NETTO: All right.

14           MS. LONDON: I have a comment regarding  
15 Question 1(c). Referring to the insert page on  
16 Architect Systems, I think it would be helpful if under  
17 United States they have 1-800-4ABBOTT, but it doesn't  
18 express time of day, 24/7, Monday through Friday, 8  
19 to 5. Medicine goes around the clock, and it would be  
20 very frustrating to be a clinician or a tech or a  
21 healthcare person calling the Pacific Coast and not  
22 being able to find out an answer. And so,  
23 specifically, how can this be remedied in labeling?  
24 That would just be a very simple addition to the label.

25           DR. NETTO: Thank you. Which brings an

1 issue. The labels that are included in the package are  
2 toward the two test components, is that --

3 DR. CHAN: The labeling is actually for the  
4 laboratory. It's not for the physician to call Abbott,  
5 you know --

6 MS. LONDON: Okay.

7 DR. CHAN: The physician is not going to  
8 perform the CA-125 test. And --

9 MS. LONDON: Who would be calling the --

10 DR. CHAN: It's the laboratory, like --

11 MS. LONDON: Well, it would help them to know  
12 the hours as well, the hours that the phone number will  
13 be answered. Is it 24/7? Will you get an answering  
14 service --

15 DR. CHAN: Usually, you can access Abbott all  
16 the time.

17 MS. LONDON: Okay.

18 DR. CHAN: If you have a technical issue --

19 MS. LONDON: Okay.

20 DR. CHAN: -- you know, they will respond  
21 back to you. And so I think the labeling for the CA-  
22 125 for the Abbott should be okay.

23 MS. LONDON: Is sufficient?

24 DR. CHAN: Yeah, is a normal -- normally,  
25 that's how the labeling is done.

1 MS. LONDON: Okay.

2 DR. CHAN: Thank you.

3 DR. NETTO: But introducing modifications to  
4 the intention of use, if this Panel has a suggestion  
5 for introducing, from what I'm gathering, modification  
6 to intention of use, if the feeling was that it's not  
7 clear and appropriately crafted, addressing number  
8 1(b), question 1(b), where would these additional  
9 suggestions be included? Not in the laboratory  
10 packaging, right?

11 DR. CHAN: No, no, it will be in the labeling  
12 of the test. And it will, you know, of course it will  
13 be sent to the laboratory, and the laboratory, when  
14 they give a report to the physician, should include the  
15 limitations in their report. So we have to discuss  
16 further about how to handle it, but we would prefer as  
17 stated what the -- how we recommend the intended and  
18 indication for use to be for this stage. But we can  
19 add additional limitations after that.

20 DR. NETTO: Okay.

21 DR. CHAN: Thank you.

22 DR. NETTO: Go ahead.

23 MS. HOLLAND: I just want to say I think the  
24 ROMA is very valuable as a tool, so I don't want  
25 anybody to misconstrue what I've said to think that I

1 don't think it has value because I really do, but I  
2 also have an issue with the labeling. I think, you  
3 know, that we've talked about it before, but I want to  
4 reiterate. At a time when it's understood the value of  
5 having surgery done by the specialist, the labeling  
6 seems to turn that around and want to send people back  
7 away from the specialist, and my opinion is that if  
8 there is any suspicion of malignancy that we need to be  
9 seeing a specialist. So that's my problem. How to  
10 solve that problem in labeling I'm not sure. I think  
11 other people might be able to solve that better. Thank  
12 you.

13 DR. NETTO: Dr. Ozols?

14 DR. OZOLS: Yeah, a couple of things. The  
15 labeling is somewhat misleading. First of all, who is  
16 an oncologic specialist? People are going to argue,  
17 are you just meaning gynecologic oncologists? How  
18 about a surgical oncologist who does -- pelvic surgeon  
19 or a GI surgeon, but he just does oncology, is he an  
20 oncologic specialist?

21 Scheduled for surgery is very vague. I mean,  
22 surgery is scheduled often, you know, a week before the  
23 operation, but all sorts of tests are being done the  
24 mean time. Scheduled for surgery, I'm not --  
25 scheduled, what does that mean?



1           Second, you know, I think (a) is probably  
2 okay with those caveats, but (b), I think (b) is  
3 probably no because, as we talked about, that it's  
4 going to be used in an ill-advised manner. And the  
5 answer to (c) is how we can do it. I think you need  
6 to -- how you can remedy this, I think you can remedy  
7 this by doing a trial in the patients who are really  
8 going to be used in the sense that this should be  
9 looked at in a community situation in a randomized  
10 trial to see if it's really beneficial because it's  
11 basically going to be in the reverse process,  
12 ultimately, to go to refer patients from the community  
13 to the specialist, whoever that is.

14           DR. NETTO: Dr. Berry?

15           DR. BERRY: I just want to comment on that.  
16 Unfortunately, we can't remedy that in the label. I  
17 mean, I agree that a study like that would be  
18 appropriate, but we can't put it in the label --

19           DR. OZOLS: Well, I'm talking about  
20 additional --

21           DR. NETTO: You can suggest additional data  
22 because that's question (c). If your answer to (b) is  
23 no, how can the -- and correct me if I'm wrong -- how  
24 can this be remedied in labeling or through obtaining  
25 additional data --

1 DR. BERRY: Oh, okay, all right.

2 DR. NETTO: So you can suggest --

3 DR. BERRY: Yeah, yeah, yeah.

4 DR. NETTO: -- if part of the remedy is --

5 DR. BERRY: Not in labeling but in  
6 additional --

7 DR. NETTO: -- obtaining additional data, is  
8 that correct? Does anybody else share the feeling of  
9 Dr. Ozols?

10 DR. FREEDMAN: I have the same concern  
11 because when we're asked to comment on whether it can  
12 be crafted to prevent ill-advised use of the test, this  
13 becomes very difficult since we're not allowed to put  
14 certain things in there. On the other hand, clearly,  
15 if there is a potential here for abuse and misuse and  
16 that could impact on the safety of patients if it were  
17 not properly used. And I think one of the -- with  
18 regard to (c), I know that there is additional data  
19 that the Sponsors generated at least in 80 percent of  
20 the patients that they didn't complete and didn't  
21 present to us on the correlations with the radiologic  
22 and other studies, it would at least be interesting to  
23 look at that. And since the study was done, it was a  
24 secondary objective, patients participated in the  
25 experiment to provide data for the primary and

1 secondary endpoints, I think it's probably incumbent on  
2 us to look at it at least at the secondary endpoint.

3 DR. NETTO: Dr. Julian?

4 DR. JULIAN: I agree. I think (a) is yes.  
5 (b) is no.

6 DR. NETTO: And the remedy?

7 DR. JULIAN: All I can say is it was a great  
8 honor to hear from Dr. Knapp to be speaking about CA-  
9 125 and it being introduced in 1981. And I remember in  
10 '88 through '92, when every patient who came in for an  
11 annual exam wanted a CA-125 as part of the diagnostic  
12 panel. And I can remember all of the ovaries we took  
13 out of people who had moderately or mildly or just  
14 marginally elevated CA-125 during that period.

15 So I don't think there is any way you could  
16 keep this test out of the hands of the community. And  
17 once it's in the hands of the community, if a great  
18 contribution like CA-125 is not being handled correctly  
19 27 years later, I think that something needs to be done  
20 to get this into the community, see how it works there  
21 because you know that's where it's going to end up  
22 anyway.

23 DR. NETTO: So are you suggesting additional  
24 data --

25 DR. JULIAN: I think you need to test this --

1 DR. NETTO: -- within the community similar  
2 to the other --

3 DR. JULIAN: I have nothing against the test  
4 per se, but the question as stated here, that's what I  
5 think.

6 DR. NETTO: Go ahead.

7 DR. FUNKHOUSER: I'd say I agree yes to (a)  
8 and no to B. I think the current stated indications  
9 are too narrow. I think that triage from GYN/ONC back  
10 to local practice -- direction in the wrong way. I  
11 think the more common the usefulness of this test is in  
12 triage in patients from a local GYN practice to  
13 specialist care in a tertiary care center. And for  
14 that reason, trial data should be accumulated  
15 addressing that particular intended use before the FDA  
16 puts -- on this test.

17 DR. NETTO: Although on the other hand, our  
18 job is to evaluate according to intent of use. But if  
19 the feeling is that intent of use is confusing and  
20 doesn't clearly and appropriate crafted, which could  
21 lead to ill-advised use, then I understand the  
22 comments. Dr. Lichtor?

23 DR. LICHTOR: I guess I'm still puzzled about  
24 who should be ordering this test, so this question sort  
25 of acts like only a oncologic specialist should be

1 ordering it, and then you're giving the restriction not  
2 only does a patient have to come to an oncologist, but  
3 has to be scheduled for surgery. To me, the scheduling  
4 for surgery should be taken out. I'm a surgeon. I  
5 mean, that could be -- it's so vague, and I don't even  
6 know what that really means, and I think that's just  
7 opening up doors you don't want to open up. You can  
8 just decide you want a test that only a oncologic  
9 specialist should order or is it a test that any family  
10 practice or obstetrician could order. I think that's,  
11 to me, the issue. And then I could answer the  
12 questions. But the way this is written, I don't even  
13 feel I can answer the questions.

14 DR. NETTO: So you're -- but it sounds like  
15 your answer to (b) would be no or yes?

16 DR. LICHTOR: My answer to (b) would be no.

17 DR. NETTO: And as far as the remedy, you  
18 don't have a suggestion?

19 DR. LICHTOR: I'm sorry, what?

20 DR. NETTO: And as far as the remedy, to (c),  
21 your answer to (c)?

22 DR. LICHTOR: Well, I mean, I think it has to  
23 be reworded, which is -- I mean, I would take out  
24 scheduled for surgery, and I think you have to decide  
25 is this something that an oncologic specialist only can

1 order or is this something that any oncologist could  
2 order? To me, that's the issue. And then I could  
3 answer the other questions because this is --

4 DR. NETTO: Okay. But we're kind of  
5 restricted by the fact that the pivotal study was done  
6 in an oncologic --

7 DR. LICHTOR: I understand that. No, I've  
8 heard all this discussion. I understand it. But it's  
9 still --

10 DR. NETTO: Correct. Correct.

11 DR. LICHTOR: But it's still begging the  
12 question as to it seems to me once you approve this  
13 test, anybody could probably order it. That's the way  
14 I look at it, and so I think you've got to assume that  
15 that's going to happen and address that issue. Say we  
16 think it only should be ordered by oncologic  
17 specialists, and I'm on the fence on that or -- because  
18 you don't really have the data. Or you could write  
19 only oncologic specialists until more data is  
20 available, or something like that.

21 DR. NETTO: Okay.

22 DR. LICHTOR: I mean, to me, it's got to be  
23 reworded.

24 DR. NETTO: All right. Anybody else?

25 DR. BRACCO: Can I just make one

1 additional --

2 DR. NETTO: Sure, go ahead.

3 DR. BRACCO: There are devices that come to  
4 mind. One in particular is a brachytherapy device for  
5 breast cancer following a lumpectomy. And those  
6 devices have been out there for years and successfully  
7 used without any clinical data to show how they fare  
8 against whole breast radiation. But all of those  
9 devices in the public domain all carry a warning, a  
10 very strong warning, that they haven't been adequately  
11 studied against whole breast radiation. So there are  
12 devices out there that fall under a similar  
13 circumstance where labeling does seem to be doing its  
14 job adequately. And --

15 DR. NETTO: So you're suggesting -- so the  
16 suggestion would be to add wording to say, to clearly  
17 state that it was not studied in the setting of primary  
18 care --

19 DR. BRACCO: Right. So to your point about  
20 needing an additional study, that can clearly be stated  
21 in the labeling, but here is what we have right now,  
22 and then it's up to the medical community to decide how  
23 to use that. But they have the adequate warnings in  
24 front of them to make that decision.

25 DR. NETTO: Thank you. Dr. Jason?

1 DR. JASON: Could I have just two things? Do  
2 you need me -- or just what I want to add?

3 DR. NETTO: No, your answer to this question.  
4 Well, what do you want to add --

5 DR. JASON: Okay. In terms of something to  
6 add, I think in terms of what the material says the  
7 intent is, it actually doesn't match what we've heard  
8 today. For instance, Dr. Moore now is saying he would  
9 not use it to refer someone back. So I think there's  
10 very definitely a lack of clarity in terms of what the  
11 intended use is even in terms of our discussion today.  
12 So I'd have to say in terms of Item Number --

13 DR. NETTO: 1(b)?

14 DR. JASON: -- (b), it is not clear and maybe  
15 needs to even be rethought-out. And, you know, I am  
16 not a gynecologist or oncologist, but I could put on a  
17 hat of education. Once this thing is finalized, it  
18 would be good to test it against this charted  
19 population and see if it's clear to them. But it's  
20 definitely at the end of today less clear to me than it  
21 was at the beginning of the day.

22 And in terms of how to remedy it, and I defer  
23 to the people who work in this area, clearly, it is not  
24 quite right for its stated intended population, and it  
25 may need to be -- may need to involve further work if



1 other people are going to read it and use it and then  
2 misunderstand it.

3 DR. NETTO: Go ahead, Dr. Berry.

4 DR. BERRY: So I want to comment on  
5 Dr. Lichtor's comment and Dr. Ozols about scheduled for  
6 surgery and the suggestion that it be dropped. I don't  
7 want to drop it. I think it's essential to be in there  
8 despite it being ambiguous. I mean, we don't know what  
9 it means, but that's what the study was, was all  
10 patients who were scheduled for surgery. And so  
11 somebody looks at this and says, gee, scheduled for --  
12 what does that mean, at least he or she is thinking  
13 about it, and it puts kind of a damper on the kiddie  
14 bar to the door attitude.

15 DR. NETTO: Right. So if nobody else has a  
16 comment, we are supposed to summarize this to answer  
17 back to the FDA, so anybody else?

18 (No response.)

19 DR. NETTO: So as far as suggesting, what I'm  
20 hearing is that it seems to be a consensus that as it's  
21 currently intended, it's not sufficiently clear and to  
22 prevent especially ill-advised use, which, again, we  
23 have no power in enforcing that ill-advised, but we can  
24 build in some parameters to protect from that.

25 So it's a feeling that the sentence,

1 "Subjects categorized as low-risk may have surgical  
2 intervention performed by a non-oncology specialist  
3 should -- does anybody feel that striking this out  
4 with -- because it seems like we are opening the path  
5 for that if that's the concern. At least we shouldn't  
6 be suggestive of that unless you guys feel otherwise.

7 UNIDENTIFIED SPEAKER: Question 2(a)?

8 DR. NETTO: No, that's in the same question.  
9 I'm going back to the intention to use statement. And  
10 one of the sentences there is, "Subjects categorized as  
11 low-risk of cancer using the ROMA value may have  
12 surgical intervention performed by a non-oncology  
13 specialist." So if --

14 UNIDENTIFIED SPEAKER: That comes to 2(a)  
15 again.

16 DR. NETTO: Yeah, it comes again? Yeah. So  
17 is the general feeling that this may be a little  
18 suggestive that -- for that reverse referral issue that  
19 we talked about?

20 DR. JASON: Well, Dr. Moore says he's not  
21 going to use it that way, so I don't know that -- you  
22 know, you had said you would not refer them back. You  
23 would use it make decisions on how to do your surgery  
24 and what kind of approach.

25 DR. FREEDMAN: I wouldn't emphasize the

1 individual. I would emphasize the field of oncology,  
2 in other words, in an oncology setting. I think then  
3 it doesn't sort of deal with, you know, the  
4 personalities and those issues because it was done in  
5 an oncology setting. And, I mean, that's appropriate.

6 DR. OZOLS: But we have no data on the use of  
7 this test when -- if it was, you know, used and those  
8 patients were operated on by a non-oncologic surgeon  
9 because all these patients in this pivotal trial were  
10 operated on by an oncologic surgeon. So we don't have  
11 any data to suggest what happened if they would be  
12 referred back to a non --

13 DR. NETTO: So it would seem to me it would  
14 not be appropriate to put that --

15 DR. OZOLS: Yeah, we don't have --

16 DR. NETTO: -- in the insert that based on  
17 that they cannot -- they can be sent back because none  
18 of these patients were sent back.

19 DR. OZOLS: Right. We have not a shred of  
20 information.

21 DR. NETTO: So would you recommend that this  
22 sentence be removed from the intention to use, and how  
23 about the suggestion that -- to add a sentence  
24 regarding more restriction in term of until data is  
25 acquired in term of community performance? How would

1 you craft that?

2 DR. BRACCO: That actually wouldn't be a  
3 sentence in the intended use. It would be a sentence  
4 in the limitations or warning section of the labeling.

5 DR. NETTO: Okay. So that's something that  
6 needs to be -- that can be considered. All right.  
7 That's our answer to the first question.

8 DR. FREEDMAN: I'm sorry. Can you just tell  
9 me again? So you're going to take out the portion that  
10 says who have already been referred to an oncologic  
11 specialist? Which part --

12 DR. NETTO: My feeling, yeah, my feeling that  
13 "and are scheduled for surgery" --

14 DR. FREEDMAN: We're taking out scheduled for  
15 surgery?

16 DR. NETTO: It seems like different people  
17 have different opinion. What's the general consensus  
18 on that?

19 DR. JASON: What is the question? What are  
20 you specifically asking?

21 DR. NETTO: About whether the sentence about  
22 referred to and scheduled for surgery, whether the  
23 scheduled for surgery portion needs to be specified,  
24 left specified as is or not? Go ahead.

25 DR. BRACCO: I just want to go back. It's in

1 the Panel pack somewhere, but the original labeling  
2 says pre-menopausal/post-menopausal women presenting  
3 with an adnexal mass who are candidates for surgical  
4 intervention period.

5 DR. FREEDMAN: Candidates.

6 DR. BRACCO: With all the labeling  
7 restrictions around that, I think that's clearer than,  
8 like I said earlier, something the FDA I believe put in  
9 to cover some of the limitations in the clinical -- in  
10 the cohort that was studied, which actually made the  
11 intended use, in my opinion, more confusing.

12 DR. NETTO: But there is no mention of  
13 oncology setting, correct, which is --

14 DR. BRACCO: Well, we can add that. I  
15 mean --

16 DR. NETTO: I think that needs to be added --

17 DR. FREEDMAN: Candidates for surgery --

18 DR. NETTO: Whether it's candidate for --  
19 yeah, in an oncologic setting.

20 DR. JASON: Now, you're referring to  
21 something not in our packet, is that correct?

22 DR. NETTO: So rather than schedule for --

23 DR. JASON: Because my packet.

24 MS. HOLLAND: It's in a different place. I  
25 don't know where it is either but --

1 DR. JASON: Because Page 1 of the HE4  
2 reads --

3 DR. NETTO: Right.

4 DR. JASON: That is for those who have  
5 already been referred to an oncologic specialist and  
6 are scheduled for surgery.

7 MS. HOLLAND: Right, but what he's saying is  
8 it may be better to use the other terminology.

9 DR. JASON: That's what I'm saying --

10 DR. BRACCO: It's on Page 10 --

11 DR. JASON: He's going back to something  
12 else.

13 DR. NETTO: Excuse me just one second.

14 UNIDENTIFIED SPEAKER: Page 10.

15 DR. NETTO: So let me clarify --

16 MS. HOLLAND: So we're talking about two  
17 different issues here --

18 DR. NETTO: Excuse me. Let me just -- in the  
19 briefing document, in Chapter 2, there is a paragraph  
20 about the modified version of what the FDA suggested  
21 the intention to use modification should be. And  
22 that's what we're referring to and trying to modify  
23 that. So rather than putting scheduled for surgery as  
24 is, who are candidate for surgery, I think that would  
25 make everybody --

1 UNIDENTIFIED SPEAKER: Surgical candidates.

2 DR. NETTO: That's fine, yeah. But in an  
3 oncologic setting --

4 DR. FREEDMAN: In an oncologic setting.

5 DR. NETTO: Keep the oncologic setting there  
6 and drop the sentence about the low-risk patient  
7 because it seems a little suggestive to go back to --  
8 right. And my general feeling is we should have a  
9 sentence under the limitation, like we discussed, about  
10 this has not been tested in a population base and this  
11 should not be used in that setting as a limitation.  
12 Everybody agree on that?

13 DR. REEVES: I'm sorry. Could you say that  
14 again? I didn't hear you very well.

15 DR. NETTO: Sorry?

16 DR. REEVES: I did not hear that very well.  
17 Could you speak --

18 DR. NETTO: So as far as the limitation, the  
19 added limitation should be a mention, and whatever the  
20 FDA feel appropriate, that this has not been tested in  
21 the general population. I think it should come with a  
22 positive sentence about that because it's not  
23 mentioned. It's just emphasizing that you can use it  
24 in the oncology setting. I think we should have a  
25 sentence saying that -- suggestion of the Panel would

1 be to have a sentence saying this has not been used,  
2 tested in a primary setting, in a population-based  
3 setting, and has only been tested in an oncology  
4 setting and should not be used in a primary population  
5 setting.

6 DR. BRACCO: But not in the intended use  
7 statement. That extra sentence --

8 DR. NETTO: In the limitations --

9 DR. BRACCO: Right.

10 DR. NETTO: Yeah. Would that be okay in  
11 the --

12 MS. HOLLAND: So it's actually three  
13 different changes or two changes, or two changes and  
14 one addition --

15 DR. REEVES: In the limitations section,  
16 saying that is has not been tested in the --

17 DR. NETTO: In the population-based --

18 DR. REEVES: In the general gynecologic  
19 population.

20 DR. NETTO: Correct. And it's not intended  
21 for use in that setting.

22 DR. REEVES: Okay.

23 DR. NETTO: Because it has not been tested in  
24 that setting. And as far as the intention to use is  
25 just to change the scheduled for surgery to --



1 MS. HOLLAND: Right, so there is one change,  
2 there's one omission --

3 DR. NETTO: Correct.

4 MS. HOLLAND: -- of a sentence, and then  
5 there's --

6 DR. NETTO: Limitation --

7 MS. HOLLAND: -- an addition to the warnings.

8 DR. NETTO: Correct.

9 MS. HOLLAND: So three different things we're  
10 talking about. Is that clearer?

11 DR. NETTO: Is that clear?

12 DR. REEVES: The third item I'm not clear  
13 about.

14 MS. HOLLAND: The Item 1 was to change the  
15 language -- I'm sorry.

16 DR. NETTO: So the first --

17 MS. HOLLAND: Is it okay if I --

18 DR. NETTO: So I'll summarize. So the first  
19 suggestion was the scheduled for surgery language to be  
20 changed and replaced by who are candidate for surgery.

21 DR. REEVES: Right, I understand that.

22 DR. NETTO: The second suggestion is the  
23 sentence immediately after that, "Subjects categorized  
24 as low-risk for cancer using the ROMA value may have  
25 surgical intervention performed by non-oncologist," to

1 be deleted because it's a little suggestive that you  
2 can send them back.

3           And the third suggestion is according to what  
4 the FDA feel is either to insert a sentence there  
5 indicating the limitation that this has not been tested  
6 in the general population setting and is not intended  
7 for use in that setting. So either to add it as --

8           DR. REEVES: In the actual intended use  
9 rather than in the limitations section of the label --

10           DR. NETTO: And that's where we need your  
11 advice in term of is it appropriate to put it under  
12 limitation or --

13           DR. REEVES: Fine, I understand that. Thank  
14 you.

15           DR. NETTO: Or in the actual -- go ahead.

16           DR. BRACCO: I think striking out that one  
17 sentence and not putting anything in there kind of  
18 leaves you lacking more so, in my mind, in terms of  
19 what you're going to use this device for.

20           DR. NETTO: I think actually that's where --  
21 I mean, my understanding is, if we can add the sentence  
22 that I just talked about in term of not being tested in  
23 the primary setting, if that can be put there, I think  
24 it comes strengthens the point that this is only  
25 restricted -- was tested in an oncology setting. And

1 having the sentence there talking about that primary  
2 setting was not tested and it's not indicated for use  
3 there. It actually not only will reverse that  
4 suggestion to take them back --

5 DR. BRACCO: Okay.

6 DR. NETTO: -- but it will enhance it  
7 further.

8 DR. BRACCO: So where in the intended use  
9 will it say what to do with this result now that you've  
10 taken out that sentence?

11 DR. NETTO: It's the next sentence. The  
12 results must be interpreted in conjunction with other  
13 clinical findings and according with standard clinical  
14 management guidelines. The assay is not indicated as  
15 an aid in a decision to proceed to surgery. I think --

16 DR. BRACCO: So it just says to use it with  
17 other clinical results, but it doesn't say what to do  
18 with that -- this particular algorithm?

19 DR. NETTO: I don't think the study  
20 illustrated anything on what to do when you have that  
21 test result one way or the other. It just showed that  
22 in the pivotal population was -- seems to be  
23 prognostic. Is that an agreement? Does that  
24 summarize --

25 DR. OZOLS: Yeah, we need more data. We

1 would like to see more clinical data, but they don't  
2 have it. So we can't recommend -- data --

3 DR. REEVES: Okay. Is the Panel --

4 DR. NETTO: Okay.

5 DR. REEVES: -- ready to move on?

6 DR. NETTO: Let's move on to the next --

7 DR. REEVES: Okay. Question Number 2 is a  
8 rather long one:

9 The following were among the estimates of  
10 clinical performance characteristics yielded by the  
11 pivotal study for all evaluable patients in the study  
12 population described for Question 1 (where the total  
13 number was 504 subjects, excluding 28 cancer patients  
14 whose tumors were not epithelial ovarian cancer), and  
15 the table is presented.

16 (a) Are these results consistent with safe  
17 and effective use of the test in selecting low-risk  
18 women for whom surgical intervention performed by a  
19 non-oncology specialist is appropriate?

20 (b) If "yes," what special measures (if any)  
21 need to be in place in order to ensure safe use of the  
22 test?

23 (c) If "no," how can this be remedied in  
24 labeling or through obtaining additional data?

25 (d) For the specified intended use population

1 and indication, what is the clinically tolerable  
2 maximal percentage of patients who are falsely  
3 categorized as "low risk"? Said another way, what is  
4 the maximum tolerable (1-NPV)?

5 (e) For the specified intended use population  
6 and indication, what is the clinically tolerable  
7 maximal percentage of patients who are falsely  
8 categorized as "high risk"? Said another way, what is  
9 the maximum tolerable (1-PPV)?

10 DR. NETTO: Okay. Dr. Ozols?

11 DR. OZOLS: Well, (a) to be consistent with  
12 what we just recommended, the answer is no because we  
13 have no idea whether it's safe for these women to be  
14 operated on by a non-oncology specialist. So if this  
15 question, are these results consistent with safe and  
16 effective use of the test in selecting low-risk women,  
17 I would say the answer is, yes, if you stop the  
18 question at that point. But I don't think we have the  
19 data to say that it's safe and effective if that  
20 surgery is done by a non-oncologist.

21 DR. FREEDMAN: I agree.

22 DR. NETTO: Okay. Dr. Freedman agrees?

23 DR. FREEDMAN: I agree.

24 DR. NETTO: Dr. Berry?

25 DR. BERRY: I agree, but I want to comment on

1 the table before (a). It's very unusual to give  
2 sensitivity -- negative predictive value as summaries.  
3 It's standard to give sensitivity and specificity and,  
4 if possible, positive predictive value and negative  
5 predictive value. It's very strange to do it in the  
6 mixture that they've done it. With that in mind, if  
7 they did positive predictive value, and I think it's an  
8 appropriate thing, I don't believe the company provided  
9 positive predictive value, but the -- for broken out by  
10 pre and post, but Dr. Kondratovich --

11 DR. REEVES: Correct, correct.

12 DR. NETTO: Very good.

13 DR. BERRY: Or something -- Marina. Thank  
14 you, Marina -- provided them. And it makes clear that  
15 the positive predictive value in the pre-menopausal  
16 cases is 34 percent, or something like that, which is  
17 really quite low. So I would, I guess, say several  
18 things. One is let's add specificity here, let's add  
19 positive predictive value, and for both the pre and the  
20 post-menopausal.

21 DR. FREEDMAN: Can I ask Dr. Berry a  
22 question, a statistical question?

23 DR. NETTO: Go ahead, Dr. Freedman.

24 DR. FREEDMAN: How important are the lower  
25 bounds here because some of them go below that 90

1 percent.

2 DR. BERRY: How important? Well, it reflects  
3 what the sample size is in that subset --

4 DR. FREEDMAN: How much attention should we  
5 give to them --

6 DR. BERRY: How important are the lower  
7 bounds? I think they're important. I mean, it  
8 gives -- how important is a confidence interval giving  
9 both ends of the confidence interval? So these are  
10 mostly estimates, what they were targeting when they  
11 were doing power is the lower bound. But at the end of  
12 the day, they get the data, and they provide us with  
13 what the confidence interval is, and I think that's  
14 appropriate. So I would do, you know, the 95 percent  
15 confidence interval.

16 DR. FREEDMAN: Actually, the question I was  
17 getting at is considering that 90 percent may be a  
18 cutoff for consideration of something being good or  
19 bad, is the lower bound a critical value that we should  
20 look at, we should comment on or express concern about?

21 DR. BERRY: No. Well, if I disagree that 90  
22 percent is good or bad, if that were true, for example,  
23 we wouldn't be doing mammograms. So it depends on the  
24 utility. What is going to change the way we do things,  
25 and Dr. Moore indicates that things are so bad now that

1 this thing will change things in a positive way. And  
2 you wouldn't need a 90 percent, to be sure of 90  
3 percent for that. So this is a clinical judgment based  
4 on what the status quo is.

5 DR. NETTO: Thank you, Dr. Berry. So it  
6 seems like that question will be the portion 2(d), "For  
7 the specified intended use population and indication,  
8 what is the clinical tolerable maximum percentage of  
9 patients who are falsely characterized as low risk?  
10 That's what you're asking? The one minus NPV because  
11 that comes at that 60 percent, and that's one concern  
12 of mine that I've mentioned earlier in term of the pre-  
13 menopausal having the one minus NPV up to 34 percent in  
14 some. So I think I would agree with the Panelist who  
15 mentioned no to the answer (a), and that should take us  
16 to (c). I would like to ask the Panelists on this side  
17 in term of what is the feeling on Question 2(a)?  
18 Dr. Julian?

19 DR. JULIAN: The problem I have is if it's to  
20 be used by a gynecologic oncologist to determine who is  
21 low and high-risk, that's fine, but I really don't  
22 think that any test should be used to refer people back  
23 into the community. Once they get to the gynecologic  
24 oncologist, they have a full-service physician who can  
25 handle the whole ball of wax there and sending them



1 someplace else where you don't know what you're going  
2 to get is a disservice. That's what I think.

3 DR. NETTO: Okay. Dr. Funkhouser?

4 DR. FUNKHOUSER: I would answer no to (a).  
5 My logic is that the only way we can do harm to women  
6 with LMP or invasive ovarian carcinoma, if we're  
7 persuaded by their arguments, is to refer the patient  
8 back to a surgical team that's less competent in their  
9 ability to deal with carcinoma when it's present. So,  
10 therefore, our goal should be for this test to minimize  
11 the negative predictive value. The negative predictive  
12 value of 95 percent observed with a lower bound of 92  
13 percent, I would recommend that we raise that lower  
14 bound to 95 percent.

15 And, as you can imagine, that asymptotically  
16 approaches having every patient referred to the  
17 gynecologic oncologist, be operated on by the  
18 gynecologic oncologist, and in that extreme example,  
19 you don't need this test at all. So it ends up being  
20 in Dr. Julian's camp, saying if it's referred to the  
21 gynecologic oncologist, you've done no harm to the  
22 patient. They have optimal surgical care regardless of  
23 whether they have benign or malignant disease. I  
24 think --

25 DR. NETTO: But I would like to remind you,

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 it's not our job to say whether this --

2 DR. FUNKHOUSER: I understand. But, in terms  
3 of a recommendation for use of this test, in terms of  
4 triage back to the local treating physician, we want to  
5 minimize the number of patients who have LMP or  
6 invasive carcinoma --

7 DR. NETTO: Who can --

8 DR. FUNKHOUSER: -- being referred back. And  
9 the way to do that is to set a lower bound for the  
10 negative predictive value of at least 95 percent, if  
11 not higher.

12 DR. NETTO: Dr. Lichtor?

13 DR. LICHTOR: In some of my earlier comments,  
14 you may recall that I mentioned that I feel fairly  
15 strongly that we shouldn't be in the business of  
16 triaging patients. I feel very strongly about that.  
17 When you do that, you create monopolies, which means  
18 you're going to say, well, I'm the only one in this  
19 area who can take care of this problem, which may or  
20 may not be true. But it's really bad medicine.

21 I think all we should be doing is just saying  
22 this is what this test shows, and whatever you draw  
23 your limits on, I'll go any way on that. But the  
24 individual clinicians, whether they're oncology  
25 specialists or local obstetricians/gynecologists,

1 should be able to decide, is this something I can take  
2 care of or not, and we shouldn't be telling them who  
3 should they refer or not. We should just say this is  
4 what this test means and let them make the decisions.  
5 And it's not just based on this test. It should be  
6 based on the whole clinical picture and their surgical  
7 experience and lots of things.

8           So I would definitely take out for whom  
9 surgical intervention performed by a non-oncology  
10 specialist is appropriate because, to me, that's  
11 opening up the whole triage door, which I think is not  
12 the purpose of this committee.

13           DR. NETTO: So it seems like you're in  
14 consistent what we did in the first question?

15           DR. LICHTOR: Right, right.

16           DR. NETTO: And so it seems like the Panel is  
17 generally -- would out like to comment, Dr. Chan?

18           DR. CHAN: Dr. Netto, you probably should ask  
19 each Panel member to give their input on the question.  
20 I think a couple of them didn't say anything --

21           DR. NETTO: Go ahead, Ms. --

22           MS. HOLLAND: Well --

23           DR. NETTO: I think I guess --

24           MS. HOLLAND: I feel the same way about  
25 triage, but I may be thinking in the opposite

1 direction -- but, still, that has been my problem with  
2 the whole thing from the beginning is the triage issue.  
3 But I also, I believe the test belongs in the hands of  
4 the local GYN to make things flow towards the  
5 specialist. But the way it's written, it seems like it  
6 was to flow the opposite direction. That's my issue  
7 with it.

8 DR. NETTO: But that's how it's presented  
9 in -- the FDA for intention for use, so we can --  
10 that's how --

11 MS. HOLLAND: But I think by changing the  
12 wording, as you already -- as we discussed on the first  
13 labeling issue, that takes out that problem for me.

14 DR. NETTO: Okay. Thank you. Ms. London,  
15 any comment, any additional comment?

16 MS. LONDON: No.

17 DR. NETTO: Any additional comment?

18 DR. BRACCO: No comment.

19 DR. NETTO: Anybody else? Yes, Dr. Berry?

20 DR. BERRY: So some of what I've heard  
21 confuses me. What is presented here in sensitivity and  
22 specificity and negative predictive value, whatever,  
23 that's the results of the study. So if you take this  
24 group, you know, what is their sensitivity, that group,  
25 what is their positivity, you know, what is the

1 negativity, what is the positivity, the question is  
2 that you guys are talking about is here is a patient  
3 and this patient has a value, and that value comes with  
4 not it's bigger than 13.4 or it's bigger than 27.3, or  
5 whatever it is, it's her probability is 53.9 percent,  
6 or whatever it turns out to be, and she's pre-  
7 menopausal and that's what this means, post-menopausal.  
8 And there you want to address the question of what I'm  
9 I going to do to triage or not this patient. So it's a  
10 very different thing from over the categorization  
11 within the study which talks about the scientific  
12 questions and does it do, you know, what it's supposed  
13 to do. And the latter part of these questions deal  
14 with that issue of, you know, the lower bounds stuff.

15 DR. NETTO: Okay.

16 DR. LICHTOR: Well, can I just say something?

17 DR. NETTO: Dr. Lichtor?

18 DR. LICHTOR: I think you're confusing the  
19 statistics with the clinical management.

20 DR. BERRY: Well, I thought that's what you  
21 were doing.

22 DR. LICHTOR: No, I'm not.

23 (Laughter.)

24 DR. LICHTOR: No, I don't. What I do with  
25 patients -- well, it's not my field, but I give them

1 the options. I say, you know, this is what the data  
2 shows, whatever data I have, and here are your choices  
3 and present it like -- and that's what I think should  
4 be done. It shouldn't be saying, well, it says that  
5 you should be referred to this person based on this  
6 number. See, that's what I object to. I think you  
7 should just present the data and say your probability  
8 is such and such. Your choices are you could go to an  
9 oncology person or maybe we could try it here. And  
10 here's the ups and downs of all those things. That's  
11 what you should tell the patient. Not this test says  
12 you should be referred one way or the other --

13 DR. BERRY: Oh, so --

14 DR. LICHTOR: That's where I draw the line.

15 DR. BERRY: Nothing I said did I mean to  
16 interpret it that way, and I agree completely. You  
17 give the number, and you say this is what it means, and  
18 you could be referred to whatever or not --

19 DR. LICHTOR: Well, I give the patient the  
20 option.

21 DR. NETTO: Excuse me just one a time. Thank  
22 you Dr. Berry. Go ahead. Do you have any --

23 DR. LICHTOR: I don't tell the patient one  
24 way or the other, although I don't make these  
25 decisions, but I make similar decisions. I tell them

1 here's your choices and then let them decide. I don't  
2 tell them the numbers say that you should go unless  
3 unusual circumstances. But in most of them they have  
4 choices, and they should make the choices. We can't  
5 make the choices because we don't have the data, based  
6 on what I've heard, to make the choices for them --

7 DR. NETTO: Thank you.

8 DR. LICHTOR: All we can do is tell them this  
9 is the data and here is your choices.

10 DR. NETTO: Thank you.

11 DR. LICHTOR: And I think that's all we  
12 should do.

13 DR. NETTO: Thank you.

14 DR. BERRY: I agree.

15 DR. NETTO: Thank you. Yes?

16 MS. HOLLAND: Well, I think that's the whole  
17 point of our problem with this is that it's presented  
18 as a triage system, when what --

19 UNIDENTIFIED SPEAKER: Yeah. Right.

20 MS. HOLLAND: -- we're trying to do is say  
21 we'd rather have it be a management tool, a patient  
22 management tool. I mean, I'm seeing what you're  
23 seeing. I'm sitting with my doctor, and he's telling  
24 me here's what we have. We have the CT scan results,  
25 and we have, you know, the CA-125 results, and now we

1 have the ROMA results. And what it means is, you know,  
2 you could potentially have this really bad malignancy,  
3 but we don't know that for sure. Here's what we can  
4 do. You can either stay in your hometown and do this  
5 thing, or you can go here to the specialist. And  
6 between the physician and the patient, we look at the  
7 evidence, and we figure out what's the next best step.  
8 So that's the problem. I want it to be a patient  
9 management tool --

10 UNIDENTIFIED SPEAKER: Well, so do I. I  
11 mean, that's --

12 MS. HOLLAND: But it's not written up as one.

13 DR. NETTO: Dr. Freedman?

14 DR. FREEDMAN: You know, I think informed  
15 decision-making is a very important thing in any  
16 patient relationship, but you have to be able to tell  
17 them what something means in order to say this is what  
18 you should do. I think most patients want to know what  
19 should they do in that situation when they're faced --

20 UNIDENTIFIED SPEAKER: Well --

21 DR. FREEDMAN: Especially when they're faced  
22 with a situation --

23 DR. NETTO: Just hold on.

24 DR. FREEDMAN: -- which is potentially  
25 curative or could affect their safety. They would



1 want to go to a physician who can say to them this is  
2 what I think you should do. And if you're going to use  
3 a test, you should be ready to interpret it and say  
4 either this test, I don't know what it means and I  
5 don't know -- it should influence your management, or I  
6 think it means this and you should go ahead with it.

7 I'm dead against using any type of test where  
8 it leads to confusion, more confusion for the patients,  
9 you know, he said this, that, should I go there or  
10 shouldn't I go there? I think we have to be more  
11 certain. And we're dealing with things that are not  
12 clear, where it hasn't been tested in the population.  
13 I know what you're saying, and it would be ideal if we  
14 could achieve that, but it hasn't been tested in that  
15 population. That's the problem.

16 DR. NETTO: Thank you. I would like to  
17 remind you that it's being scripted, so please don't  
18 talk over each other, and wait until I give you the  
19 chance, please. Yes, Dr. Ozols?

20 DR. OZOLS: I think the standard of care, if  
21 you really suspect somebody has ovarian cancer is to  
22 send that patient to a gynecologic oncologist. So an  
23 effective triage system would, in fact, be useful. I  
24 mean, there's already many barriers already. We have  
25 reasonably effective triage systems, and we already

1 know that 50 percent of patients who -- aren't operated  
2 on by -- even with the RMI assay, and so forth, aren't  
3 operated on by gynecologic oncologists. The  
4 overwhelming data suggests right now that those  
5 patients who are operated on by gynecologic oncologists  
6 do better than if they're operated by other surgeons,  
7 non-oncology specialists.

8           So I think the goal should be to get those  
9 patients to a GYN oncologist. Unfortunately, all the  
10 assay data we have now with this is the other way  
11 around. So, hopefully, if this was effective and it  
12 should be tested in that population in a trial to show  
13 that it is a good triage mechanism to get those  
14 patients to a gynecologic oncologist.

15           DR. NETTO: Thank you. So now that we heard  
16 from everybody, maybe we should consider answering the  
17 second question because we still have four more  
18 questions, and we're running out of time. So from what  
19 I'm hearing, and correct me if I'm not summarize what's  
20 the general feeling of the Panel, the answer to (a) is  
21 no, that it's not -- it did not prove that it's an  
22 adequate test in term of whom surgical intervention  
23 performed by a non-oncologist is appropriate.

24           So 2(a), the answer is no, which takes us to  
25 2(c). So the remedies that the Panel was suggesting,

1 one of the remedy we already addressed is by taking  
2 that sentence out from the intention of use and by  
3 inserting that there is no data on the primary. Any  
4 obtaining additional data? Is that where we should  
5 suggest obtaining additional data in the primary  
6 population because it could help?

7 UNIDENTIFIED SPEAKER: I like that.

8 DR. FREEDMAN: I like that idea.

9 DR. NETTO: Is that something we can suggest  
10 as a Panel, question to the FDA?

11 DR. REEVES: You're free to suggest it.

12 DR. CHAN: Yes.

13 DR. NETTO: All right. Okay. We'll suggest  
14 it again. For question (d), so what's a tolerable one  
15 minute NPV, I think it's been mentioned by -- yeah,  
16 it's optimal if it's 100 percent, but knowing that is  
17 not achievable, I think by restricting it to  
18 oncologist, who are kind of mitigating against any  
19 injury that may happen by the false negative part. So  
20 should we --

21 DR. REEVES: I need a percentage.

22 DR. BERRY: Can I --

23 DR. REEVES: A percentage would be helpful to  
24 me in order to make a decision --

25 DR. NETTO: So --

1 DR. BERRY: Can I say --

2 DR. NETTO: Yeah.

3 DR. BERRY: I think the question is a bad  
4 question.

5 DR. NETTO: Did you like that answer?

6 DR. BERRY: The NPV is what it is. And the  
7 question is what are you going to do with it, and this  
8 is this dichotomy that I was talking about, about the  
9 study and science, and the individual patient. And for  
10 the individual patient, the NPV doesn't matter. What  
11 matters is what the test shows for her.

12 DR. NETTO: Correct.

13 DR. BERRY: So I think the question is --

14 DR. NETTO: Especially that it's --

15 DR. BERRY: -- ill-advised.

16 DR. NETTO: So the Panel does not feel one  
17 way or the other or should we go with --

18 DR. BERRY: I feel it's ill-advised.

19 DR. NETTO: Ill-advised.

20 DR. JASON: Well, you know, I think once the  
21 caveats are made in Parts (a) and (c), it obviates any  
22 need to address (d) and (e) because they're already  
23 getting the best care they can possibly get or the most  
24 sophisticated. If they go forward and do the  
25 additional studies that have been suggested, then you

1 need to address the issue of where do we put the  
2 cutoffs.

3 DR. NETTO: Okay.

4 DR. JASON: But this isn't an issue.

5 DR. NETTO: How does the Panel feel then, in  
6 part of this mitigation because the feeling that --  
7 what I'm hearing from this side was that it's by  
8 stemming the potential return to general GYN care we're  
9 mitigating against this lower -- or higher false  
10 negative rate. So should not indicate as an aid in a  
11 decision to proceed to surgery or whom to be treated  
12 by? I mean, should we dare specify or is that --

13 UNIDENTIFIED SPEAKER: No.

14 DR. NETTO: No?

15 DR. FREEDMAN: I think we've done in the  
16 first question --

17 DR. NETTO: We took care of that?

18 DR. FREEDMAN: We've taken care of it I think  
19 to the -- I mean, outside of a new trial --

20 DR. NETTO: We --

21 DR. REEVES: I'm sorry. I'm having  
22 difficulty hearing you. Could you --

23 DR. NETTO: The feeling is that by answering  
24 the first question and the first two portion of the  
25 second question that we've mitigated against -- the NPV

1 is what it is and -- sorry.

2 DR. GUTMAN: It would be very helpful for us  
3 to know if it -- if the members of this committee  
4 believe that this product is safe and effective with a  
5 change in labeling of some sort or if it's safe and  
6 effective contingent upon trying to get more data.

7 DR. NETTO: Okay.

8 DR. GUTMAN: That would really be helpful for  
9 us to understand, whether you think a labeling fix will  
10 make this safe and effective or whether you think it  
11 needs more data to be safe and effective, and if you  
12 could canvass the committee for that question, we would  
13 be very grateful.

14 DR. NETTO: So the mere recommendation of  
15 obtaining additional data would not satisfy that  
16 because, I mean, this is what the Panel is --

17 DR. GUTMAN: Well, it makes a big difference  
18 to the Sponsor. If you say that they need more data,  
19 then they're going to have to do additional studies.  
20 If you say they can fix this through a labeling and it  
21 can be used with a particular labeling fix, then they  
22 have a product which is probably safe and effective and  
23 can be cleared.

24 DR. NETTO: Okay.

25 DR. GUTMAN: So that's the most important

1 question, I think, that's on the table.

2 DR. NETTO: Okay. Thank you for clarifying.

3 DR. BERRY: It wasn't --

4 DR. NETTO: Yes, Dr. Berry --

5 DR. BRACCO: May I? It wasn't on the table  
6 until you put it on the table, Dr. Gutman. This  
7 question is not the question that you asked. The one  
8 that you asked, I agree, is absolutely the right one.

9 DR. OZOLS: And the only --

10 DR. NETTO: Yes?

11 DR. OZOLS: And the only way to answer that  
12 and to get -- is to do a clinical trial. I don't know.  
13 You can wordsmith the label, but the data is only  
14 available from a prospective clinical trial -- the  
15 population where it is likely to be used, and that is  
16 in the community situation as a referral to oncology  
17 specialists. And then we know. I mean, how bad if --  
18 and then one minus NPV is just a number. If it  
19 translated in a randomized trial, we would know whether  
20 that leads to a significant deleterious outcome.

21 DR. NETTO: Dr. Bracco?

22 DR. BRACCO: I think there are two paths that  
23 we haven't clearly answered here. One is that we need  
24 additional clinical data, and this device cannot be  
25 released into interstate commerce until that data is

1 obtained. The other is that we release the product or  
2 suggest to FDA that it be cleared based on the existing  
3 clinical data with all the caveats and the labeling  
4 that we propose. And I think it's important for the  
5 Panel to give FDA clear direction in that regard.

6 DR. NETTO: Okay. Go ahead.

7 DR. FREEDMAN: I would be okay with the idea  
8 of the labeling, additional labeling making it safer  
9 than it was without the -- but how safe it needs to be,  
10 how safe is safe, that's a difficult question to answer  
11 without another study. But, certainly, it's going to  
12 give a higher level of safety with the additional  
13 labeling that we discussed under Question 1.

14 DR. REEVES: I'm sorry. Could you move  
15 closer to the microphone? We're having difficulty  
16 hearing you.

17 DR. FREEDMAN: I say it would make is safer  
18 with the additional labeling that we -- and warning  
19 that we advised in Question 1. Ideally, one would want  
20 a new study. But in the absence of that, and maybe  
21 that will be something forthcoming later on, I think  
22 that what we have here potentially is safer than it was  
23 without. I prefer, personally, I would prefer another  
24 study where they looked at that population from where  
25 these patients would come.



1 DR. NETTO: Dr. Berry?

2 DR. BERRY: So I agree with Dr. Ozols that  
3 his concern about the use in the ordinary practice, and  
4 I think it's going to be used off-label. I think it is  
5 safe and effective for the population that they've  
6 proposed. So I would follow the second option. But I  
7 would mandate because I think it is a serious concern,  
8 I would say that there has to be a study that looks at  
9 how is this going to be used in ordinary clinical  
10 practice, and is it -- does it provide more benefit  
11 than harm in that group. So I agree with both  
12 Drs. Freedman and Ozols.

13 DR. NETTO: So the rest of the Panel? Yes,  
14 Dr. Funkhouser?

15 DR. FUNKHOUSER: Dr. Berry, is it true that  
16 the negative predictive value is a function of where  
17 they set their cut point for the assay?

18 DR. BERRY: Yes. So can I answer that?

19 DR. NETTO: Yes.

20 DR. FUNKHOUSER: So if that's true --

21 DR. BERRY: Yeah --

22 DR. FUNKHOUSER: -- and if it's also true that  
23 the only way that we can harm these patients is to  
24 refer them back to their local gynecologists, who we've  
25 heard elegant arguments do give them worse care and a

1 worse outcome than if they stay with a gynecologic  
2 oncologist, within this narrow definition of the use of  
3 this test, for a patient sitting opposite a gynecologic  
4 oncologist, he presents them with a result, the only  
5 way that we can harm that woman is to give her a low-  
6 risk designation when in fact she has cancer, is that  
7 correct?

8 DR. NETTO: Correct.

9 DR. FUNKHOUSER: So the way to minimize that  
10 probability is to reduce the negative predictive value  
11 to below 5 percent. Do you agree with that?

12 DR. BERRY: So you could do that by moving  
13 the cut point.

14 DR. FUNKHOUSER: Changing the cut point for  
15 ROMA --

16 DR. BERRY: But you'd have to move the cut  
17 point.

18 DR. FUNKHOUSER: That's right.

19 DR. BERRY: We haven't been asked to move the  
20 cut point.

21 DR. FUNKHOUSER: Well, we're making  
22 recommendations to maximize the benefit and reduce the  
23 risk to these patients, so if you don't want the  
24 gynecologic oncologist to operate on 100 percent of the  
25 patients that they're talking to, then your triage

1 option is to minimize the harm. And to do that, you  
2 should minimize the negative predictive value of this  
3 test, do you agree?

4 DR. BERRY: So the only way you can do that  
5 is to move the cut point, and we haven't been presented  
6 with, you know, a flexible cut point. What we are  
7 seeing is that they're going to provide the actual  
8 value and an interpretation of what the cut point was  
9 in terms of the specificity, sensitivity, and things  
10 like that. And implicit in that was the triaging  
11 issue, but it was set at an arbitrary value of 75  
12 percent specificity --

13 DR. FUNKHOUSER: Arbitrary is the key word.

14 DR. BERRY: So I take it when they say  
15 negative predictive value that, in fact, the FDA is  
16 thinking about having them change the cut point.  
17 That's the only thing it could mean. But we haven't  
18 been approached with that question.

19 DR. NETTO: Is that something under the  
20 purview of this Panel, is to suggest changing the cut  
21 point to minimize the NPV?

22 DR. REEVES: Not entirely.

23 DR. NETTO: Go ahead.

24 DR. REEVES: There are multiple people here.  
25 I will move out of the way.

1 DR. GUTMAN: You can make any recommendations  
2 you want. They can be reasonable. They can be wild.  
3 You make what recommendations you want.

4 DR. NETTO: Okay.

5 DR. FUNKHOUSER: They must be interested in  
6 the negative predictive value. Otherwise, they  
7 wouldn't ask Question 2(d), which asks for what we  
8 would tolerate as a one minus NPV.

9 DR. NETTO: Okay. So what's the general  
10 feeling at least so we can stick a number to that one  
11 minute --

12 DR. JASON: I don't feel we have the data to  
13 come up with that. We don't have data to know what the  
14 benefits and risks are in that setting. Not everyone  
15 who gets surgery is going to necessarily have need it,  
16 but they're in the best possible hands. So we'd need  
17 more data in terms of what types of surgery, what  
18 approaches, and what the outcomes are before we could  
19 even deal with this.

20 DR. NETTO: And as was suggested, should  
21 the -- a clearance await that additional data, both in  
22 the primary or in the same setting or should it be  
23 the --

24 DR. JASON: Well, you're not completely  
25 wrong. By the time we're done, I'm not sure how the