

1 patient is transmitting virus to somebody. But that is not
2 easy.

3 We have been through, I think, discussions on how
4 you can follow up on contacts and whether you can do that
5 voluntarily. It probably, almost certainly, has to be
6 voluntary on the part of contacts and so on. Even in the
7 case of HIV, examination for virus in saliva and semen and
8 other bodily secretions is not very revealing as to what is
9 going on for transmission.

10 The levels are low here and they are low there,
11 but transmitted one way and not the other. So it is not
12 going to be easy without being able to do sort of
13 significant contact tracing and that is going to be very,
14 very hard to do.

15 DR. HENEINE: This is to second basically what
16 John has said. I think it is very difficult to answer the
17 question that you asked to define the criteria of infection,
18 of PERV infection, in a human because, given the current
19 knowledge, we cannot define those markers.

20 Or, what we can do is use those tools, diagnostic
21 tools, available to us and then, longitudinally, follow up
22 these patients that show any sign of positivity, either
23 seropositivity, serologic or molecular.

24 Beyond that, it is speculation. It is
25 extrapolation from the FELV system. John would argue that,

1 no, we see things different in the HIV or HTLV systems. So
2 we really can't define those markers today.

3 DR. AUCHINCLOSS: I think everybody would agree,
4 we don't know the meaning of the implications of positive.
5 But the implications for the FDA are probably hold and get
6 their experts back.

7 MS. MEYERS: I am impressed by Novartis' protocol
8 because they had contingency plans on what to do with the
9 person if they are found positive and the fact that they can
10 transmit it. I am sure that all of this information is
11 going to have to go into an informed consent so that people
12 in the future who go through any type of procedure are going
13 to know that if they are positive and if there is any doubt,
14 they may be isolated. Their spouse and their family may be
15 tested, et cetera.

16 But what about the people now who have already
17 gone through it. They didn't give permission to be
18 isolated. The fact is, if you find them shedding virus and
19 they are contagious, obviously, they should be isolated. If
20 it is totally voluntary, and they don't want to be, what are
21 you going to do?

22 DR. CHAPMAN: I read this question a little bit
23 differently than the way I think it is being discussed. I
24 am not sure what the FDA wanted from it, but, perhaps, it is
25 worth framing the way I read it and seeing if that is the

1 response you wanted.

2 There has been discussion, if a person is
3 identified as positive, as infected, what sorts of labor
4 studies could be done or epidemiologic studies or contact
5 tracing. You are bringing up the issue of what sort of
6 isolation precaution should be put onto someone and that
7 would, in part, be dependent on what the laboratory studies
8 shows about presence of virus and different secretions and,
9 also, the law because I will say we have discussed, in our
10 Public Health--within the PHS in our meetings these issues
11 when people bring up, from time to time, the issue of
12 quarantine.

13 Without going into any details about the
14 discussion, I will say what you can do to involuntary
15 confine someone is limited by law and is defined in law and
16 it varies from country to country. And there are also
17 ethical issues involved.

18 It is not clear to me that FDA wanted us to
19 struggle with those things now. My interpretation of this
20 was--what I thought you were asking was--well, in my mind, I
21 boiled it down to this. What would be the criteria, what
22 would you identify, that would raise the expectation that
23 this would be an appropriate point for the FDA to call a
24 clinical hold on all trials until certain issues were
25 clarified.

1 DR. AUCHINCLOSS: Louisa, I agree with you. That
2 was the way I was reading it. I don't think we need get
3 into the issue of precisely which samples you need from
4 which patient.

5 DR. CHAPMAN: Who would clarify them? How do you
6 clarify them? How far do you go? That can be asked
7 later.

8 DR. AUCHINCLOSS: The question is where is the
9 stop point on all trials that leads to an effective clinical
10 hold here; is that correct?

11 DR. WILSON: Yes; or, alternatively, whether a
12 certain result may impact a single trial where that result
13 is found versus all trials--

14 DR. AUCHINCLOSS: Of the three positives that have
15 been brought up that one can imagine, is there any one of
16 them that would either enable that or other trials to go
17 forward while additional data was being gathered is, I
18 think, the heart of the matter. Is that not correct? What
19 I thought I was hearing from the group, but I don't think it
20 has been explicitly expressed, is pretty much that if you
21 get a positive anywhere, you are going to need to stop
22 everything and get your experts together to look at it
23 carefully.

24 DR. ALLAN: I would say not.

25 DR. AUCHINCLOSS: Is that too strong a statement?

1 DR. ALLAN: Too strong. We have already heard one
2 case where there are two potential positives that look like
3 microchimerism that disappear or are transient.

4 DR. AUCHINCLOSS: I understand. Now we are on to
5 a question of what is really, really positive.

6 DR. ALLAN: Not even the question--and I believe
7 that there is a strong possibility that those were
8 microchimerism. So even if we were sure that those were
9 positive, I would still not say that we need to stop a
10 particular study based on microchimerism. We are really
11 moving past that to something like antibodies.

12 DR. SALOMON: The key thing here is, I think, what
13 you are trying to say is, without defining it for the
14 moment, I think that if it is positive, if we infect a
15 patient, and we all agree that the patient is infected,
16 details aside for the moment, then I think all studies
17 should stop then. And I feel strongly about that.

18 I think that part of the deal that we are making
19 with the public to rationalize moving forward cautiously
20 with clinical trials is that we are monitoring. Therefore,
21 when I said to Jay, you can't just tell them, "Don't worry;
22 we are banking all the serum," that isn't going to work.

23 No; we are studying them. As it gets better, then
24 we will study the banked serum. I don't care. But we are
25 doing it, an insane reason here. If someone is really

1 infected, you have got to stop all studies. Then we can
2 discuss.

3 MR. BENEDI: From a recipient perspective and one
4 whose immune systems is compromised, and so is Bill's, and
5 we worry about every single day of even catching the common
6 cold, when you talk about someone getting infected and then
7 everybody watching and stopping and seeing, that patient is
8 going to be gone very quickly.

9 If, in fact, that patient is infected positively,
10 they won't be around very much longer, only because of the
11 compromised immune situation that they are going to find
12 themselves in with the medicines they are going to take so
13 they reject the organ that has been placed in there.

14 DR. HIRSCH: I think we are overstepping the
15 bounds here by a long shot. We don't know if an infected
16 person with this virus will even get sick let alone be
17 around in a few weeks. So, it would seem to me, that it
18 wouldn't surprise me at all if someone along the way, in one
19 of the xenotransplants, becomes infected with an endogenous
20 pig retrovirus.

21 You still have to weigh the pluses and the
22 minuses. If this has, by that time, proven to be a useful
23 bridge technique for cardiac transplantation or for
24 whatever, one infection with one pig endogenous virus, to
25 me, doesn't mean you stop the whole program, but you watch

1 that individual very closely and you monitor all the other
2 people who have had this kind of procedure.

3 But to put an immediate stop on everything seems
4 to be overkill, to me.

5 DR. ALLAN: I would think that, since we are early
6 on in clinical trials, that if you do get a positive,
7 regardless of what trial it is, you really need to stop at
8 that point. Once you are into a place where you are into a
9 therapeutic mode or into that, that is a different story.
10 But we are in an early stage of the clinical trials. I
11 think you really need to put a stop--

12 DR. KASLOW: I think we have a paradigm--I don't
13 know whether it is totally applicable--and that is with
14 most, certainly, large clinical trials, there is often a
15 data and safety monitoring board that would have some
16 certain trigger points available to them. When those
17 trigger points come into play, that group is convened to
18 discuss whatever that evidence is and make a decision, at
19 that time, on an ad hoc basis as to whether the trial should
20 continue and what other things should take place at that
21 time.

22 It seems to me like, in general terms, that is
23 what we ought to be doing.

24 DR. AUCHINCLOSS: I think that is exactly right.

25 DR. SIEGEL: Or only have purview of the trial

1 that they are authorized to monitor.

2 DR. KASLOW: I understand, so that the analogy may
3 not be complete. On the other hand, we could create a
4 variation of it in which, since there is a known number of
5 trials going on and it all comes under a common rubric, you
6 could make the rule that any or all of those trials, if
7 there were any single event that led to that threshold, it
8 would trigger the same convening of whatever group you
9 decide to monitor.

10 DR. AUCHINCLOSS: You did a clinical hold once
11 before; right? There is nothing that keeps you from doing
12 that at any point; is that not true?

13 DR. SIEGEL: It should be pointed out that, in Dr.
14 Hirsch's comment, once there is a proven effective therapy
15 and, presumably, if that is an FDA-approved therapy, then
16 the whole legal framework changes. Those therapies can also
17 be seized, market-withdrawn, or whatever. But it is a
18 different situation from the IND investigative situation
19 where the clinical hold is a relatively simply
20 administrative measure whereby we can stop a clinical trial.

21 DR. AUCHINCLOSS: I understand. But we are a ways
22 away from--

23 DR. SIEGEL: Exactly.

24 DR. AUCHINCLOSS: I don't think that is necessary
25 to get there.

1 DR. SIEGEL: I am just saying where that is
2 necessary in the experimental environment.

3 DR. AUCHINCLOSS: I guess, to sort of characterize
4 it as everything stops is not the way I would want to do it.
5 I thought the expression here is that that is the moment
6 where you would your experts to look at the information that
7 you obtain rapidly and size it up, that would be the thing
8 you would be doing.

9 I am guessing that we are really not very far
10 apart on this topic is what I really mean to say.

11 DR. SALOMON: I just want to be clear. I don't
12 agree. I think the minute you have a positive infected
13 patient, then everything stops. It is okay if, five minutes
14 later, you get everyone together and you have this big
15 discussion, et cetera. That's fine. But I think that is
16 important in terms of maintaining the trust of the public in
17 us.

18 DR. KASLOW: What you need to define, then, is the
19 sequence of events that leads up to the decision that that
20 positive is there as well.

21 DR. ONIONS: If I could just very briefly--first
22 of all, I still hold the view that there is this hierarchy
23 of difference of positivity. I would have, before this
24 discussion started, and I think what is useful about this
25 discussion, is that you don't stick to rigid views and I am

1 listening very carefully.

2 My view before had been that--first of all, one
3 point. This is a complex issue. It is not quite
4 straightforward when you say something is positive that that
5 patient is infected. For instance, if you find low-level
6 virus in the plasma, that virus might actually be coming
7 from the donated organ and it might not have ever infected
8 the human cells.

9 That might be true with a large solid organ
10 transplant, for instance. Similarly, antibody might be an
11 antibody response device actually to that organ and, again,
12 that patient's cells may never have been infected. So there
13 are all sorts of caveats here that would have to be
14 investigated. But I still hold to that hierarchy of
15 positivity.

16 My own view had been that if the top two--that is,
17 if the patient was plasma viremic or the patient had PCR-
18 positive peripheral-blood mononuclear cells, that certainly
19 would be my criteria for a stop. You stop clinical trials.
20 You hold. You review the data and follow these patients
21 until you knew what the resolution of those patients was.

22 My caveat would be on that, my hold, was that
23 antibody would not necessarily have triggered that, in my
24 view. That might have initiated more intensive surveillance
25 of that patient, certain body fluids, probably in contacts,

1 all the usual more intensive kind of surveillance without
2 necessarily putting a hold.

3 But I am listening and I am hearing both Dan and I
4 am hearing Jonathan saying that that might not be good
5 enough. I begin to understand and, perhaps, respect that
6 point of view. So I wouldn't be unhappy with that view
7 either.

8 DR. GORDON: Al Gordon, the Islet Foundation. I
9 just wanted to comment on the suggestion that a single
10 positive would bring the sword down and all clinical trials
11 would stop. I think it is important to realize that there
12 is going to be a spectrum of activity in these clinical
13 trials.

14 There will be vascularized whole organs with
15 immunosuppression. There will be cellular transplants with
16 immunobarriers and no immunosuppression. We also have
17 assays that, as we know, are very prone to false positives
18 and, therefore, in recognition of that propensity for false
19 positives, it would really be an unwise decision to drop all
20 clinical trials should one occur.

21 I think we are also dealing, as we know, with the
22 chain of events. So far, no animal has been infected with
23 PERV. So even the first requirement of infectivity has not
24 been satisfied. Does it cause disease? We don't know.
25 There has never been an infection. If it causes disease,

1 can it be transmitted to other people? Well, it has never
2 caused disease.

3 Therefore, we are now concerned about something
4 several steps along a highly improbable chain and some are
5 proposing that we take very draconian actions today. I just
6 want to put it into perspective.

7 DR. AUCHINCLOSS: I appreciate your perspective.
8 We will come back to some of those issues this afternoon. I
9 don't mean to be using the sword characterization here.

10 DR. VANDERPOOL: I think our basic commitment to
11 the public is to make sure, by every conceivable means
12 possible, that nothing like this ever occurs. Should a bad
13 situation present itself, it seems to me you handle that not
14 by an overall stoppage of all the trials, but by immediate
15 and thorough review of the that particular situation.

16 It may have been due to the herd. It may have
17 been due to the type of compromised state the patient was
18 in. It may have been someone on the surgical team that made
19 some sort of mistake. Who knows what it would be.

20 So it strikes me that we are trying to cross too
21 many bridges before we get to them. But what we are giving
22 is a very clear indication that we don't just act like the
23 measures we are taking will ever keep anything like this
24 from happening. We go ahead and, as we are going, we allow
25 ourselves thinking about worst-case scenarios and have in

1 our minds, and perhaps in policy, what we intend to do about
2 that.

3 MS. MEYERS: Could I respond to that quickly? I
4 think that if a patient accepts risk, no matter what that
5 risk is, even death, that is fine. It is up to the person
6 who signs the paper and agrees to the risk. But the public
7 doesn't have to accept that risk. If you have somebody with
8 a transmissible virus getting on the bus, taking taxicabs,
9 going to work and interacting with other people, then it is
10 really up to the FDA to protect the public from what could
11 possibly happen even if it is only one in a million chance.

12 The situation in Indonesia proves that maybe, if
13 somebody had recognized what was happening with the first
14 case, or the first five cases, so many hundreds of people
15 wouldn't have died.

16 DR. COFFIN: I was just going to weigh in on the
17 point that I think this last comment points out how
18 complicated the situation is going to be and I think
19 basically supports the "call us back when it happens"
20 approach to this, but be ready to put at least a very short
21 hold, as you suggested, onto things while it gets sorted out
22 but then be prepared to move as fast as possible to relieve
23 that.

24 DR. AUCHINCLOSS: I think that is right. I would
25 like to comment about too many bridges too quickly. It is

1 hard to speculate about all the possible events.

2 DR. CHAPMAN: I wanted to try to bring some
3 clarity to this discussion by stating a null hypothesis, if
4 you will. Instead of talking in terms of positive--first of
5 all, I am not sure if everybody is meaning the same thing
6 when they a positive. So, instead, I would like to state a
7 hypothesis that, instead of taking about a positive, we talk
8 about evidence strongly suggestive of an active infection of
9 a human.

10 And how you decide what that is--I don't care to
11 talk about lab criteria. That may be convening a panel of
12 experts or a series of consultations, but at the point that
13 you have evidence strongly suggestive an active infection of
14 a human, then what action should the FDA take and, instead
15 of talking in terms of everything coming to a halt or stop
16 or something like that, let's talk in concrete terms.

17 It seems to me when my colleagues at the FDA are
18 faced day-to-day with having to make a decision about
19 intentionally allowing additional people to be placed at
20 risk--not an experiment of nature; intentionally allowing
21 additional people to be placed at risk or not.

22 So the question, it seems to me, to them is not
23 when do you call a global halt on the entire progress in
24 this field, when do you stop all clinical trials. The
25 question is what are the criteria under which the

1 responsible move would be to desist from intentionally
2 placing additional people at risk until enough investigation
3 had occurred to sort out the issues and the level of the
4 risk, risk assessment and containment control and
5 prevention, which is what we do every day.

6 DR. MIKELSON: Thanks, Louisa. I agree. That was
7 one of the comments I wanted to make. I think the other one
8 was the comment was made by a member of the public which
9 points out that we still don't have a good animal model.
10 That was another issue that I would like to hear the
11 committee discuss some more about because, as you pointed
12 out, the lack of positive data in all of the animal models
13 really puts this whole question of how do you decide what to
14 do if you do get a positive, or some indication of
15 infection, into doubt because this has moved down multiple
16 steps.

17 We started out the morning asking for more
18 indications of tests of earlier events in a potential
19 infection cycle, asking for antibody tests. And those are
20 all sort of agreed. But we still don't have any idea of--we
21 don't have a good animal model out there.

22 I also agree with Dan. If there is any indication
23 of an active infection, we should stop until it is analyzed.
24 But how do we proceed without a good animal model? That is
25 what I want to know.

1 DR. HIRSCH: Even without an animal model, I agree
2 with you that--

3 DR. MIKELSON: I don't want the people to be the
4 animal model.

5 DR. HIRSCH: But there are a few things that might
6 be worthwhile. One is we now have fourteen FDA-approved
7 antiretroviral drugs for other retroviruses. In a good
8 replicative in vitro system that various people in this room
9 might have, you could test a number of drugs and at least
10 have the vaguest idea of what drugs this virus might be
11 sensitive to so that, if some untoward event came along, you
12 would at least have a head start there.

13 DR. AUCHINCLOSS: I am inclined to kind of lean on
14 my colleagues to see if we might end the discussion, but
15 Jonathan looks eager so I will let him go.

16 DR. ALLAN: Just the one point about what Dan said
17 which is the active infection that Louisa added to. The
18 reason I think Dan is--I am not going to read your mind but
19 the reason why Dan feels so strongly, and I agree with him,
20 and it goes across all the clinical trials, is because if
21 you get an active infection, it means that PERV infects
22 humans. That is the reason to stop, because you go, "Oh; my
23 god. It infects humans." That is as simple as it can be.

24 DR. ONIONS: Hugh, I don't want to prolong the
25 discussion, and I don't want to disagree because, actually,

1 my instinct with this thing is a degree of conservatism, but
2 I think it is the definition active infection that I have a
3 problem with because I think this is such a complex issue
4 that actually defining whether these patients are actively
5 infective is not straightforward.

6 I will reiterate it is quite possible you could
7 have virus in the plasma from a solid-organ transplant, from
8 a large number of cells, I am talking about here, that is
9 not active infection, that is actually virus coming out of
10 the donated organ.

11 You could have an antibody response to virus
12 producing that organ that has nothing to do with active
13 infection but, by conventional criteria, you would call that
14 an active infection.

15 DR. HIRSCH: What is an active infection? What do
16 you mean by an active infection?

17 DR. ONIONS: That is my concern with making--

18 DR. AUCHINCLOSS: In many ways, it reduces to
19 that, doesn't it? The FDA is really more likely to face a
20 situation where they are looking at that and not knowing
21 exactly what it means. That doesn't, necessarily, put
22 everything on hold. It means it is time to talk to your
23 experts just to evaluate the data.

24 And then there is Louisa's stipulation that if you
25 really, truly knew what that data meant, that would be the

1 indication for a hold. I think that there are levels like
2 that.

3 DR. CHAPMAN: Or maybe until you know what that
4 data means.

5 DR. SACHS: I gather the definition of active
6 infection is going to be very different, quantitatively or
7 even qualitatively, from what we have been talking about all
8 day so far; is that right, Louisa? How do you define active
9 infection?

10 DR. CHAPMAN: I don't know.

11 DR. SACHS: A replicating virus?

12 DR. SIEGEL: The shift I was trying to get people
13 to make was from talking about a positive which may mean a
14 positive test result and, with the state of the art at this
15 time, may mean a false positive test result, to talking
16 about evidence of some sort of state in the recipient.

17 So I think it may be more productive to discuss
18 whether people think if there is data that an appropriate
19 consultation with appropriate people with appropriate
20 expertise develops a consensus that this is reasonably
21 suggestive that there is an infection in the human.

22 I don't know what that data is but would that be
23 criteria for a hold, as opposed to if you get a positive
24 antibody test, would that be criteria for a hold?

25 DR. AUCHINCLOSS: My fault. I kept talking when I

1 said I was going to stop talking. If I were the FDA, I
2 would think that I had heard what I needed to hear on this
3 subject, but what do you think? What does the FDA think?

4 DR. SIEGEL: It is very difficult, other than on a
5 case-by-case basis, to determine when you have enough
6 evidence to have a high enough level of suspicion. My take
7 on the issue, having heard everyone, though, is that there
8 comes a level of suspicion where, even if there is
9 uncertainty, it is time to stop treating additional patients
10 until you both develop the certainty, relevant data, and
11 have public discussion.

12 I see a lot of--since the transcript never shows
13 head nods, I will say that I see a lot of positive nods. If
14 that is what the committee means, I am comfortable moving
15 on.

16 DR. AUCHINCLOSS: One of the pleasures of coming
17 down here and talking to you is that we don't deal with
18 hypothetical questions. We deal with real questions. Here
19 we are dealing with a hypothetical and it is very hard to be
20 precise and specific. But you have just characterized what
21 I think people have been saying, there is a level of concern
22 at which you stop.

23 One more comment and then we are going to break
24 for coffee.

25 DR. WALTERS: I think the data monitoring

1 committee notion is a worthwhile one to come back to. There
2 are committees that do monitor multiple trials. For
3 example, in the National Institute of Allergy and Infectious
4 Diseases, there are a couple of committees that monitor
5 quite a large number of trials and if there were a subgroup
6 of this group that could be designated on a standby basis if
7 something untoward comes up, that group could be called into
8 action very quickly and could give an outside reading.

9 DR. AUCHINCLOSS: I think that is perfectly
10 reasonable.

11 DR. SIEGEL: We have the capacity to telephone any
12 member of this committee for advice as information comes up.
13 Once you talk about groups, then we get into the laws about
14 consulting advisory committees and what you can do in closed
15 session and what you can do in public session. We will have
16 to look into that because to convene people without public
17 notice is something that you would have to ask Gail about
18 but isn't so easy to do.

19 DR. HIRSCH: But he is right. Certainly, the AIDS
20 clinical trials group and those have standing data safety
21 monitor boards not for single trials but for a number of--

22 DR. SIEGEL: So some organized group.

23 DR. AUCHINCLOSS: Time for a coffee break. We
24 will come back here at 3:20 for an hour's worth of
25 presentations.

1 [Break.]

2 DR. AUCHINCLOSS: We will begin the second half of
3 the afternoon session. The schedule will be slightly
4 different from what is on the printed agenda. We will be
5 starting with Eda Bloom with an introduction and review of
6 recent policy developments. Then we will have a break and
7 go directly to Taylor Wang and then come back to an
8 examination of risk posed by different types of
9 xenotransplantation with Eda Bloom from the FDA.

10 So I am going to introduce Eda Bloom at this
11 point.

12 II FDA Xenotransplantation Policy Development

13 FDA Perspective

14 DR. BLOOM: Thank you to the committee and the
15 audience. We have heard a great deal this morning about
16 recent developments in science that are relevant to
17 xenotransplantation. For the rest of the afternoon, we are
18 going to discuss the translation of that science into
19 regulatory policy.

20 [Slide.]

21 First I would like to go over the definitions that
22 are current for xenotransplantation. We are defining it,
23 and I say "we" meaning FDA and the Public Health Service, as
24 any procedure that involves the use of live cells, tissues
25 or organs from a nonhuman animal source transplanted or

1 implanted into a human or used for ex vivo contact with
2 human body fluids, cells, tissues or organs that are
3 subsequently given to a human recipient.

4 The corollary of that is that xenograft products
5 are live cells, tissues or organs from a nonhuman animal
6 source used for xenotransplantation. A little later, we
7 will get into some of implications of these definitions but,
8 for now, we will just continue with a brief background of
9 the development of xenotransplantation policy.

10 [Slide.]

11 In 1993, FDA published a document that was
12 entitled The Application of Current Statutory Authorities to
13 Human Somatic Cell-Therapy Products and Gene-Therapy
14 Products. Among the somatic-therapy products used for human
15 treatment were listed xenogeneic cells. That was none too
16 soon because the first xenograft product IND was submitted
17 to FDA in 1994, the very next year.

18 It became clear very early on that the use of
19 xenotransplantation raised a number of safety concerns that
20 could be very problematic not only for the patient but also
21 for the public at large; that is, the transmission of
22 xenogeneic infectious disease to the patient and subsequent
23 transmission to their close contacts and to the public.

24 [Slide.]

25 Immediately, there began a series of cooperative

1 efforts among PHS agencies including CDC, NIH, HRSA and FDA.
2 But it was not, in fact, as my slide suggests, limited to
3 PH. The Department of Defense was involved, is involved.
4 The Department of Agriculture. And so, in fact, there are a
5 number of government agencies that have been cooperating in
6 the development of xenotransplantation policy and issues.

7 In 1996, the Draft Public Health Service Guideline
8 on Infectious Disease Issues and Xenotransplantation was
9 published in the Federal Register. Before, and also
10 subsequent to, that, the FDA, as well as various other
11 Public Health Service agencies and including as well private
12 agencies and private foundations have held a number of
13 public meetings.

14 These public meetings have enabled us to obtain
15 public input and, in fact, today of course is another in a
16 series of that in which we do hear the public and we do hear
17 the discussions.

18 The most recent document that has been published,
19 and I will discuss this one in a little more detail, is
20 entitled Guidance for Industry, Public Health Issues posed
21 by the Use of Nonhuman Primates Xenografts in Humans. That
22 appeared in April of this year as a notice of availability
23 and the document, itself, is available on the Internet.

24 This document is a good example of what happens as
25 a result of a lot of scientific evidence that has

1 accumulated and a lot of public input.

2 [Slide.]

3 The document was issued by FDA to address concerns
4 specifically regarding nonhuman primates as sources of
5 xenografts. The infectious-disease risks posed by nonhuman
6 primate sources was obtained both from historical data and,
7 of course, there was a recent publication that HIV-1 most
8 likely, if not definitively, was sourced from a chimpanzee.

9 But there were two other issues that really moved
10 the publication of this article. One is that the proximity
11 of nonhuman primates to the feral or wild state is still
12 very, very close and the various Public Health Service
13 Guideline recommendations, as Dr. Chapman alluded to, would
14 be very difficult to apply to nonhuman primates if not
15 impossible at this time.

16 That also refers to the husbandry issues of
17 nonhuman primates. For these reasons, the FDA decided to go
18 ahead and publish this document. We discussed the document
19 in very great detail with the other Public Health Service
20 agencies who accepted the principles of the document prior
21 to its publication.

22 [Slide.]

23 FDA concluded, regarding the use of nonhuman
24 primate xenografts that health concerns within the
25 scientific community and general public were raised. The

1 current data indicate that recipients, their close contacts
2 and the public would be exposed to significant risk by the
3 use of nonhuman primate xenografts.

4 It was further concluded that additional research
5 and evaluation would be needed to obtain information to
6 assess and reduce the risk posed by the use of such
7 xenografts.

8 [Slide.]

9 We made three recommendations based on these
10 conclusions. The first was that an appropriate federal
11 xenotransplantation advisory committee such as the
12 Secretary's Advisory Committee on Xenotransplantation, which
13 is currently under development within the Department of
14 Health and Human Services, should address novel protocols
15 and issues raised by the use of nonhuman primate xenografts
16 in humans, that such a committee should conduct discussions
17 including public discussion as appropriate and that the
18 committee should make recommendations on the questions of
19 whether and under what conditions the use of nonhuman
20 primate xenografts would be appropriate in this country.

21 [Slide.]

22 The second recommendation is that clinical
23 protocols proposing the use of nonhuman primate xenografts
24 should not be submitted to FDA--that is our recommendation--
25 until sufficient scientific information exists addressing

1 the risks posed by such xenotransplants. Consistent with
2 particular regulations under the IND regulations, any
3 protocol submission that does not adequately address these
4 risks, does not justify the safety of nonhuman primate
5 xenografts, is subject to clinical hold due to insufficient
6 information to address the safety risks.

7 [Slide.]

8 Finally, at the current time, we believe that
9 there is not sufficient information to assess these risks
10 and we believe that it will be necessary for there to be
11 public discussion harkening back to recommendation No. 1
12 before such issues can be adequately addressed.

13 At this point, I would like to stop this topic.
14 We are now going to proceed to the discussion of risks in
15 transplantation. Dr. Taylor Wang will make a presentation
16 on a new kind of encapsulation. Part of what FDA has to do
17 is not, as you can tell from much of our discussion today,
18 only deal with what is here and now but we have to be able
19 to deal with what is happening. And our policy must be able
20 to be flexible and to be appropriate enough to deal with
21 what is coming in down the pike, not just what we have at
22 our door at the moment.

23 Dr. Wang, who is Centennial Professor at
24 Vanderbilt University, has something to say about space-age
25 technology and encapsulation.

1 **Guest Presentation: Immunoisolation Technology**

2 DR. WANG: Thank you, Eda, for the introduction.

3 As Eda said, I came from a different background in
4 more ways than one. Actually, I am a physicist by training.
5 My colleagues have asked me the question why a self-
6 respecting physicist wants to get involved in this blood
7 mess. I will let you know the answer later.

8 [Slide.]

9 What I wanted to say is this is not a one-man
10 operation. Actually, this is a team operation.

11 [Slide.]

12 As you can see, it is a very large team. It
13 consists of an interdisciplinary approach from physicists to
14 fluid mechanics and material scientists and surgical
15 research, molecular physics and polymer science and
16 pathology technology. That is at Vanderbilt University
17 where we team up with the University of New Zealand and
18 which their primary responsibility was looking at islets and
19 the isolation of islets and function of islets and
20 retrovirus is one of the things they are looking at.

21 [Slide.]

22 The technology we are talking about is not very
23 new. People have seen it before. It is called
24 immunoisolation. Immunoisolation is a very elegant
25 procedure. It is very beautiful, very simple. In this

1 instance, you have a capsule encapsulating the islet inside.
2 The capsule is designed in such a way that its pore size is
3 controlled in that manner. The glucose can come in.
4 Insulin can go out, antibodies and lymphocytes. It is a
5 very simple picture, very elegant and very simple picture.

6 Up to this point, it has not worked as well as it
7 could for some reasons. One of the reasons is to make a
8 second assumption.

9 [Slide.]

10 The assumption is that the pore size is very
11 uniform and that it is defect free. But it turns out that
12 the reality is that they are not uniform in pore size and
13 not defect free. So, therefore, in this circumstance,
14 different laboratories get different results.

15 Therefore, we have to look at how to overcome that
16 problem, accept the fact that there is imperfection in the
17 processing that nature gives us.

18 [Slide.]

19 What if the processing imperfection is this;
20 immunoisolation devices assume almost uniform size but, in
21 reality, the size is a Gaussian distribution. Not only a
22 Gaussian distribution, people always assume--say, this is
23 the cutoff so we assume anything above that size does not
24 come in. As they say, well, it is only a very small tail
25 so, therefore, maybe you can get away with it.

1 [Slide.]

2 But in reality, if you really look carefully, that
3 is not the picture you should be looking at. You should not
4 look at the pore size density. What you should look at is
5 essentially the surface area that has a larger pore that
6 allows the immune system to come in.

7 So, in other words, you can have 10 million pores
8 that don't allow your immune system to come in. You have
9 one bloody big hole, and everything comes in so it doesn't
10 do you any good. So the pore size; density is not the
11 question. It is, rather, total surface area is the control.

12 [Slide.]

13 Therefore, the physical picture you end up having
14 is actually what we call a barrier model or entrapment
15 model. The membrane serves as a finite thickness that the
16 immune system will actually try to come in. It will come in
17 part of the way. It will get stopped and eventually it can
18 wiggle its way in and you can calculate the time involved to
19 allow that to happen.

20 [Slide.]

21 As I say, my background is in physics. The first
22 thing I would do, I would write an equation down. I was
23 told this is not a community that favors the equation, so I
24 will just show you the equation to try to tell you what is
25 the significance the equation is telling you about.

1 [Slide.]

2 In the immunoisolation system, really, it is a
3 random-walk model. It is telling you, essentially, how long
4 the system will take to get into the capsule. In other
5 words, you have the immune system outside the wall. How
6 long will it take to get in? The primary thing I want to
7 emphasize is the d^2 of R^2 --that is, the thickness squared
8 versus the pore size squared.

9 [Slide.]

10 This is important. The reason it is very
11 important is for mass transport, it so happens the R and d
12 are flipped essentially in order. Now, instead of d^2 of R^2
13 it is R over d . That means there is are two requirements,
14 or a dichotomy. What is good for immunoisolation is lousy
15 for mass transport. What is good for mass transport is
16 lousy for immunoisolation.

17 Fortunately, when we are looking at those
18 equations, we can see they have different dependence, they
19 have different R and d dependence. By having different
20 dependence, there is one advantage, that you can manipulate
21 that way to optimize both of them which underlines the fact
22 you must be able to independently control all the parameters
23 of the view of the capsule.

24 If you can't, then you have no hope. But if you
25 are able to do that, you can control the mass transport, you

1 can optimize the mass transport and, also, you can try to
2 optimize essentially immunoisolation and also mechanical
3 strength and plus a whole host of other things which I will
4 not show you.

5 [Slide.]

6 But in able not to do that, one of the first
7 things that you have to do is you have to make sure that the
8 system you have chosen has allowed you to independently
9 adjust the parameters. Now, the current system you will be
10 using is what we call a binary system.

11 The binary system has one drawback with everything
12 tied to one chemical reaction. With everything tied to one
13 chemical reaction, therefore, when you adjust the one
14 parameter for the benefit of one function, you ruin the
15 other ones. So, what you have to do, you have to become a
16 multicomponent system.

17 [Slide.]

18 By having a multicomponent system, and how do you
19 start to do it, you start to do bloody what we call grunt
20 work. What you do is you take all the matrices, all the
21 possibilities, looking at all the reactions. We spent two
22 years looking at grunt work, we call it. It is a 30 by 30
23 matrix.

24 [Slide.]

25 Not only is a 30 by 30 matrix, you are talking

1 about they are dependent on molecular weight, depends on
2 concentration, depends on pH. So what you end up with every
3 day, when you come to the lab, we have got a table full of
4 trays and we just go through every day for two whole years.

5 [Slide.]

6 Eventually, you get to the point you can find the
7 system, a multicomponent system, that looks like it will do
8 what you want it to do.

9 The drawback of the multicomponent systems is very
10 complicated because not only do we have a primary reaction,
11 there is a secondary, tertiary reaction. But a nice aspect
12 of this multicomponent system is if you can find out which
13 reaction controls what, you can, in principle, fine-tune one
14 reaction to control one parameter at a time.

15 Therefore, for the first time, you can talk about
16 optimization effect.

17 [Slide.]

18 In order to do the optimizing it is not just
19 finding the polymer system. You also have to optimize,
20 essentially, the processing. The process must be also
21 optimized. The process has two aspects; one transient
22 effect and one the steady-state effect.

23 The transient effect we call it the impact
24 criteria. The impact criteria is very simple. What you
25 started with is a droplet of one polymer with islets inside.

1 In order to enter the second medium, you have to go through,
2 impact the surface and enter.

3 When it impacts the surface, what happens is the
4 islets are slightly heavier so that the islets start to
5 accelerate out to the wall. Then there is a whole bunch of
6 criteria that you have to be satisfied and I am not going to
7 bore you will all the equations.

8 If you want to know it, I will show it to you.

9 [Slide.]

10 This is the equation. But I am not going to
11 derive it for you at this time.

12 [Slide.]

13 But I will show you the results of that. The
14 results are that you can see it, that if you control what we
15 call the center mechanism, you actually can let the droplet
16 inside start off-center and make it very concentric.

17 There are two reasons why it is very important to
18 make it very concentric. One is because the concentric wall
19 thickness guarantees essentially the behavior of the
20 property because any type of weakness in the one spot is
21 basically the weakness of the capsule.

22 The second thing is you want to be able to keep it
23 concentric. You want to be able to keep the islets getting
24 away from the wall because, during the processing, you do
25 not want the islets exposed to the chemical reaction.

1 The second thing is you do not want the islet to
2 be very close to the wall because, when it is very close to
3 the wall, they actually might influence the growth of the
4 membrane. So those are the things which you have to do.

5 [Slide.]

6 Then, in a certain sense, if you do it right, you
7 know what you are doing, this thing will show you
8 essentially the system can be reasonably adjusted in a
9 certain time. This is the process that you have to be able
10 to control. You must be able to control this thing so that,
11 therefore, the islets and the membranes are very uniform and
12 then you can start the formation.

13 [Slide.]

14 Another thing which you have to be very careful
15 about is during the formation process, the convective flow
16 is involved. Any time you have convective flow involved,
17 what ends up happening is the two poles will tend to have a
18 different characteristic than the rest of the membrane.
19 That is something you cannot live with because that becomes
20 a weak spot in the system.

21 What you do is, in order to do all those, this is,
22 in a certain sense, what I will say what I learned from sort
23 of a space flight. What you do--this is a very simple-
24 minded picture. What it says is this; in order to do this
25 properly and control everything you want to control

1 properly, you let the drop come in falling down through the
2 medium, engulfed by the medium.

3 The medium and the drop fall in together. You do
4 not have any relative velocity. Without any relative
5 velocity, therefore, you don't have imbalanced forces on the
6 droplet. Not only do you not have imbalanced forces on the
7 droplet, the growth has become isotropic because it is
8 through the diffusion process growth. So it is radially
9 inward.

10 Let me show you what I have done.

11 [Slide.]

12 If you don't do that, this is what the capsule
13 looks like. They have got tails. They have got wiggles.
14 They have got surface, everything. So it is not really as
15 pretty as what you like.

16 [Slide.]

17 But if you do what you are supposed to do, you
18 know what you are doing, and you use the processing, this is
19 what the capsule looks like. Each one is the same as the
20 one before. Today you make this one. Tomorrow you make the
21 same one. And that is the process control we are talking
22 about.

23 In order for this thing to work, you are talking
24 about you have got to transfer 2 million capsules into a
25 human body. And you cannot have a weak spot. Therefore,

1 what you have to do, your process must be controlled in a
2 manner that every day, when you are making it, it will come
3 out the same.

4 [Slide.]

5 At this point, what we call--you start a smoking
6 test. We are not talking cigarette smoking but we are
7 talking about--from a physics and engineering point, a
8 smoking test means, let me take a preliminary capsule.
9 Let's see how this works. It is not a final product, but
10 what we see is we can control the mechanical strength. One
11 of the problems with mechanical strengths, you want the
12 thing to have reasonable longevity inside.

13 You not only want reasonable longevity, you want
14 is that the capsule stays alive longer than the islet stays.
15 So, therefore, things eventually will fall and what will end
16 up happening is that islet died before the capsule falls
17 apart. Therefore, nothing from the islet will come out.
18 Therefore, the mechanical strength very much has to be
19 controlled. In principle, we can control the two in the
20 parameter space.

21 [Slide.]

22 Another thing you have to control is
23 immunoisolation. This is very important with regard to pore
24 size. What you do is you find out one of the concentrations
25 allows us to change essentially the pore porosity, the pore

1 size, independent from everything else. By allowing to do
2 this, I can basically set how long I want the
3 immunoisolation time for preventing the immune system to
4 enter the capsule.

5 The third thing, of course, the thing works. It
6 is strong enough to hold it. It protects it, but the
7 question is does it function.

8 [Slide.]

9 The question has to be asked, essentially, does
10 the islet still survive its function inside the capsule.
11 That is, in a sense, tied to the mass transport effect.
12 What we show here is the perfusion data and it looks
13 reasonably well and even after six months--this is actually
14 nine-month data. After nine months, if we retrieve it from
15 the animal, the system is still working reasonably well.

16 [Slide.]

17 How is the biocompatibility, the question is.
18 Biocompatibility, for instance, you can transplant those
19 things in an empty capsule into the animal. This one is for
20 mice. And it seems to do very well. What we find out,
21 however, this biocompatibility, as you go up in the animal,
22 the biocompatibility requirement becomes more and more
23 stringent.

24 For C54 mice, the normal mice, we almost can slap
25 it together and it will work. Now, the question is NOD mice

1 which is essentially the autoimmune system has--it turns out
2 to be much more selective. You can see for the same
3 parameter space which I just showed you for the last one,
4 the two ends are no longer working. The center part still
5 works.

6 [Slide.]

7 Another thing which you have to look at with the
8 biocompatibility question is the surface characteristics.
9 You have to be able to control the surface characteristics,
10 how smooth this thing is and all those parameters. With all
11 that, then you can say, "All right; how does it really look
12 after putting it in an animal."

13 [Slide.]

14 This was retrieved from the animal about nine
15 months after. The islet was still working. The perfusion
16 data we showed you before has come from this drawing. The
17 islet is reasonably clean. This, obviously, I will say that
18 some one here is not looking so good so it is not in the
19 picture.

20 [Slide.]

21 This is what we look at essentially from a rat
22 islet transferred into the NOD mice and we are able to
23 control the permeability.

24 [Slide.]

25 Now, more important for you guys, porcine islet

1 into the mice, NOD mice. Essentially, it is still working
2 fine so even with a very big specie difference--mice and the
3 pig are fairly far apart.

4 [Slide.]

5 So I would basically to draw a conclusion,
6 essentially. The immunoisolation works. It works in a
7 certain sense. The immunoisolation will do what we design
8 it to do. The question of whether it will really do what
9 you guys want to do in the long run has to be tested. That
10 is something we are working on.

11 We are in the process. We have put it in the
12 large dog, in a dog trial, and the data looks very nice. So
13 right now we are doing an optimization effect; that is, we
14 know there are a little things that we want to tidy up so
15 now we are no longer talking about a smoking test. We are
16 talking about a real trial, so we are tidying up the
17 parameter space. We are making it a little bit smoother on
18 the surface.

19 We make the membrane binding mechanics a little
20 stronger. We will make the materials a little purer. All
21 those little things we can do. We are hoping that, in time,
22 soon, we can get FDA's advice and approval so we can start
23 to proceed.

24 One other thing which I want to emphasize, Al
25 Gordon reminded me to emphasize, this approach does not

1 require immunosuppression drugs if it works properly.
2 Obviously, if it works properly, it doesn't require. Up to
3 this, all the data is without immunosuppression drugs.

4 One thing that is it a very much a tradeoff study
5 essentially because there are so many dichotomy
6 requirements. This is the tradeoff. The most important is
7 because they are very host-specific. On the C57, the
8 tradeoff is much easier. In NOD mice, it is a little
9 tighter. The dog gives me a different requirement and I
10 wouldn't be surprised when we finally go to the human we
11 still have to fine-tune it.

12 But, as long as we can allow this ability to fine-
13 tune the system, I think that is the criteria we have to be
14 able to, that we can allow us to do. Then I think we have a
15 good chance.

16 This capsule has a finite lifetime. It is not
17 going to say you transplant once for the next eighty years
18 and you are going to be free. It is almost like--the way we
19 look at it, this system, the capsule, should work about a
20 year to a year and a half. The islet probably works a
21 little bit less than that but we would design the islet to
22 work a little bit less than that.

23 So the islet essentially will die for various--
24 whatever the reason, because immunoisolation has a finite
25 time, as I said. The islet will die and the capsule

1 eventually disappear and the capsule would just basically--
2 the body would somehow dispense of it.

3 What you do is you end up having to replenish on a
4 regular basis. The scenario we are talking about
5 essentially you will transplant once and then, every six
6 months, you will come and have booster shots. However, by
7 having these booster shots, you will be able to live in a
8 sort of, hopefully, a semi-normal life.

9 So this is the approach that we have been talking
10 about and we have been talking to FDA. There is no
11 guarantee that absolutely that this is--but I think it has a
12 good potential to do a lot things that we want to do.

13 Thank you.

14 DR. AUCHINCLOSS: Thank you. Let's just have a
15 moment or two for specific questions relative to this
16 presentation. And then I think what we are going to do is
17 hold the open public hearing or at least see if there are
18 questions or comments or presentations that people want to
19 make from the floor, and then we will group, after the
20 remainder of the FDA presentation, the entire committee
21 discussion together.

22 DR. ONIONS: I enjoyed your presentation very
23 much. But I wasn't quite sure what you meant at the end
24 when you said that you could design the capsule such that
25 the islet cell died first and then the capsule was, in some

1 way, disposed of by the body. Clearly, the concern would be
2 if the capsule integrity is destroyed while the islet cells
3 are still alive.

4 So what do you know about the process at the end,
5 there?

6 DR. WANG: For instance, we have been doing some
7 of sort of in vitro studies right now. What we find is we
8 put the islets in a medium in the incubator, the capsule,
9 encapsule the islet. What we look at essentially is what is
10 the mechanism that causes the capsule to break.

11 What we find out is essentially about the fifth
12 month, we have got 5 percent of the capsule starts to
13 disintegrate. 95 percent fine, 5 percent. So we start
14 looking at what was the reason the 5 percent started to
15 disintegrate. It turned out to be that really what you see
16 it--I am a physicist and you see this very often. What
17 happens is the inclusion problem.

18 When we encapsulate the islets, some loose cells
19 get floating around the place. The loose cells can be
20 included in the membrane. Apparently, when they are
21 included in the membrane, in time, the cell will die and
22 become a void. That void causes the membrane to break.
23 That is one of the reasons.

24 The second reason that sometimes happens is that
25 the material is not pure enough. Then you can stimulate

1 essentially a certain inflammation and that is what happens.
2 So those are the things that we know how to deal with and we
3 are looking at. Now we are getting materials. It is coming
4 down to what we call a 5EU per cc.

5 Before that, in a smoking test, it was 60,000 per
6 cc. So, even the 60,000, we didn't see major problems. We
7 see some residual inflammation probably, some low-grade
8 inflammation. So we believe if we scale down, we can get
9 away with that problem. Inclusion is just something that
10 you have to do it properly.

11 DR. AUCHINCLOSS: Other questions?

12 DR. GORDON: Dr. Wang, I am just wondering what is
13 the volume of 2 million capsules and where do you put them?

14 DR. WANG: Actually, each capsule is less than a
15 millimeter in diameter. So we are looking at about 100 cc's
16 in volume, a little less than 100 cc's. We put it into the
17 peritoneal cavity. That is where the experiment has been
18 done up to this point.

19 DR. AUCHINCLOSS: Thank you very much.

20 What I would like to do at this point is to
21 declare an open public hearing.

22 **Open Public Hearing**

23 We have had one advanced request for time from Dr.
24 Michael Schmoeckel. I believe he is not actually here at
25 this point but, if he is, can he identify himself? When he

1 arrives, I, of course, will make time for him to give his
2 five-minute presentation which may, in fact, turn out to be
3 tomorrow morning depending on how our discussion goes and
4 the time of his arrival.

5 Are there any other presentations from the public
6 that we do not know about at this point?

7 MS. STEWART: Sue Stewart with Genzyme
8 Corporation. We wish to make a statement about the nonhuman
9 primate guidance on the standard definition of xenografts
10 and xenotransplantation.

11 The definition used in the Draft Document for Use
12 of Nonhuman Primate Xenografts in humans published in April
13 of 1999 for the use of those materials. If there is a
14 decision to modify the definition to encompass other
15 products that come in contact with nonhuman animal materials
16 during the production process, special care should be taken
17 to avoid impacting products where the product or the
18 nonhuman contact material can be characterized to a level
19 that eliminates concern for infectious agents' transmission.

20 Currently, there are many products which are being
21 used to treat human subjects where this is the case. These
22 include live-virus vaccines, the radiated cancer vaccines,
23 cellular products that use feeder layers in their production
24 and gene therapies using living cells expressing viral
25 vectors.

1 Care also needs to be taken in an approach that
2 would broadly define xenografts and then apply a tiered
3 level of regulatory compliance based on perceived or actual
4 risk. We agree that a risk-based model regulating
5 xenografts has merit within the current class of products as
6 defined in the 1996 PHS guidance and for nonhuman primate
7 material as outlined in the April '99 FDA document.

8 However, a broader definition which would
9 incorporate products not currently defined as xenografts
10 could potentially make these products unavailable to
11 patients in countries which have banned the use of any xeno
12 material based on their classification as xenografts not on
13 their level of risk.

14 DR. AUCHINCLOSS: Comments or questions on this
15 presentation? Now, because the open public hearing was
16 originally on the agenda for 5:30, I will try and make note
17 of that and see if anybody else shows up at 5:30 for a
18 presentation or we can reopen tomorrow morning with an open
19 public hearing including Dr. Schmoeckel. We want to include
20 everybody who does with to speak but I just didn't want to
21 have these dangling at the end of the open committee
22 discussion that we will be having. It looked like it would
23 fall awkwardly at that point.

24 MR. MORE: I am Alan More with Primedica
25 Corporation. I just wanted to kind of echo a comment that

1 was just made and that was in defining what xeno products
2 are. We do have to be careful about the way that we
3 approach them in a testing sense. I think if what I heard
4 Sue say was that cells or cell lines that are living cells
5 are going to be considered xeno.

6 That has an entirely different safety approach.
7 So I do want to echo care being taken in terms of how these
8 products are defined.

9 DR. ONIONS: Could I just make a comment. I think
10 Alan More's comment is very pertinent. If I remember
11 correctly, and I could be wrong, and there are colleagues
12 here from GTI in the audience that can correct me, as I
13 understand it, the GTI protocol involved using packaging
14 cell lines in the treatment of glioblastoma. This was, in
15 the United Kingdom, views as a xenotransplantation as well
16 as a gene-therapy trial which complicated their life, I'm
17 sure.

18 So I assume here, in the United States, that that
19 was regarded purely as a gene-therapy application not as a
20 xenotransplantation which I assume sort of encompasses the
21 kinds of things you are hinting about.

22 MR. MORE: Right. I think that is a great example
23 because there are some very well-defined principles in
24 approaching that type of therapy as opposed to approaching
25 the concerns that we have regarding xenotransplant or tissue

1 transplants where we don't have control over the cells.

2 DR. AUCHINCLOSS: Anybody from the FDA want to
3 comment on this distinction? It is not necessary.

4 DR. SIEGEL: I think we are right at the focus of
5 topic II which is whether the distinctions between cell
6 lines or vascular organs or distinctions between species,
7 between ex vivo and in vivo exposure, between barrier
8 exposure and not, how they should impact what safety
9 measures should be taken.

10 The points are raised and I think we should move
11 on and then discuss them in the full context.

12 DR. AUCHINCLOSS: Then I will, at this point, end
13 the open public hearing unless there is any other speaker.
14 I would ask Eda Bloom to resume the FDA presentation and
15 then we will return to committee discussion.

16 **FDA Perspective**

17 DR. BLOOM: Thank you, again. In my last
18 presentation of policy, I think that I probably put my
19 spacer in the wrong place because there seem to be a couple
20 of slides that I missed. There is a slide missing.

21 What I also wanted to mention was that, in the
22 realm of policy, many of you are aware of the 1996 PHS
23 guideline. That guideline also, as you are aware, is
24 currently under revision. I just wanted to not miss
25 mentioning that the revised document is likely to address a

1 number of issues.

2 The first slide, which appears to be missing or
3 not dropping, is the idea of informed consent, the idea of
4 FDA taking regulatory authority, the idea of the sponsor
5 having the ultimate responsibility for safety of the trial.

6 [Slide.]

7 In addition to those, the new guideline is likely
8 to address safety on animal husbandry and pre-transplant
9 infectious-disease screening to a greater extent than the
10 earlier one, development of diagnostic assays and
11 methodologies, maintenance of healthcare records, both for
12 the source animal and for the patient and biosafety
13 precautions.

14 [Slide.]

15 So, as far as the policy is concerned, the
16 published policy papers include the 1996 PHS guideline for
17 which a revision is in progress and the FDA document on
18 nonhuman primate.

19 [Slide.]

20 Now we will move on to the examination of risk
21 posed by different types of xenotransplantation for which
22 Genzyme has given us a terrific introduction and I thank
23 you.

24 [Slide.]

25 Again, with the definition that is raising

1 concern. At this point, I would like to go into it with a
2 little bit more thoroughness, especially with the line that
3 the ex vivo contact with human body-fluids, cells or tissues
4 or organs with xenografts, and those human body fluids,
5 cells, tissues or organs that are subsequently given back to
6 a human recipient or given to a human recipient.

7 It is important to note here that what needs to be
8 given back to the human recipient would be human body
9 fluids, cells, tissues or organs, not, for example,
10 supernatant.

11 [Slide.]

12 However, there are a number of concerns for things
13 that are still exposed ex vivo to xenograft-type products
14 and the concerns were what caused us to include the ex vivo
15 exposure in the definition. The potential for transmission
16 of zoonoses or other xenogeneic infectious agents could, in
17 fact, come through ex vivo exposure whether it be
18 extracorporeal perfusion or co-culture.

19 For example, there are techniques in which
20 fertilization and early embryonic development are done on a
21 monolayer of nonhuman primate feeder cells. The reason for
22 including all nonhuman animals rather than just limiting to
23 mammals or to vertebrates is because cross-species
24 infectivity of viruses cannot always be predicted.

25 So, therefore, we have made the definition of

1 xenotransplantation intentionally large. This does pose
2 problems for us and for everyone as far as how, then, do we
3 apply the recommendations that were set forth, for example,
4 in the PHS 1996 guideline to promote safety of such products
5 as much as possible.

6 [Slide.]

7 The implication of the definition is that all
8 sponsors of xenograft products should consider these
9 recommendations and that complete implementation of
10 approaches to risk control, however, may not be appropriate
11 for all of the products. What we want to do this afternoon
12 is initiate the public discussion on the relative risks of
13 certain classes of xenograft products which might be more
14 easy to control the transmission of infectious disease.

15 [Slide.]

16 Thus, there is a spectrum, or we believe there may
17 be a spectrum--we would like to discuss this--of xenografts
18 as far as what kinds of risks they pose. For example, would
19 brief ex vivo exposure to a well-characterized cell line
20 such as an insect cell line, for example, pose the same risk
21 as the permanent implantation of a whole organ from a wild
22 caught animal.

23 [Slide.]

24 We believe there are a number of factors with
25 potential impact on the risk of xenotransplantation. Some

1 of these are product-related including the species of source
2 animal. For example, would a primate xenograft pose the
3 same risk--and I say fruit flies here because we actually
4 have a protocol in which CTL are produced to treat melanoma
5 patients and those CTL are produced by contact ex vivo with
6 antigen-presenting cells that are derived from the
7 drosophila cell line. That would fall under our current
8 definition of xenotransplantation.

9 How about ex vivo exposure versus in vivo
10 exposure? For example, again, would exposure to a feeder
11 layer such as antigen-presenting cells or other feeder layer
12 cause the same concern as a kidney graft that is implanted?
13 Again, the issue that was brought up by our public input,
14 cell line versus fresh tissue.

15 Can a cell line that can be characterized and
16 screened be considered of less risk than fresh cells--that
17 is, fresh cells, for example, of the same dose. Again, that
18 leads us into dose. We have heard a number of presentations
19 today in which the number of cells from a xenogeneic source
20 animal might have been a few million.

21 Tomorrow, we will hear about suggestions of
22 implantation of whole organs which would be severalfold more
23 than that. Do such transplants pose differential risks?

24 [Slide.]

25 Other product-related factors that might impact on

1 risk would be whether a graft is temporary versus durable.
2 So if you have, for example, an ex vivo exposure to a
3 extracorporeal perfusion liver-assist device as a bridge for
4 transplantation, or whether you have ex vivo exposure to a
5 whole organ, or whether you have a xenograft that is
6 actually in place in the person intended to be permanent.

7 We have heard a couple of examples of the kinds of
8 barriers; capsulation, those that are currently in use and
9 ones that could be in use. Do barriers provide sufficient
10 protection that that might impact on the potential
11 xenogeneic infection that could be transmitted from a
12 xenograft?

13 [Slide.]

14 In addition to the product-related characteristics
15 that we need to consider, there are also patient-related
16 characteristics that had impact or that may have impact on
17 whether or not a xenograft poses serious, or more serious
18 risks. For example, if a patient has strong
19 immunosuppressive therapy, does that, then, predispose that
20 patient and that patient's close contacts to a greater risk
21 than someone who may, say, just receive a xenograft that
22 might be either only temporary, if the patient may be either
23 temporarily immunosuppressed or may be using blocking
24 antibody or something that would be less of an effect on the
25 patient's entire immune system.

1 Another issue that we want to consider would be
2 whether the patient population would be one that one could
3 be assured would be a compliant population that would come
4 back for screens, that would come back for follow-up exams
5 and that would be available or willing to undergo whatever
6 may be necessary should an infection occur.

7 [Slide.]

8 The current PHS recommendations for clinical
9 trials in xenotransplantation has many different thrusts in
10 order to apply known procedures to minimize the possibility
11 of xenogeneic infection being transmitted. These include
12 the composition of the xenotransplantation team which would
13 include everything from the veterinarian to a surgeon to the
14 clinicians to the laboratory; the clinical transplantation
15 site; protocol review by the IRBs, by FDA, by a federal
16 committee; informed-consent procedures specific for
17 xenotransplantation; and procurement sources. Certainly, we
18 have to wonder whether it is important to have a closed herd
19 of drosophila and if you can trace back to the original
20 source of a mouse cell line.

21 The source animal facilities, again, present the
22 same kinds of issues; pretransplant screening, preclinical
23 studies and assay validation. Now that might be something
24 that--should that have a sliding scale? Maybe not.

25 [Slide.]

1 The current PHS recommendations also include,
2 again, the herd and colony screening and surveillance;
3 certain criteria for source-animal qualification; the
4 screening of the graft, itself, for infectious agents;
5 source animal archives and records which may be maintained
6 for many years; surveillance of the recipient which could
7 happen for many years; infection-control practices for the
8 healthcare workers, for the close contacts; and a database
9 maintenance for, again, many, many years.

10 [Slide.]

11 So what questions we will have for the committee
12 are--we have a spectrum, or possibly a spectrum. We would
13 like for you to comment on whether we really have a spectrum
14 of risk posed by different kinds and different classes of
15 xenografts and, if we believe that, then how this spectrum
16 might actually be used for the application of the
17 recommendations in the PHS guidelines.

18 DR. AUCHINCLOSS: With that, I think we will have
19 discussion and then go to the questions. But, actually, I
20 am going to confuse you still further. I am actually going
21 to reopen the open public hearing, because Dr. Schmoeckel is
22 now here, and offer him the opportunity to make is five-
23 minute presentation.

24 Dr. Schmoeckel?

25

Open Public Hearing

1 DR. SCHMOECKEL: Good afternoon.

2 [Slide.]

3 Mr. Chairman, ladies and gentlemen, on behalf of
4 the Munich Xenotransplantation Research Group, I would like
5 to give a brief presentation of our initial experience on
6 pig-to-baboon orthotopic heart transplantation.

7 [Slide.]

8 We performed two series of experiments due to the
9 requirements of the German regulating authorities. We had
10 to perform a feasibility study which comprised four non-
11 transgenic pig hearts that were transplanted into baboons.
12 This was a feasibility study which means that these animals
13 were not allowed to survive long-term but had to be
14 sacrificed on the table after weaning them off
15 cardiopulmonary bypass.

16 In a second series of experiments, we transplanted
17 hDAF transgenic pig hearts provided by Imutran Novartis,
18 into immunosuppressed baboons.

19 [Slide.]

20 As donors in our first series of experiments, we
21 used normal landrace piglets at a body weight of between 13
22 and 14 kilograms. The hearts were preserved with iced
23 Celsior cardioplegic solution and the ischemic time was
24 about 3.5 hours.

25 [Slide.]

1 As recipients, we had baboons, adult baboon,
2 between 17 and 26 kilograms and orthotopic heart
3 transplantation according to the technique of Lower and
4 Shumway was performed which means that the native hearts
5 were removed before the hearts were placed in situ.

6 In three experiments, we performed perioperative
7 immunoadsorption for the depletion of preformed natural
8 antibodies. One experiment served as a control and no
9 immunoadsorption was performed.

10 [Slide.]

11 Immunoadsorption consisted of the Ig-Therasorb
12 column. The blood of the recipients was divided into plasma
13 and cellular components. The plasma was then directed to
14 the Ig-Therasorb column which contains f-coupled polyclonal
15 sheep antibodies against human IgM, IgG and IgA. The
16 depleted plasma was then reinfused into the animals and we
17 used a total of four cycles per experiment.

18 [Slide.]

19 The outcome was that, in all three cases in
20 immunoadsorption, we were able to wean the animal off
21 extracorporeal circulation, after 100 minutes, 11 hours and
22 21 hours. In each case, it was a deliberation termination
23 of the experiment. ECG showed normal sinus rhythm. No ST-
24 segment elevation. Echocardiography showed a normal pump
25 function, an ejection fraction of 65 percent and a

1 fractional shortening of 32 percent.

2 Invasive hemodynamic measuring showed a normal
3 cardiac output of 1.9 meters per minute. Histology
4 confirmed that there was no hyperacute rejection. In our
5 control experiment, the graft failed after 29 minutes. Of
6 course, we were unable to wean this animal off
7 cardiopulmonary bypass and histology, indeed, confirmed all
8 signs of hyperacute rejection.

9 [Slide.]

10 This graph shows you the immunoadsorption
11 procedure. These are the hemagglutinating anti-pig
12 antibodies. In our three experiments with immunoadsorption-
13 -that is the black line--you can see that we were able to
14 deplete the antibodies below a critical deadline of a titer
15 of 1 in 64 while, in our control experiment, the antibodies
16 were presumably absorbed on the graft and led to hyperacute
17 rejection and graft failure.

18 [Slide.]

19 Now, to our second series of experiments. We used
20 hDAF transgenic piglets provided by Immuntran-Novartis.
21 Again, these hearts were preserved with Celsior cardioplegic
22 solution and after an ischemic time of 160 minutes, they
23 were reperfused in the recipient.

24 [Slide.]

25 Recipients were, again, baboons, adult baboons of

1 a weight between 17 and 32 kilograms and we performed the
2 same orthotopic heart transplantation according to Lower and
3 Shumway. These baboons were immunosuppressed with
4 cyclophosphamide induction therapy from day -1 until day 4
5 and a maintenance therapy consisting of a triple-drug
6 immunosuppression with cyclosporine A, mycophenolate
7 infected with ERL, and steroids.

8 [Slide.]

9 Rejection monitoring in the post-operative period
10 consisted of daily assessment of hemagglutinating anti-pig
11 antibodies, a daily ECG and echocardiography and physical
12 examination of the recipients.

13 [Slide.]

14 This is the outcome of our first four experiments.
15 In the first experiment, the graft failed, indeed, half an
16 hour after reperfusion. We think that this is due to a
17 technical failure because, during the procedure, it seemed
18 that there was a nonperfusion of the transplanted heart.
19 But, at this stage, we, in fact, can't differentiate this
20 possible ischemia-reperfusion injury from hyperacute
21 rejection.

22 Our second survivor survived for 20 days and had
23 to be sacrificed due to progressive anemia. In fact, we
24 were unable to transfuse these animals because we had no
25 baboon blood available.

1 Our third baboon was sacrificed after 11 days. In
2 this case, we had anemia on the first post-operative day and
3 transfused the animal with human blood. However, the animal
4 developed renal failure on day 11 most probably due to
5 hemolysis.

6 Our fourth experiment, actually, is still ongoing
7 on day 8 today and the animal is still well and alive.

8 [Slide.]

9 Just briefly, a couple of functional data of our
10 20-day survivor. Again, ECG showed always sinus rhythm.
11 Echocardiography showed a normal cardiac function. The
12 ejection fraction was 69 percent the fractional shortening
13 was 37 percent. We had a minimum HB, as I already
14 mentioned, of 4.4 g/dl on day 20. This led to the
15 termination of the experiment.

16 Due to the cyclophosphamide-induction therapy, we
17 had a very low white-blood count on day 9, 0.2, which
18 recovered to 2.0 again on day 20 and a minimum platelet
19 count in day 13 of 19 which recovered again to 103.

20 [Slide.]

21 From this limited experience, I would like to draw
22 the following conclusions. Hyperacute rejection of non-
23 transgenic pig hearts can, indeed, be prevented by
24 immunoadsorption and hDAF transgenic pig hearts are, indeed,
25 able to sustain the life of an immunosuppressed baboon for

1 up to three weeks at least in our experience.

2 Thank you very much for your attention.

3 DR. AUCHINCLOSS: Thank you very much. Any
4 comments or questions?

5 Thank you very much.

6 Now we will close the open public hearing again.

7 **Committee Discussion**

8 The reason for this convoluted performance is that
9 now the remainder of the day is just committee discussion
10 which can involve questions of any of the people who have
11 presented but also an effort to address the questions that
12 have been posed to us by the FDA.

13 I am, frankly, perfectly content to have comments
14 as we did this morning from the floor as well. We basically
15 have two things in the big picture to deal with. One is
16 this issue of the definition of xenotransplantation and I
17 think we will start there. And then we want to debate the
18 value, usefulness, of the concept of relative risk.

19 Let me start, then, with the definition of
20 xenotransplantation that the FDA has presented. Is there
21 anybody on the committee who wants to suggest some
22 modification of that?

23 MS. MEYERS: I want to ask, under that definition,
24 would insulin from pigs or cows be considered
25 xenotransplantation or the heart valve made from pig tissue.

1 DR. AUCHINCLOSS: I think it says "live cells,"
2 does it not? The definition; "any procedure that involves
3 the use of live cells, tissues or organs."

4 MS. MEYERS: So the heart valve is not live cells.

5 DR. NOGUCHI: The key word is "live.":

6 DR. AUCHINCLOSS: The heart valve is not live, the
7 insulin is not a cell or a tissue. Is that correct, FDA?

8 DR. NOGUCHI: That's correct. Heart valves are
9 fixed and deactivated.

10 DR. AUCHINCLOSS: Live cells, tissues or organs.

11 DR. ONIONS: I think this just takes me back to
12 that earlier point and it is related to the one that Alan
13 More raised that this would imply that people using cell
14 lines that have been grown in the laboratory and reviewed in
15 the laboratory when put into a patient, for whatever reason,
16 would then have to come up with a definition of
17 xenotransplantation. At least, I assume that is correct.

18 DR. AUCHINCLOSS: I believe that FDA intends it to
19 mean that; is that correct?

20 DR. NOGUCHI: Yes.

21 DR. AUCHINCLOSS: A cell line from a nonhuman
22 source is xenotransplantation.

23 DR. ONIONS: My only comment about that is that it
24 is duplication of regulation. I think that is a lot easier
25 to do in the FDA where you have a much more defined

1 structure. I know that that did raise complications in
2 other countries where conflicts between different regulatory
3 bodies arise. That probably isn't such a problem for the
4 FDA where you sort of an overarching structure.

5 That is my only comment, that you might find
6 something that is both a gene-therapy protocol and it is
7 also a xenotransplantation protocol.

8 DR. SIEGEL: You are looking at the same people.

9 DR. AUCHINCLOSS: It's them, either way. My
10 question was that the pig Factor VIII that we heard
11 discussed this morning would not fall under this definition
12 even though there is concern that PERV might be there. Is
13 that a problem and what do we do about that?

14 DR. BLOOM: You are right. That is a blood
15 product but it is not a live cell or tissue so it would not
16 fall under xenotransplantation. But that doesn't mean that
17 we wouldn't look at such products and take precautions for
18 them.

19 DR. AUCHINCLOSS: Okay. So there are other ways
20 of looking at those products and we don't have to consider
21 them as part of our xenotransplantation.

22 DR. BLOOM: That's correct.

23 DR. AUCHINCLOSS: Does anybody want to modify the
24 definition or are we content to push on to the bigger
25 questions.

1 DR. SALOMON: Can I ask just one question? Then
2 what is a vector, a retroviral vector, let's say?

3 DR. BLOOM: That is a good question. If a
4 retroviral vector, let's say, is produced by a mouse cell
5 line, it is ex vivo and then the vector, itself, is what is
6 administered or the vector, itself, then is used to infect
7 human cells ex vivo. The mouse-producer cell line, itself,
8 under those circumstances, hasn't had direct contact with
9 the human cells going back or with human body fluid going
10 back so that would not be xenotransplantation.

11 However, if you do direct contact between the
12 mouse-producer cell line and the human cells, it would be.
13 If you implant the mouse cells that are producing the vector
14 into the human, it would--

15 DR. SALOMON: So the vector is not alive.

16 DR. BLOOM: Well, it is not considered a nonhuman
17 animal. We haven't gone down to viruses.

18 DR. ONIONS: I am not trying to be awkward, but
19 the very kinds of issues they use where people put packaging
20 cell lines that are irradiated in contact with, say, CD34
21 stem cells, just for clarity, that would still come under
22 the definition of xenotransplantation?

23 DR. BLOOM: They are irradiated but they are still
24 alive.

25 DR. ONIONS: Yes; I appreciate that. That's fine.

1 DR. AUCHINCLOSS: That is xenotransplantation.

2 DR. SIEGEL: That's right. Under this definition.
3 We don't have any notion or belief that this definition or
4 any definition captures those products that are most at risk
5 and fails to capture those products--and that all the
6 products it excludes are less at risk. There is a lot of
7 discussion, then, do we have a narrow definition that only
8 includes a transplantation of organs, or only
9 transplantation or implantation of cells? Or do we have a
10 broader one. We started out including ex vivo perfusion.

11 What is clear to us, and I should say, too, that
12 as far as this definition goes, in part it is part of--the
13 next definition or the newest definition will be part of a
14 PHS guideline. It is not simply an FDA decision what the
15 definition should be but what is clear to us is that,
16 particularly with the broader definition--at least it is
17 clear to us and we are seeking your input, there exists a
18 spectrum of different risks, maybe not a unidimensional
19 spectrum, maybe not only high and low, but just lots of
20 different types of risks.

21 It is hard to imagine applying all the same
22 policies to all the different types of things we are talking
23 about and we are looking for guidance as to how to cope with
24 that.

25 DR. AUCHINCLOSS: That is question 2; right?

1 DR. SIEGEL: It is in all the questions.

2 DR. AUCHINCLOSS: It is everything we are going to
3 do from now on, I think. So we are content with what we
4 have here. A definition is a definition, but there might be
5 implications to different wording.

6 All right. Let's move on this concept of relative
7 risk and the particular implication--correct me if I am
8 misphrasing this--is that if we could identify forms of
9 xenotransplantation under this definition that were so
10 unrisky that they would potentially not be subject to the
11 guidelines that you are proposing, that would be an
12 important thing to identify.

13 That is the real implication. It is not that
14 there would be some--let me rephrase it. The baboons, or
15 the nonhuman primates, you basically said no to for the time
16 being. So you have taken one category of
17 xenotransplantation and said, "We are not interested in that
18 right now."

19 I didn't hear or sense in the questions that you
20 gave us that you were looking for us to give you other
21 examples of such risky xenotransplantation that we should
22 put them in that category. What I got from the series of
23 questions you gave us was that you were looking for examples
24 of xenotransplantation that would be the other way, so
25 unrisky that they wouldn't necessarily have to face all of

1 the same stringent--

2 DR. SIEGEL: Sort of. Unfortunately, I don't see
3 the question as nearly that simple. I think that different
4 factors are likely to predict different types of risks and
5 it is not a question of something being so unrisky that
6 nothing needs to be done but rather that, perhaps, by virtue
7 of being a cell line or by virtue of being an invertebrate
8 or by virtue of having a barrier, maybe we don't need to
9 have, let's say if it is drosophila, a veterinarian on the
10 team. Or maybe you don't need to know what the grandparents
11 of the origin of that drosophila cell line ate, for example,
12 because the concerns about foods were, perhaps, more based
13 on TSE issues and I don't know if drosophila carries TSE.

14 And the issues of who is deferred from blood
15 donation or should tell all their sexual partners or should
16 donate blood annually to a bank for the remainder of their
17 life. It is already late in the day and this is not going
18 to be the beginning and the ending of these discussions--it
19 might be the beginning. It won't be the end by any means.
20 But we are being faced with a lot of protocols and we are
21 seeing a need to draw some distinctions and not to apply all
22 the same rules, many of which were written with the thought
23 of vascularized organ transplantation.

24 We are seeking further guidance as to which of
25 these factors do or don't matter. How much comfort should

1 we take in the fact that it is a cell line or that it is
2 transient or that it is only a few cells or which ones
3 matter more or that it is an invertebrate and how do they
4 matter.

5 DR. AUCHINCLOSS: I am going to get the discussion
6 going by suggesting the opposite point of view from the one
7 that I think you have just come up with. To me, in this
8 situation of extraordinarily low risk, it is meaningless for
9 us to try to quantify greater and lesser extraordinarily low
10 risks.

11 There is no evidence that I have seen, and now we
12 will come up with exceptions, but, in general, there is no
13 evidence that I have seen that any particular form of
14 xenotransplantation, whether it be with a barrier or cells
15 or cell line or any of the things, frankly, that are
16 mentioned in your list, that would lead me to say we can
17 relax our guidelines.

18 There are clearly some exceptions to that. In a
19 cell line, probably, the grandparents of the origin of the
20 cell line may not be as important but they become relatively
21 trivial. I think the concept is wrong.

22 DR. VANDERPOOL: While you are confronting the
23 concept, I want you to confront something more and that is
24 the title of the document of this session of
25 Xenotransplantation: Public Policy Development. I notice,

1 in our deliberations today and in the subject at hand that
2 we are still dealing with risk.

3 That is great. I think we ought to deal with
4 risk. But when it comes to moving xenotransplants to
5 clinical trials, risk is one-half of one-third of the
6 equation and that has to do with risk-benefit assessment. I
7 think we need to keep in mind the ethics of
8 xenotransplantation clinical trials involve a balancing, or
9 at least a consideration, of what the risk-benefit profile
10 should be.

11 Tomorrow, we will see some protocols that, ipso
12 facto, talk about benefit. Risk can't be the only factor
13 involved. Benefit has to be the other side of that portion.

14 Secondly, to follow the Belmont report, respect
15 for person is another factor in clinical trials, respect
16 primarily through the process of informed consent which is
17 daunting for xenotransplant clinical trials particularly any
18 that would involve organ. And the final issue is the issue
19 of justice, who gets recruited under what situations and who
20 gets the chance or who takes the chance.

21 I just want to preface my comment by saying,
22 preface this discussion by making a fervent declaration that
23 xenotransplantation public-policy development should include
24 additional things besides risk.

25 Perhaps this could be a division of tasks.

1 Perhaps the FDA is primarily concerned about risk and the
2 NIH and the Office for the Protection of Research Risk will
3 be concerned about the other features of the ethics of
4 clinical trials. But I want to register this because even
5 though we may not talk about it now, when the time comes
6 tomorrow to talk about the possibly proposed protocols,
7 benefits, respect for persons, informed consent and justice
8 will be part of the equation of that discussion.

9 DR. AUCHINCLOSS: I agree entirely. Thank you
10 very much. Tomorrow, we will be talking about some
11 potential applications and benefit will become very much a
12 factor in the discussion.

13 DR. VANDERPOOL: Just to make sure what my
14 question is, we are talking about policy development. I
15 don't know what all the FDA sees in terms of its policy
16 development but, as we go through these documents, the first
17 couple of introductory pages do have some of these issues,
18 but if we look at the actual policy statements, they do
19 dwell fundamentally on risk factors.

20 I just point that out, not to say that is a
21 mistake but let's just be sure that we recognize what the
22 focus so far on policy development within the FDA has been
23 regarding xenotransplantation.

24 DR. ONIONS: I wanted to slightly disagree with
25 our chairman, with some reluctance, but I think--

1 DR. AUCHINCLOSS: Do you want to get onto the
2 relative risk issue?

3 DR. ONIONS: Yes; I was actually talking about
4 your--

5 DR. AUCHINCLOSS: Let me just hold for half a
6 second. Someone was about to respond to Dr. Vanderpool.

7 DR. ONIONS: Sure. Sorry. Of course.

8 DR. NOGUCHI: Not to try to take this too far
9 afield, but I think the reason that it is framed in this way
10 is by no means does FDA defer or accede its role in deciding
11 the ethical component of risk and benefits and further
12 societal issues. We are an integral part of that.

13 However, it is always the risks that come at us
14 and that hammer us first in the face and so that is why we
15 are trying to bring that as one component of the overall
16 discussion of developing public policy.

17 You are right on target where the ethical
18 considerations, by necessity, must be integrated in that.

19 DR. VANDERPOOL: And, Phil, you and the other FDA
20 persons here are the last people I would ever say you are
21 neglecting something. The point is that, as you think about
22 policy development, will you see it as your purview to move
23 beyond risk with a particular concern for other issues
24 involving clinical trials.

25 It is a question I ask of you. It is your

1 decision, but you are so right. I mean, I am not
2 criticizing you for focusing on risk. Someone has got to
3 and you are doing it and we are doing it. But will you move
4 beyond risk, focus on risk or other matters is the question.

5 DR. SIEGEL: That really has to be done on a case-
6 by-case basis. After all, we are not talking about
7 benefits. We are talking about potential benefits as none
8 of these therapies are proven to have any benefit. So it is
9 hard to talk about the general principles.

10 As we debated a clinical hold, we heard a lot and
11 took into account the fact that there were people dying of
12 liver failure who felt that this device gave them their only
13 chance. We take that into account. We would certainly deal
14 with a heart-transplant protocol differently from the
15 xenotransplant protocol, say, to change hair color or
16 something like that.

17 But it is a little bit hard to spell out or to get
18 advice on what the general rules are. It is much easier to
19 talk about the specific rules such as we will do tomorrow in
20 talking about specific applications. We look at the
21 scientific feasibility that has come into play. At the
22 first advisory committee meeting on xenotransplantation,
23 there was a great deal of discussion on the baboon bone
24 marrow as to the balance, does that have any chance of
25 helping, was one of the big issues.

1 So, not only in the future, but I think as we go
2 along, we certainly do agree and take those issues into
3 account.

4 DR. AUCHINCLOSS: Now, Dr. Onions?

5 DR. ONIONS: I think Harold's point about benefit
6 and risk is a very important question we would come back to.
7 But what I just wanted to do with this risk issue is I think
8 I disagree in the sense that if you have a cell line
9 produced from a clonal cell and produced as a multicell
10 bank, such as many other biotechnology products are where
11 you can extensively test that cell line, then that, to me,
12 is likely to be intrinsically safer than an organ from an
13 animal.

14 However well-controlled the cohort of animals
15 going up to xenotransplantation is, you cannot have the same
16 degree of definition of that product. I would also suggest
17 that the kinds of procedures that now come under the
18 definition, like irradiation of those cells, add a further
19 level of security.

20 The next level of security, slightly weaker, might
21 be some form of encapsulation technology. If you can
22 validate that technology to show that it reduces virus
23 egress, then, clearly, that would be safer. But you have to
24 validate it.

25 So you can go up the level and then you go the

1 primary cells which you still might be able to do some
2 testing on before they go into the patient. So, again, that
3 increases the level of security. So I think there is a
4 degree of gradation security. I couldn't quantitate but I
5 think there is a gradation.

6 DR. AUCHINCLOSS: I have overstated my case to
7 stimulate a little bit of discussion, but I will keep on
8 overstating it a little bit longer. Let's take the barrier
9 device. The barrier device, we are told, I think the
10 numbers were that it reduced the risk of viral transmission
11 by, what was it, five-log or something like that? It was
12 big. That is terrific.

13 But, again, if you have got a risk that is so
14 small anyway and now you make it even smaller, does that
15 really affect policy? Do you approach your policy issues
16 differently? I don't see that you do. I don't think you
17 are any less careful about an islet transplant from pigs
18 that are encapsulated from an islet transplant from pigs
19 that are aren't.

20 DR. ONIONS: I don't think it makes any difference
21 to the kinds of criteria of surveillance, any of the
22 criteria of informed consent, any of those issues. I don't
23 think it makes any difference. All I am saying is I think
24 those processes are probably intrinsically safer.

25 DR. AUCHINCLOSS: I agree. But my translation of

1 the FDA questions was into an effective change in
2 regulation. There is no doubt in my mind that,
3 scientifically, there are gradations of risk. But do they
4 affect what the FDA should do? I can't find any. When I
5 say any, the cell lines is an example of where, yes--

6 DR. ONIONS: Clearly, the case of the cell lines
7 because, in most cases, it is impossible to go back to the
8 source animal so, very clearly, that must be an exception.
9 At least it would seem so. It seems to me that the public
10 has guidelines. I mean, this is a remarkable document, a
11 very good document, in that they try to encompass
12 everything.

13 But it seems to me that the FDA is being excellent
14 in terms of producing points to consider that are very
15 specific about certain issues. It seems to me, clearly, as
16 we progress, then a points-to-consider document on porcine
17 xenotransplantation might be well worth while because there
18 is, clearly, where most of the activity is going to go on.
19 So you could see specific documents that relate to specific
20 activities.

21 The odd balls, and they may not turn out to be odd
22 balls, like using drosophila cells, can be dealt with on a
23 case-by-case basis. I don't see a great difficulty.

24 DR. ALLAN: The only issues that I would think
25 would impact relative risk in terms of what the FDA would do

1 is if you were using, let's say, a whole organ from a monkey
2 versus something that was as simple as injecting a few cells
3 because what we talked about a year ago--we talked about
4 allowing certain clinical trials to go forward in thinking
5 that whole-organ transplants will weigh off in the future
6 and we didn't have to worry about them.

7 So the idea, then, is if people are ready to do
8 whole-organ transplants into people, is that a significant
9 enough risk they need to do something different or decide to
10 allow that to happen on a different basis that you would
11 allow what we have already--

12 DR. AUCHINCLOSS: At this point, the FDA has taken
13 the nonhuman primate donors off the table.

14 DR. ALLAN: No; I am just using that example. But
15 a pig organ.

16 DR. AUCHINCLOSS: Let me ask you, do you see any
17 difference in the guidelines of the regulations that the FDA
18 should provide for pig cell transplants into the brain for
19 Parkinson's compared to pig heart donors or kidney donors?
20 I don't.

21 DR. ALLAN: That is the issue. I think that is
22 one of the questions--maybe I am wrong, but that is one of
23 the questions, a major part of this.

24 DR. AUCHINCLOSS: That is the nature of the
25 question.

1 DR. ALLAN: Yes.

2 DR. AUCHINCLOSS: Are there some kinds of
3 xenotransplantation that should have different levels or
4 regulation? I just can't find the examples, and then we
5 find the exceptions to my statement, like a cell line
6 doesn't have to have its grandparents identified.

7 DR. WANG: This is just a comment. Let's take a
8 hypothetical case. Some day in the near future, we can
9 prove to the scientific community's satisfaction
10 encapsulation will work. Say we have a great deal of
11 confidence this will work for a long duration, say, will
12 work for a year.

13 Encapsulation in many ways will prevent a
14 retrovirus to leak out into the body. If that can be
15 proven, would the committee considering saying, all right;
16 you might not have to go through all the pedigree of looking
17 at the virus and looking at the islets, the history of the
18 islets, for three generations for five generations.

19 I think what we are looking at is a certain amount
20 of guidelines which say, can you relax a certain amount of
21 requirements, not that you do not have control of the whole
22 transplantation or the whole procedure, but the requirement
23 relaxation, you can probably look at it as a function of
24 degree of risk.

25 DR. AUCHINCLOSS: The committee members can

1 disagree with this statement, but I cannot imagine an
2 encapsulation technology that would convince me that no
3 virus could possibly escape because no encapsulation device
4 would ever break allowing cells free. I just cannot
5 conceive of such a technology. Does anybody want to
6 disagree with that?

7 DR. PAUL: I would concur with the chair on this.
8 I think, going back more generically, the guideline really,
9 whether it tissue or whether it is a cell, and even cell
10 line--perhaps they pose a different degree of risk but they
11 also pose a different risk. For example, cell lines could
12 be persistently infected with agents that we don't have
13 identified yet.

14 Going back in time, we can come up with example
15 after example. There is one incidence in canine vaccines.
16 A vaccine was produced and distributed and used on thousands
17 and thousands of dogs and then USDA isolated a blue-tongue
18 virus. Blue-tongue virus was not one of the agents that was
19 required to be tested.

20 So I think, going back to circovirus, circovirus
21 contaminates PK15 cell lines, PERV. So I believe that going
22 back to relaxing guidelines for encapsulation, the defects
23 in manufacturing, you can validate all you want but there is
24 always, in nature, an immune response and immunosuppression.
25 There are a number of uncontrollable factors.

1 So I really believe that we need to--we may have
2 different tests, or different, for example, cell lines. The
3 one advantage would be that you can have the cells with a
4 particular lineage, very tested, well controlled in freezers
5 or at least you can go back--you don't have that luxury with
6 the organ transplant.

7 On the other hand, the genetic lines of pigs could
8 be well characterized. But I really would recommend that we
9 have similar guidelines regardless of whether it is tissue,
10 cells, cell lines or encapsulation.

11 DR. ONIONS: Could I just endorse that statement.
12 I absolutely 100 percent agree. I have heard this sort of
13 comment before that maybe we can get away with "dirtier
14 pigs" if we use such-and-such a technique. In my view, that
15 is absolutely not the case for exactly the same reasons that
16 have just been enunciated.

17 But I think there is another very good reason and
18 that is, by using barrier conditions, we will be keeping out
19 the things we probably don't know about and that may be in
20 the cell lines. I think circovirus is a very good example
21 where we know that a wide number of porcine cells lines are
22 infected by circovirus. People really didn't realize it
23 until quite recently.

24 And there may be other agents like this that we
25 don't know about. But, possibly, by having very high

1 standards of pig husbandry, hysterectomy-derived and so on,
2 that we will keep out at least a proportion of those agents.

3 DR. WALTERS: The first five criteria that the FDA
4 laid out have to do with the cells, themselves, and the last
5 two have to do with the patients. The first of these is
6 fairly straightforward and fits quite easily into a risk-
7 benefit framework, namely the degree of immunosuppression of
8 the patient.

9 However, I would like to caution that the last
10 one, which is behavioral factors, opens up a variety of very
11 complicated issues that, I think, differ in kind from the
12 first six. And they really take us back to the early 60's
13 and renal dialysis in Seattle in a committee that chose
14 people on the basis of how upstanding they were in the
15 community which led one commentator to say that the Pacific
16 Northwest was no place for Henry David Thoreau with a pair
17 of bad kidneys.

18 I do think that these issues are important. They
19 really get into an area that Harold Vanderpool has
20 recommended that you open up in more detail and that is the
21 question of justice or criteria for the selection of
22 patients to participate in trials.

23 For example, should a candidate for
24 xenotransplantation, in principle, be simultaneously a
25 candidate for an allograft, or not necessarily. Nothing in

1 the policy guidelines that have been laid out thus far
2 addresses that question. Would you like all or some of the
3 early xenotransplantation trials to be placebo-controlled;
4 for example, the introduction of neural tissue for the
5 treatment of Parkinson's disease.

6 So there are issues--if it is going to be a well-
7 rounded policy development, it really needs to go beyond the
8 risk-benefit question.

9 DR. SIEGEL: Of course. I think we address those
10 issues in other ways and in other settings. But I want to
11 give a little background to this issue of behavioral factors
12 because it is a complex one and one that has left us
13 somewhat troubled.

14 There are obvious inherent dangers, as you point
15 out, in how such a screening--what it could mean in terms of
16 justice and access. But when we discussed before this
17 committee--or not this committee, the parent committee which
18 was totally different members and probably the case of a
19 baboon-marrow transplantation in 1995, it was pointed out by
20 several, including non-committee members, the issue that it
21 was important that it was important that such studies be
22 conducted on somebody and in a population of people,
23 potentially, who one could thing would be pretty reliable in
24 terms of following up with recommendations regarding getting
25 follow up medical care, regarding perhaps, if necessary,

1 barrier precautions, regarding, if necessary, lifetime
2 surveillance and blood sampling as recommended.

3 And then we were faced with discussion, for
4 example, of the fact that patients with severe alcoholic
5 liver disease and acute alcoholic hepatitis who may not be
6 doing well may not be candidates for human livers and
7 wouldn't it be great to do xenotransplantation in this
8 population.

9 The question arose are these individuals that one
10 can draw the same presumption about and should that be a
11 factor in determining whether or not such a protocol is
12 appropriate.

13 I am not sure I know the answers, but if you have
14 any help with them, that would be useful.

15 DR. AUCHINCLOSS: I think it is the most
16 complicated question of them all. It was on my list of
17 exceptions to my general principle, but it is an exception
18 that, again, goes the other way. I do think that you can
19 define a population of people under behavioral factors that
20 would make them a more risky example of xenotransplantation
21 from the point of view of the public's welfare for exactly
22 the reasons that you indicate.

23 But that puts you in a terrible dilemma as far as
24 the ethics of informed consent and the ethics of selecting
25 people for trials. I don't know what the right answer is

1 but I suspect the answer is that there are some people who
2 should be excluded from xenotransplantation at this stage.

3 DR. VANDERPOOL: I completely agree with you on
4 what you are saying about the degree to which these
5 behavioral issues fall under the question of risk. But as
6 soon as you do that, as Dr. Walters has said, as soon as you
7 start talking about risk and start moving into human
8 behavioral risk, you move into the whole human arena of who
9 is willing to take which risk, beyond physical or
10 physiological risk, health risk. What about psychological
11 risk?

12 Or what about psychosocial risk of people who
13 don't have the right support system? They are not
14 alcoholic. I also would add my voice to an appeal to make
15 this question of risk a broader--the last factor needs to be
16 made its own subset of issues in which justice and other
17 factors are brought into that discussion in order to decide
18 what to do.

19 I have one other quick point to make, and that is,
20 on the surface, I don't see a problem with rating risk
21 according to whole-organ versus cellular, and so on. I
22 don't know why you would want to ask this this early. It
23 seems to me, with more scientific data, there is going to
24 be, naturally, a time in which the person who comes in with
25 an encapsulated cellular protocol shouldn't have to jump

1 through all the same hoops as the person who comes in with a
2 protocol for pig's heart transplants will.

3 But is it too early to be asking that question? I
4 can see why the urge would be there, but is it too early to
5 ask the question?

6 DR. SIEGEL: I think probably it is for
7 encapsulation. I am inclined to believe, as some have
8 commented, that we are a long way from having validated
9 them. I just heard this morning about microchimerism
10 resulting with a product where there was a barrier--not
11 encapsulation, but a barrier. I heard about maybe a five-
12 log reduction but, nonetheless, viral transport in a product
13 where there was a barrier.

14 So I think that one would want to have a lot of
15 data about any barrier approach or encapsulation approach
16 before taking much comfort in its protection.

17 A lot of things are happening already though that
18 it may not be too early to look at. I would look to the
19 issue that Dr. Onions picked up first, the use of cell
20 lines, the use of cell lines ex vivo for antigen
21 presentation, for co-culture, whatever. There are a lot of
22 particles that do that.

23 The application of the full extent of the
24 guidelines would pose a substantial resource drain on
25 companies and potentially on the federal government as well,

1 for example, if we begin to, or plan on, archiving sero and
2 tissue specimens on a regular basis on all patients who
3 might have received their own lymphocytes that had antigens
4 presented to them by well-characterized drosophila or murine
5 cell line ex vivo, or who might have received a certain
6 tissue of that nature and the implications regarding the
7 source animals so also large.

8 So it is not really too early because we could
9 start conservatively but we could wind up actually creating
10 problems if we do.

11 DR. AUCHINCLOSS: Jay, I want to come back to the
12 specific examples that you, obviously, have encountered so
13 that we can talk about them directly with you. But I had
14 failed to recognize a comment from the floor.

15 DR. PITKIN: Thank you. Zorina Pitkin, Circe
16 Biomedical. We wish to make first a comment regarding the
17 revision of the guidelines. In particular, we feel that, in
18 the guidelines, should be recognized the use of
19 cryopreservation, cryopreserved cells. That allows for
20 conclusive, comprehensive quality-control testing prior to
21 clinical use.

22 Certainly, the importance of use of clean animals,
23 good husbandry practices and thorough testing is important
24 and that should be applicable to all of the sponsors.
25 However, if the final product could be tested, then maybe

1 the quarantine time or animal derivation or some of the
2 testing that is currently applied to the animals of the
3 source of the tissues could be applied to final product
4 only.

5 Secondly, if I may just respond to the use of the
6 system with the barrier, I was shown that there was at least
7 a five-log reduction where the highly loaded--well, PK15--
8 that was shown to produce PERV in high volumes, that is when
9 the five-log reduction was shown. However, the hepatocytes
10 were shown not to produce infectious PERV. So I think that
11 should be taken into consideration.

12 The second comment I would like to make is about
13 the microchimerism with the use of the barrier. It wasn't
14 shown microchimerism as--first of all, you have to define
15 what microchimerism is and, in the case of the use of the
16 system with a barrier, there was no microchimerism but I
17 think further studies have to be shown whether or not it was
18 just a DNA detection that was not detected further down.

19 Thank you.

20 DR. COFFIN: I was going to get back to the cell
21 line and the cell-line issue. We should be a little bit
22 careful about thinking that this is actually very different
23 from some of the things that we have been discussing here
24 today.

25 For example, many mouse-cell lines harbor

1 infectious, endogenous xenotropic virus which, on co-
2 cultivation with human cells, are very likely to give quite
3 a good infection of those cells doing exactly the same
4 things, kinds of things, that we have been discussing for
5 introduction of pig organs into people.

6 So one wants to be careful about sort of, in a
7 blanket way, saying that these have some reduced risk on
8 this--

9 DR. SIEGEL: I guess that is our thinking, in
10 part, as to why we would include those cell lines. But the
11 thinking, also, is that, as opposed to a fresh organ, which
12 you can do a certain amount of testing with, or a live
13 animal that you can do a certain amount of testing with,
14 with a cell line, you can do, and a sponsor potentially can
15 do, rather extensive testing prior to administration.

16 That may obviate some of the concerns that we
17 otherwise would have, for example, as to the source animals
18 for that line, or as to even the extent of risk and risk-
19 control measures necessary within the clinical protocol.

20 DR. COFFIN: It would certainly reduce the need to
21 do it more than once but it probably has to be done at least
22 once on a cell line and then adequate protection. Most of
23 the cell lines that are used are often ones that have been
24 around for a long time and are used because they work well.
25 I think there is probably quite a bit of resistance, in

1 general, to going back to well-validated sources and
2 actually, under GMP conditions, deriving brand-new cell
3 lines for use.

4 My guess is that most manufacturers are loath to
5 do that when they have a cell line that is producing a
6 product or a system that works well already.

7 DR. SIEGEL: It might, paradoxically, decrease
8 safety, I would think, to try to supplant well-characterized
9 cell lines with new ones.

10 DR. ONIONS: I would just agree with John about
11 the last point. The point about using cell lines is that
12 you can characterize them. It would concern me if people
13 were using certain murine cell lines because, clearly, in
14 gene therapy, we spend a lot of time screening retroviral
15 vectors for RCR. Then, if you start putting in a cell line,
16 you have subverted that whole process, it seems to me. So
17 that would concern me.

18 But I just wanted to pick up Zorina's point
19 because I think it is a point that perhaps we didn't respond
20 to, and I think it is important one and the point I was
21 trying to make earlier. Where you can characterize cells,
22 that does seem to me to have an advantage in terms of risk
23 evaluation.

24 Clearly, a cell line offers the greatest
25 opportunity because it is clonal, it is derived from a mater

1 cell bank and you can exclude things like using murine cell
2 lines that express retrovirus. In the case of a primary
3 cell system, you still may have that opportunity and there
4 is a limited opportunity, I think, for instance in the kind
5 of work that Circe does where they can do some screening
6 before those cells go into a patient.

7 That seems to me to be at a higher level than just
8 putting a whole organ. Therefore, you might reasonably, and
9 it is something that maybe this committee should consider,
10 defer some of the testing from the source animal to the cell
11 line, itself. That does not seem, to me, to be unreasonable
12 intrinsically.

13 My final point is, however, that usually in
14 regulation, we start tough and get weaker as you begin to
15 work out what the problems are and where there are not
16 problems. I am not entirely convinced we have done that
17 with xenotransplantation entirely. We have spent a lot of
18 time considering PERV and, like my colleague Prem over
19 there, I have as many or more concerns about certain other
20 viruses and certain of the protocols that people are
21 considering.

22 Some people look for certain viruses. Others
23 don't. There is a degree of consensus about certain viruses
24 but there is not a uniformity here. I think that, perhaps,
25 more emphasis should now be placed on what should definitely

1 be excluded in the source material that goes into a patient,
2 whether that be excluded at the herd level or whether it be
3 excluded at the cell-line level.

4 I don't think we have yet had that detailed
5 consensus on those viruses.

6 DR. WANG: This is maybe because, as I say, my
7 background is a little bit different from everybody on the
8 committee. You guys have been talking to do cell lines.
9 Basic source herding means an increase in the comfort zone
10 and, therefore, in a certain sense, reduces the risk.

11 As you know, the risk factor is a series matter.
12 You reduce the risk here. If you have a series of risks
13 factor, then you can essentially multiply them and tell you
14 the total risk. Can you guys sort of have some idea what is
15 the risk that is acceptable risk. In the final package,
16 what is the acceptable risk? One in ten million? Something
17 quantifiable so, therefore, people like us, me, we can start
18 thinking about it.

19 DR. NOGUCHI: Let me try to address that
20 particular issue because that comes up time and again with
21 xeno, with gene therapy, and so forth. If you want to take
22 a crude example, it is our impression, and you would do this
23 for human allo, you want it as sterile as possible when you
24 start. If it is infected, you do everything you can to not
25 use that organ unless it is a life-saving sort of thing.

1 I think we are taking that same approach here.
2 But, in terms of getting an actual numerical value, we will
3 not do that because that is the wrong road to go. What we
4 are really saying here is, as a society, do we feel
5 comfortable enough with the available data, because the data
6 can always be improved as to what the actual risk really is.

7 Some of what we are talking about here is, for
8 this particular issue, we broaden our definition of xeno.
9 We, the FDA, think that, perhaps, we have captured a few
10 things that don't need the full panoply of full federal not
11 only regulation but oversight. As Jay has pointed out, if
12 you are doing a tumor vaccine using drosophila cells, but
13 you are going to have to archive not only that patient but
14 every other patient, enroll them in a database, bring it to
15 a national committee which has yet to be formed, that can
16 seriously impede something that, perhaps, is not necessary
17 for that class of product because we already have a lot of
18 experience with that.

19 What we are saying here is, by far the bulk of
20 what is captured under our new definition is still totally
21 experimental. We have no idea of risk. When we don't know
22 the level of risk, even if we put all these factors
23 together, we are still talking an unknown unknown unknown.
24 And that could go any way you really want.

25 But I think, here, we are just really trying to

1 struggle with the fact that is FDA in the right place with
2 this definition for the current level of xenotransplantation
3 recognizing that we are capturing some things that were
4 already regulated but under a less full public oversight
5 with full NIH and CDC participation.

6 FDA regulates all these things anyway. So when we
7 say can we take some things off the table here, it is not
8 like they won't go through all the risk-benefit evaluations.
9 Prem is absolutely right. We know all about the problems
10 with cell lines and unknown agents in them. The SB40 is a
11 classic example.

12 So we are not talking about, necessarily, less
13 regulation. We are talking about somewhat less public
14 oversight.

15 DR. MICHAELS: I was going to ask a question
16 regarding that as well. I was going to query if we knew
17 enough about, say, the drosophila cell line that, perhaps,
18 the nonvertebrate cell lines and tissues and organs and such
19 might not have to go under this type of regulation. I don't
20 know the answer to that. It was really a question to throw
21 out.

22 DR. AUCHINCLOSS: Is there anybody on the
23 committee who would like to offer an opinion? Are there
24 categories of nonhuman animals from which cell lines would
25 not need the scrutiny that we are suggesting in the pig cell

1 lines?

2 DR. MICHAELS: Or the mouse cell lines.

3 DR. AUCHINCLOSS: Whoa. Don't touch the mouse.

4 We know that is a bad one.

5 DR. MICHAELS: Right. That is what I am saying.

6 That is actually what I meant. I think any of them that are

7 mammalian derived should stay in the definition but I am

8 just querying whether--

9 DR. AUCHINCLOSS: Nonmammalian cell lines; are

10 they safe?

11 DR. PAUL: Even a lot of nonmammalian cell lines

12 use mammalian media supplements. Like fetal calf serum; a

13 number of examples of bovine virus diarrhea, contaminants in

14 serum. So I think that that is another factor.

15 DR. MICHAELS: But you are not saying that they

16 wouldn't be going under regulation, still. It is just that

17 you would not be storing the samples in the same fashion.

18 DR. SIEGEL: We have lots of products that are

19 made at various points in the presence of animal serum but

20 we don't regulate them as xenotransplants. But we sure do

21 make sure that they don't get contaminated.

22 DR. SALOMON: Can the virologists comment on

23 insect cells?

24 DR. ONIONS: An insect cell has some retroviral-

25 like elements in it which are quite interesting. But if we

1 take that up--I think there is a degree of clarity which
2 comes from Marian's posing the question. It seems to me
3 that mammalian cell lines, I think I would concur, would
4 stay in because if you go to more obscure mammals than are
5 currently used, then often those are not being evaluated
6 thoroughly.

7 So, for that reason, I would keep them in. Sub-
8 mammalian, then, I think, perhaps, the same degree of rigor
9 in terms of sampling and storage probably would not have to
10 be present.

11 DR. SALOMON: How about an avian cell line. We
12 already know about the resorting of influenza. I always
13 venture into the virology with caution.

14 DR. COFFIN: You also venture into an area for
15 other subcommittee meetings having to do with the question
16 of reverse-transcriptase-containing particles by these cell
17 lines in vaccine products.

18 DR. PAUL: The question that I would have would be
19 the number of insects that serve as vectors for viruses.
20 Really, the burden should be on the manufacturer to show
21 that they are not a risk. That is the approach that I would
22 use.

23 DR. COFFIN: Help Canada had a workshop I think
24 about a year and a half ago--I think it was November of '97-
25 -as part of their national policy development. There were

1 some ideas that came up in an infectious disease workshop
2 within that workshop that I did not anticipate and have not
3 heard discussed in other forums but I think are relevant
4 here.

5 There are several people here who were in that
6 working group but I don't recall that any of the virologists
7 here were in that working group. So I would like to put
8 them on the table for the virologists to discuss. I am sort
9 of switching topics here but there are two suggestions in
10 here for the committee to discuss whether a temporary
11 exposure, like a bridging xenograft, may pose less risk of
12 infection than what is intended to be an endstage organ
13 transplant, and the other is whether the bulk of the
14 xenograft, like a large organ may pose more risk than a
15 xenograft that consists of a few cells.

16 I think the intuitive assumption I have usually
17 heard was the assumption that more is riskier and longer is
18 riskier and the proposal that came out of the
19 retrovirologists in that group and that I would like to hear
20 the retrovirologists and other virologists here discuss was
21 that, in fact, those may not be significant determinants of
22 risk, that, in fact, a small number of cells in the host for
23 a short duration but that are proliferating may be riskier,
24 given what we know about retroviruses, HIV for example,
25 needing activated cell lines to proliferate than a large

1 bulk organ that stays in for years with inactive cells.

2 DR. COFFIN: I would, in general, more or less
3 agree with that position. But I would also go with the
4 principle of our chairman that, again, relative risks here
5 are not necessarily, particularly in the context of where it
6 is sort of the same experiment, really what is on the table,
7 I think, in a sense.

8 I would certainly not automatically line up the
9 risk. In any case, if I were to asked to line up the risk
10 of infection, I would not automatically do it with the bulk
11 of the organ or, even, necessarily, the duration of the
12 transplant because the risks that we are talking about also
13 include other factors such as the risk of transmission
14 subsequently.

15 So you have to also take into account the
16 subsequent lifetime of the individual and things of that
17 sort if you are going to take the overall risk altogether to
18 help creating a transmissible agent.

19 DR. HIRSCH: I think you can't make absolute
20 conclusions but you can certainly say, from experience with
21 other situations--for example, HIV and transfusions and
22 needle-stick injuries--that more is worse than less.

23 But, on the other hand, a very short exposure can
24 transmit virus. And we know, in the situation of scalp
25 electrodes and CJ prions that it can just be a very

1 momentary exposure and you can get transmission of these
2 things. So you can't make any absolute conclusions but,
3 certainly, the principle of more is more likely and longer
4 exposure is more likely, I think, are reasonable
5 generalizations.

6 MS. MEYERS: In discussing this question about
7 risk, it is impossible for anybody to come up with any kind
8 of a formula because you have all of those little problems
9 called human diversity and human weaknesses and a whole
10 other bunch of factors that can complicate the question.

11 So I agree with Leroy that the ethical questions
12 including risk need to be handled very carefully but I am
13 going to say this for the five-hundredth time, FDA does not
14 have even one bioethicist on staff. It is not right that
15 decisions about maybe excluding alcoholics, or whatever,
16 should be made by the people at FDA who are basically
17 scientists and really are not familiar enough with these
18 types of ethical problems.

19 Another problem is that the IRBs, as we are
20 learning in recent days, are not reliable. I think that
21 anybody's institution would be very pleased to say, "We are
22 the first to do xenotransplantation in Cincinnati," and
23 rubber stamp whatever protocol is put in front of them.

24 So I think that there are a lot of things here to
25 worry about that are not scientific but are very, very

1 important in the long run.

2 When it comes to how people interpret risk,
3 healthy people interpret it much differently than sick
4 people. To healthy people, any risk is unacceptable. They
5 assume that every drug they take is going to be safe and
6 they are shocked if they get a side effect. So they are not
7 willing to take any risk at all. I hate to see the day when
8 we find CDC, instead of running around in a jungle looking
9 for pig viruses could be running around in a department
10 store or a MacDonal'd's because everybody who ate in there
11 got sick and got a pig virus and whose fault was that.

12 On the other hand, a person who is dying of heart
13 disease or liver failure is going to take any risk. So risk
14 is very relative. Until anybody here can promise me that
15 there is no risk, the general healthy public is going to say
16 that they don't want a person who has gotten transplant that
17 has contained any animal virus before we know whether that
18 virus is going to be safe in human beings, they won't want
19 to be exposed to that person.

20 That, I think is the bottom line, because if
21 anything goes wrong, FDA is going to be blamed for having
22 caused a major disease.

23 DR. WALTERS: I would like to come back to the
24 behavioral factors one more time. One of my concerns about
25 the overtones of that point as it is currently phrased is

1 that it could seem to exclude certain groups of patients who
2 I don't think ought to be excluded.

3 There was mention of chronic substance abuse. I
4 would want to be sure that this doesn't apply to people who
5 are recovered alcoholics and that they will not forever be
6 stigmatized because of bad decisions that they made early in
7 life.

8 Also, I think mild psychiatric disorders like
9 depression or anxiety disorder ought not to be disqualifying
10 even though they might complicate a person's participation a
11 bit. I think one has to be careful even not to exclude poor
12 people who, perhaps, don't own a car and who might find it
13 more difficult to get to a clinic on a regular basis for
14 surveillance.

15 Maybe programs have to be a bit more proactive in
16 helping patients get to the clinic if they find themselves
17 in that kind of relative poverty. So whatever is said about
18 behavioral characteristics, I think has to be said with a
19 great deal of sensitivity.

20 DR. SACHS: I think one of the major problems in
21 this discussion is the fact that it is impossible to
22 separate a discussion of risk with a discussion of benefit.
23 It is only the ratio of risk and benefit that makes any
24 sense. If a procedure had no benefit, you wouldn't be
25 willing to accept any risk.

1 On the other hand, if the procedure really had
2 benefit to an enormous number of people, then I think people
3 are willing to take a risk, even if it is exposing them to
4 something that is not of direct benefit to them, because
5 people do care about their fellow man. But it has to be
6 demonstrated that there is enough benefit.

7 I think the problem there is, at this point, we
8 haven't gotten to that stage in the field of
9 xenotransplantation. Hopefully, we will get there but I
10 think it is premature to start worrying so much about
11 defining what the risk should be until we have a better
12 handle on the benefit.

13 DR. AUCHINCLOSS: I agree with you. But the
14 question will come up tomorrow morning in some of our
15 discussions there. Right now, I think the FDA has put a
16 question to us. Are there certain features of some tissues
17 that have so little risk that they can relax their
18 guidelines? So far, we haven't come up with a whole lot to
19 help them.

20 There are some comments, but I want to come back
21 to see if we can find some things that help you.

22 MR. BENEDI: I just wanted to touch in on the
23 behavioral issue. In my tenure of President of the largest
24 transplant recipient organization in the country and in the
25 world, really, I saw a lot of people die on the waiting

1 list. There are over 70,000 people waiting for transplants
2 today. That is why we are all here, to try to save lives.

3 But, having said that, I think, and I do take
4 issue with the comment that patients will take more risk or
5 any risk. I think we have a responsibility, those that we
6 benefit from this type of procedure, to the community and to
7 society as a whole not to unleash something that we will
8 regret later at the cost of just having our lives expanded
9 for just a little period of time.

10 As far as behavioral criteria, I think it is
11 essential. We have it now. There are profiles in every
12 hospital of patients. If they don't have the support
13 mechanisms to take the medicine on a regular basis, to come
14 to labs, those people are not transplanted. Why would we do
15 less for--we really don't know what the outcomes are going
16 to be. I think there should be very strict criteria,
17 behavior criteria.

18 As far as justice, the justice part of it comes to
19 the society as a whole and not to the individual patient.

20 DR. ALLAN: I just wanted to come back to what
21 Louisa said about what the risks are depending on dose,
22 organ type, that kind of a question. I think it is
23 important because we didn't really address it last time. I
24 think it is very difficult to address.

25 John Coffin was saying even if you had certain