

TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE

TWENTY-SIXTH MEETING

OPEN SESSION

VOLUME I

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Pages 1 thru 295

Bethesda, Maryland
March 20, 2000

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

sgg

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE

TWENTY-SIXTH MEETING

OPEN SESSION - VOLUME I

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

1363 00 APR 11 14:05

Monday, March 20, 2000

9:00 a.m.

Holiday Inn Bethesda
8120 Wisconsin Avenue
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

P A R T I C I P A N T S

MEMBERS:

Daniel R. Salomon, M.D., Acting Chair

Hugh Auchincloss, Jr., M.D.

Esperanza B. Papadopoulos, M.D.

Carole B. Miller, M.D.

Richard E. Champlin, M.D.

Edward A. Sausville, M.D., Ph.D.

TEMPORARY VOTING MEMBERS:

Joan Harmon, Ph.D.

Pamela Hartigan, Ph.D.

Robert S. Sherwin, M.D.

Antonio Benedi

Alice J. Wolfson, J.D.

Lynne L. Levitsky, M.D.

Jose Francisco Cara, M.D.

John M. Coffin, Ph.D.

Edith Bloom, Ph.D.

GUEST SPEAKERS:

Robert Goldstein, M.D.

Camillo Ricordi, M.D.

Bernhard J. Hering, M.D.

John O'Neil

Norma Sue Kenyon, Ph.D.

A.M. James Shapiro, M.D., FRCS(C)

GUESTS:

Jeffrey A. Bluestone, Ph.D.

Jonathan Lakey, Ph.D.

FDA PARTICIPANTS:

Gail Dapolito, Executive Secretary

Jay P. Siegel, M.D.

Karen D. Weiss, M.D.

Darin J. Weber, Ph.D.

Philip D. Noguchi, M.D.

Lauren E. Black, Ph.D.

Thomas L. Eggerman, M.D., Ph.D.

C O N T E N T S

Welcome, Daniel R. Salomon, M.D.	4
Conflict of Interest Statement, Gail Dapolito	4
Introductions	7
Topic I - Islet Transplantation:	
FDA Introduction, Philip Noguchi, M.D.	10
Rationale for Islet Cell Transplantation as an Alternative to Whole Organ Pancreas Transplants, Camillo Ricordi, M.D.	11
Recent Experience in Allogeneic Islet Transplantation: Edmonton Protocol, A.M. James Shapiro, M.D. FRCS(C)	25
Lessons from the International Islet Transplantation Registry and Recommended Quality Standards for Islet Preparations, Bernhard J. Hering, M.D.	53
NIH Support of Islet Transplantation, Joan Harmon, M.D.	75
JFD: Introduction to Islet Transplant Programs, Robert Goldstein, M.D.	86
Application of FDA Regulatory Framework to Procurement, Processing and Characterization of Human Pancreatic Islets, Darin Weber, Ph.D.	111
Committee Discussion	127
Topic II - Report of the January 13, 2000 Meeting of the Biological Response Modifiers Advisory Subcommittee on Xenotransplantation, Hugh Auchinclos, Jr., M.D.	274

P R O C E E D I N G S**Welcome**

1
2
3 DR. SALOMON: I would like to go ahead and get
4 started; we have a long day. Just as with the airlines, if
5 you are not going to the Biological Response Modifiers
6 Advisory Committee then you are in the wrong room or the
7 wrong airplane. We have a lot to cover and it may seem like
8 that from time to time today.

9 Anyway, it is a pleasure to be here with all of
10 you. We will begin with Gail Dapolito reading the official
11 conflict of interest statement, after which I think we will
12 go around the table and have everyone introduce themselves,
13 which has been sort of a tradition on this committee, and
14 then get going with the meeting agenda this morning. Gail?

15 MS. DAPOLITO: Thank you, Dr. Salomon. This
16 announcement is made part of the record at this meeting of
17 the Biological Response Modifiers Advisory Committee for
18 March 21, 2000. Pursuant to the authority granted under the
19 Committee Charter, the Director of FDA's Center for
20 Biologics Evaluation and Research has appointed the
21 following participants as temporary voting members: Mr.
22 Antonio Benedi, Drs. John Coffin, Joan Harmon, Pamela
23 Hartigan, and Ms. Alice Wolfson. In addition, the Senior
24 Associate Commissioner of FDA has appointed Dr. Jose Cara,
25 Dr. Lynne Levitsky and Dr. Robert Sherwin as temporary

1 voting members.

2 To determine if any conflicts of interest existed,
3 the agency reviewed the submitted agenda and all relevant
4 financial interests reported by the meeting participants.
5 In accordance with 18 USC 208, the following participants
6 have been granted a waiver of general applicability which
7 permits them to participate in the committee discussions on
8 human pancreatic islets for the treatment of diabetes: Mr.
9 Benedi and Drs. Auchincloss, Cara, Champlin, Harmon,
10 Hartigan, Levitsky, Miller, Papadopoulos, Ricordi, Salomon,
11 Sausville, Sherwin and Ms. Wolfson. In addition, the agency
12 has approved a waiver for Drs. Hugh Auchincloss and
13 Esperanza Papadopoulos which permits them to participate in
14 the discussions related to the xenotransplantation
15 subcommittee report.

16 With regard to FDA's invited guests, the agency
17 has determined that the services of these guests are
18 essential and, at the request of the acting chair, they have
19 been invited to participate in the islet transplantation
20 discussions.

21 The following interests are being made public to
22 allow meeting participants to objectively evaluate any
23 presentation and/or comments made by the participants: Dr.
24 Jeffrey Bluestone reported that he collaborates with the
25 Islet Transplant Center at the University of Minnesota. He

1 also has received research funding for islet transplant
2 research from the Juvenile Diabetes Foundation and NIH. Dr.
3 Robert Goldstein is employed by the Juvenile Diabetes
4 Foundation International. Dr. Bernhard Hering is employed
5 by the Islet Transplant Program at the University of
6 Minnesota. Dr. Norma Kenyon is employed by the Diabetes
7 Research Institute at the University of Miami. She also
8 received research grants from Biogen and is a consultant on
9 islet transplantation. Dr. Jonathan Lakey is employed by
10 the Islet Isolation Laboratory at the University of Alberta,
11 in Canada, and is the co-director of the Juvenile Diabetes
12 Islet Distribution Center in Edmonton. Mr. John O'Neil is
13 employed by the Joslin Diabetes Center. Mr. James Shapiro
14 is employed by the Clinical Islet and Pancreas Transplant
15 Program at the University of Alberta, in Canada.

16 In the event that the discussions involve other
17 products or firms not already on the agenda for which FDA's
18 participants have a financial interest, the participants are
19 aware of the need to exclude themselves from such
20 involvement, and their exclusion will be noted for the
21 public record.

22 With respect to all other meeting participants, we
23 ask in the interest of fairness that you state your name,
24 affiliation and address any current or previous financial
25 involvement with any firm whose products you wish to comment

1 upon.

2 Copies of the waivers addressed in this
3 announcement are available by written request under the
4 Freedom of Information Act. Thank you.

5 **Introductions**

6 DR. SALOMON: I think we are going to go around
7 and introduce ourselves. We will start on the left.

8 DR. LAKEY: Jonathan Lakey. I am Director of the
9 Clinical Islet Isolation Laboratory in Edmonton, Alberta
10 Canada.

11 DR. KENYON: Norma Kenyon, Associate Director for
12 Research and Program Development, Diabetes Research
13 Institute in Miami.

14 MR. O'NEIL: John O'Neil, Manager of the Islet
15 Isolation Laboratory, the Joslin Diabetes Center, and the JD
16 Center for Islet Transplantation at Harvard Medical School.

17 DR. HERING: I am Bernard Hering. I am Director
18 of the Islet Transplant Program at the University of
19 Minnesota.

20 DR. SHAPIRO: I am James Shapiro. I am Director
21 of the Clinical Islet Transplant Program at the University
22 of Alberta in Edmonton.

23 DR. RICORDI: I am Camillo Ricordi, Diabetes
24 Research Institute at the University of Miami.

25 DR. LEVITSKY: Lynne Levitsky, Chief of Pediatric

1 Endocrinology at Mass. General Hospital.

2 DR. SAUSVILLE: Ed Sausville, Developmental
3 Therapeutics Program, National Cancer Institute.

4 DR. CHAMPLIN: Richard Champlin. I am the
5 Chairman of the Department of Blood and Marrow
6 Transplantation at M.D. Anderson Cancer Center.

7 DR. SALOMON: Dan Salomon. I am a research
8 scientist, Department of Molecular and Experimental
9 Medicine, the Scripps Research Institute in La Jolla.

10 MS. DAPOLITO: Gail Dapolito, executive secretary.
11 I would also like to introduce the committee management
12 specialists here today, Ms. Rosanna Harvey and Ms. Denise
13 Royster.

14 DR. MILLER: Carole Miller, Johns Hopkins Oncology
15 Center.

16 DR. PAPADOPOULOS: Esperanza Papadopoulos,
17 Allogeneic Bone Marrow Transplant, attending physician at
18 Memorial Sloan-Kettering Cancer Center, in New York.

19 DR. AUCHINCLOSS: I am Hugh Auchincloss, the
20 Director of Juvenile Diabetes Foundation, Center for Islet
21 Transplantation at Harvard Medical School.

22 MS. WOLFSON: I am Alice Wolfson, and I am here as
23 the consumer representative, with no medical background of
24 this sort.

25 MR. BENEDI: My name is Antonio Benedi. I am the

1 past president of Transplant Recipients International
2 Organization and I am a liver recipient.

3 DR. HARTIGAN: Pamela Hartigan. I am a
4 statistician at the Department of Veterans Affairs and Yale
5 University.

6 DR. HARMON: I am Joan Harmon. I am Senior
7 Advisor for Diabetes at the National Institute of Diabetes
8 and Digestive and Kidney Diseases of the National Institutes
9 of Health.

10 DR. BLACK: Lauren Black, pharmacologist in the
11 Division of Clinical Trials, FDA.

12 DR. WEBER: Darin Weber, a product reviewer in the
13 Division of Cellular and Gene Therapies at the Center of
14 Biologics.

15 DR. NOGUCHI: Phil Noguchi, Director of the
16 Division of Cell and Gene Therapy.

17 DR. SIEGEL: Jay Siegel, Director of the Office of
18 Therapeutics at the Center for Biologics.

19 DR. SALOMON: Everybody passed with 100 percent on
20 this microphone button thing. As I am sure to be one of the
21 major offenders later, we will all leave it at that.

22 I think that at this point it is appropriate to
23 begin. I just wanted to note that Dr. Goldstein from the
24 Juvenile Diabetes Foundation International, Dr. Sherwin from
25 Yale, and Dr. Jeff Bluestone will be joining us later. So,

1 they may filter in.

2 It is my pleasure, and with little introduction
3 needed, to call on Phil Noguchi, from the Division of
4 Cellular and Gene Therapies of the Center for Biologics
5 Evaluation, CBER. Phil?

6 **Topic I - Islet Transplantation**

7 **FDA Introduction**

8 DR. NOGUCHI: Thank you very much, Dan, and I
9 apologize for my voice this morning. First of all, we would
10 like to thank the committee for taking their time and effort
11 to both listen and to advise the FDA in this very exciting
12 and old but new field, as I think you will hear today. I
13 would especially like to thank the staff members of the
14 Division of Cell and Gene Therapy and Clinical Trial Design
15 and Analysis for putting together this program, formulating
16 the questions and in general making sure that the upper
17 management, namely Jay and myself, were always kept informed
18 of the progress of this committee meeting.

19 Over the next two days it will be a discussion of
20 the challenges of clinical islet cell transplantation. You
21 will be hearing presentations on the current clinical
22 reality, on some of the animal models that have been
23 developed and, very importantly, on both the public and the
24 private funding of this area which will give it the ability
25 to actually move forward in a coherent and very active

1 manner.

2 We will also be asking for advice for the FDA on
3 islet cell preparation, that is, how best to make them; how
4 to characterize them; how to test them on animals; and on
5 the clinical trial design, such as the best trials of
6 administration and dose, questions of repeat administration;
7 and what might be the most appropriate outcome measures, and
8 not the least, immunosuppressive strategies.

9 This is what I would very confidently call an
10 extremely ambitious program and, therefore, I will cut my
11 remarks right here and just once again thank you all and
12 welcome you all to our active two days.

13 DR. SALOMON: Thanks, Phil. The first part of
14 this session today, which will start now and run to our
15 first break at 10:50, will consist of a series of three
16 presentations by three leaders in this field, and I think it
17 is a real treat to have them here and to share this sort of
18 foundation data that I think will be very important in terms
19 of guiding the discussions that will go on this afternoon.
20 The first presentation will be given by Dr. Camillo Ricordi
21 of the Diabetes Research Institute, University of Miami
22 School of Medicine.

23 **Rationale for Islet Cell Transplantation as an Alternative**
24 **to Whole Organ Pancreas Transplants**

25 DR. RICORDI: There is always a little trepidation

1 with the first presentation and the projector and the
2 computer, but it appears to be working so we are lucky so
3 far.

4 [Slide]

5 I have the job to review briefly the rationale for
6 why we are proposing islet transplantation, and I would not
7 strictly put this as an alternative to organ transplantation
8 but as one of the approaches in biologic replacement that
9 may eventually, in part, replace the need for a whole organ
10 transplant. Many of the comments are more extensively
11 reviewed in a chapter that has been published by Simeon
12 Taylor in Current Reviews of Diabetes of which Dr. Hering
13 and myself are co-authors.

14 [Slide]

15 Mainly we consider pancreas and islet cell
16 transplantation as the most physiologic way you can replace
17 the pancreatic endocrine function in patients with Type 1
18 diabetes. Even though there have been some highly
19 experimental protocols in Type 2 diabetes, Type 1 diabetes
20 remains the main objective and major target for this kind of
21 treatment.

22 [Slide]

23 So, the primary objectives of the treatment of
24 transplant procedures are to normalize metabolic control to
25 prevent, halt, or reverse the chronic complications of the

1 disease. So, in this direction, normal glycemia is the
2 primary endpoint since it has been shown in another study
3 how important it is to obtain normal glucose and metabolic
4 control in the absence of hypoglycemia. So, in this regard,
5 hemoglobin A1c alone may be misleading because you may have
6 reduced hemoglobin A1c and have several episodes of
7 hypoglycemia, but normal glycemia through clamping of
8 glucose levels in the normal range is the more desirable
9 endpoint.

10 [Slide]

11 So, even if insulin independence is not the
12 primary endpoint for several trials, freedom from insulin
13 injection and glucose monitoring is, for sure, the first and
14 most desired immediate effect that patients would like to
15 see after an islet cell transplant procedure.

16 [Slide]

17 I mention normal glycemia in the absence of
18 hypoglycemia because it has been shown as a limitation that
19 it is a complication. That is, in any regimen trying to
20 increase insulin treatment with multiple injections, going
21 towards very intensive insulin treatments, you see that you
22 have an ideal target to shift A1c levels towards the normal
23 range, or to the left of the curve, but if by any chance you
24 can see that as reduced hemoglobin A1c, reducing the risk of
25 complications -- in this case retinopathy -- you also

1 increase dramatically the risk of severe episodes of
2 hypoglycemia. This is with intensive insulin therapy. So,
3 this shows, on one side, how important it is to normalize
4 glucose levels and, on the other side, how difficult it is
5 to achieve this in all patients.

6 [Slide]

7 This is a slide from Dr. Kenyon's experience. It
8 compares what can be achieved with a functioning islet
9 transplant compared to what can be considered an intensive
10 insulin regimen and its effect on glucose levels. So you
11 see that, despite multiple insulin injections in patients
12 with Type 1 diabetes, it is very difficult to maintain close
13 control of glucose levels. If you have a functioning islet
14 transplant, or in this case several islet transplants in
15 non-human primates, you can really clamp in a close range
16 glucose levels.

17 [Slide]

18 So, pancreas transplantation has the advantage, of
19 course, that has resulted in complete insulin independence
20 with normalization of hemoglobin A1c levels in the majority
21 of the successful cases. So, this is an endpoint for the
22 whole organ transplant that is readily achievable today.
23 The penalties are that there are still operative and post-
24 operative risks related to the fact that it is a major
25 surgical procedure, and the need for immunosuppression for

1 the rest of the patient's life.

2 [Slide]

3 But there are some important data emerging from
4 pancreas transplantation, including the fact that a
5 tremendous advantage has been shown for patient survival
6 that was improved by 59 percent at 10 years post-transplant
7 compared to a patient receiving kidney transplant alone in
8 Type 1 diabetes. There is a lot of discussion because these
9 data were not prospective, randomized trials. Some people
10 say, well, maybe the patients receiving pancreas
11 transplantation and kidney were a better patient population,
12 with less risk than the ones who don't qualify for the
13 combined procedures, or those who received the kidney alone
14 may be patients with higher risk but, nevertheless, there is
15 an indication that, hopefully, will be confirmed in
16 prospective trials.

17 [Slide]

18 In islet transplantation the advantages are mainly
19 related to the ease of the procedure at the time of the
20 transplant, but it is a rather complex procedure for
21 transplanting in processing and purifying the islets which
22 virtually eliminates the operative risk because it is just
23 like a blood transfusion, currently performed generally in
24 the portal system. The penalty has been that we didn't
25 consistently achieve insulin independence, and the results

1 have been generally reported as functioning islet
2 transplants, with C-peptide secretion and decreased insulin
3 requirements rather than complete insulin independence.

4 [Slide]

5 In addition, in pancreas transplantation we have
6 an organ that is immediately vascularized with the islets
7 that are surrounded by their native matrix. So, they are
8 surrounded by their native microenvironment of the organ
9 where they are supposed to be to begin with. In islet
10 transplantation, the islets have to readapt to a new
11 microenvironment at ectopic sites, such as the liver,
12 increasing the likelihood that a fraction of the
13 transplanted tissue may be lost, in what we call early graft
14 loss, before they are fully revascularized and can adapt to
15 the new ectopic site.

16 [Slide]

17 So, the indications for both procedures are
18 currently justified in patients already requiring a
19 simultaneous organ, like a kidney or liver, transplant for
20 islets and mainly in patients that are already treated with
21 chronic immunosuppression so that in the case of the islets
22 the additional islet transplant doesn't represent an
23 increased risk in immunosuppression or drugs that patients
24 have to receive.

25 [Slide]

1 In addition, we have other patient categories that
2 have been now targeted for trials of islet transplantation
3 alone, and also in parallel trials from pancreas
4 transplantation alone. Those are patients who have already
5 some risks related to hyper-labile diabetes, very difficult
6 to control diabetes for either hyperglycemia or hypoglycemia
7 despite attempts to keep the disease under control, or the
8 development of complications that could justify some form of
9 immunosuppression in a relatively higher risk population.

10 [Slide]

11 So, the ideal goal for both procedures, but more
12 achievable by a cellular transplant with no operative risk,
13 is to try to perform the procedure early in the course of
14 the disease without waiting for the development of chronic
15 complications. So, it is much easier to prevent, like
16 retinopathy, to try to reverse end-stage retinopathy or
17 kidney disease. So, as we target a younger recipient
18 population we must also carefully consider all the potential
19 risk introduced by the selected approach, whether it is
20 pancreas versus islets or whether it is immunosuppression
21 versus immunomodulatory strategies.

22 [Slide]

23 Now, in islet transplantation we had a lot of
24 promise, a lot of hype and a lot of hope over the decades.
25 It was also the cure around the corner, or something that

1 was supposed to happen within a couple of years. But, there
2 has been, indeed, some remarkable progress in the field
3 since we started isolating islets from rodent pancreas.
4 Then just to bring the technology to be able to separate
5 islets from the human pancreas has required a tremendous
6 collaborative effort of several institutions, many of them
7 present today.

8 [Slide]

9 For the first time in 1990 it was demonstrated in
10 a St. Louis trial that diabetes can be reversed with a
11 transplant at the cellular level even though it was for only
12 a couple of weeks. But, you have to understand that this
13 procedure before 1990 didn't produce insulin independence in
14 any case.

15 [Slide]

16 At the same time, with the same islet isolation
17 procedure, it was possible to show in two series that we
18 performed at the University of Pittsburgh how it is possible
19 to achieve insulin independence in a consecutive series of
20 patients with a steroid-free immunosuppressive regimen, but
21 in surgical diabetes without an autoimmune background
22 introduced by Type 1 diabetes. Since then, there has been
23 another wave of optimists thinking that now the job was
24 virtually done, islet transplantation could work and could
25 be transferred easily to Type 1 diabetes recipients.

1 [Slide]

2 Between 1990 and 1998 there have been over 250
3 transplants at several main institutions, and others with
4 less number of transplants, where we learned that insulin
5 independence was confirmed as an achievable target, both at
6 Giessen, Minneapolis, Milan, Miami, St. Louis, Geneva,
7 Edmonton and others. But, what we learned is that this was
8 not the case all the time. It was in the minority of
9 patients transplanted.

10 [Slide]

11 What we learned from this series is that when an
12 islet transplant does work, like in this patient in Miami
13 from Dr. Alejandro that has been now over nine years with a
14 functioning islet transplant, you can achieve a remarkable
15 control in terms of hemoglobin A1c levels within a normal
16 range. You see by comparison what you can achieve with
17 conventional insulin treatment and with intensive insulin
18 treatment, but, most remarkably, this level of control has
19 been achieved without severe hypoglycemic events, so without
20 the risks that are associated with intensive insulin
21 treatment.

22 [Slide]

23 But the problem is that this kind of result has
24 not been in the majority of patients. There is one concern
25 that we had at the level of the international experience,

1 that most patients had a loss of function or early graft
2 failure even immediately after transplantation or within the
3 first two months, with an overall experience with a level of
4 35 percent functioning islet grafts at one year.

5 [Slide]

6 So a lot of research has concentrated on how to
7 improve early graft function, and Dr. Hering, with the
8 University of Giessen, developed a very accurate protocol of
9 peri-transplant management that was able to increase this
10 early function from 60 percent at 1 month to 100 percent
11 early graft function with remarkable improvement also in
12 overall results in terms of function at 1 year, and even
13 insulin independence in some cases.

14 [Slide]

15 So, one of the concerns that we had is that it was
16 clear that the immunosuppression used in this kind of
17 transplant was inadequate for a cellular transplant such as
18 islets, and imposed a metabolic demand on the transplanted
19 cells that may be damaging the very cell transplant that you
20 are trying to implement. So, there has been evidence from
21 our group and others of, for example, the deleterious effect
22 of steroids given at the early time post-transplant even in
23 autograft when you don't have problems of autoimmunity and
24 rejections, where you can see that the transplant can fail
25 long-term if you treat with steroids in the peri-transplant

1 period.

2 [Slide]

3 So, at the same time we were first doing the
4 transplant associated with the kidney transplant in most of
5 the cases. We didn't have any ability to modify what the
6 standard is of immunosuppression developed for the kidney
7 transplants. So traditionally islet transplants have been
8 developed as an adjunct procedure, as an option that you get
9 your organ transplant, whatever the immunosuppression is for
10 that organ, and then you get the islet transplant on top.

11 But if we review the literature of the series of
12 islet transplantations that produced consistently insulin
13 independence as a result of an islet transplant, we see that
14 this has been achieved in autografts in the Pittsburgh
15 series, the Pittsburgh-Miami trial in surgical diabetes, and
16 the most recent Miami trial in non-human primates, and in
17 the Edmonton trial that will be briefly discussed by Dr.
18 Shapiro later. But all these situations have in common that
19 they have been steroid free and three of the four were also
20 culture free. The islets were transplanted, infused
21 immediately after they were prepared without introducing any
22 culture. This is related to a lot of information emerging
23 from the fact that when you disrupt islet matrix
24 interruption you may promote apoptosis, and during culture
25 we actually don't increase the number of cells that you have

1 for transplant but you decrease it. This is something that
2 is for consideration for future discussions.

3 [Slide]

4 Finally, a rationale for islet cell
5 transplantation that I think is maybe one of the most
6 pressing is that, based on human organ donations, we will
7 never be able to address the majority of patients that may
8 benefit by this procedure. If we get every single pancreas
9 available from human organ donation, we will be able to
10 treat less than 1 percent, or 0.1 percent of patients with
11 Type 1 diabetes, not to talk about if we want to expand the
12 application to Type 2 diabetes, but just considering Type 1.

13 [Slide]

14 So, to be able to treat a majority of patients
15 that may benefit from this procedure, we need to develop
16 strategies to improve availability of insulin producing
17 tissue and, besides human organ donors a little can be
18 expanded through living donors but a lot of this technology
19 will come in possibilities from in vitro expansion of human
20 islets and beta cells as well as genetically engineered non-
21 islets or human cell lines, as well as animal sources and
22 xenotransplantation. So, all these approaches are much more
23 likely to be developed as cellular transplant approaches.
24 All the problems we are studying now with
25 allotransplantation, immunomodulation, tolerance induction

1 and alternative sites of islet implantation are very much
2 applicable to what will be eventually the large-scale
3 application of this kind of procedure.

4 [Slide]

5 I would just like to thank, in conclusion, our
6 collaborators, especially Dr. Alejandro coordinating the
7 clinical trial, and Dr. Kenyon coordinating all the
8 preclinical trials. The islet team that has been
9 collaborating to develop and bring the automated method of
10 islet isolation technology to the next level and all the
11 improvement that we have implemented today, as well as Dr.
12 David Harland and Alan Kirk at NIDDK, with which we are
13 collaborating with the newly constituted branch on
14 transplantation and autoimmunity, and the Immune Tolerance
15 Network, all the collaborators that are trying to bring
16 islet transplant pilot trials and, of course, all the
17 funding organizations without whose support we wouldn't be
18 able to develop any of these approaches, and now also FDA
19 which has been helping us make this procedure even safer for
20 patients and for the potential future of this application.
21 Thank you. I will finish here.

22 DR. SALOMON: Thank you very much, Camillo.
23 Before we give our next talk, I just want to acknowledge the
24 fact that we have been joined by another member of the
25 committee today, Dr. Jose Cara, from Henry Ford Hospital

1 Department of Pediatrics. Welcome, Dr. Cara.

2 DR. AUCHINCLOSS: Can we ask questions?

3 DR. SALOMON: Actually, he finished quite early.
4 So, we don't usually do that, as you know, Hugh, but if you
5 have a key question or two, sure.

6 DR. AUCHINCLOSS: Camillo, could you say just a
7 few more words about your prednisone studies in the dogs?
8 The title of the article you showed talked about long-term
9 effects of prednisone and non-survival of islets in the
10 liver. How about short-term effects?

11 DR. RICORDI: Well, what we thought at the
12 beginning in Pittsburgh, and Dr. Riley is here today also
13 who is the main author of the studies -- the reason we did
14 it is because when we moved from the clustered patients from
15 the surgical diabetes where there was a steroid-free
16 treatment to, now, kidney allotransplant Type 1 where
17 steroids were used, we wanted to make sure that the steroid
18 regimen was not toxic to the islet engraftment.
19 Interestingly enough, we didn't observe any short-term graft
20 failure but it seems that you have failure of this graft
21 within one year or much earlier than what we would expect
22 otherwise. So, at that time we were not sure if this was
23 directly related to the steroids or maybe to the
24 intrahepatic site in a pancreatectomized animal in which we
25 thought there may be chronic exposure of endotoxin in the

1 portal system. There may have been other reasons for
2 failure but steroids emerge very much as a concern for
3 introduction of induction, especially when you do bolus
4 steroids, high dose steroids as we do in many organ
5 transplantations.

6 DR. SALOMON: Thank you. When I did my residency
7 in Los Angeles I became aware of a phenomenon called the
8 buzz, which is something that emanates out of Hollywood and
9 is usually a sign of some, you know, breaking star or new
10 movie that is out. But in this case, I must say there is a
11 buzz around the so-called Edmonton protocol. The buzz tells
12 us that the data is really quite remarkable and may be the
13 next major step forward in terms of bringing islet
14 transplantation to clinical practice. So, I am especially
15 interested in what Dr. Shapiro has to say today, from
16 Edmonton, on allogeneic islet transplantation.

17 **Recent Experience in Allogeneic Islet Transplantation:**

18 **Edmonton Protocol**

19 DR. SHAPIRO: Thank you, Daniel, and thank you
20 committee. Good morning. I hope I won't disappoint you too
21 much in the next few minutes, but thank you very much for
22 giving us the opportunity to talk to you today about islet
23 allograft transplantation. I am ready to echo and reinforce
24 many of the comments that Dr. Ricordi just made regarding
25 that procedure.

1 [Slide]

2 Unfortunately, however, our data at the moment,
3 although it is a buzz and an exciting field, is an X-rated
4 movie, and our data is currently under review by The New
5 England Journal of Medicine and as such, according to the
6 Inglefinger rule, I am, unfortunately, in a public
7 auditorium, unable to reveal to you the specific nature of
8 those results and I apologize for that.

9 DR. SALOMON: This happened in LA all the time.

10 DR. SHAPIRO: So, I thought I would review for you
11 just briefly some of the background history to where we are
12 today in clinical islet transplantation. We go back really
13 to the beginnings in 1889 when Minkowski and Von Merring
14 discovered the link between the pancreas and diabetes when
15 they surgically removed the pancreas in dogs and induced
16 glycosuria.

17 [Slide]

18 Four years following that experiment, a remarkable
19 experiment happened in Bristol, in England, in fact at the
20 very hospital where I trained, where a surgeon and a
21 physician colleague, Watson-Williams and Harsend carried out
22 a remarkable experiment when they treated a 13-year old boy
23 with a first xenograft of tissue using a sheep's pancreas.
24 This 13-year old boy who was dying from the ravages of
25 diabetes received three pieces of sheep's pancreas placed

1 beneath the subcutaneous tissues, and for a few days he had
2 some amelioration in his blood glucose before he died.
3 Quite a remarkable experiment when you think about it, the
4 xenograft. It is four years after the discovery that the
5 pancreas has anything to do with diabetes. It is 27 years
6 before the discovery of insulin, and almost 60 years before
7 the modern advent or, say, early modern advent of
8 immunosuppressive strategies.

9 [Slide]

10 Clearly, the discovery of insulin in 1922 had a
11 dramatic impact in improving the well being of patients with
12 diabetes. It prevented acute death. But, unfortunately, it
13 converted this condition into a chronic and incurable
14 illness, with most of our patients developing end-stage
15 complications and representing an enormous cost burden to
16 our healthcare society, 15 percent of our healthcare
17 expenditure.

18 [Slide]

19 It is the third commonest disease and fourth
20 leading cause of death, and there are one million Type 1
21 diabetic patients in North America and 30,000 new cases
22 diagnosed every year, and the incidence is considerably on
23 the rise.

24 [Slide]

25 So, what therapeutic choices do we have in the

1 year 2000 to treat our diabetic patients? Well, clearly we
2 have standard insulin therapy. We have intensification of
3 insulin therapy with more frequent injections. We have the
4 potential to use an implantable insulin-delivering device, a
5 pump, but the technology surrounding that at the moment is
6 somewhat limited. We can carry out a whole pancreas
7 transplantation, either solitary pancreas or along with a
8 kidney. And, now we can consider carrying out an islet
9 transplant.

10 [Slide]

11 What are the early studies in terms of islet
12 transplantation? We have to look back to 1911 when Bensley
13 first hand-picked a few islets for a morphological study and
14 then, in 1964, Hellerstrom began microdissecting the pancreas
15 and removing islets, again, for a physiological study. The
16 introduction of collagenase into practice by Moskalewski, in
17 1965, really was a revolution to the field but also had
18 many, many problems down the road since the variability and
19 enzyme preparation meant that this enzyme was not reliable.

20 The father of islet transplantation, Paul Lacey,
21 as shown here, developed a technique for ductal injection of
22 the pancreas which led to increased yield of islets from the
23 rat. Then subsequent studies by Webber and then Younoszai
24 showed temporary improvement in glucose control in rats.
25 Again, Bellinger and Lacey showed sustained improvement in

1 chemical diabetes in 1972.

2 [Slide]

3 So here we are, we have an experimental procedure
4 but what is the evidence that it might work or might be
5 helpful in controlling secondary complications of diabetes
6 in humans? Well, we don't have any direct evidence at the
7 moment. As I will show you, the results of the Clinical
8 Islet Transplant Registry leave only a few patients off
9 insulin at the current time.

10 So, we have implied evidence, implied evidence
11 that islet transplantation will impact secondary
12 complications, that will reverse retinopathy, impact
13 nephropathy and enhance patient survival.

14 [Slide]

15 The evidence is clearly indirect. As Dr. Ricordi
16 has also mentioned, it comes from the DCC trials of
17 intensive insulin therapy and from the results of whole
18 pancreas transplantation where over 12,000 of these
19 procedures have been performed worldwide to date.

20 [Slide]

21 As Dr. Ricordi showed you, clearly an impact in
22 terms of ultimate glucose control and correction of
23 hemoglobin A1c can reverse retinopathy. But here, using
24 intensive insulin therapy, this does come at a price of
25 increased risk of hypoglycemic coma.

1 [Slide]

2 What about correction of nephropathy? Well, we
3 have to look, again, to studies of pancreas transplantation,
4 patients undergoing solitary pancreas transplantation when
5 they are more than ten years out after this procedure.
6 Fioretto published in The New England Journal, two years
7 ago, showing that at ten years this did, indeed, have an
8 impact on secondary complications. So, we presume or we
9 surmise that islet transplant, if it can achieve optimal
10 glycemic control in a patient, will have a similar impact on
11 complications.

12 [Slide]

13 Again, this is data Dr. Ricordi showed you in
14 terms of enhanced patient survival, 50 percent at 10 years
15 compared to kidney transplant alone in patients undergoing a
16 pancreas transplant. Again, this is prospective but not
17 randomized data indicating that there may be a benefit to
18 patient survival.

19 [Slide]

20 If we turn to the Islet Transplant Registry, there
21 are 405 transplants reported to date. If we look at those
22 specifically in patients undergoing transplantation with
23 Type 1 autoimmune diabetes, there were 267 procedures in the
24 last 10 years. The results, however, have been dismal, we
25 have to say, because only 12 percent of patients overall

1 achieved insulin independence by 1 week and only 8 percent
2 of patients achieved insulin independence or maintained that
3 beyond 1 year.

4 [Slide]

5 Why is that? Dr. Ricordi, again, has already
6 alluded to this, that the drugs used in those studies were
7 virtually in every case -- not all but virtually every case
8 -- a combination of steroids, cyclosporine and Imuran.

9 [Slide]

10 Now, we have an opportunity, and we have focused
11 in the last five years in our laboratory in Edmonton on
12 trying to work out what is the optimal immunosuppressive
13 strategy to use for experimental and then clinical islet
14 transplantation. Clearly, now in the year 2000 we have an
15 explosion in the availability of new immunosuppressive drugs
16 and antibodies that we can apply. That means that at last
17 we can consider developing strategies that no longer require
18 corticosteroids.

19 [Slide]

20 Using an acute canine model, a canine islet
21 autograft treated for one month -- and this is to also help
22 answer Dr. Auchincloss' question -- we carried out frequent
23 sample glucose tolerance tests. When we did that, we found
24 that the use of cyclosporine on its own had no impact on the
25 KG of the glucose decay constant. Low and high steroids on

1 their own for a short period of time also had no impact on
2 graft function. But when we combined steroids and
3 cyclosporine together it had a dramatic and permanent effect
4 in terms of damaging autograft function by impairing KG and
5 then, after withdrawal of therapy, these dogs failed to
6 normalize.

7 [Slide]

8 When we take our data together, you can see that
9 combinations of cyclosporine or tacrolimus, along with
10 steroids, led to a marked decrease in the glucose decay
11 constant and a marked decrease also in insulin sensitivity.
12 When we used this new drug, sirolimus or rapamycin, we found
13 an increase, in fact, in the KG largely accounted for by a
14 prolongation -- a side effect really, but a prolongation in
15 insulin half-life which we thought would be beneficial.

16 [Slide]

17 Following that, we carried experimental islet
18 transplant studies in the laboratory and showed that this
19 drug was efficacious, and also there is a large body of
20 clinical literature now to show that rapamycin or sirolimus
21 is an extremely potent immunosuppressive drug -- discovered
22 by Dr. Seran Segal from the soil samples taken from Easter
23 Island -- really quite remarkable that all these very
24 powerful drugs are found just dangling in the soil.

25 [Slide]

1 Our experimental studies suggested that the
2 combination of sirolimus and tacrolimus at low dose might be
3 beneficial for clinical islet transplant but it was always
4 believed, based on in vitro data, that these two drugs could
5 not be used together in combination because they both bind
6 to the FKBP12 and FKBP25 cellular binding proteins.

7 [Slide]

8 However, this in vitro interaction clearly does
9 not occur in vivo since Chen and Vu, in heart transplants
10 and small bowel transplants in rodents, showed strong
11 synergistic potentiation of these two drugs when used
12 together.

13 [Slide]

14 Furthermore, in a recent article published in The
15 Lancet and now updated to a total of 56 patients, McAlister
16 and colleagues from Halifax in Nova Scotia, Canada, treated
17 patients undergoing liver, kidney and pancreas
18 transplantation and demonstrated that when they used this
19 combination along with steroids for 3 months, that their
20 rejection rates were extremely small, only 3.6 percent.

21 [Slide]

22 In the Edmonton protocol, which I will allude to,
23 we have replaced the need for steroids with an anti-IL2
24 receptor, daclizamab antibody. Thus, we have attempted to
25 impair the augmentation and amplification of the immune

1 cascade, preventing signal 2 and signal 3 activation events
2 to have a concertive effect in immunosuppression while
3 avoiding steroids.

4 [Slide]

5 So, our protocol is completely steroid free, and
6 along with that we tried to optimize islet function by
7 minimizing the cold ischemic time by carrying out immediate
8 transplantation after a negative gram-stain, by using high
9 quality islet preparations as isolated by Dr. Lakey and his
10 team in our Clinical Islet Transplant Laboratory, and we
11 have avoided the use of xenoproteins, the fetal bovine serum
12 that was traditionally used in all our isolations
13 previously. We have delivered a sufficient islet transplant
14 mass of at least 10,000 islet equivalents per kilogram.

15 [Slide]

16 In so doing, we have attempted to address a number
17 of barriers to insulin independence that Dr. Hering brought
18 to the world's attention in terms of delivering a sufficient
19 islet mass by avoiding drugs that induce insulin resistance
20 or cause diabetogenic effects, and by providing sufficient
21 and adequate immunosuppressive effect that we no longer
22 require an effective marker of islet rejection and we also
23 protect quite powerfully against both autoimmune and
24 alloimmune recurrence.

25 [Slide]

1 Furthermore, we have tried to optimize the donors
2 that we use for pancreas procurement, and this shows our
3 technique for achieving the pancreas. Rather than going
4 through all the details, I will just emphasize to you that
5 the aim of this is to allow the pancreas, as soon as the
6 chilled UW solution is infused through the aorta, to allow
7 the pancreas itself to be rapidly cooled both anteriorly and
8 posteriorly so that it is protected.

9 [Slide]

10 So, in Edmonton we also have an active clinical
11 pancreas transplant program, and the islets that we have
12 isolated from our donors have not overlapped particularly
13 with our pancreas transplantation. Indeed, approximately 70
14 percent of our pancreata could not have been used for
15 pancreas transplantation either in our own center or in
16 other centers across Canada. This clearly shows that while
17 we prefer an optimal donor for islet transplantation,
18 although there is some overlap there is also a distinct
19 separate population of donor pancreata with age greater than
20 45 years that could be used for successful islet isolation
21 but would, therefore, not completely deplete the pool for
22 pancreas transplantation.

23 [Slide]

24 There have been dramatic improvements in our
25 ability to isolate high quality islets. As Dr. Ricordi,

1 again, alluded to, there has been a tremendous consortium
2 effort between centers throughout the world to achieve this.
3 Tremendous advance has come from the availability of this
4 new enzyme blend, the liberase enzyme which is low in
5 endotoxin, and has really led to much higher consistent
6 islet yields than achieved previously. The use of the
7 chamber described initially by Dr. Ricordi, and for Dr.
8 Lakey's benefit I have to emphasize that this chamber has
9 been modified in Edmonton, and then the use of the COBE 2991
10 cell purifying system which has also been a tremendous
11 advance since previously we would spend many hours in the
12 middle of the night layering ficoll tubes and falling asleep
13 while we broke the layers, and now this system allows us, in
14 a matter of five to ten minutes, to isolate islets and
15 minimize the exposure to ficoll.

16 [Slide]

17 The system that Dr. Lakey developed in Edmonton
18 along with Dr. Rayotte was a system of perfusion of the
19 pancreas with cold enzyme solution to ensure that the enzyme
20 is delivered to all parts of the pancreas.

21 [Slide]

22 Here is Dr. Lakey himself, in the middle of the
23 night, shaking the modified Ricordi chamber to shake the
24 islets out.

25 [Slide]

1 This is the impure preparation of approximately
2 50-60 cc which is really too impure to infuse into a
3 patient. After purification you can see that the entire
4 preparation for infusion into the patient is just 3.5 cc
5 maximum here. Clearly, this is a very safe form of therapy
6 to infuse into patients.

7 [Slide]

8 This shows the quality of islets alongside an
9 instrument needle, showing just how pure those islets are
10 for transplantation.

11 [Slide]

12 We have modified the procedures in Edmonton.
13 Previously we used to culture our islets. Now we carry out
14 immediate transplantation. We really treat the islet as we
15 would a heart, a liver or kidney transplant, knowing that
16 every minute or every hour of cold ischemia leads to
17 additional potential injury. While this is not proven, we
18 believe at the current time this is an optimal way to
19 proceed with islet transplantation.

20 [Slide]

21 So, as soon as the islets are isolated we bring a
22 patient to the radiology department and, under local
23 anesthesia, our radiology colleagues, percutaneously by a
24 transhepatic approach, put a needle in the side, gain access
25 to the portal vein and the islets are embolized up into the

1 liver where they obtain a new vascular supply.

2 [Slide]

3 This shows the portal angiogram obtained
4 immediately prior to islet transplantation.

5 [Slide]

6 The transplant procedure is no longer an
7 operation. It is a very simple procedure. The islets are
8 simply drawn up and it is the simplest transplant one could
9 ever carry out. It is simply injection of cells.

10 [Slide]

11 This shows one of our patients who works as a
12 lawyer, back at work within 24 hours of his procedure, in
13 his pin-stripe suit.

14 [Slide]

15 So, how do we know that these islets are safe to
16 infuse? Well, in Edmonton we carry out post hoc quality
17 control analysis. Obviously, we carry out detailed culture
18 for bacterial, fungal, viral and endotoxin. We carry out a
19 Gram stain prior to transplantation. Then, Dr. Korbitt in
20 our group carries out detailed immunohistochemical analysis
21 to determine the exact cellular composition of each of the
22 preparations, indicating what percentage of beta cells,
23 alpha cells, delta cells, pp cells and ductal elements are
24 transplanted. We, therefore, have a very good index of how
25 pure our preparations are; what their insulin content is;

1 and we carry out in vitro viability studies, using static
2 incubation assays in low and high glucose media to show that
3 our islets really are viable and they are able to respond
4 appropriately to a glucose environment. We also carry out
5 SCID mouse transplants to confirm in vivo viability. Again
6 I emphasize these are post hoc quality control assessments
7 since islet transplants, in order to minimize cold ischemia,
8 are already transplanted into the patient.

9 [Slide]

10 So, what criteria do we use for transplantation?

11 We take an islet transplant mass of more than 4000 islet
12 equivalents per kilo for the initial transplant, or a total
13 of 10,000 islet equivalents per kilo in a packed cell volume
14 which is usually 3.5 cc but is always less than 10 cc, and
15 we have a negative Gram stain. We avoid the use of
16 xenoproteins. We use a percutaneous route for portal
17 access. We measure portal pressure before and after the
18 islet infusion. We give systemic heparin at a relatively
19 low dose of 1000 units to an adult, and we use a plug of gel
20 foam to the peripheral tract before we remove the catheter
21 from the liver to try to minimize the risk of bleeding.

22 [Slide]

23 These are the immunosuppressive strategies that we
24 use, zenopax, tacrolimus and sirolimus at the doses shown,
25 and we don't use any steroids. We use prophylactic

1 antibiotics initially, imipenem and vancomycin. We use
2 ganciclovir for the first three months to minimize the risk
3 of CMV infection, and we use PCP prophylaxis for the first
4 year.

5 [Slide]

6 These are our indications for islet
7 transplantation, solitary islet transplantation. Patients
8 have to have Type 1 diabetes for more than five years. They
9 have to have evidence of hypoglycemic unawareness; metabolic
10 lability of instability; evidence of progressive secondary
11 complications despite being on an optimal insulin regime;
12 and they must have evidence of failure of intensive insulin
13 as judged by an independent endocrinologist from our
14 program.

15 [Slide]

16 We have a number of contraindications too for
17 patients that we will not accept at the current time. We
18 won't accept patients with severe cardiac disease, active
19 alcoholics, those with major psychiatric illness, or those
20 with a history of non-adherence., those who have an active
21 infection, malignancy, obese patients, and those patients
22 who have evidence of positive C-peptide prior to
23 transplantation -- they do not undergo transplantation.

24 [Slide]

25 At the current time we are avoiding transplanting

1 patients with evidence of severe renal dysfunction with a
2 creatinine clearance of less than 60 ml/minute/m² or
3 evidence of macroalbuminuria but, clearly, in the near
4 future this may be a group that we should be considering
5 targeting based on the evidence from whole pancreas
6 transplant literature. If a patient has gall stones or
7 angioma in the liver, that might increase the risk of
8 complication either related to the needle puncture itself or
9 from systemic immunosuppression. So, these patients are
10 excluded on a baseline ultrasound.

11 [Slide]

12 Clearly, a number of other contraindications are
13 listed there, the least of which is inability to reach the
14 hospital in time, within two hours for notification for that
15 transplant.

16 [Slide]

17 So, how do we work out the risk-benefit ratio for
18 this procedure? Well, if we just look at patients on
19 insulin alone -- I have emphasized to you before that this
20 is a chronic progressive illness and when patients are on
21 insulin this is not a cure -- so the risk-benefit ratio
22 falls severely down for the patient just on insulin. It is
23 clear they have a relative risk of renal failure, 25 times
24 the normal population; 20 times the risk of blindness; 40
25 times the risk of amputation; 3 times the risk of stroke;

1 and 5 times the risk of myocardial infarct; and at least a
2 10-year shortening in life span as reported by Nathan and
3 colleagues, in 1993, demonstrating that insulin alone is not
4 a satisfactory treatment for the majority of Type 1 diabetic
5 patients.

6 [Slide]

7 When we put the equation this way, solitary
8 pancreas versus solitary islet transplantation we don't
9 know. We have never done a prospective study; we hope to do
10 that eventually to show what the benefit of islet transplant
11 will be, but we believe that the equation will be largely in
12 favor of benefit for islet transplantation because pancreas
13 transplantation remains an invasive procedure, associated
14 with significant morbidity in some cases, although the
15 results have improved considerably over the last five years
16 or so.

17 [Slide]

18 Now, if we try and equate the risk-benefit ratio
19 for insulin versus solitary islet transplantation in a
20 patient with no secondary complications but where we have to
21 use systemic immunosuppressive drugs along with the
22 transplant, we don't know what the true risk-benefit ratio
23 is currently but we believe in this situation, when there
24 are no secondary complications, at the current time probably
25 the balance is in favor of continued insulin therapy since

1 these drug therapies clearly have potential side effects,
2 increased risk of infection and increased risk of
3 malignancy.

4 [Slide]

5 When we take a population of patients with
6 potentially life-threatening secondary complications the
7 balance is changed. With the presence of hypoglycemic
8 unawareness, coma, metabolic lability, recurrent
9 ketoacidosis, frequent hospital admissions, etc., and
10 evidence of early but progressive secondary complications,
11 then the balance falls in favor of the islet transplant plus
12 systemic immunosuppressive therapy. We believe. There is
13 no hard evidence for this at the current time. Only
14 prospective detailed studies will be able to prove that this
15 is the case.

16 [Slide]

17 What if we take a patient and go back again, a
18 patient with no secondary complications, in that situation
19 the risk-benefit ratio is only going to fall in favor of
20 islet transplantation if we can really achieve long-term
21 stable tolerance and achieve a strategy that will not only
22 prevent both autoimmune but alloimmune recurrence.

23 [Slide]

24 So, why do we need tolerance for islet
25 transplantation? We need to avoid the long-term risk of

1 immunosuppression. We would like to be able to extend the
2 risk-benefit ratio so we can treat newly diagnosed children.
3 We would like to apply the islet transplantation to the
4 earliest sign of diabetes so that we can really have maximum
5 impact on prevention of secondary complications.
6 Furthermore, for islet transplantation, if the strategy
7 fails the risk must be low.

8 [Slide]

9 So, the playground of tolerance will not be in
10 heart or in liver transplantation where there is a risk that
11 the patient might die, or potentially in kidney
12 transplantation where scarce results may be lost, but we
13 believe will be in islet transplantation in the near future
14 where, if the graft fails, the patient simply returns to
15 insulin.

16 [Slide]

17 Dr. Norma Kenyon has shown recently, last year,
18 that the advent of newer co-stimulatory blocking antibodies,
19 the anti-CD154 monotherapy, clearly was able to prevent
20 rejection in primate islet allograft when continued long
21 term.

22 [Slide]

23 It is hoped that this and other co-stimulatory
24 blocking strategies used in the very near future will allow
25 us to achieve the true goal of tolerance, either through

1 costimulatory blockade, non-depletional or depletional
2 antibodies, or anti-adhesion therapies, donor bone marrow
3 transplantation or a number of potential anti-inflammatory
4 strategies that might allow enhanced early islet function
5 and survival after transplantation.

6 [Slide]

7 In conclusion, intensive insulin therapy fails at
8 the moment to normalize hemoglobin A1c. Solitary pancreas
9 transplantation can achieve that. It achieves excellent
10 glycemic control but it remains invasive. And, for islet
11 transplantation it is now imperative for us to establish in
12 a multi-center trial the true benefit of this therapy in
13 terms of minimizing risk; in terms of achieving excellent
14 metabolic control; in terms of complete correction
15 hemoglobin A1c and in terms of sustained freedom from
16 insulin.

17 [Slide]

18 As such, the Immune Tolerance Network, with a
19 number of centers fished out by Dr. Ricordi from centers in
20 the world, will address this in a true multi-center trial in
21 the very near future.

22 [Slide]

23 Clearly, our ultimate goal is to carry out islet
24 transplantation as a cure at the time of diagnosis. Thank
25 you very much.

1 DR. SALOMON: Thank you, Jim. Actually, I have
2 two quick questions. I see Hugh shaking his head; he may
3 have one as well. Can you clarify two things for me? One,
4 we will get to this later in detail but you raised an
5 interesting question that there was a percentage of
6 pancreata that were harvested that would not be suitable for
7 whole organ pancreas transplantation but would be a source
8 for islet preparation. We will return to that because that
9 is a real issue in the field. What percentage of that is
10 the case in your experience in Edmonton? And, what are
11 exact criteria that you have for accepting a pancreas for
12 islet purification?

13 DR. SHAPIRO: We will accept any pancreas for
14 islet purification provided that it cannot be used either at
15 the local center or our own center for whole pancreas
16 transplantation. We have fairly stringent criteria for what
17 we will accept for whole pancreas transplantation, and these
18 are, I think, fairly standard in North America. Most
19 centers use an age cutoff for whole pancreas transplantation
20 of 45. Some extend that up to 55. But, clearly, where
21 there is evidence of early atherosclerosis in the vessels to
22 the pancreas or where there is evidence of trauma, damage,
23 edema, etc., then that graft cannot be used for a whole
24 pancreas transplantation but may be ideal in that situation
25 for islet isolation. So, we will use any pancreas that

1 cannot be used for whole pancreas transplantation provided
2 there is no other contraindication to use of that organ in
3 terms of infection, malignancy, etc. We will accept organs
4 from most age ranges. In our series we have used a donor up
5 to age 71 with satisfactory outcome. Clearly, therefore,
6 although there is overlap in terms of organ usage, there is
7 a disparate population of pancreas that might be ideal for
8 islet isolation also.

9 DR. LEVITSKY: Actually, I have two questions for
10 you. The first is probably a little bit out of your field
11 because I know you are doing islet transplants but apropos
12 of the experience some years ago using cyclosporine early
13 on, immediately after diagnosis of diabetes, it would seem
14 to me that it might be even more logical if we now have a
15 set of anti-rejection drugs that can suppress the immune
16 response to try those primarily, rather than even need to do
17 the islet transplant early in diabetes.

18 DR. SHAPIRO: You raise a very important point.
19 Clearly, the strategies that we are using for islet
20 transplant in Edmonton currently are being seriously
21 considered for those immune intervention trials. But, to my
22 mind, I don't think I would want to be on those drugs if I
23 wasn't going to be completely off insulin and if they
24 weren't going to have a serious impact in terms of
25 preventing the secondary complications. We will know over

1 time whether or not that can be achieved.

2 DR. LEVITSKY: The second question I have for you
3 is that I am a little concerned about your criteria for
4 patient selection. At least, we pediatric endocrinologists
5 believe that recurrent ketoacidosis is not a biologic
6 condition but is, rather, the effect of recurrent lapses in
7 insulin administration. So, I wonder whether by selecting
8 out patients who may be at higher risk for lapses in medical
9 behavior you are actually handicapping your outcomes, and
10 also because this may be such a wonderful outcome why should
11 only that group of patients who seem to be not biologically
12 determined to have these episodes be part of your selection
13 criteria?

14 DR. SHAPIRO: Well, I think that is very true in
15 children. The patients that we transplant in Edmonton
16 clearly are a very, very highly selected group of patients
17 who have clearly failed exogenous insulin therapy as
18 assessed by a number of endocrinologists who have worked
19 very hard, and where we have clear evidence that those
20 patients have complied. But I accept that every patient
21 that comes through the door with ketoacidosis is not
22 necessarily a candidate for islet transplantation.

23 DR. LEVITSKY: Well, maybe we can discuss the
24 biology of ketoacidosis at some later point.

25 DR. AUCHINCLOSS: It is unfortunate that we can't

1 see the actual data but I have seen it and I will just
2 assure the committee that it is very, very impressive.

3 James, I want to play out a conversation that we
4 have had before in private. Your extraordinary success
5 could be attributed to, (a) you make better islets or they
6 are fresher islets than anybody else has. It could be, (b)
7 because you have the best immunosuppressive protocol --
8 steroid free, etc., or, (c) because you give more islets
9 than anybody else because your patients have had in general
10 two, and in some cases more, pancreas-worth of islets. Can
11 you tell which, (a), (b) or (c) is really responsible for
12 the success?

13 DR. SHAPIRO: Right now we don't know. It could
14 be (a), (b) or (c), or could even be (d). We are carrying
15 out sequential islet transplantation. Maybe that in itself
16 has some benefit. But as Dr. Hering really pointed out
17 during that conversation, the whole thing is like an
18 orchestra and everything has to be in tune.

19 DR. AUCHINCLOSS: I guess my point would be we
20 don't know whether everything needs to be in tune. We
21 really don't know what the crucial variables are, and that
22 is really the point. We are all learning. You have a
23 combination where "in tune" is certainly working but it is
24 not clear that you couldn't drop the cello and still have it
25 work.

1 DR. SHAPIRO: I totally agree.

2 DR. AUCHINCLOSS: And one thing you do not have
3 that people have for a long time believed is critical is
4 tight glucose control in the early post-transplant phase.
5 Is that correct? You don't make any effort?

6 DR. SHAPIRO: Absolutely not, no.

7 DR. SALOMON: Dr. Ricordi?

8 DR. RICORDI: I just wanted to comment on Dr.
9 Levitsky's question. That is, indeed, it is the strategies
10 that we are implementing and studying. If you can induce
11 tolerance or if you have an effective immunomodulatory or
12 immunosuppressive mixture it will, indeed constitute a
13 unique opportunity for intervention trials early on in the
14 course of the disease, and islet transplant will still need
15 to become available for those who don't have any beta cell
16 function but it will be ideal to be able to intervene early
17 and maybe block the immune response or reeducate the immune
18 system and have the native islets regenerate or just
19 continue to function.

20 DR. SALOMON: Dr. Cara?

21 DR. CARA: Could you tell me how you establish
22 islet equivalent units?

23 DR. SHAPIRO: Dr. Lakey could probably describe
24 this better than I can -- go ahead. Why don't you do it?

25 DR. LAKEY: Samples are removed from a preparation

1 and they are counted in duplicate by two independent
2 investigators, and the islets are categorized into 50 micron
3 categories and, using an established protocol that was first
4 published by Dr. Ricordi in 1990, they are equal rated to an
5 equivalent volume of 150 microns in diameter.

6 DR. CARA: And is that an internationally accepted

7 --

8 DR. LAKEY: Means of quantifying? Yes.

9 DR. CARA: Another question is have you done any
10 sort of formal post-transplant quality of life assessments
11 in the patients that you have actually done these treatments
12 in?

13 DR. SHAPIRO: Those studies are actually under way
14 at the current time. The difficulty has been what is the
15 ideal way of assessing that. Clearly, most of the
16 questionnaires are not necessarily ideal or ideally tuned to
17 islet transplantation. The ultimate goal is to compare
18 islet with whole pancreas to, in a prospective way,
19 determine what the benefits in terms of quality of life are
20 for those two procedures versus patients on insulin.

21 DR. CARA: It is a critical issue because whereas
22 survival of the graft or insulin-free time intervals after
23 transplantation are very important, I think it is important
24 to also to think about at what patient cost. I think the
25 quality of life issue is an important factor in all that.

1 DR. SALOMON: Thanks. Dr. Sausville?

2 DR. SAUSVILLE: So, returning to this issue of
3 quantifying the islet equivalence, I take it then you don't
4 really use measures of insulin content or insulin
5 secretability, and do you foresee the suitability, shall we
6 say, of the morphology only criteria for ultimate use in
7 contrast to developing some rapid means of assessing
8 actually insulin content or function?

9 DR. LAKEY: Samples are removed at the conclusion
10 of the isolation for quantification. In addition, samples
11 are removed for post hoc insulin DNA, and also samples are
12 removed for functional viability testing. That is all post
13 hoc. Camillo, perhaps you would like to comment.

14 DR. SALOMON: Can I interrupt? This is exactly
15 where we want to go this afternoon, and I think that was a
16 great question and a good answer and, Camillo, I know you
17 will be here this afternoon and will participate. So, just
18 rather than get into an area where we really should take it
19 in detail, because I think that is a major interest on the
20 part of the FDA in terms of starting this whole area off
21 right as a well-characterized product, I think this is so
22 important, if you will forgive me, I would like to kind of
23 steer us away from it right now.

24 I think that was great. Is there anyone else who
25 has a question that would take off in a different direction

1 because we are running a little bit ahead so we do have that
2 flexibility?

3 [No response]

4 Thank you very much. I think that was excellent.
5 The next and final speaker of this first session is Bernhard
6 Hering, from the University of Minnesota, Diabetes
7 Institute. It is a pleasure to welcome Bernhard here.

8 **Lessons from the International Islet Transplantation**
9 **Registry and Recommended Quality Standards for**
10 **Islet Preparations**

11 DR. HERING: I would like to thank the FDA for
12 inviting me to this important meeting in the history of
13 islet cell transplantation.

14 [Slide]

15 My assignment today is to review lessons from the
16 International Islet Transplantation Registry and to discuss
17 recommended quality standards for islet preparations.

18 [Slide]

19 We haven't discussed fetal and neonatal islet
20 tissue transplantation and reports on at least 3000 fetal
21 and neonatal islet allografts and xenografts performed at
22 about 80 institutions from 1977 to 1996, the majority
23 performed in China and the former Soviet Union.
24 Communicated safety and efficacy data are very incomplete,
25 and insulin independence has yet to be documented.

1 [Slide]

2 Then, we also know of results in islet
3 autotransplantation in patients undergoing pancreatic
4 resection, and at least 239 procedures have been performed
5 at 33 institutions from 1977 to 1999. Initially, in the
6 early phase of islet autotransplantation, in the late '70s
7 and early '80s, serious adverse event reports including
8 hepatic infarction, portal hypertension, disseminated
9 intravascular coagulation and systemic hypertension were
10 communicated, including two deaths in the early '80s and one
11 in 1996.

12 Long-term insulin independence has been documented
13 in approximately 50 percent of totally pancreatectomized
14 recipients. The longest documented follow up is now
15 exceeding 13 years.

16 [Slide]

17 Islet allografts, adult islet allografts, 405
18 procedures at 38 center from '74 to '98, including 357 in
19 people with Type 1 diabetes. As mentioned earlier by Dr.
20 Ricordi, transplants have been done in patients with
21 surgical diabetes at the University of Pittsburgh, in the
22 early '90s, a remarkable series, and also in insulin-
23 requiring patients undergoing liver transplantation with
24 presence of diabetes at the time of transplantation. One-
25 year patient rates exceeded 95 percent, and only one death

1 likely or definitely related to islet transplantation.
2 Serious adverse events have rarely been observed following
3 intraportal islet infusion, which is the most common implant
4 site. I just want to mention the serious adverse events
5 that have been communicated -- liver capsular hematoma
6 requiring transfusion in four cases; gall bladder
7 perforation in one; portal hypertension requiring
8 splenectomy in one case; and liver transplantation in
9 another case, this was a patient receiving a simultaneous
10 liver and islet transplant; bacteremia due to contaminated
11 cryopreserved islet preparation in one patient; and injury
12 to hepatic artery leading to death in one patient, the only
13 patient who likely or definitely died related to the islet
14 transplant procedure. Then, HLA sensitization has been
15 documented in patients with rejecting islet allografts.

16 [Slide]

17 Results in patients with Type 1 diabetes -- almost
18 all transplants in conjunction with kidney transplantation
19 simply because kidney transplant recipients are
20 immunosuppressed anyway -- survival rate based on C-peptide,
21 35 percent and about 10 percent of the patients became
22 insulin-free after transplantation. At 1 year 8 percent
23 remained insulin free. Duration of insulin independence,
24 however, on average was 450 days and the range is from 7
25 days to 5 years. In 1995, as mentioned before, the success

1 rate in terms of insulin independence at 1 year increased up
2 to 33 percent and, as just reported by Dr. Shapiro, in 1999
3 there has been a significant improvement of the success rate
4 with the new protocol developed at University of Alberta.

5 [Slide]

6 What are basically the lessons if we summarize
7 what has been learned so far? I think it is fair to say
8 that adult islet allograft, at least based on the available
9 information, is associated with low morbidity and mortality.
10 The success rate is much lower, however, compared to
11 preclinical studies, human islet autografts, human islet
12 allografts in surgical diabetes, and pancreas
13 allotransplants despite 35 protocol modifications between
14 1974 and 1998, as detailed in the review paper that is in
15 your information material.

16 [Slide]

17 One obvious question, of course, is what are the
18 determinants of success? A number of factors have been
19 discussed -- donor factors, pancreas procurement, islet
20 processing and characterization, viability, islet dose,
21 islet engraftment, autoimmunity rejection, and recipient
22 factors, to name a few.

23 [Slide]

24 The recipient category, as indicated before, seems
25 to be very important. Here you see percent insulin

1 independence during the first year post-transplant in people
2 with Type 1 diabetes receiving allografts, patients
3 receiving allografts, patients with surgical diabetes and
4 islet autograft recipients. So the recipient category is of
5 significant importance. If you compare pancreatotomy
6 induced diabetes and autografts, allografts and Type 1
7 diabetes, we understand that in the latter category there
8 are a number of barriers that may interfere with the
9 restoration of insulin independence -- inflammation,
10 alloimmunity, drug toxicity, chronic diabetes and insulin
11 independence at the time of transplantation and
12 autoimmunity.

13 [Slide]

14 Among the two variables affecting outcome, we have
15 to consider the engrafted islet mass and the metabolic
16 demand, and the protocol that was developed at the
17 University of Alberta seemed to support this hypothesis that
18 the metabolic demand and the presence of insulin resistance
19 are critical determinants of success. The question is
20 whether islet engraftment or the available insulin secretory
21 capacity are important factors as well. This is a very
22 complex issue and if we are going to discuss islet dose this
23 afternoon, what is the right islet dose, I think it is a
24 very difficult question because many factors may determine
25 the dose.

1 [Slide]

2 So, first of all, hypoxia at the time of
3 transplantation, the presence of brain death, cold storage
4 and preservation; then the ability of a given islet
5 preparation to repair injury; anoikis, that is, the
6 disruption of the cell matrix interactions, the
7 susceptibility of a given islet preparation to oxidant
8 stress or insulin resistance present at the time of
9 transplantation. This injury and stress may trigger
10 inflammation. Inflammation may trigger immune responses.
11 Established autoimmunity, of course, augments inflammation
12 and also immune responses, and then islets are transplanted
13 in an environment where islets face toxic substrate
14 concentrations. Also if it is an intravascular site, like
15 the intraportal circulation, activation of cascade systems
16 is another important factors. So it is not an easy task to
17 determine islet dose necessary to reverse diabetes
18 consistently.

19 [Slide]

20 If we compare again the outcome in different
21 recipient categories -- surgical diabetes, islet
22 transplantation, immediate insulin independence. The time
23 to insulin independence is usually very, very short, a few
24 days. As well as in patients with surgical diabetes that
25 receive islet allografts, immediate insulin independence has

1 been reported in the majority of cases, in sharp contrast to
2 Type 1 diabetes where we see early islet graft loss,
3 complete loss, in about 30-40 percent of the recipients. It
4 is conceivable that some 30-50 or even 70 percent of islets
5 are lost in the immediate post-transplant period in patients
6 with Type 1 diabetes.

7 There have been two case reports, patients
8 undergoing pancreas transplantation, one patient undergoing
9 solitary pancreas transplantation for the treatment of
10 hypoglycemia unawareness, and a few months after
11 transplantation a graft pancreatectomy was performed because
12 of graft pancreatitis. Islets were isolated from the
13 previously transplanted pancreas and transplanted back into
14 the patient and immediate insulin independence was noted
15 despite the presence of diabetogenic drugs. This is a very
16 important observation and it is difficult to understand why
17 the outcome is so strikingly different in this category.

18 [Slide]

19 If we compare the different recipient categories,
20 there are questions, questions like living donors like in
21 islet autotransplantation versus cadaver donors, is this an
22 important determinant? Or purified versus unpurified islets
23 because islet autograft recipients more or less receive the
24 unpurified islet tissue? Or Mantle versus cleaved islets?
25 Or is the presence of diabetes at the time of

1 transplantation important, or the presence of insulin
2 resistance because islet autograft recipients, or totally
3 pancreatized islet allograft recipients, or this latter
4 case that I alluded to, patients that received islets from a
5 previously transplanted pancreas -- were all normal glyceimic
6 and insulin independence at the time of transplantation and
7 the success rate is remarkably different, or combined
8 transplant or solitary islet transplantation, or the
9 presence of autoimmunity, or immunologically naive patients
10 or the presence of diabetogenic drugs.

11 [Slide]

12 Well, we don't know. We only know based on the
13 available information that this procedure seems to be
14 associated with low morbidity and mortality. Determinants
15 of success are unknown, and better approaches are necessary
16 to identify parameters necessary to restore insulin
17 independence in Type 1 diabetes.

18 [Slide]

19 Future directives are obvious. We need to
20 identify testable hypotheses. Carefully designed,
21 prospective clinical trials are required. We need clinical
22 trial design expertise. I guess we should limit variables.
23 We should use the highest quality of donor pancreata
24 available. Short cold storage periods; controlled islet
25 manufacturing processes; validated batch product release

1 criteria using experimental studies. Clinical site
2 monitoring and training is a point of discussion. And, we
3 need an infrastructure for the collection, storage,
4 management, quality assurance, reporting, and analysis of
5 study data and adverse events.

6 [Slide]

7 In this context, we should reconsider how to
8 develop an islet transplant registry that can serve the
9 purpose to assist FDA and Canada in safeguarding the public
10 health while promoting development of islet cell
11 transplantation; or provide UNOS and the Canadian organ
12 replacement registry with donor pancreas utilization data,
13 transplant statistics and waiting time; or assist NIDDK,
14 NIAID and JDFI in the scientific advancement of islet cell
15 transplantation; or healthcare payer providers and
16 professionals with data on metabolic control, complications,
17 patient satisfaction, healthcare dollars per quality
18 adjusted life years saved.

19 [Slide]

20 I think we could discuss different approaches but
21 I think one approach would be to follow the example of a
22 North American pediatric renal transplant cooperative study
23 which is coordinated mainly through Emmes Corporation. So,
24 four organizational bodies are key for the success of this
25 registry: a clinical coordinating center, data coordinating

1 center, scientific advisory committee and, of course,
2 participating clinical centers. Such a registry with four
3 organizational bodies could interface with FDA, NIH, JDF,
4 UNOS and healthcare payers, providers and professionals.

5 [Slide]

6 There are a number of aims: register and follow
7 islet transplant recipients; identify, characterize and
8 follow current practices and trends; characterize patient
9 survival, graft survival and patient morbidity and effects
10 of the procedure on secondary complications, and correlate
11 these measures with an outcome with donor data, islet
12 quality control data, patient demographics and clinical
13 protocols; and interact with federal agencies, the
14 International Islet Transplant Registry and other
15 institutions.

16 [Slide]

17 I guess we have to simplify data submission
18 utilizing web-based data entry and capture; disseminate
19 information in a timely fashion; conduct prospective
20 studies; compare the data obtained with information on
21 intensified insulin management and pancreas transplantation;
22 and also serve as a resource to investigators, patients and
23 healthcare insurance industry, providers and colleagues.

24 [Slide]

25 Now let me turn to the second part of this

1 presentation. Let me discuss recommended quality standards
2 for islet product testing. We need to address safety
3 issues, identity, purity, potency, viability and cell
4 number.

5 [Slide]

6 Just a few general considerations: Sampling is a
7 very important factor, and we need to review our approaches
8 to obtaining samples. Timing of islet isolation and
9 transplantation, of course, will determine the choice of
10 islet product release criteria and post-release criteria.
11 If islet transplants are performed immediately after
12 isolation, then a number of assays can only be done and
13 assessed in retrospect. On-site versus reference laboratory
14 testing -- do we need preclinical studies? Do we need site
15 monitoring and training? What type of oversight and
16 coordination will be necessary to identify factors that are
17 predictive?

18 [Slide]

19 Safety studies are listed here: Gram stain on
20 site, and additional studies to be performed in reference
21 labs -- cultures, endotoxin and mycoplasma studies.

22 [Slide]

23 Identity -- the assays that are currently
24 utilized, DT zone staining or cellular composition
25 determined by immunohistochemistry as mentioned by Dr.

1 Shapiro and as developed in Brussels and the University of
2 Alberta, including staining for insulin, glucagon,
3 somatostatin, PP, amylase and CK 19.

4 [Slide]

5 Purity, assessments of purity -- percentage of
6 red-stained cellular particles in DT zone-stained aliquots;
7 total immunoreactive insulin content divided by total DNA
8 content; and percentage of beta cells as determined by
9 immunohistochemistry.

10 [Slide]

11 Potency, again, a number of assays -- glucose-
12 stimulated insulin release in vitro either as static or
13 dynamic incubation assay, insulin biosynthesis as a measure
14 of the insulin secretory capacity of a preparation, or in
15 vivo using a diabetic nude or SCID mouse bioassay.

16 [Slide]

17 Is it easy to determine the potency of a given
18 preparation? I think it is fair to say that quality
19 standards for human islet preparations have yet to be
20 identified, validated and implemented. Rigorous preclinical
21 studies are lacking, and adequate models have yet to be
22 developed, or are not available to assess and to study the
23 predictive value of potency assays. It is important to note
24 that the lack of insulin independence following single-donor
25 islet transplantation virtually eliminates the possibility

1 for validation of quality control assays being predictive
2 for insulin independence. Measures of clinical efficacy
3 beyond insulin independence are ill defined.

4 [Slide]

5 Viability -- most centers are using fluorescent
6 dye inclusion/exclusion assays. Images should probably be
7 stored for later analysis. Flow-cytometric assays of
8 dispersed islet cells to measure the percentage of necrotic
9 and/or apoptotic cells; single islet real time calcium
10 imaging in response to glucose or NAD(P)H fluorescence
11 measurements in response to glucose, or islet nucleotide
12 profiles have been proposed.

13 [Slide]

14 Here is one example. This is a negative or a poor
15 example of a porcine islet preparation and insulin stained
16 in red, and apoptotic nuclei stained in green and glucagon
17 in blue, studies performed by Vincenzo Ciruli.

18 [Slide]

19 Here is another preparation showing apoptotic
20 nuclei in green.

21 [Slide]

22 Now, cell number, and I discussed before how
23 difficult it is to assess the islet dose necessary. Assays
24 that are utilized are islet enumeration using islet count
25 and islet equivalents, preferably with image analysis

1 systems to be developed and standardized; insulin content,
2 to be determined based on secretory capacity, probably a
3 much more predictive assay than the determination of insulin
4 content; DNA content; and calculated beta cell number as
5 practiced at the University of Alberta in Brussels, and the
6 calculation is possible based on total DNA content and
7 determination of the percentage of insulin-positive cells
8 based on immunohistochemistry.

9 [Slide]

10 There are a number of assays that I discussed.
11 Currently we are discussing the following product release
12 criteria because not every single assay has been available,
13 and some of the assays may be mandatory and some may be
14 optional. The current practice is more or less as follows:
15 Potency is determined by glucose-stimulated insulin release
16 in vitro, and here is the specification range. So, a
17 stimulation index greater than 1 is considered acceptable.
18 Others -- viability, viability must be 50 percent and we
19 also discussed 70 percent. So, this needs to be determined.
20 Purity, cultured islets before resuspending in transplant
21 media, islet enumeration, 5-20,000 islet equivalents per
22 kilogram; total volume of islet preparation must be 10 g or
23 less. Again, we don't know whether this is the right
24 approach. And, safety studies at the time of
25 transplantation, documentation of a negative Gram stain is

1 considered the approach to go.

2 Then, there are product post-release criteria,
3 mainly addressing safety. Aerobic, anaerobic and fungal
4 cultures ought to be negative; endotoxin equal to or less
5 than 0.5 units/ml, and then mycoplasma samples, negative.
6 So, this is one possible approach to a situation in which
7 you isolate islets one or two days before transplantation.
8 Again, as I said, the islet protocol determines the choice
9 of criteria.

10 [Slide]

11 Based on what we have heard this morning, I think
12 it is fair to say that this year may mark the turning point
13 in islet transplantation. Now, for the very first time,
14 significant funding is available through NIH and the
15 Juvenile Diabetes Foundation to do clinical trials. For the
16 very first time, non-diabetogenic protocols are available
17 and strategies are discussed. For this reason, transplant
18 trials are open to recipients before complications set in or
19 before complications become severe. I guess another very
20 important point is that for the very first time we are
21 discussing carefully designed prospective trials that may
22 help address all the questions that we have, and this may
23 lead to a consistent success in contrast to the sporadic
24 success in the past.

25 [Slide]

1 I would like to thank the Juvenile Diabetes
2 Foundation for supporting the registry, and for supporting
3 efforts in the area of islet quality control, and for
4 supporting our institution, participating islet transplant
5 centers, and Drs. Bretzel and Brendel at the International
6 Islet Transplant Registry, and Drs. Ricordi, Alejandro,
7 Lakey, Shapiro, Korbitt, Rayotte, O'Neil and Weir for
8 discussing islet quality control related questions, and
9 colleagues at our institution at the University of
10 Minnesota. Thank you so much for your attention.

11 DR. SALOMON: Thank you, Bernhard. Before we go
12 into the break I would like to acknowledge that two more
13 members of the committee have joined us since this first
14 phase started, Dr. Robert Goldstein from the Juvenile
15 Diabetes Foundation -- welcome, Bob -- and, Dr. Jeff
16 Bluestone from the University of Chicago. Welcome, Jeff.
17 Yes?

18 DR. AUCHINCLOSS: Bernhard, first off, could you
19 put up that slide that I was mentioning to you?

20 DR. HERING: Yes.

21 DR. AUCHINCLOSS: This has to do with the question
22 of whether recurrent autoimmunity leads to the destruction
23 of allogeneic islets in patients with Type 1 diabetes.
24 Nobody has spent more time than I have in the past several
25 years trying to make that case but, I must say, I sometimes

1 stop and say what is the evidence for that, particularly
2 when we saw some animal model studies that led me to wonder
3 about that issue. If you put up your slide of the survival
4 of islet transplants in allo situations where Type 1
5 diabetes is involved versus no Type 1 diabetes, surgical
6 pancreatectomy, my impression is that this is the strongest
7 evidence in the clinical situation to demonstrate that
8 recurrent autoimmunity is important.

9 [Slide]

10 That is the slide, right there. Can you point out
11 to the group the difference that is involved there?

12 DR. HERING: Yes, let me just show this again.
13 What is shown on the slide is insulin independence following
14 islet transplantation, and here you see percent insulin
15 independent patients, and this is the Type 1 diabetic
16 recipient category. Here you are talking about islet
17 allotransplantation in surgical diabetes and islet
18 autotransplantation in patients undergoing total
19 pancreatectomy. There are two very important issues to be
20 discussed. One is not only the difference at one year, but
21 also the time to insulin independence. The data seem to
22 suggest that autoimmunity is a very important factor. I
23 would agree entirely, but I guess we cannot exclude the
24 possibility of other crucial factors, such as the presence
25 of diabetes at the time of transplantation because here

1 there was no diabetes present at the time of transplantation
2 --

3 DR. AUCHINCLOSS: There is really only one point I
4 want to make about that slide. I use your slide, that one,
5 all the time to make the same case. But the point I want to
6 make is that the 40 percent survival at the end of 1 year in
7 the allotransplants for non-Type 1 diabetic patients
8 involves 15 patients total. So, the survival there involves
9 6 patients out of 15 instead of an expected 1 patient out of
10 15.

11 DR. HERING: Yes.

12 DR. AUCHINCLOSS: The numbers that we are using to
13 make the statement that recurrent autoimmunity is a crucial
14 factor in islet transplantation lack of success are very
15 tiny. The fact of the matter is that when you do a whole
16 organ pancreas transplantation it is vanishingly rare to
17 report recurrent autoimmunity as a cause of islet
18 destruction, and I think that is a much stronger statement
19 from the literature than the statement we have here. It is
20 not that I think it might not be true; I just want us to
21 remember that the data is precious small.

22 DR. HERING: I think the only point that I can
23 make is that the data seem to support the hypothesis, and we
24 have a testable hypothesis and we can test it in the
25 clinical setting now. So, we can do transplants

1 prospectively in patients with surgical diabetes and Type 1
2 diabetes to address this very specific question.

3 DR. AUCHINCLOSS: I couldn't agree more.

4 Bernhard, you mentioned HLA sensitization has occurred after
5 islet transplantation. How frequent is it?

6 DR. HERING: I think Barbara Olack is in the
7 audience, and the Washington University in St. Louis was the
8 first to document sensitization following islet
9 transplantation in patients with rejecting islet
10 transplants. Do you want to comment on this, on your
11 experience?

12 DR. OLACK: All I can say is --

13 DR. SALOMON: Sorry, microphone, and identify
14 yourself, please.

15 DR. OLACK: Sorry. It is Barbara Olack from
16 Washington University. All I can say is that the criteria
17 we used to measure the HLA sensitization -- we took 6
18 patients that we felt had had good C-peptide levels after 6
19 months of transplantation, and those were the patients that
20 we looked at because that at least gave us a baseline to
21 start with, with rejection, and of those 6 patients, 5 of
22 the patients had rising HLA sensitization exactly at the
23 time of their C-peptide decline.

24 DR. AUCHINCLOSS: And these were patients who had
25 not had kidney transplants?

1 DR. OLACK: They had all had kidney transplants.

2 DR. AUCHINCLOSS: So, they have another
3 explanation potentially.

4 DR. OLACK: We saw no sign of creatinine change at
5 the time of the islet rejection. Also, looking back at
6 specific alleles, we found that there was a number -- and I
7 don't remember the exact numbers at the time, but there was
8 a number of patients that the alleles that were positive
9 with the antibody did not occur in the kidney. It was only
10 in the islet transplants.

11 DR. AUCHINCLOSS: That sounds like pretty strong
12 evidence, although you would agree that you could have a
13 kidney transplant in place with a creatinine that doesn't
14 change and it still develops an antibody.

15 DR. OLACK: Sure, and the thing of it is that when
16 we did the transplants with the islets we were trying at the
17 time -- and, of course, HLA matching with islet transplant
18 is very difficult, and which way to go is still in question,
19 but most of our islet transplants also had matching alleles
20 from the kidneys. But, we could identify specific alleles
21 that were not in the kidneys and were only in the islets
22 that came up positive for antibody.

23 DR. AUCHINCLOSS: That will be an important
24 question for this afternoon's discussion. A very last
25 question for Bernhard, this issue of how to judge whether an

1 islet is a good islet or a bad islet and what things to
2 measure is frustrating to all of us, and you made the
3 suggestion that there needed to be a lot more animal study
4 work to determine that. But I think we also have the
5 impression that different animals are different, different
6 species of animals are different and not all of them
7 represent human beings. Will we learn what we want to learn
8 from doing animal studies, say monkey studies, of what is a
9 good islet versus a bad islet?

10 DR. HERING: Well, this will be a very difficult
11 task, and the number of studies that could be done is more
12 or less endless. I think another approach could also be,
13 now that it seems possible to reverse diabetes on a more or
14 less consistent basis, maybe in the foreseeable future with
15 a single-donor islet transplant and then we can address
16 prospectively the predictive value of assays. I think this
17 is an opportunity that was not present in the past. As we
18 all know, for autoimmunity there is no large animal model or
19 brain death. Do we have brain dead organ donors in large
20 animal settings? Nobody has ever done this. Do we have
21 recipients with long-term diabetes for clinical models? It
22 has never been studied. So, the models available are not
23 suitable to address the questions that we face in the
24 clinical setting. That is why I like the approach of using
25 highest quality organs, documenting the feasibility of islet

1 transplantation, and establishing criteria that have
2 predictive value in the clinical setting as long as this is
3 a safe procedure.

4 DR. SALOMON: Thank you, Bernhard. Just to give
5 you a little of the lay of the land, tomorrow the committee
6 is going to take up sort of this transition from product to
7 preclinical models, and I think this is a very apropos
8 beginning, to begin thinking about it. My personal opinion
9 is that I am excited for the idea that we can now perhaps,
10 in the next couple of years, move forward into more clinical
11 trials with higher levels of success. I am disappointed
12 that we didn't get to see the data but I will look forward
13 to it coming out soon. But, at the same time, my personal
14 sense is that we are going to need good, strong preclinical
15 models behind these pioneering attempts in human studies but
16 we will get into some of that tomorrow.

17 I am going to say we will have a ten-minute break
18 because it is never ten minutes; it is always fifteen. Then
19 we will get started with the second part of the morning.

20 [Brief recess]

21 DR. SALOMON: I think we will go ahead and get
22 started, and forgive those getting their last bit of coffee
23 -- I can relate to that.

24 So, the second session of this morning's program
25 consists of two presentations. It is my real pleasure to

1 introduce Joan Harmon, from the Division of Diabetes,
2 Endocrinology and Metabolic Diseases at the National
3 Institutes of Health. Joan Harmon has really been a
4 tireless organizer and supporter of research in diabetes and
5 specific research in islet transplantation, and many, many
6 of us in the field owe her a lot.

7 **NIH Support for Islet Transplantation**

8 DR. HARMON: I thank you very much for that
9 introduction.

10 [Slide]

11 Clearly, the NIH has a great deal of enthusiasm
12 and support for islet and beta cell transplantation. A
13 number of you are participating in these programs or will be
14 in the future.

15 This first slide includes a picture of islets,
16 which was generously provided to me by Bernhard Hering, who
17 is here today. I understand that this is what we would like
18 to see all islet preparations look like.

19 The recent increase in support for islet
20 transplantation has occurred for several reasons. Clearly,
21 our understanding of the role of costimulatory pathway in
22 transplant rejection has been a major driving force. But
23 equally important, as has already been mentioned this
24 morning, has been a realization as a result of the DCCT, or
25 Diabetes Control and Complications Trial, that tight control

1 of blood glucose levels is critical in preventing the
2 devastating complications of diabetes, such as retinopathy
3 leading to blindness; nephropathy leading to kidney failure;
4 and cardiovascular disease leading to death. In 1998
5 Congress established a diabetes research working group to
6 develop a strategic research plan for diabetes funding and
7 the NIH.

8 [Slide]

9 This slide presents the recommendations from this
10 plan with regard to islet transplantation and beta cell
11 biology. These recommendations were establishment of
12 centers for islet transplantation; expanding the system for
13 national collection of human pancreata; increasing basic
14 research on islet cell differentiation for growth and
15 development; and creating interdisciplinary centers for beta
16 cell biology. This is an ambitious plan and it is highly
17 unlikely that any one institute could perform all of these
18 recommendations alone.

19 [Slide]

20 Thus, this slide presents the institutes and
21 centers that are presently working together to address
22 various aspects of these recommendations. These institutes
23 include the National Institute of Diabetes and Digestive and
24 Kidney Diseases, NIDDK, the National Institute of Allergy
25 and Infectious Diseases, NIAID, and the National Center for

1 Research Resources, NCR.

2 [Slide]

3 So, what have we been doing with regard to islet
4 transplantation? This slide tries to give you a flavor of
5 what is happening. With the first islet transplant done in
6 rodents by Paul Lacey in the early 70's, there have been a
7 number of preclinical research projects supported by the
8 NIH. Support for such studies has expanded in the past few
9 years and additional areas of research have begun. I will
10 go back to some of these areas later. However, recently a
11 new NIDDK intramural branch was initiated, a Transplantation
12 and Autoimmunity Branch of the NIDDK under the direction of
13 David Harland, who is in the audience, and in collaboration
14 with Alan Kirk at the Navy. To date, this branch has
15 established a human islet isolation facility and prepared
16 islets from 12 human pancreata.

17 These investigators will be taking part in the
18 Immune Tolerance Network, using the Edmonton protocol,
19 through support by the NIDDK. By this summer, they
20 anticipate initiating non-human primate islet
21 transplantation. Many of you are very familiar with the
22 Immune Tolerance Network which was initiated by the NIAID
23 and co-sponsored by the NIDDK and the Juvenile Diabetes
24 Foundation International. This is a very ambitious project
25 which I will return to in a moment.

1 A little over a year ago the NIDDK, again in
2 collaboration with the NIAID and the Juvenile Diabetes
3 Foundation International, released a request for
4 applications, entitled, Human Islet Transplantation into
5 Humans. We are presently in the process of funding six of
6 these applications submitted to this RFA. All six
7 applications propose clinical trials in islet
8 transplantation. The NIDDK is also about to release a
9 proposal for an islet or beta cell registry for North
10 America. We believe that this registry will enable the
11 rapid and complete analysis of the many parameters related
12 to islet transplantation. Such analysis may allow us to
13 understand which parameters are most important for
14 successful islet transplant, requiring the least number of
15 islets and immune modulatory intervention.

16 There is another RFA or Request for Application,
17 for which applications are due in mid-April, entitled, New
18 Strategies for the Treatment of Type 1 Diabetes. This
19 request for applications is supported by funds from the
20 Balanced Budget Act of 1997 for research into Type 1
21 diabetes. While we have envisioned this RFA to solicit
22 applications for the treatment of new onset or newly
23 diagnosed Type 1 individuals, applications may also be
24 submitted relating to the use of islet transplantation.

25 Finally, the National Center for Research

1 Resources, or the NCRR, is presently considering a request
2 for applications to establish islet isolation centers or
3 facilities in several places throughout the United States.

4 [Slide]

5 Now let me turn to the Immune Tolerance Network to
6 try to give you a feeling for what this network is about and
7 what it will try to accomplish with regard to islet
8 transplantation. As I said before, this network was
9 initiated by the National Institute of Allergy and
10 Infectious Diseases with sponsorship from the National
11 Institute of Diabetes, Digestive and Kidney Diseases and the
12 Juvenile Diabetes Foundation International. Anyone wanting
13 more complete information on the network can obtain this
14 information on the website at www.immunetolerance.org.

15 [Slide]

16 This slide briefly describes the network which
17 was, or is, officially called the Collaborative Network for
18 Clinical Research on Immune Tolerance. The funding
19 mechanism for this network is a seven-year contract which
20 has been awarded to the University of Chicago, and the
21 project director is Jeff Bluestone who is, of course, in
22 this room.

23 As it is presently configured, the network is
24 composed of 40 research institutions located around the
25 world and up to approximately 70 researchers. As the title

1 conveys, the objective of the network is to test immune
2 tolerance protocols. These protocols will be tested in an
3 organ transplant situation, the kidney, and in a tissue
4 transplant situation, the islet. They will also be tested
5 in a number of autoimmune diseases in the hope of inducing
6 tolerance to the autoantigen. As part of this network, a
7 component will be challenged to develop assays to measure
8 induction of tolerance. This is perhaps the most essential
9 component of the network and we look forward to their
10 results. The final component of the network will be
11 clinical trials for the treatment of asthma and allergic
12 diseases.

13 [Slide]

14 The component of the network which is particularly
15 interesting to us today is the islet transplantation
16 subgroup. On this slide are listed the participants of this
17 subgroup. Many of these participants are, of course, in the
18 audience today, including Camillo Ricordi, Bernhard Hering,
19 Hugh Auchincloss, Kevin Harold, Dave Harland and, if I have
20 missed anybody, forgive me.

21 [Slide]

22 On this slide is the present plan of this group.
23 I hope you can read this in the back of the room. It
24 appears to have come out rather light. This group has been
25 approved and funded to test the Edmonton protocol in

1 multiple centers. As this protocol has already been
2 described to you, I will only reiterate that what was
3 believed to be the critical change in this protocol is the
4 complete removal of steroids as a means to induce immune
5 suppression. It is well known that islets -- including
6 islets in situ in the pancreas -- do not respond well to
7 such steroid treatments. While this protocol is not an
8 immune tolerance intervention, it may well be a protocol
9 that can be reproduced in multiple centers. This would give
10 us an intervention against which immune tolerance protocols
11 can be judged. This will be the first protocol to be
12 undertaken by the Immune Tolerance Network. However, as you
13 can see, this group is very interested in additional
14 protocols, shown at the bottom of the slide.

15 [Slide]

16 On this slide is shown the website for the Immune
17 Tolerance Network. While there are 70 investigators in
18 approximately 40 institutions within the network, others
19 from outside the network may also apply for support by the
20 network. The instructions for this are given on the web
21 page. Basically, the review is a two-step process. The
22 first step is the submission of a very short application,
23 equivalent to a letter of intent. These are reviewed and
24 the most promising are requested to submit a more complete
25 application, roughly about ten pages. After review of these

1 applications a funding decision is made and the real work
2 begins. Unlike the NIH system which requires roughly 9-12
3 months for funding, this mechanism can be activated within
4 3-6 months.

5 [Slide]

6 As mentioned in the very first talk by Dr.
7 Ricordi, we have a long way to go before we are able to
8 achieve euglycemia. As I have already given you the present
9 status of initiatives supported by the NIH for islet
10 transplantation, I would like to conclude at this point by
11 mentioning several additional avenues that may be required
12 if we hope to treat all insulin-dependent individuals with
13 an islet-like therapy.

14 This slide lists six approaches to achieving
15 euglycemia or normal blood glucose levels. As has already
16 been mentioned, only a pancreas transplant is effective
17 today for achieving euglycemia. We hope that in the near
18 future islet transplants will also be shown to yield
19 euglycemia for extended periods of time. But we are all
20 aware that there are insufficient pancreata for either
21 pancreas or islet therapies to be applied to all individuals
22 needing such therapies. Therefore, we must consider the use
23 of xeno-islets or islets from different species that may have
24 to be encapsulated within a membrane to prevent
25 xenorejection. We may have to use surrogate beta cells that

1 may be developed through genetic engineering or non-beta
2 cells to respond to human physiological levels of glucose
3 and secrete insulin.

4 Recently there has been considerable interest and
5 speculation about the use of stem cells to produce beta
6 cells, or the identification of beta cell precursors or
7 progenitors that may be used to produce large quantities of
8 beta cells or islets in culture. This little pair of
9 glasses here is supposed to be a beta.

10 [Laughter]

11 Finally, there is the anticipation that with the
12 slow development of glucose sensors we may be able to
13 conceive of an actual mechanical device or close-loop system
14 for achieving euglycemia. As we are discussing parameters
15 to predict the success of an islet transplant, perhaps we
16 should begin to think about the parameters which may be
17 necessary in the future to predict the success of these
18 alternative islet or beta cell sources.

19 Thank you. Are there any questions?

20 DR. SALOMON: Thank you, Joan. I guess we have a
21 question back here. Can you identify yourself?

22 DR. HARLAND: Sure. My name is David Harland, and
23 Joan mentioned me as the head of this new NIDDK branch. I
24 just wanted to publicly acknowledge that it is also a
25 partnership with the University of Miami who has helped us

1 develop this islet isolation facility, and it is a full
2 intellectual partnership there. Thanks.

3 DR. SALOMON: Thank you. Hugh?

4 DR. AUCHINCLOSS: Joan, in the conversations of
5 the Immune Tolerance Network and the islet clinical subgroup
6 and in other parts of the Immune Tolerance Network, at least
7 three bottlenecks to trials of tolerance-inducing protocols
8 have been identified -- resources for preclinical non-human
9 primate trials; availability of reagents that might be
10 toleragenic; and, in particular in the world of diabetes,
11 the development, if it is conceivable to do so, of a non-
12 human primate model of Type 1 diabetes. Can the NIH do
13 anything to help in those road blocks?

14 DR. HARMON: We already have several -- let me try
15 to remember, the first you mentioned was islet --

16 DR. AUCHINCLOSS: Funding for non-human primate
17 research.

18 DR. HARMON: Okay, we are funding several
19 institutions. Both NIDDK and the NIAID have support for
20 such facilities. Obviously, if additional applications are
21 submitted and compete well in the peer-review system we
22 would gladly look forward to supporting more of those.

23 The second one you requested information on was
24 the reagents. Quite often these reagents are available
25 through a commercial enterprise. If these are going to be

1 forward into a clinical trial setting -- we try to work very
2 carefully and in collaboration with these companies, and in
3 many cases they have been very generous in being willing to
4 provide such reagents for initial clinical trials.

5 DR. AUCHINCLOSS: And then the last was a Type 1
6 model in monkeys.

7 DR. HARMON: We, in fact, are presently supporting
8 one attempt to produce such a model. We would look forward,
9 if that is not successful, for others to come forward and
10 offer potential development of such a model. Clearly, that
11 is very important.

12 DR. SALOMON: Joan, when do you anticipate some
13 data on that model would be available, because that is an
14 interesting question?

15 DR. HARMON: I haven't spoken recently to the
16 person who is trying to develop the model. I can only say
17 that their funding, I believe, was started a little less
18 than a year ago and, so, we wouldn't expect something to be
19 forthcoming quite yet.

20 DR. SALOMON: Yes?

21 DR. CARA: Can you give us an idea of the price
22 tag on all this?

23 DR. HARMON: That is a very difficult one to give
24 to you off the top of my head. I believe the Immune
25 Tolerance Network is supporting the islet transplants at

1 roughly five million. Additional support will be coming
2 from NIDDK to add to that a little bit. Also, we are, of
3 course, supporting the intramural group. The registry will
4 probably be -- I am making up a number here -- about half a
5 million a year to get started. Of course, we have a large
6 portfolio of preclinical studies already ongoing, and
7 ongoing for many years. I would have to guess -- I am
8 afraid to think of it -- it is quite a bit of money that we
9 have had in the area for a long time but I don't have any
10 exact amount for you. I could perhaps give this information
11 to the committee at a later date.

12 DR. SALOMON: Thank you very much, Joan. The next
13 speaker of this session is Dr. Robert Goldstein, who has
14 formerly supported many of us in his role at the National
15 Institutes of Health, in allergy and immunology, and has now
16 joined the Juvenile Diabetes Foundation International and
17 has really brought this institution forward in a remarkable
18 way in terms of supporting the research in the area of
19 diabetes.

20 **JFD: Introduction of Islet Transplant Programs**

21 DR. GOLDSTEIN: Thank you. I really have a few
22 minutes worth of things and then I will be happy to answer
23 questions.

24 [Slide]

25 I am representing children with this disease who

1 would like to get cured.

2 [Slide]

3 For those of you who are unaware, the Juvenile
4 Diabetes Foundation is unique in its passion and its focus,
5 and we actually live, breathe and eat this mission
6 statement. We really want to cure this disease.

7 [Slide]

8 This year we will actually spend between 85-90
9 million dollars funding research compared to about 24-28
10 million in 1997.

11 [Slide]

12 Those of you who are unaware of www.jdf.org, the
13 only real point I wanted to mention here is that we will
14 fund a group effort in an amount up to five million a year
15 for up to five years. That represents an extraordinary
16 opportunity to investigators worldwide to bring us very
17 focused and targeted applications to help us actually
18 accomplish our mission. I was just counting up the review
19 cycle -- we get your application on this day; five months
20 later we will tell you whether you have the money or not,
21 sometimes sooner, seldom later.

22 [Slide]

23 In the spring of 1998 the Juvenile Diabetes
24 Foundation announced its research agenda. That followed
25 deliberations of a lot of people trying to focus the

1 research agenda onto a small group of areas that we thought
2 would accomplish the goal. The number one goal was to
3 restore normal metabolism, and the number one version of how
4 to accomplish that was to take what was perceived as
5 individual laboratory efforts here and there and create the
6 possibility for a group effort, and then to scale it up
7 because it was perceived that the thing that we could
8 accomplish first in the near-term, that is, three to five
9 years, would be to accomplish the task of transplanting
10 human islets. When I say near-term, that is to be
11 contrasted with something like supporting stem cells which
12 we knew was not going to provide anything clinical for a
13 decade or so. The parents of our children would like
14 something today. They would rather not wait. There were
15 other research emphasis areas. They are not important for
16 this.

17 [Slide]

18 What we next did was to say what was missing from
19 the equation, what was really needed to make human islet
20 transplantation a reality. By the way, our obvious desire
21 is to have that occur without immunosuppression so children
22 can receive islet transplantation. It is not very
23 interesting to us if you have to have a kidney transplant,
24 islet transplant and receive toxic drugs. But we knew you
25 had to start somewhere and an obvious place in our minds a

1 couple of years ago was the simple inability to have very
2 high quality, uniformly controlled, prepared, available
3 human islets for research and other things. Realizing that
4 it is very hard to send an RO1 to NIH and say something like
5 "you are going to take pancreases and prepare islets" --
6 that really falls out of the hypothesis-driven category, and
7 that really wasn't being done. So, nobody should be
8 surprised that that was not being accomplished at a high
9 rate of speed.

10 So, we took the opposite position and said it is
11 obvious that people need resources to do that. This is a
12 list of groups. We call this distribution centers. What we
13 mean is as follows, we funded people and anybody from this
14 group may be receiving as much as half a million dollars a
15 year, and the mission for people identified was to prepare
16 human islets in the best possible manner to begin the
17 process of quality control, etc., and to make those islets
18 available for research, basic research studies. Our logic
19 at that time was fairly simple. We really had no idea how
20 we could create a list of specifications of what would be
21 required for clinical transplant. So, we thought we needed
22 to start somewhere so we restricted the initial phase to,
23 you know, get started; begin distributing islets. There
24 must be a lot of people out there who ordinarily would not
25 even be able to study them. And, we will begin to

1 accumulate data and information. I will come back to how we
2 are transiting from that.

3 For the purposes of this conversation this morning
4 -- Joan told you about the Immune Tolerance Network. Groups
5 will be conducting clinical trials. And, we are at the
6 present time are about to shift those resources that we
7 originally provided for basic research or non-human trials
8 into human trials. The money on this slide is about two and
9 a half to three million dollars a year. So, we think that
10 is a significant amount of money for that particular
11 purpose.

12 Secondly, we committed to work with the Immune
13 Tolerance Network group to be the source of islets because
14 we had had more experience. They just started out this past
15 fall, and we have been doing this at least for a year and a
16 half or two. So, we wanted to take advantage of that as a
17 platform and so we are committed to providing the resources
18 necessary to produce high quality islets, and we are
19 delighted and pleased to know that the NIH appears to be, in
20 the next year or so, interested, willing and able to launch
21 an effort to make this even better on a national basis so
22 that quality control, good manufacture, and all those things
23 that are intrinsically important to this will be able to
24 occur.

25 A rough translation of everything I said --

1 accomplishing high quality preparation of islets should no
2 longer be limited by resources. There should be enough
3 resources available to accomplish that. What is probably
4 relevant is how to go about that and in what context to make
5 it work really well. The sub-text is that we continue to
6 support groups to do other things -- beta cell biology and a
7 whole bunch of other issues that are also important but even
8 groups that don't prepare human islets from pancreata in the
9 usual standard fashion. For example, a group in Brussels,
10 Danny Pipeleers, extracts beta cells from human pancreases,
11 cultures them for a week or two, actually brings excessive
12 numbers from several pancreases, accomplishes transplant in
13 a particular protocol, puts some away in a freezer to find
14 out if we can make them last longer so we don't have to have
15 them as hurriedly as a typical organ transplant.

16 [Slide]

17 In addition to simply providing resources to
18 people and saying please get pancreases and prepare islets,
19 we said please send us group applications to accomplish
20 clinical transplant and/or other scientific aspects related
21 to the topic. This is not really a complete list but we are
22 really funding eight or ten groups with significant enough
23 resources so they should be able to be in the business of
24 doing this.

25 [Slide]

1 You can't read this -- this is not current and up
2 to date but I just use it to make a point. When I got to
3 JDF in the middle of '97 if we counted all the research we
4 were funding for either beta cell biology, human islets or
5 islet transplant the total was four million dollars. Today,
6 as I am standing here, that total is twenty-five million and
7 is growing. The reason it is growing is that, in a sense,
8 what has happened is that the hints of success, the hints of
9 production, the hints of this and that have gotten us
10 excited and even more interested. So, working with the
11 Immune Tolerance Network and separately funding groups to do
12 it has gotten us excited. We would like to see this happen.
13 We want to make sure that nothing stands in the way, and our
14 constituents want to provide resources to do that.

15 A couple of side bars -- can I answer your
16 question here before you ask it?

17 [Laughter]

18 JDF actually currently funds the current
19 historical registry, which if you look from '97 and earlier,
20 approximately tells you what you would expect a historical
21 register to show you -- the numbers and hard to compare
22 things. So, we applaud the notion of a prospective approach
23 to acquiring and accumulating information. We would welcome
24 non-human primate research grants to accomplish specific
25 purposes, whether they are add-ons or tie-ins, and we have

1 made that publicly known. We would actually be willing to
2 participate in the development of molecules or reagents if
3 necessary to proceed scientifically. And, we have an
4 ability to do that in a non-standard review cycle. That is,
5 there is no specific requirement that you have to wait for
6 our next grant deadline. We actually review twelve months a
7 year, and we fund monthly. So, a large proportion of our
8 resources is funded following an ad hoc scientific review.
9 Whoever has the Type 1 monkeys, we would certainly like to
10 see them, spread them around.

11 Let me stop here. My hope is to simply share with
12 you our wild enthusiasm to accomplish this task without
13 necessarily guaranteeing success. I mean, we realize there
14 are some aspects that are still research, but there is a
15 unique moment here in the year 2000 where you have
16 collaboration among major NIH institutes and Juvenile
17 Diabetes Foundation, which is a major funding source
18 worldwide, to accomplish a variety of activities and we are
19 willing, therefore, and able, with think, to make that
20 happen, except that in my office in New York we don't do
21 much research actually. So, we just send out the money and
22 so we need all of the groups here.

23 So, on behalf of my constituents, I would like to
24 thank the panel members and the FDA for proceeding and
25 making this happen, and you will find us willing workers.

1 Thank you very much.

2 DR. SALOMON: Thank you, Bob. Did he answer all
3 your questions?

4 [Dr. Auchincloss nods in agreement]

5 Excellent.

6 DR. AUCHINCLOSS: Bob, I don't have a question for
7 you; I do have a comment and that is, the last two speakers,
8 it seems to me, have done a very important job of placing
9 this issue of regulating islet transplantation by the FDA in
10 context. There are, obviously, a large number of other
11 groups that are involved in the development of an islet
12 transplantation program, including the Immune Tolerance
13 Network funded in part by the NIH and in part by the
14 Juvenile Diabetes Foundation. You have the NIDDK in its own
15 right. You have the Juvenile Diabetes Foundation which is
16 fostering islet transplantation trials. It seems to me that
17 the whole point of this two-day meeting is to figure out
18 where the FDA enters into the regulation of islet
19 transplantation to further that big collaborative effort
20 rather than to make it more complicated than it needs to be.

21 DR. GOLDSTEIN: I want to add one thing to his
22 comment. The most common question that I have been asked in
23 the past three or four months is since the Immune Tolerance
24 Network which has lots of resources and lots of people is
25 establishing a method of doing collaborative work, is the

1 Juvenile Diabetes Foundation going to stop accepting
2 applications do things? And, in case anyone hasn't heard or
3 doesn't know, all we have said is we are up and running, and
4 we are in business, and we encourage applications. They
5 have to go through our peer-review system. In a sense, we
6 have made the judgment that this is not enough; we need
7 more, and we need more of high quality, and we want to
8 encourage people to do this.

9 DR. SALOMON: I think what is raised here is a
10 very good question. I don't know if you have quite got what
11 the FDA has in mind here what they want to do. We have seen
12 it here in a very, very positive way now, organizations, all
13 of which have been mentioned so I won't go over it again,
14 who really stepped up to the plate, as it is, to support
15 research in islet and diabetes. And, I don't think that is
16 the FDA's job, and I don't think that is why we are here
17 today.

18 From discussions with the FDA though to try and
19 define why we are here for the next two days, is that the
20 FDA realizes that this is now cell transplant and we are
21 going to treat cells provided for such transplantations as a
22 product. We are going to move from a product, which the FDA
23 does have significant interest in defining, in defining
24 quality, in defining its ability to ship, in defining its
25 reproducibility, and in the parameters by which you judge

1 the quality of the product in the recipient of the product,
2 obviously, post-transplantation. That requires
3 consideration of what are appropriate preclinical studies
4 upon which to base a decision to take a product of
5 sufficient quality, in the minds of the FDA, in the minds of
6 a regulatory agency, and put it in a human being, and how to
7 judge the quality of the responses after transplantation as
8 a product in these humans. I think that is why we are here
9 for the next two days.

10 DR. AUCHINCLOSS: Actually, I would like a
11 clarification on that, maybe from the FDA in particular.
12 The FDA does not regulate whole organ pancreas
13 transplantation. The FDA is interested to a degree in the
14 regulation of allogeneic cell transplantation. We recognize
15 that the FDA is very interested in xenogeneic
16 transplantation of all forms -- a different subject. But my
17 understanding of the 1997 guidelines for how you are going
18 to regulate allogeneic somatic cell transplantation was that
19 minimum manipulation of the cells meant that you would
20 ensure that the procedure or product was up to snuff but
21 that you weren't going to get further involved in the
22 regulation of the procedure of the cell transplant. Now, am
23 I mischaracterizing that, and is that in fact the issue that
24 we are addressing?

25 DR. SIEGEL: Well, I don't know that that is what

1 we are addressing at this meeting, although it is certainly
2 important groundwork in terms of what we are discussing at
3 this meeting. The 1997 proposed approach to the regulation
4 of cellular and tissue-related products does utilize the
5 extent of manipulation as one of the standards for
6 determination of what is the extent, and type and nature of
7 regulatory authorities that will be described. But it is
8 one of a group, and for a cellular product that is
9 allogeneic and metabolic in its function and systemic in its
10 action, independent of the extent of manipulation, that
11 proposed approach would include a significant amount of
12 regulatory oversight and we would regulate it as a regulated
13 product.

14 I should point out here that although there was
15 some suggestion that this is a novel area for FDA regulation
16 and, indeed, obviously this is a novel area of products in
17 general, some may not be aware that whole blood products are
18 regulated by the FDA. They are approved and the blood
19 banking industry is regulated and the agency has substantial
20 experience, in our Center for Biologics, in regulation of
21 the blood supply and plays, I think, a very important role
22 in ensuring its safety. So, we see a role here, hopefully a
23 role that, exactly in accord with your initial statement,
24 will not impede the development of these products but, in
25 fact, will promote their development by ensuring appropriate

1 quality control and testing, both clinical and laboratory.

2 DR. AUCHINCLOSS: I am very interested in this.
3 The FDA has not, in fact, regulated any of the previous 405
4 islet transplants that have been performed, or felt that it
5 was their responsibility to do so. Does the FDA regulate
6 bone marrow transplantation in the United States? In other
7 words, I am testing you to find out to what extent is this
8 an extension of the FDA's regulatory interest into areas in
9 which you have not traditionally been.

10 DR. SIEGEL: The question of whether the cellular
11 product is considered a product, in some sense, has been in
12 many cases a rather minor component of that larger question
13 of what we are regulating in terms of these areas. If you
14 look at an area such as hematopoietic stem cell or bone
15 marrow transplantation, for example, there are many devices
16 that have been in use in selecting out stem cells or in
17 removing tumor cells, or many growth factors that have been
18 used in vitro in cells and much more commonly in vivo on
19 both the donors as mobilizing agents and on the recipients.
20 That has been true in many or most areas of development of
21 cellular therapy so that the FDA has been long involved in
22 many areas of cellular therapy development independently of
23 the specific question of whether the cellular product itself
24 is considered a regulated product.

25 In the case of hematopoietic stem cells, we have

1 under the proposed rule in 1997 a specific approach for how
2 they will be regulated. That is probably not worth going
3 into in great detail here and if I tried to do it I would
4 probably make a mistake or two, but I can answer specific
5 questions if relevant. But we have been very actively
6 involved in those areas, and we would consider a significant
7 proportion of those products, particularly those products
8 that are allogeneic or those products that are expanded ex
9 vivo or that are genetically modified to be regulated
10 products. Does that address your question?

11 DR. SALOMON: Can we move along and then we will
12 come back to that? Do you have a key follow up?

13 DR. AUCHINCLOSS: Just for the context for the
14 committee members who haven't been here before, Jay and I
15 have had this conversation almost every time we have
16 regulated one of these products where I keep saying the FDA
17 should regulate the process, the device, etc., but leave it
18 to the transplant community to figure out where the cell
19 product is best applied.

20 DR. SALOMON: I think that is something that has
21 to come up in the next two days to put that in context.
22 Today we are going to talk about product preclinical, and I
23 will get back into that again when we come from lunch.
24 Tomorrow, from preclinical to clinical. So there will be
25 several times in which this sort of theme can come up in