

UNITED STATES DEPARTMENT OF HEALTH AND
HUMAN SERVICES

PUBLIC HEALTH SERVICE

FOOD AND DRUG ADMINISTRATION

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

0566 00 MAY 31 13:25

+ + + + +

VACCINES AND RELATED BIOLOGICAL
PRODUCTS ADVISORY COMMITTEE

+ + + + +

OPEN SESSION

+ + + + +

Thursday, May 11, 2000

+ + + + +

The meeting took place in the Kennedy Room, Holiday Inn, 8777 Georgia Avenue, Silver Spring, Maryland, at 9:15 a.m., Dr. Harry Greenberg, Chairman, presiding.

OPEN

PRESENT:

DR. HARRY GREENBERG, Chairman

NANCY CHERRY, Executive Secretary

DR. ROBERT S. DAUM, Member

DR. ALICE S. HUANG, Member

DR. STEVE KOHL, Member

DR. KWANG SIK KIM, Member

This transcript has not been edited or corrected, but appears as received from the commerial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

PRESENT (Continued):

DR. DIANE E. GRIFFIN, Member

BARBARA LOE FISHER, Member

DR. WALTER L. FAGGETT, Member

DR. DIXIE E. SNIDER, JR., Member

DR. DAVID S. STEPHENS, Member

DR. BARUCH BRODY, Temp. Voting Member

DR. THOMAS FLEMING, Temp. Voting Member

DR. NORMAN FOST, Temp. Voting Member

DR. JOEL VERTER, Temp. Voting Member

DR. WILLIAM EGAN, FDA Representative

DR. KATHRYN CARBONE, FDA Representative

DR. C.D. ATREYA, FDA Representative

DR. WILLIAM SHIELDS, Invited Participant

DR. MELINDA WHARTON, Invited Participant

DR. RODNEY WILLOUGHBY, Invited Participant

PUBLIC COMMENT:

SALLIE BERNARD

TERESA BINSTOCK

ALBERT ENAYATI

DR. BRUCE INNES

DR. A.C. KAPIKIAN

DR. DAVID MORENS

C-O-N-T-E-N-T-S

	<u>PAGE</u>
Conflict of Interest Statement	5
Update on Activities, Dr. William Egan	10
Public Comment:	
Sallie Bernard	28
Teresa Binstock	35
Albert Enayati	41
Rotavirus Vaccine and Associated Intussusception	
Overview, Dr. Kathryn Carbone	52
Clinical Diagnosis and Treatment of	
Intussusception, Dr. William Shiels	57
Update on CDC Rotavirus, Dr. Melinda	
Wharton	78
Updates on RV Vaccine-Intussusception	
Pathogenesis/Research Issues, Dr. C.D.	
Atreya	87
Rotavirus Vaccine: Clinical studies Design	
and Safety Issues, Dr. Kathryn Carbone	92
Advisory Committee Questions	101
Presentation by SmithKline Beecham Biologicals	143
Public Comment:	
Dr. Al C. Kapikian, NIH	148
Dr. David Morens	151
Advisory Committee's Discussion	153

**

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(9:14 a.m.)

1
2
3 CHAIRMAN GREENBERG: Good morning. I'd
4 like to welcome all of you to the May 11th and 12th
5 meeting of the VRBPAC Committee.

6 We have an interesting agenda today, and
7 I'd like to in a relatively new venue -- I hope all of
8 you had less trouble getting here than I did, and as
9 I said earlier for those, I arrived at two in the
10 morning, and so if I fall asleep during a
11 presentation, it's not the presenter's fault. It's
12 just sleep deprivation.

13 Dixie, you look like you would say the
14 same thing.

15 Okay. Nancy, do we have any housekeeping?

16 MS. CHERRY: I do. I have the standard
17 statement to read in a moment.

18 CHAIRMAN GREENBERG: Do I have your
19 statement?

20 MS. CHERRY: No, I don't think so. It was
21 lying here a moment ago though.

22 But while I'm finding the conflict of
23 interest statement, I do want to make a couple of
24 announcements.

25 First of all, if you look at your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 schedule, you will see that we did not plan a morning
2 coffee break, and that's because we're trying to get
3 as much actual working time in as possible. So we'll
4 just ask that when you feel like you can't go on
5 another moment without a cup of coffee, that you just
6 go out and get your cup of coffee. There won't be a
7 formal break unless Dr. Greenberg thinks the meeting
8 is going on so well that we can afford to take that
9 time.

10 CHAIRMAN GREENBERG: No, I like --

11 (Laughter.)

12 CHAIRMAN GREENBERG: I like the idea of
13 just gritting it out.

14 MS. CHERRY: Okay, and also we do have a
15 late lunch. So let me call that to your attention.
16 When you're getting the coffee, you might want to get
17 something to tide you over until one o'clock.

18 The second announcement is if you would
19 please turn your cell phones off and put your pagers
20 in silent mode so that we do not have a little
21 symphony of buzzers and beepers going off.

22 And I think then I'll just go ahead and
23 read the meeting statement.

24 The following announcement addresses
25 conflict of interest issues associated with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meeting of the Vaccines and Related Biological
2 Products Advisory Committee on May 11th and 12th, the
3 year 2000, for the discussion of the update of
4 vaccines for the prevention of rotavirus and the
5 development of a policy regarding use of types of
6 neoplastic cells, the substrates for vaccine
7 manufacture.

8 Of our standing committee members, Dr.
9 Mary Estes could not be with us today, and I would
10 like to comment that since earlier this morning I am
11 pleased to see that our other two members were able to
12 get here, Dr. Snider and Dr. Huang. And welcome
13 especially to you. We didn't get to say that earlier.

14 The Director of the Center for Biologics
15 Evaluation and Research has appointed Dr. Baruch
16 Brody, Thomas Fleming, Norman -- by the way, it looks
17 like maybe Dr. Fleming is having difficulty -- Norman
18 Fost and Joel Verter as temporary voting members for
19 today's discussion on rotavirus vaccines and
20 associated intussusception.

21 In addition, we are joined by Drs. William
22 Shiels, Rodney Willoughby, and Melinda Wharton, who
23 were invited to join us today as consultants.

24 For tomorrow's discussion on use of
25 neoplastic cells as substrates for vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 manufacture, the Director has appointed Drs. Donald
2 Blair, Philip Minor, and Sidney Wolfe as temporary
3 voting members.

4 To determine if any conflicts of interest
5 existed, the agency reviewed the submitted agenda and
6 all financial interests reported by meeting
7 participants. As a result of this review, the
8 following disclosures are being made.

9 In accordance with 18 USC 208, Drs.
10 Griffin, Fleming and Kohl have been granted waivers,
11 which permit them to participate in the committee
12 discussions on rotavirus.

13 Dr. Norman Fost disclosed a potential
14 conflict of interest which was deemed by FDA as not
15 requiring a waiver, but does suggest an appearance of
16 a conflict of interest.

17 A written appearance determination under
18 2635.502 of the Standards of Ethical Conduct has been
19 granted to permit him to participate fully in this
20 discussion.

21 Dr. Harry Greenberg has recused himself
22 from the rotavirus discussion.

23 For the discussions related to cell
24 substrates for vaccine manufacture, Drs. Greenberg,
25 Griffin, Huang, Kohl and Blair have been granted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 waivers, which permit them to participate fully in the
2 discussion.

3 Dr. Stephens disclosed a potential
4 conflict of interest which was deemed by FDA as not
5 requiring a waiver. A written appearance
6 determination was granted to permit him to participate
7 fully in that session.

8 Dr. Robert Daum has recused himself from
9 the cell substrate discussions.

10 In the event that the discussions involve
11 specific products or firms that are not on this agenda
12 and for which FDA's participants have a financial
13 interest, participants are reminded of the need to
14 exclude themselves from the discussions. Their
15 recusal will be noted for the public record.

16 With respect to all other meeting
17 participants, we ask in the interest of fairness that
18 you state your name and affiliation and any current or
19 previous financial involvement with any firm whose
20 products you wish to comment on.

21 Copies of all waivers and appearance
22 determinations addressed in this announcement that I'm
23 reading are available by written request under the
24 Freedom of Information Act.

25 And that's my part of the meeting.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: As usually,
2 brilliantly done, Nancy.

3 I would like to now move on to start the
4 meeting, and we did this in the closed session, but
5 I'd like to repeat it. Could the members of the
6 committee, starting with you, Dr. Daum, just introduce
7 themselves to the public and for the record?

8 DR. DAUM: I'm Robert Daum from the
9 University of Chicago.

10 DR. STEPHENS: I'm David Stephens from
11 Emory University in Atlanta.

12 DR. KOHL: Steve Kohl, Oregon Health
13 Science University.

14 DR. SNIDER: Dixie Snider, Centers for
15 Disease Control and Prevention.

16 DR. HUANG: Alice Huang, California
17 Institute of Technology.

18 DR. FAGGETT: Walter Faggett, Washington,
19 D.C.

20 DR. GRIFFIN: Diane Griffin, Johns
21 Hopkins.

22 DR. KIM: Kwang Sik Kim from Children's
23 Hospital, Los Angeles.

24 MS. FISHER: Barbara Loe Fisher, National
25 Vaccine Information Center.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Harry Greenberg,
2 Stanford University and the Palo Alto VA Hospital.

3 DR. BRODY: Baruch Brody, Baylor College
4 of Medicine.

5 DR. FOST: Norm Fost, University of
6 Wisconsin.

7 DR. VERTER: Joel Verter, George
8 Washington University.

9 DR. WHARTON: Melinda Wharton, Centers for
10 Disease Control and Prevention.

11 DR. WILLOUGHBY: Rodney Willoughby, Johns
12 Hopkins University.

13 CHAIRMAN GREENBERG: There's a little
14 button there that if you push -- there you go.

15 DR. SHIELS: Bill Shiels, Children's
16 Hospital, Columbus, Ohio.

17 CHAIRMAN GREENBERG: And I would suggest
18 that most of you realize this. After you've talked if
19 you turn off your microphone, things will work better.

20 So I'd now like to proceed with a bunch of
21 updates of FDA activities that Dr. Bill Egan is going
22 to tell us about.

23 DR. EGAN: Good morning. I would just
24 like to take a few minutes this morning to update the
25 committees and others here on several areas of current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interest and concern to FDA and to the vaccines
2 community. The areas that I'm going to talk about are
3 just listed on the first overhead, and I'd just like
4 to say a few words about the ongoing saga of SV-40 and
5 oral polio vaccine, a few words about thimerosal and
6 vaccines, aluminum and vaccines, congressional
7 hearings on autism, and bioterrorism and counter-
8 bioterrorism activities within the Office of Vaccines.

9 These issues are primarily related to
10 vaccine safety. This has been an ongoing theme over
11 the past year, safety concerns related to vaccines.

12 Let me first talk about SV-40. There
13 continue to be a number of reports on the isolation of
14 SV-40 in a variety of human tumors, particularly
15 mesotheliomas, osteosarcomas and others, and I think
16 that there's little doubt at this time that SV-40 is
17 actually being isolated from these tumors. These are
18 not laboratory contaminants.

19 The key question, however, is whether or
20 not this association is causal for these tumors or is
21 a co-factor in these tumors or whether it's
22 coincidental.

23 And there is additionally the over arching
24 question: how did SV-40 get into the human
25 population? Did it get into the population from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 oral polio vaccines or the polio vaccines, primarily
2 IPV in this country, or did its presence in the
3 population predate the vaccine?

4 Also, how prevalent is SV-40 in the
5 population, and how is it maintained, transmitted in
6 the population? There are all questions that need to
7 be addressed, and that we within the Office of
8 Vaccines have been discussing and addressing to a
9 limited extent.

10 I'd like to concentrate now on two
11 specific articles that address this issue of OPV and
12 SV-40, and I'll come to my reasons in that in a
13 moment. The first article is from or was from the
14 February issue of the Atlantic Monthly, and it was an
15 article that was written by Debbie Bookchin and Jim
16 Schumacher. It's actually a very well written
17 overview of the SV-40 tumor issue.

18 And I mention the article for two reasons:
19 one, that it's in the popular press and, you know, has
20 been widely read, but also because it raises the
21 possibility that SV-40 could have been the oral polio
22 vaccine that was used during the '60s, '70s, '80s, and
23 even the '90s. **

24 And more specifically, the basis for
25 raising the question in this article relates or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 derives from an article that was published by Michele
2 Carbone and his associates in cancer research in
3 December of '99, and at issue or one of the issues is
4 the ability of the test that are done for screening
5 polio vaccines for SV-40 to detect all variants of SV-
6 40.

7 And the variant strains that I'm referring
8 to and that are mentioned in the article are strains
9 that differ in the number of copies of a 72 base
10 promoter sequence that's in the SV-40 genome.

11 By way of nomenclature, these two variants
12 are referred to archetypal strains, which have a
13 single 72 base enhancer region and non-archetypal
14 strains, which have partial or complete duplication of
15 this 72 base enhancer, and the strains with the single
16 enhancer at least in certain cell cultures are slower
17 growing, and that's the point, that the two variants
18 differ in their rate of growth in cells.

19 And what Dr. Carbone found, reported in
20 this paper, with the presence of archetypal strains,
21 that is, strains having the single base enhancer in
22 isolates of IPV from -- he got old samples of IPV from
23 the 1950s, a Parke Davis sample, and found these
24 strains -- found evidence for SV-40 with the single
25 enhancer, and this would have been a slower growing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 variant.

2 And in additional studies he looked at the
3 growth rate of an SV-40 strain with a single base per
4 enhancer relative to one with two copies of the
5 enhancer using TC-7 cells.

6 And basically noted at low doses that he
7 was not able to see vacuolization in these cells or
8 lysis of the cells, you know, on day 14, but rather
9 needed to wait until day 19 to see these.

10 If I could have the next slide.

11 And what he reported in his paper was
12 that, I quote, "Our finding of archetypal SV-40 in
13 these lots raised questions regarding the safety of
14 the current polio vaccines," and referring
15 specifically to the OPV vaccines.

16 And one of the questions that I had raised
17 right in the beginning was how is SV-40 maintained in
18 the population, and this would raise the question that
19 one way is that there were very low amounts of SV-40
20 in the OPV vaccine that was undetected by the current
21 methods because there were cycles of 14 days.

22 Now, unfortunately Dr. Carbone did not
23 test using the current OPV protocols. For example,
24 with current OPV vaccine testing is done using African
25 green monkey cells, rhesus monkey kidney cells, rabbit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cells, and BSC 1 cells. It's an African green monkey
2 derived cell line.

3 There are four different cell lines.
4 There is multiple testing of these vaccines, and the
5 control tests are done. The control tests, the
6 control cells are tested on two 14-day cycles
7 following 13 days of growth. So it's a very different
8 protocol than was reported in his paper using a
9 different set of cells.

10 Now, as growth characteristics of these
11 variants are not absolute, but rather depend on the
12 cell substrate, and I think this is an important
13 consideration and what will need to be done is to go
14 back and test these strains side by side on this TC-7
15 cell line or to take the current cell lines that are
16 used for testing and test the two variants
17 concurrently in this.

18 But also there were additional studies
19 that were done that are not reported in this paper,
20 and one of the things that was asked for in the paper
21 is that more modern techniques be used to look at
22 these vaccines.

23 And I'd like to mention two. One, and
24 this was reported several years ago, but Phil Minor
25 and his colleagues at the National Institute for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Biological Standardization and Controls in the U.K.
2 did examine some 190 batches of oral polio vaccine
3 spanning the years 1971 to 1996 by PCR techniques, and
4 all samples were negative by PCR, these 190 samples.

5 And OPV, samples of OPV were also
6 examined; archived samples of OPV were examined in our
7 own laboratory, and specifically both bulk monovalent
8 lots -- and here there were 30 bulks -- and final
9 containers, another 30 lots of trivalent were
10 studied, and these vaccine span the time frame from
11 1972 to 1996, and no SV-40 sequences were found by PCR
12 in any of these samples. All of the bulks and
13 monovalents were negative.

14 Do you have the next slide?

15 And the first study that I mentioned by
16 Phil Minor is the developments in biological
17 standardization referenced from 1998, and the one on
18 the monovalent bulks and trivalent final fills were
19 done by Drs. Honigman and Krause in our laboratories
20 and have just recently been published in biologicals.

21 There are two advantages to using the
22 bulks, the monovalent bulks. One, the test becomes
23 more sensitive, the PCR methods, more sensitive using
24 the bulks, and the bulks go into many fills. So the
25 bulks go between ten and 75 bills, different final

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fills. So testing one bulk is the equivalent to
2 testing a very large number of final fills.

3 And again, I'd like to stress that all
4 samples that were tested were negative. So I don't
5 believe that oral polio vaccine at least in this
6 country could account for the continued appearance of
7 the virus.

8 Nancy, next.

9 Okay. Just a very brief update on these
10 other topics. To come back to the thimerosal, I'm
11 happy to say that quite recently, at the end of April,
12 FDA approved the licensing of SmithKline Beecham
13 Biologicals Enterix B vaccine. This is the hepatitis
14 vaccine, and then this is a reduced thimerosal
15 vaccine. The amount of residual, there's only trace
16 amounts of thimerosal in the vaccine, less than one
17 microgram of thimerosal per dose.

18 And as the committee is aware, a
19 thimerosal free presentation, Merck's Recomboivax HB,
20 was approved by FDA last summer. So both Hepatitis B
21 vaccines for the childhood immunization are now
22 available in thimerosal free or reduced versions.

23 At the moment, I think the emphasis needs
24 to be placed on having additional DTaP vaccines that
25 are thimerosal free, having these presentations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 available.

2 At the moment, the only DTaP vaccine that
3 is thimerosal free is the SmithKline Beecham
4 biologicals Infanrix.

5 Let me just turn to aluminum. There has
6 been a lot of concern in the past year about mercury
7 and thimerosal levels in vaccines, and there are
8 similar concerns that people have raised about
9 aluminum. Aluminum ions are neurotoxic.

10 And at the moment, today and tomorrow, a
11 workshop is being held in San Juan, Puerto Rico, to
12 discuss aluminum and vaccines, and this is a workshop
13 that's similar in agenda content to the workshop that
14 we held last summer on thimerosal.

15 It's unfortunate that the aluminum meeting
16 is being held concurrent with this Advisory Committee
17 meeting, particularly as I was invited to go down to
18 talk at the meeting, and although I like Silver
19 Spring, a trip to San Juan would have been nicer.

20 CHAIRMAN GREENBERG: Why are we here?

21 (Laughter.)

22 DR. EGAN: Because we are dedicated
23 servants of the public health.

24 And so at this meeting there will be a lot
25 of discussion on the pharmacology and toxicology of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 aluminum, and I think one of the things that aluminum,
2 like thimerosal, we have to consider is dose.
3 Everything is relative to amounts, and we will update
4 the committee on the outcome of this meeting at the
5 next opportunity.

6 Now, let me turn to autism and
7 congressional hearings. A month or so ago
8 Representative Dan Burton of Indiana held hearings on
9 autism that focused primarily on links or potential
10 links between autism and vaccines. The emphasis of
11 that meeting was primarily on the MMR vaccine and its
12 potential link to autism, and this was, in essence, a
13 follow-up to many of the studies that had been
14 published, were begun by Andrew Wakefield in the U.K.
15 and others.

16 The committee at the end requested that
17 there be an evaluation of the existing data on
18 vaccines and autism to be conducted jointly by the
19 Public Health Service agencies, CDC, NIH, FDA. So
20 that's where that issue is at the moment..

21 And finally, just to come back to
22 bioterrorism, counter-bioterrorism, the Center for
23 Biologics received recently from the department \$7
24 million to initiate programs in small pox and anthrax
25 research designed to facilitate the development of new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and improved vaccines for these agents. A good
2 portion of that money went to the Office of Vaccines.

3 The programs are now beginning to be
4 developed, and we'll have more to say about them in
5 the future, and we'll come back to the committee with
6 what is being done and what is being accomplished with
7 regard to those important vaccines.

8 That's the end of my update.

9 CHAIRMAN GREENBERG: Thank you, Bill. I'm
10 sure there are a few questions that you maybe you can
11 answer.

12 Can I just start off and ask you just for
13 my information? While I keep hearing about new
14 vaccines for smallpox for bioterrorism, I just wonder
15 what the status is of the old vaccine, which at least
16 worked, and is there some sort of feeling about do we
17 have enough old vaccine before we get the new vaccine?

18 DR. EGAN: Yeah, the old vaccine did work.
19 There was no question about that. Smallpox has been
20 eradicated in the world. The question there is the
21 limited number of doses. You know, it's in the tens
22 of millions rather than the hundreds of millions that
23 might be needed, and there are a number of issues that
24 are related to the vaccine or new vaccines, but
25 certainly one that I think we all can appreciate is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3704

1 that even if the vaccine strain were the same that was
2 used or that is stockpiled, nobody is going to be
3 producing the vaccine the way it had been produced,
4 which was, you know, scraping lymph off the hides of
5 calves.

6 So I think any new vaccines would be
7 tissue culture derived. Maybe Dr. Snider would like
8 to say a few more words. He's been much more involved
9 in this area.

10 DR. SNIDER: Well, I was just going to
11 suggest, Bill, rather than take up time at this
12 meeting that we do a joint presentation because CDC
13 got a substantial amount of money both to work with
14 the current vaccines around safety and efficacy
15 issues, as well as working on new vaccines, and I
16 think you would find it interesting to get that kind
17 of an update. And perhaps we could do a joint
18 presentation.

19 DR. EGAN: No, I think that would be a
20 very interesting presentation. I just wanted to
21 mention at this point that the center did receive some
22 funding to start some programs.

23 CHAIRMAN GREENBERG: Thank you, Dixie. I
24 actually think it is an important area, and maybe you
25 can get that on the agenda.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I think there is still room for
2 thought about how to go forward on it, and this
3 committee hasn't really done that.

4 Any other questions? Walter.

5 DR. FAGGETT: Thank you, Dr. Egan, for a
6 very clear update.

7 The question relative to PCR screening for
8 SV-40, the question is the level of sensitivity. You
9 seem to imply that you're very comfortable that even
10 very small particles would have been picked up.

11 And the second part of that question: are
12 there other tests other than PCR that would be
13 available if there's any concern about smaller
14 particles?

15 DR. EGAN: Well, it was the number of
16 particles that could be picked up, and from Dr.
17 Krause's study, he was estimating that he was able to
18 detect between one and ten copies per does.

19 CHAIRMAN GREENBERG: Dr. Huang.

20 DR. HUANG: Relative to SV-40, again, do
21 you know what the immune status is in the population
22 of the United States to SV-40?

23 DR. EGAN: No, I don't know what it is
24 now, and I don't know what it was in 1950. One of the
25 things that we have been doing in our lab is trying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to, with others from NCI and the U.K., see if we could
2 develop serum, antiserum that was specific for SV-40
3 as opposed to JC and BK virus, and if we could do some
4 surveys of current and past archive serum samples.

5 When people have done it, they've found
6 prevalences on the order of five percent or so.

7 CHAIRMAN GREENBERG: Dr. Kohl.

8 DR. KOHL: These may be naive questions,
9 but humor me.

10 SV-40 is not inactivated by the procedure
11 that inactivates polio?

12 DR. EGAN: Okay. Let me go back to two
13 vaccines, IPV, the original Salk vaccine in the 1950s.
14 Yes, SV-40 is inactivated by formalin, but under the
15 conditions under which the polio vaccine was produced,
16 not all of the SV-40 was inactivated. There was
17 approximately 0.1 percent that was not inactivated,
18 and this is the original study by Hilleman and Sweat
19 and repeated by other.

20 Now, whether that fraction of material
21 that was inactivated was due to small aggregates that
22 may have been present and just formalin wasn't getting
23 to, you know, which was then taken care of by an
24 additional filtration process, or some other reasons,
25 you know, we don't know. Dr. Hilleman thought that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there were two populations, two confirmations of DNA
2 within SV-40, that there was some kind of an
3 equilibrium, and with there being a small population
4 that was not susceptible to inactivation with
5 formalin.

6 But whether that was the answer or simply
7 the aggregates, we don't know. So there definitely
8 was SV-40 present in the IPV.

9 Before OPV was licensed and put on the
10 market, the SV-40 had been detected, and so it was
11 required to remove that. So the seeds were -- the
12 IVP, the polio virus was propagated with antisera
13 against SV-40, and then those used for production.

14 So the OPV had no detectable SV-40 by the
15 CPV testing that was done, and it was this testimony,
16 however, that was called into question by Dr. Carbone,
17 whether it was sensitive enough, and we feel that it
18 is.

19 DR. KOHL: So Carbone's paper finding SV-
20 40 and old IPV lots is basically a confirmation of
21 Hilleman's work; is that right?

22 DR. EGAN: Well, I mean everybody --

23 DR. KOHL: Right. Knows that.

24 DR. EGAN: -- knew that SV-40 was present
25 in the IPV.

1 DR. KOHL: Okay.

2 DR. EGAN: It may not have been in all
3 lots of vaccines, and the concentration would have
4 been varying, but --

5 DR. KOHL: And I presume it's not present
6 in current lots of IPV.

7 DR. EGAN: That's correct, yes, and I'm
8 one of those recipients of this Salk polio vaccine
9 from the '50s. So I guess I could titer my own serum
10 for SV-40.

11 DR. KOHL: I was in the double blind
12 placebo controlled trial in 1954.

13 DR. EGAN: Okay.

14 CHAIRMAN GREENBERG: Other questions? Ms.
15 Fisher.

16 MS. FISHER: But it is conceivable that
17 the current tests used may not be sensitive enough to
18 screen for all adventitious agents or their
19 derivatives, right? I mean, isn't that conceivable?

20 DR. EGAN: I mean, well, first of all,
21 that's a very difficult question. Let me try and
22 address it.

23 I mean, first of all, OPV is not being
24 used anymore. It's all IPV.

25 Secondly is you want to ask is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 conceivable that the tissue culture screening test
2 which looks for, you know, CPE in vacuolization is not
3 sensitive enough. I think what we have to talk about
4 a little bit is what does sensitive enough mean.
5 There's going to be limitations on every test that we
6 do.

7 I mean, we could never get to the point
8 where we could have, you know, a gallon of OPV and
9 look for one viral particle in it without testing the
10 entire gallon. In this case you would have no
11 vaccine.

12 MS. FISHER: Unless you used another
13 production method.

14 DR. EGAN: Well, I mean, I think we would
15 still have the same questions. What needs to be done
16 following Dr. Carbone's paper is to take those two
17 variants and go side by side through the two testing
18 procedures. We have gone back and looked at many bulk
19 and final fill lots by PCR, which you know we have
20 demonstrated is sensitive to in the range of, you
21 know, one to ten viral particles per does, and every
22 single one of them have been free.

23 So, I mean, you start off, you know, when
24 this was done with the monkeys that are in closed
25 colonies, are SV-40 free, you know, serologically.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They're tested for antibodies. They've got to be
2 negative, and then there's multiple testing, you know,
3 on those production cells, but you know, we can always
4 argue about that final viral particle. So what is
5 sensitive enough?

6 But what remains to be done from Dr.
7 Carbone's paper is to look at the two side by side.
8 Now, these sequences with the single enhance, that is
9 what is normally in the monkey cells, and this is, you
10 know, the virus that the original tests were developed
11 to detect.

12 CHAIRMAN GREENBERG: Are there any --

13 DR. EGAN: Not a laboratory adapted
14 strain.

15 CHAIRMAN GREENBERG: Are there any other
16 questions?

17 (No response.)

18 CHAIRMAN GREENBERG: If not, Bill, I'd
19 like to thank you for that update, and now I would
20 like to move on to session number three, which is open
21 public hearing.

22 Excuse me. I'm moving on to the open
23 public hearing, and we have three speakers on autism.
24 Are the speakers in the audience? The first speaker
25 I have here is Sallie Bernard.

1 MS. BERNARD: Yes, I am.

2 CHAIRMAN GREENBERG: Could you -- I guess
3 there's a microphone, Ms. Bernard --

4 MS. CHERRY: There's one there.

5 CHAIRMAN GREENBERG: -- to your left now.

6 MS. CHERRY: Or the lectern.

7 CHAIRMAN GREENBERG: Or would you rather
8 come up to the front? Whichever is more convenient.

9 MS. BERNARD: That's fine, as long as it
10 works.

11 Okay. Good morning. My name is Sallie
12 Bernard, and I live in New Jersey. I run a market
13 research company and am a board member of the Cure
14 Autism Now Foundation. I'm also the parent of a 12
15 year old son with autism and am here to speak to you
16 today as a parent.

17 Autism is a severe neural developmental
18 disorder which, according to the latest CDC figures,
19 may now be affecting as many as one in 150 children.
20 The incidence of autism appears to be rising and, as
21 such, represents a significant public health issue.

22 Due to the high likelihood that many, if
23 not most cases of autism are caused by the mercury in
24 childhood vaccines containing thimerosal, and due to
25 the fact that every child today can be fully

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccinated using a thimerosal free product, I am
2 asking you to join me in urging the FDA to call for an
3 immediate ban on thimerosal containing childhood
4 vaccines.

5 In July of 1999, when the FDA first
6 released preliminary statements that the amount of
7 mercury injected to infants and toddlers through
8 childhood immunizations exceeded government safety
9 levels, a few parents, including myself, began to
10 investigate whether mercury toxicity might be a
11 contributing factor in our children's autism.

12 Our review of the available medical
13 literature summarized in a report, "Autism and a
14 Unique Type of Mercury Poisoning," found that the
15 symptoms and abnormalities that characterize autism
16 are identical to those found in past cases of mercury
17 poisoning. These similarities include the defining
18 characteristics of autism: social withdrawal, OCD
19 behaviors, and loss of or impairment in language, and
20 they include traits strongly associated with autism
21 and found in nearly all cases of the disorder:
22 sensory disturbances, motor disorders like toe
23 walking, hand flapping, clumsiness, and choreiform
24 movements and cognitive impairments in specific
25 domains like short term verbal and auditory memory and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an understanding of abstract ideas.

2 The biochemical abnormalities in autism
3 and mercury poisoning are similar as well. These
4 include damage to the same brain areas as the Purkinje
5 cells, granule layer, amygdala, and hippocampus;
6 autonomic system disturbances like abnormal sweating,
7 increased heart rate, and poor circulation; immune
8 system dysfunction; altered neurochemistry in the
9 areas of serotonin, dopamine, norepinephrine, and
10 others; and EEG abnormalities of the same patterns.

11 These are just a fraction of the
12 similarities which we have identified from the medical
13 literature.

14 The population characteristics are
15 consistent in both orders. First, the prevalence rate
16 of autism closely matches the introduction and spread
17 of thimerosal containing vaccines. Autism was first
18 discovered in the early 1940s among children born in
19 the 1930s. Thimerosal was first introduced into
20 vaccines in the 1930s.

21 Prior to 1970, autism was estimated to be
22 occurring in one in 2,000 children. After 1970,
23 studies showed a higher prevalence of one in 1,000.
24 This was also a period of increased immunization of
25 American children.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In 1996, the NIH estimated the rate of
2 autism to be one in 500, and just recently as I said
3 before, the CDC has found it to be one in 150
4 children. This dramatic increase coincided with the
5 introduction and spread of two thimerosal containing
6 vaccines, the Hib and Hepatitis B.

7 Second, mercury is more toxic to males.
8 Autism is more prevalent among boys, with a ratio
9 estimated at four to one.

10 Third, low doses of mercury adversely
11 affects only genetically susceptible individuals,
12 which are defined in terms of high responders and
13 those prone to auto immune disease. Autism has been
14 recognized as one of the most heritable of all
15 neurological disorders and is strongly associated with
16 familial autoimmune disorders.

17 Fourth, exposure to mercury in vaccines
18 occurs at the same time as autistic symptoms emerge,
19 given the latent period common in mercury poisoning.
20 Symptom emergence is similar in both diseases,
21 starting with abnormal movement in sensation and
22 moving on to abnormalities in speech and hearing, and
23 in the full blown array of symptoms and signs.

24 Our group has also documented a number of
25 cases of autistic children with toxic levels of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mercury in hair, urine, and blood. The breadth and
2 specificity of these similarities from defining and
3 associated traits to biological abnormalities and
4 population characteristics, as well as the timing of
5 onset with exposure and the case studies of autistic
6 children with toxic mercury levels strongly suggests
7 a causal relationship rather than one arising from
8 mere chance.

9 Despite the fact that there have been no
10 published studies on the effect of bolus doses of
11 injected ethylmercury on susceptible infants and
12 toddlers, some individuals have nevertheless concluded
13 that the amount of mercury in vaccines is too low to
14 cause any real impairment.

15 On the contrary, we have outlined four
16 rationales describing how the mercury levels in
17 vaccines would lead to significant harm in a small
18 number of children.

19 First, the cumulative amount of mercury
20 which a six month old infant can receive exceeds the
21 acceptable dose levels set by government agencies,
22 including the EPA. Some have countered that since the
23 EPA added a safety factor of ten, the risk of harm is
24 insignificant.

25 However, if you actually read the EPA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 report, it clearly states that the safety factor was
2 added, one, to account for uncertainties of possible
3 inaccuracies in the calculation and (b) to protect
4 sensitive groups.

5 By exceeding the guidelines, these
6 sensitive groups are at real risk and arbitrarily
7 ignoring the safety guidelines merely because it is
8 inconvenient to follow them violates sound medical
9 practice.

10 Second, the EPA equation, which uses data
11 of fetal toxicity from 81 mother/infant pairs poisoned
12 by methylmercury in seed grain, is based on factors
13 which would result in a lower relative risk than those
14 involved in an infant vaccine exposure scenario.
15 Higher risk factors include bolus doses versus chronic
16 daily doses, injected versus ingested delivery,
17 ethylmercury toxicity versus methylmercury toxicity,
18 direct exposure to the infant versus indirect to the
19 fetus through the mother, lack of adequate excretion
20 by infants resulting in high brain mercury
21 accumulation versus adequate maternal excretion and
22 relatively lower brain accumulations in mother and
23 fetus, more rapid metabolism in infants resulting in
24 greater conversion of ethylmercury to its toxic
25 inorganic form versus slower metabolism in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mothers, and the involvement of mercury sensitive
2 individuals rather than the average person.

3 Third, the population distribution for
4 mercury sensitivity, like that for all toxins or most
5 toxins, is log normal following a normal distribution.
6 Thus, statistically a small percentage of the exposed
7 population if large enough will be impaired at the
8 lowest doses. The fact that some groups will be
9 impaired at a very lose dose is not just theoretical.
10 It has been found true for certain strains of mice and
11 rats, and it is also true for the form of mercury
12 poisoning called acrodynia, or pink disease, which
13 impaired approximately one in 500 children earlier in
14 this century even at low doses and has been described
15 as being independent of dose and arising more from age
16 and individual sensitivity.

17 And finally, the risk assessment for
18 vaccines does not take into consideration that infants
19 may receive mercury from maternal sources, including
20 maternal dental fillings and Rhogam shots each of
21 which contain 30 micrograms of ethylmercury
22 themselves.

23 Thimerosal is not a necessary component of
24 vaccines, and every child can be fully immunized today
25 with a non-thimerosal alternative. Immense harm has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been caused by thimerosal in childhood vaccines.

2 Do not risk neurological damage to another
3 child by allowing the continued use of thimerosal
4 containing vaccines. Official policy should err on
5 the side of safety. Rather than waiting for formal
6 studies to determine whether thimerosal should be
7 taken out, the FDA should require that thimerosal be
8 banned entirely from childhood vaccines immediately.

9 Thank you.

10 CHAIRMAN GREENBERG: Thank you, Ms.
11 Bernard.

12 I will now move on to the second speaker,
13 who is Teresa Binstock. Is Ms. Binstock in the
14 audience? Fine.

15 MS. BINSTOCK: Is it on?

16 CHAIRMAN GREENBERG: I think it's on.

17 MS. BINSTOCK: Okay. I'll bend over a
18 little.

19 My name is Teresa Binstock. I am
20 diagnosed with Asperger's Syndrome, rather a
21 diagnostic cousin to high functioning autism.

22 From 1990 to 1998 I conducted independent
23 research while affiliated with the University of
24 Colorado Health Sciences Center and have published in
25 molecular genetics, neuroanatomy, virology and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immunology, and autism.

2 Since 1996, my focus has been autism, and,
3 Mr. Chairman, I express thanks and appreciation to you
4 and the committee for giving me this opportunity to
5 speak.

6 I'd also like to thank Diane Griffin for
7 her wonderful series of studies on measles virus, both
8 wild type and vaccine strains.

9 Since mid-1997, I have perused immune
10 panels and other medical data from autism spectrum
11 children. I have been integrating their data with
12 information derived from medical articles and then
13 writing analyses for physicians, researchers, and
14 parents.

15 Since late '99, I have been participating
16 with Sallie and Albert and several others in writing
17 of the mercury autism paper initiated by Albert and
18 Sallie, as well as reading far more mercury
19 neurotoxicity articles than I had ever expected.

20 : And this morning I would like to outline
21 several points I think are worthy of continued concern
22 even as thimerosal vaccines are going to be used less
23 often.

24 First, the temporal association we hear
25 about between vaccinations and autism. Numerous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anecdotes describe the temporal association between a
2 specific vaccination episode and the onset of
3 regression into autism. Many commenters would have us
4 presume that this association is merely temporal.

5 However, immune panels and other medical
6 data, such as CBCs, along with medical histories, when
7 interpreted through medical literature suggest that in
8 many cases the association is causal, and that the
9 risks from injected ethylmercury are increased if the
10 child is sick or has been recently sick.

11 Furthermore, the possibility of mercury
12 related causation in autism is increased by a wide
13 body of literature describing the known mechanisms of
14 neurotoxicity. This addresses things like
15 microtubules, astrocytes, synaptic development, et
16 cetera.

17 Is there a link between mercury
18 vaccinations, in particular, and autism? Well,
19 medical literature documents organic mercury's
20 effects, again, on microtubules, neuronal functions,
21 synaptogenesis. The timing of infant and toddler
22 thimerosal injections corresponds to major neuronal
23 development in synaptogenesis that occurs postnatally
24 in the human. This synaptogenesis occurs in regard to
25 eye contact, smiling. We're talking holding an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infant. You see it happening. Early language, the
2 little babbling we do with a baby, other traits that
3 become central to the diagnostic criteria for autism.

4 In many cases, the temporal associations
5 among vaccination timings injected ethylmercury and
6 autistic regressions are more likely to have been
7 causal because mercury's mechanisms of neurotoxicity
8 are well documented and provide basis for a
9 precautionary hypothesis. Thimerosal injections
10 during critical periods of postnatal central nervous
11 system development are likely to induce neurologic
12 sequelae in some children, and this leads to an
13 important question.

14 Why are only some kids affected? Well,
15 Sallie listed some of the reasons known to be genetic.
16 I'll mention a few others.

17 Numerous studies have documented a range
18 of mercury responses from not affected to severely
19 affected in all species thus far studied. This range
20 of reactions derives from genetic predispositions, as
21 well as from altered detoxification capabilities,
22 which themselves can involved liver function and a
23 small substance known as glutathione, and many factors
24 can affect liver function and glutathione availability
25 in infants and toddlers.

1 For instance, a recent or chronic active
2 infection can deplete glutathione. In the attachment
3 I have citations for many of these notions. The
4 factors which predispose towards mercury neurotoxicity
5 and their primary citations are also reviewed in the
6 paper Sallie and Albert and I and several others are
7 working on, a copy of which has been made available to
8 the committee.

9 Similarly, thalidomide and Pink Disease
10 provide examples whereby only some individuals within
11 the exposed populations developed adverse effects.

12 Well, I would like to discuss one more
13 point, and that is the EPA's current safe limit is too
14 high. At least that's said in a Smith Beecham and
15 Merck presentation that we think of as the hepatitis
16 control report, Volume 4, Number 21.

17 First of all, because vaccinations induce
18 immune reactions that include extended cytokine's
19 pulses, and a marvelous study was done, and this was
20 the MMR, but in human infants, Pabst (phonetic), et
21 al., 1997. But the vaccinal mercury is more dangerous
22 than injected mercury, studies suggest, because
23 interferon gamma pulses increase permeability of
24 tissues, such as the blood-brain barrier and the
25 gastrointestinal tract.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thus, when ethylmercury participates in a
2 vaccination response, more mercury is likely to enter
3 the CNS.

4 Secondly, the hepatitis control report,
5 Volume 4, Number 21, 1999, I believe, offers a flawed
6 estimation for the maximum safe levels of mercury as
7 set forth by the EPA. That report mentions higher
8 guidelines by the FDA and the CDC, and suggests that
9 the EPA level, which was lower than the other two, is
10 unnecessarily low.

11 In other words, the rhetoric in the
12 hepatitis control report suggests that a higher level
13 of mercury exposure ought to be acceptable for infants
14 and toddlers. I disagree. The EPA' determination was
15 based on the amount of ingested mercury needed to
16 inverse adverse neurologic sequelae in ten percent of
17 exposed fetuses, but vaccinal ethylmercury is not
18 first filtered by the maternal liver or placenta, as
19 was the mercury poisoning incident used in the EPA
20 calculations.

21 When ethylmercury is injected as part of
22 a vaccination, the infant brain is a far likelier
23 target. Furthermore, the Smith Beecham discussion
24 mentions that since a ten percent rate of neurologic
25 sequelae is clearly not acceptable, we might dwell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 upon ourselves what level of mercury would it take to
2 induce a one percent rate of neurologic sequelae, and
3 what is still a ludicrous question: is that an
4 acceptable rate?

5 In fact, the necessary level of mercury
6 would be lower for these lower rates than the EPA's
7 current estimate. To achieve a .25 percent rate of
8 neurologic sequelae which approximates the rate of
9 autism during the 1990s, an even lower rate than the
10 EPA's level would be required.

11 For these reasons, I believe that even the
12 EPA's guidelines for mercury toxicity are artificially
13 high and ought to be lowered. My recommendation to
14 this committee is as follows.

15 For the reasons outlined in this letter
16 and in a letter to Congressman Burton, which is
17 attached here, no additional children ought to be
18 injected with ethylmercury.

19 Thank you.

20 CHAIRMAN GREENBERG: Thank you, Ms.
21 Binstock.

22 The final speaker that I know about is Mr.
23 Albert Enayati. Did I say that right? Thank you.

24 MR. ENAYATI: Good morning. I'm Albert
25 Enayati, father of a child with autism, and I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 President of New Jersey Cure Autism Now Foundation,
2 which is now the world's largest nonprofit
3 organization funding medical research in autism.

4 I hold a Bachelor's degree in chemical
5 engineering, Master of Science in mechanical
6 engineering. For a number of years, my wife, Sima,
7 who is a chemist, and I have worked in pharmaceutical
8 firms in research and development.

9 Mr. Chairman, I would like to express my
10 thanks and appreciation to you and your committee for
11 giving me this opportunity to speak regard the issue
12 of thimerosal in vaccine and autism.

13 I would especially like to thank Dr.
14 William Egan, the Acting Director of Center for
15 Biological Evaluation and Research, Office of Vaccines
16 Research Review, for his leadership on this issue.

17 I would like to commend Dr. Egan
18 especially for his recent presentation at a Third
19 World conference on vaccine research in Washington,
20 D.C., regarding the U.S. Food and Drug Administration
21 proposal to move in the direction of single dose
22 presentation of vaccine without preservatives.

23 Mr. Chairman, 18 years ago as described in
24 the Federal Register, Volume 47, Number 2, Tuesday,
25 January 5th, 1982, a meeting much like today's a panel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the U.S. Food and Drug Administration met to
2 review mercury containing drug products for topical
3 antimicrobial over-the-counter for human use. In the
4 FDA summary for advanced notice for proposed
5 rulemaking, the panel addressed drugs containing
6 mercury, as well as thimerosal, in particular, and
7 stated:

8 "At the cellular level, thimerosal has
9 been found to be more toxic for . . . epithelial cells
10 in vitro than [was] mercuric chloride, phenylmercuric
11 nitrate, and merbromin . . . and was found to be 35.3
12 times more toxic for embryonic chick heart tissue than
13 for Staphylococcus aureus."

14 Furthermore, and again I'm quoting the
15 original document:

16 "The Panel concludes that thimerosal is
17 not safe for OTC topical use because of its potential
18 for cell damage if applied to broken skin and [also
19 because of] its allergy potential. [Furthermore,] it
20 is not effective as a topical antimicrobial because of
21 its bacteriostatic action can be reversed."

22 Thus, in 1982 the FDA panel recognized
23 that thimerosal causes cell damage, has potential for
24 allergy, and is not effective as bacteriostatic.

25 The Hepatitis Control Report, Volume 4,

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Number 21, summer of 1999, details how FDA via its own
2 Center for Biologics Evaluation and Research had
3 failed for 17 years, since the 1982 report, to follow
4 its own organizational directives, which specify
5 insuring product safety. Due to its known toxicity,
6 thimerosal in vaccines should have been tested for
7 safety.

8 Fortunately, the FDA was forced to look at
9 thimerosal in vaccines because of the FDA
10 Modernization Act of 1997. As specified in this act,
11 New Jersey Congressman Frank Pallone gave the FDA two
12 years to compile a list of drugs and foods that
13 contain intentionally introduced mercury compounds and
14 to provide a quantitative and qualitative analysis of
15 the mercury compounds in the list."

16 Despite this mandate, one year went by
17 before this important issue got any attention from
18 FDA.

19 Finally, on December 14, 1998, just 11
20 months before the congressional deadline, the agency
21 published a notice in the Federal Register requiring
22 manufacturers to provide data on mercury content. At
23 long last, the FDA's Center for Biologics Evaluation
24 and Research was going to analyze vaccinal mercury.

25 Mr. Chairman, despite the warning in 1982

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and the known neurotoxicity of thimerosal, the FDA has
2 allowed the continued injecting of cell damaging
3 neurotoxic product to the children in the United
4 States and other countries around the world.

5 Although recommendations to inject infants
6 with thimerosal were recently changed, for years the
7 FDA and CDC hand in hand exacerbated the potential
8 neurological damage by allowing thimerosal to inject
9 into day old and two month old infants.

10 I am here because of my son Payam. When
11 Payam was born, he was the joy of my life. In
12 persian, his name means "Good News." For more than a
13 year, he passed his developmental milestones, but
14 after his DPT booster shot, Payam began not responding
15 to his name, no longer ran to greet me when I returned
16 from work. His spoken language disappeared, and he no
17 longer responded to his parents' words.

18 Within a few months, he had begun biting
19 himself, hitting his head against the wall, flapping
20 hands, toe walking, and was running aimlessly around
21 the house. Even sleep patterns had deteriorated.
22 Every symptom of my son's autism mirrors images of
23 mercury poisoning, and after years of intensive and
24 expensive therapies, my son still remains autistic.

25 Today, eight years after my son's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deterioration into autism, many experts would have us
2 believe that my son's regression was a mere
3 coincidence with his DPT booster. Yet my reading of
4 mercury literature indicates that every trait that
5 defines autism can be induced by mercury.

6 Mr. Chairman, I'm here today to plead to
7 you and this committee that no one -- and, Mr.
8 Chairman, I mean no one -- ought to be allowed to
9 inject a toxic heavy metal in a child's body, no one.

10 As documented in the thousands of
11 research articles and books, mercury is one of the
12 deadliest elements on earth for children's developing
13 brains. How can anybody with their right mind justify
14 injecting one of the most toxic elements and call it
15 safe without having ever tested it?

16 And to have done so for years without even
17 having calculated the total dosage being injected, a
18 total that includes all the thimerosal vaccinations,
19 not just those that are given in the infant's first
20 six months?

21 Mr. Chairman, medical research makes clear
22 the mercury compounds are toxic to the developing
23 brain and to the other organs. Vaccines containing
24 thimerosal are not safe, and as we are speaking, there
25 has not been a serious attempt to stop injecting

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccinal mercury.

2 Furthermore, a thorough review of medical
3 literature indicates that the percentage of infants
4 and toddlers receiving vaccinal ethylmercury are
5 likely to have experienced neurological deficits, and
6 for many children and their families and communities,
7 the diagnosis is autism.

8 I repeat, published scientific research
9 about mercury neurotoxicity has caused us to believe
10 that vaccinal injections with thimerosal have been a
11 cause or a contributing factor in many and perhaps
12 most cases of autism and related neurological
13 disorders.

14 Finally, in the White House ceremony
15 several months ago, vaccine manufacturers volunteered
16 to donate a vast amount of vaccine for administration
17 to the children in Third World countries. Most, but
18 not all of these donated vaccines contain thimerosal.

19 I appeal to you to recall these vaccines
20 which contain mercury immediately.

21 Furthermore, a recall of all vaccines
22 containing thimerosal should occur in the United
23 States and elsewhere. This recall would not disturb
24 the current vaccination schedule because vaccines
25 without thimerosal are available.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Injecting mercury into infants and
2 toddlers ought to be discontinued immediately.

3 Thank you.

4 CHAIRMAN GREENBERG: Thank you, Mr.
5 Enayati.

6 And thank you, the three speakers, for
7 sharing with us your views, and I'm sure the FDA staff
8 and the members of the panel have listened to it
9 carefully and will think about it through the days and
10 when we move on.

11 I'd like to now ask whether there are any
12 other people in the audience who -- Walter, before,
13 I'd like to make sure there's anybody out there.

14 Is there anybody sitting in the audience
15 that wishes to make some sort of statement?

16 (No response.)

17 CHAIRMAN GREENBERG: If not, okay, Walter.

18 DR. FAGGETT: Faggett.

19 Will we in the committee be able to get
20 copies of testimony that was given?

21 CHAIRMAN GREENBERG: I have copies of one.
22 I would ask the other two speakers if they could
23 provide us with copies. We will take care of
24 distributing it to members of the committee.

25 Ms. Fisher?

1 MS. FISHER: Yes. I thought those were
2 excellent presentations, and of course, as a consumer
3 representative, I totally support the FDA's move to
4 direct the manufacturers to take thimerosal out of the
5 vaccines. I think it's important, however, to not
6 assume that simply by taking the thimerosal out of the
7 vaccines that brain inflammation that's been
8 associated with pertussis toxin, with MMR vaccine, et
9 cetera, is not going to continue to occur and that
10 that brain inflammation and the subsequent
11 encephalopathy can cause brain damage which could take
12 the form of autism.

13 I just wanted to make that statement, and
14 I have another. Seeing as how we did have three
15 members of the public come forward here today, I have
16 received several letters from parents who were upset
17 that there was not appropriate notice put in ahead of
18 time. They were not notified ahead of time, and it
19 was not in the Federal Register to notify people that
20 there was a shortened time period for public
21 notification.

22 I'm not making any judgment as to, you
23 know, why that occurred or the reasons or whatever.
24 Just to point out that it is the perception on the
25 part of the public when this occurs is not a good one,

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and so I just hope, you know, that for whatever
2 reasons that it doesn't happen again because I do get
3 letters from people upset about it who had planned to
4 attend or wanted to attend.

5 CHAIRMAN GREENBERG: Thank you, Ms.
6 Fisher.

7 As you are all aware, I think the FDA
8 makes an exemplary effort to have public participation
9 in these meetings, and that open public sessions will
10 continue in this meeting and in the future meetings,
11 and I am not aware of the specifics of the
12 notification, but I think these public sessions are
13 basically available to the public at all times to
14 state their conscience.

15 And so I would advise you to tell people
16 if they couldn't make it here today they can make it
17 here the next time.

18 Are there any other comments?

19 MS. CHERRY: Let me respond to that.
20 Probably in the packet that was out there at the door
21 that has the agenda there should be a location for a
22 Web site, and you can go on, and there usually would
23 be at least four weeks' notice, maybe six, maybe eight
24 weeks' notice of a meeting coming up, and also on our
25 telephone line.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. FISHER: So that would have been on
2 there?

3 MS. CHERRY: It should have been on there.

4 MS. FISHER: Okay. I'll let people know.

5 MS. CHERRY: Not the fact that we were
6 having any speakers on autism, but the fact that there
7 would be open public hearings and the times.

8 MS. FISHER: I'll spread the word on that.

9 MS. CHERRY: Okay.

10 CHAIRMAN GREENBERG: Okay. If there is no
11 further comments, I'd like to shift gears now and move
12 on to Session 3, which is an open public session
13 entitled "Rotavirus Vaccines and Associated
14 Intussusception," and we're going to just change
15 horses here.

16 Dr. Daum, can you come up to the front?

17 And Dr. Daum will chair this session.

18 MS. CHERRY: While Dr. Daum is settling
19 in, let me say that I'll have the copies of these
20 statements made and given to the committee tomorrow
21 rather than paying commercial prices to get the copies
22 made.

23 DR. DAUM: It's a paradox that people
24 often with the greatest expertise have to recuse
25 themselves from important parts of these hearings, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 nevertheless, these are the procedures by which we
2 operate, and we must go forward.

3 Session 3 is an open session on rotavirus
4 vaccine and associated intussusception to review an
5 experience that we've had with our first licensed
6 rotavirus vaccine in the United States, and we will
7 begin by calling on Dr. Carbone of the FDA to give us
8 an overview.

9 The session is packed. The time is tight,
10 and I would ask the speakers to re-review their notes
11 and presentations, to try their very best to keep on
12 target time-wise.

13 Dr. Carbone.

14 DR. CARBONE: Thank you.

15 I have some good news. Between a typo
16 that I'm sure is my fault and not Nancy's and between
17 some last minute streamlining of the FDA talks, we
18 will probably have little additional time for the
19 first few speakers, but if they don't need the time,
20 that would leave us with additional time for
21 discussion at the end.

22 I would like to begin very briefly by
23 reviewing information much of which was already been
24 presented at this and other forums, but I wanted to,
25 since we have new voting members and members of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 audience, I wanted to get everybody on the same page
2 as quickly as possible before we introduce the first
3 speaker.

4 Just as an introduction, rotavirus is a
5 double stranded RNA virus which has 11 different
6 segments. It has the unique feature that these
7 segments permit the formation of hybrid viruses which
8 we call reassortants, and you'll hear that term
9 frequently associated with vaccines so that two
10 different viruses may infect the same cell, and the
11 resulting progeny may have viruses, segments from two
12 different or more viruses.

13 It's also important to note in rotavirus
14 multiple strains can infect multiple species that can
15 cross species lines, and that there are multiple
16 serotypes. Therefore, the approach typically of a
17 polyvalent; the fact that the various replicates in
18 the gastrointestinal tract has also stimulated an oral
19 vaccine strategy.

20 : As far as the rotavirus of the disease,
21 the magnitude of the health problem. As a quick
22 introduction, it is the single most important
23 etiologic agent of severe diarrhea in infants and
24 young children worldwide. Virtually all children are
25 infected and exposed to the virus.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Severe diarrhea tends to be caused in
2 younger children three to 35 months of age and in the
3 U.S. is a seasonal disease between November and May
4 that spreads across the country in a pretty well
5 established pattern.

6 Next slide, please.

7 Rotavirus disease in children under five
8 years of age causes significant morbidity in the
9 United States. Half a million physician visits per
10 year, one out of every seven children at that age,
11 50,000 hospitalizations, and the deaths in the United
12 States are estimated to be approximately 20.

13 Internationally the disease becomes
14 somewhat more significant from a mortality point of
15 view, where upwards of 600 to 800,000 children die per
16 year in the world of rotavirus diarrhea.

17 Next slide, please.

18 Just to review the license product
19 experience, RotaShield vaccine was produced by Wyeth-
20 Lederle Vaccines in Pediatrics. It was a live,
21 attenuated reassortant of a rhesus rotavirus strain
22 designated RRV with a human strain.

23 Four serotypes were contained within the
24 vaccine. Serotypes one, two, and three are, indeed,
25 a hybrid of the chimeroviruses, the reassortants, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 serotype three was covered in the rhesus rotavirus
2 strain.

3 Next slide, please.

4 The vaccine was administered orally at
5 two, four, and six months. As you can see, the
6 numbers of studied subjects were quite large, over
7 12,000. Any dose, any formulation of the vaccine
8 during its development licensed dose and formulation
9 over 8,000.

10 The adverse reactions that were
11 statistically significantly associated with
12 vaccination were in the moderate and high fever, and
13 the vaccine was approved for licensure in August of
14 '98.

15 Next slide.

16 Since approval for licensure, there were
17 approximately 1.5 million doses administered between
18 August of '98 and June of '99. Intussusception, which
19 is a bowel obstruction, and I won't dwell further on
20 it -- Dr. Shiels will present a very nice talk about
21 me on that subject -- was observed in 15 vaccinees
22 that were reported between September of '98 and July
23 of '99.

24 This report of intussusceptions stimulated
25 a CDC analysis of RotaShield vaccination with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intussusception. They initially recommended ceasing
2 vaccination to further study the vaccine, and they
3 found in the studies -- and I won't dwell on this
4 again; Dr. Wharton is here to discuss this -- that
5 there appeared to be a highest risk of intussusception
6 following the first two weeks after vaccination, and
7 the vaccination was associated with one to two GI,
8 gastrointestinal, related deaths.

9 With government agency recommendation,
10 therefore, the manufacturer voluntarily withdrew the
11 product from use in October of '99.

12 Next slide, please.

13 So just briefly to review the agenda, Dr.
14 Shiels will speak to us about the clinical
15 presentation and diagnosis of intussusception. CDC
16 analysis of rotashield and intussusception will be
17 presented by Dr. Wharton. Dr. C.D. Atreya of the FDA
18 will discuss the pathogenesis of rotavirus vaccine
19 current research knowledge and research gaps, and I
20 will end the session with the discussion of the safety
21 assessment of vaccines specifically pertaining to
22 rotavirus vaccines.

23 DR. DAUM: Thank you very much, Dr.
24 Carbone.

25 I think we'll move right on to hear from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Shiels, who will give us Intussusception 101.

2 DR. FOST: Dr. Daum, could I ask you a
3 question?

4 DR. DAUM: A review. Yes.

5 DR. FOST: Are you holding questions until
6 all FDA --

7 DR. DAUM: I would like to have the entire
8 presentation come, and then we will have, if people
9 are good about keeping on track with time, adequate
10 time for discussion.

11 DR. SHIELS: We'll stay close to the
12 microphone here, and we should be able to keep nicely
13 on time, and I'll begin.

14 The handout is available in the back for
15 anyone who does not have it at their chair or desk.
16 In the next 30 minutes what we will discuss is a
17 hopefully inclusive gamut of topics on
18 intussusceptions. We'll talk about the types and
19 etiologies, the pathologic features, the clinical
20 features of intussusception imaging and accuracy of
21 various imaging options, therapeutic options and
22 techniques and expectations that we have of ourselves
23 as far as outcomes when we treat intussusception.

24 The Intussusception 101, intussusception
25 by definition is telescoping of one part of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intestine into another part of the intestine. That
2 gives a subsequent bowel obstruction. The outer
3 intussusciens then encases the inner intussusceptum.

4 The types of intussusceptions are defined
5 by their anatomic location. Very simply, if the
6 normal intestine, the junction between the small
7 intestine and the large intestine -- the ileocecal
8 valve is defined here -- then we define
9 intussusception based on the small or large bowel
10 components that are part of that intussusception.

11 The most common types of intussusception
12 are the ileocolic, where the ileum or the distal small
13 bowel invaginates or telescopes into the cecum in the
14 colon, which the cecum is part of the colon, and the
15 ileocolic, where you have two portions of ileum then
16 folding as a group into the colon.

17 And those are the two most common that we
18 see in children, and those are the most common that we
19 see with rotavirus. The pathophysiology of
20 intussusception as a disease simply stated, when you
21 take one part of intestine, stuff it into another part
22 of intestine, the intestine has arteries, veins, and
23 lymphatic channels that drain fluid. Those get
24 plugged up. You then get back pressure. You get
25 obstruction of the venous flow, the arterial flow, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everything gets swollen.

2 Essentially then you lead yourself into a
3 position where you can have gangrene. Gangrene of the
4 intestine is death of the intestine. Death of the
5 intestine can result in death of the patient. We try
6 to avoid that at all cost. We want to attack this
7 disease, diagnose it early, treat it, and hopefully
8 avoid surgery.

9 So we have vascular congestion. We have
10 edema, which is swelling of the tissues, intestinal
11 hemorrhage bleeding into the intestine, intestinal
12 ischemia, which is that gangrene and loss when we have
13 this gangrene. Then the strength of the tissue, the
14 tensile strength decreases, and then we have the
15 potential for loss of bowel integrity. We have
16 compromised bowel, and the barriers to anything that's
17 inside of that intestine break down, and the risk of
18 perforation and, again, there are multiple causes for
19 this ischemia, one of which is just pressure of the
20 two parts of that intussusception, that telescoping
21 and rubbing against each other.

22 This is what intussusception looks like
23 grossly. We have colon: This is the appendix
24 dangling off -- this turns out to be very important.
25 We're going to address this a little bit later as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potentially key marker for some of this lymphoid
2 activity.

3 But the bottom line is we have the colon
4 receiving the swollen small bowel, and you can see if
5 this gets swollen enough, it will eventually die. The
6 simple analogy is taking a rubber band and winding it
7 around your fingertip as we all have done at some
8 point. Your fingertip gets blue, eventually it starts
9 to hurt, and then you take off the rubber band before
10 your fingertip dies. And that's what we want to do
11 with intussusception.

12 This is what the surgeon sees with
13 intussusception. This is the telescope part
14 invaginated into the outer receiving part of the
15 intestine. This is the collapsed part that's
16 downstream. This is the blocked, swollen part of
17 intestines that's upstream trying to send material
18 forward, meeting this blockage so we have an
19 obstruction, a small bowel or large bowel obstruction.

20 And the multiple causes for this disease
21 are listed here in this summary slide. We refer to
22 the most common cause as idiopathic. There's no
23 definite known cause or the better way to say that is
24 there is no definite abnormal resectable or surgically
25 removable mass. That disease is caused by most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 commonly inflammation of the intestines similar to the
2 lymph nodes in the neck, the lymph nodes where the
3 lymphoid patches in the intestines are felt by the
4 intestines, grabbed forward and everything telescopes
5 forward. So that is the idiopathic type, greater than
6 90 percent.

7 When we have a tumor that's abnormal and
8 definable and resectable attached to the small bowel
9 or the colon and that is felt and moved forward, that
10 is then referred to as a pathologic lead point, a much
11 lower percentage of the cause of intussusception, and
12 post operative is a very rare type.

13 The rotavirus associated in
14 intussusception would fall into this idiopathic
15 category. The clinical presentation, the incidence in
16 your handout you can see is roughly one in 2,000
17 infant years. The ages you can see it breaks down
18 anywhere from newborn to 18 years. For relevance of
19 today's purposes, the most common risk group or the
20 highest risk group that we need to look at are the
21 children three to nine months of age. That
22 constitutes roughly 40 percent of all the cases of
23 intussusception. **

24 And we see a male predominance. We see a
25 seasonable incidence in North America, usually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 midsummer, and there is a second peak midwinter.

2 There is also association with GI and
3 respiratory viral infection, specifically adenoviral
4 infections and enterovirus is the two most common.

5 The rotavirus vaccine, the wild type is
6 not associated with intussusception. The rhesus type
7 was associated with intussusception.

8 We notice that the children are well
9 nourished that get intussusception as a general rule.
10 The importance there is children need to be well
11 nourished to have a lymphoid response to any
12 inflammatory agent. Malnourished children don't get
13 this sort of response, and therefore, in our circles
14 when there is an associated infection, well nourished
15 children will then be set up for intussusception.

16 Interestingly we do see a geographic
17 distribution of risk in the United States that seems
18 to predominate in the mid-continental region. If you
19 follow a jet stream, there's not the same degree of
20 intussusception in the south and the high north as you
21 see in the mid-continental portion.

22 As to the signs and symptoms of the
23 children, abdominal pain due to this obstruction is
24 important and a very high incidence of that. Because
25 of the obstruction, vomiting then precipitates. When

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the gangrene and the bleeding is present, then we see
2 blood per rectum, and the abdominal mass is felt in a
3 smaller percentage of cases than we would like to
4 think, but indeed, roughly 60 percent with a good
5 surgeon usually under general anesthesia can feel the
6 mass externally.

7 And the mass may present all the way
8 downstream in the colon as a rectal mass. The
9 symptoms can be present anywhere from hours to weeks,
10 and children can reduce their own intussusception. So
11 we can see how we can account for a week's worth of
12 symptoms, and sometimes diarrhea. A very sleepy
13 child, a lethargic child or an obtunded child may be
14 the only presenting symptoms.

15 Mortality, something that we don't tend to
16 talk about much in the United States before this whole
17 issue of the rotavirus came up, but it is a very key
18 issue worldwide. We don't see much mortality in the
19 United States, certainly less than one percent. We
20 should we shocked if we had a death from
21 intussusception in our city. In other countries
22 though death from intussusception is not uncommon, and
23 there is a very clear clinical correlation between the
24 delay in diagnosis that results in a high percentage
25 of patients requiring surgery, and again, the surgical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rates for some countries are as high as 100 percent.

2 Surgical percentages in our institution
3 are roughly ten percent.

4 This is the gangrene , the frank gangrene
5 that we want to avoid, and we want to approach a very
6 early diagnosis so that we can approach early
7 nonsurgical therapy. The intussusception that we see
8 most commonly, the idiopathic type, again, we have
9 talked about the locations. We talk about the causae.
10 Again, these hypertrophied or overgrown lymphoid
11 follicles, those clumps of lymphoid white cells in the
12 wall of usually the ileum and in that first part of
13 that colon; the mucosal and submucosal edema that then
14 is a secondary finding due to the obstruction, and the
15 virus particles can then result in an intracellular
16 inclusion, which we'll show you a photomicrograph of.

17 And we have a variable degree of
18 obstruction which is a relevant issue for us in
19 radiology as we treat these. We need to understand
20 how the obstruction occurs.

21 So again, this is small bowel, then
22 folding into large bowel. This is the hemorrhagic and
23 early gangrenous state. The outer portion is less
24 involved. This is the lower power photomicrograph.
25 This is the outer casing which is not as involved with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the hemorrhage and congestion and frank gangrene.

2 This is that hemorrhagic gangrenous
3 portion of the inner portion of the intestine. This
4 is that valve junction of the small intestine and
5 large intestine, and notice how red, beefy red and
6 swollen this is. This gives you an idea of the
7 inflammatory change that occurs before the
8 intussusception is then presented.

9 These are some of these blue lymphoid
10 follicles that occur in the wall of the intestine. If
11 these get large enough, they are felt as a lump, move
12 forward in telescoping, then occurs as a secondary
13 problem.

14 This is the inner lining of the intestine,
15 the small intestine. These are these blue lymphoid
16 follicles in the wall of the intestine.

17 Again, these are reactive changes to
18 inflammatory or external causes. We carry these
19 lymphoid follicles around, but give us a reason to
20 react and these will get swollen, and this slide
21 demonstrates nicely viral inclusions in the cells of
22 the intestine.

23 What about pathologic lead points? Very
24 briefly, this is a list of some of the most common
25 lead masses that will occur causing intussusception.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This, again, this whole category constitutes less than
2 ten percent of all the cases that we see, and lymphoma
3 is the concern of the surgeons. That is why they will
4 operate if there is a recurrent intussusception more
5 frequently than the reducible and nonrecurrent type of
6 intussusception.

7 The X-ray findings that we have, and this
8 gets into our surveillance issues as we look at these
9 vaccine issues worldwide. How are we going to find
10 these cases of intussusception? What are the most
11 sensitive tests and what should we approach first?

12 The X-ray findings are most commonly soft
13 tissue mass, and that's usually up underneath the
14 liver in the right upper abdomen. We see a coiled
15 spring sign as a sign with air around this telescoped
16 mass. The air around the edge of the mass gives us a
17 cap sign, and paucity or a small amount of bowel gas
18 is seen because the child is both vomiting and having
19 cramping abdominal pain with colonic evacuation of
20 gas. So there may often be very little bowel gas
21 present in these children, and a small percent of the
22 children will present with a frank high grade small
23 bowel obstruction.

24 Plain X-rays have a sensitivity certainly
25 under 50 percent accuracy. This is why we cannot rely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 on plain X-rays to make a diagnosis. This is a child
2 with intussusception.

3 For anybody in the room, I would challenge
4 you to find the intussusception. Having done studies
5 on these, I know where to look, and can tell you that
6 this is a subtle finding of the mass, but the mass
7 blends in with everything next to it.

8 So the plain X-rays in and of themselves
9 are not terribly diagnostic and have a very, very high
10 false negative rate, 40 percent false negative rate,
11 and again we see some early evidence of bowel
12 obstruction with gas distention of the intestine.

13 This is the small bowel obstruction that
14 we will see as the intussusception stays long enough
15 to cause gas collection to form in the small intestine
16 and give us that small bowel obstruction.

17 Ultrasound or sonography has turned out to
18 be quite a sensitive, noninvasive diagnostic test. We
19 can use sound waves to look through to see the mass.
20 Since the mass is full of water and blood and solid
21 tissue, if we use ultrasound and put it right over the
22 abdomen where the issue is addressing the child, we
23 can see this mass, and I will show you these
24 ultrasounds.

25 We see two common signs, the pseudokidney

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sign and the doughnut ring sign, and the central
2 portion of the intestine reflects echoes very well
3 because of the nature of the lining of the intestine,
4 and that is dead center in this target lesion, and we
5 see that reflecting from the lining, the mucosa and
6 the next layer, the submucosa.

7 And, again, because of the nature of this
8 telescoping, we see multiple layers on our ultrasound.

9 These are schematic diagrams of what we
10 would expect to see with ultrasound. This is looking
11 down the barrel or the long axis of the
12 intussusception, and we can see a variable appearance
13 of either layering or a very swollen pseudo kidney
14 sign, if you will. The kidney bean has very much this
15 sign or this appearance, and this is what we would see
16 with that elongated mask of telescoped, swollen bowel.

17 This, again, is that same portion of
18 intussusception with the outer layer. The inner
19 swollen portion, and if we cut this in cross-section,
20 then we get either a target sign with multiple layers
21 or the doughnut with a much more swollen inner part.

22 These are the ultrasound images that we
23 expect to see. The sensitivity of ultrasound
24 approaches 100 percent. It's very much operator
25 dependent. It requires a careful examination, but if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's done well, we should be able to hit nearly 100
2 percent as a diagnostic accuracy without any invasive
3 tests.

4 This is that swollen intussusceptum, and
5 you can see the multiple layers of the intestines
6 stuffed into themselves. That is in the long plane.
7 This is cutting across in the cross-sectional or
8 transverse plane. You can see the circular, multiple
9 rings of the intestines and the hemorrhage and edema
10 congestion of the intussusception.

11 What about X-ray contrast studies? this
12 is a little bit more of an intrusive test where we
13 have to place a catheter into the rectum of a child
14 under X-ray and define the mass from a reverse
15 approach or a retrograde approach from the rectum.

16 The enema is used either as a liquid enema
17 or air enema. What we see is that soft tissue mass.
18 Again, we see the air defining the outer edge of that
19 mass, the coiled spring appearance, and once the mass
20 is encountered, we can see it anywhere from the rectum
21 to the ileocecal valve, and again, we can have an
22 opening of the lumen, which is more important for us
23 treating this.

24 What about the options that we have before
25 the child is forced to go to surgery? X-ray options

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are essentially two: water and air.

2 Water, liquid contrast agents or saline
3 used in some countries and in some centers in the
4 United States, much less frequently here than liquid
5 contrast agents, but the bottom line is they all have
6 a common mechanism. They have a pressure head of
7 water that is incompressible. When stool mixes with
8 that, it carries it, and the stool is dispensable in
9 the liquid.

10 Barium has a unique ability to be a
11 potentially legal agent. When stool mixes with talcum
12 powder if there's a perforation, then the child can be
13 at risk for death if there is perforation with barium.
14 That's why we highly encourage all of us to stay way
15 from barium as an agent, but liquid contrast agents,
16 anything with liquid in it has a similar perforation
17 risk of larger holes when there is a perforation,
18 unlike air which has a unique safety margin and a
19 higher efficacy rate.

20 Where air provides a compressible medium
21 as opposed to incompressible liquid there is very
22 little mass component. So when there is a
23 perforation, air can sneak through layers of bowel and
24 give us partial thickness holes where tiny pinhole
25 perforations that surgeons may never find when they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 operate on the child, and stool is not suspended in
2 there. So there is no stool in the working field.

3 And we just lost our advancing. If
4 somebody could help me advance these slides, our
5 remote is no longer working. Here we go.

6 Now, what about fluid? A lot of centers
7 around the world will still use fluid, and again, our
8 guidelines patients will go to surgery if they have
9 frank inflammation of the entire abdominal cavity or
10 bowel perforation. We only do the enema therapy if
11 the child is stable and fluid resuscitated.

12 The guidelines for hydrostatic enema were
13 given to us in 1948 by a brilliant surgeon at Johns
14 Hopkins, Dr. Ravitch, and those guidelines are
15 generally used today. The guidelines give us about a
16 50 percent, 60 percent success rate, but the bottom
17 line is they are very safe.

18 In barium or water soluble contrast,
19 agents are used, and you can see the rules that we
20 use. The column of fluid is held at three feet above
21 the patient for three minutes and a maximum of three
22 attempts, three minutes for each attempt, and this is
23 what the intussusception looks like with the barium.
24 Here is the mass in the right abdomen. This is the
25 right. Here is the left. We're looking at though the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient were looking at us. This is all of the barium
2 outlining the mass, and then as it outlines it
3 further, this pressure head of barium will then push
4 this down into the right colon and hopefully reverse
5 that telescoping and push it back.

6 So the key principles for therapy both for
7 water and for air, but specifically for air is we
8 require this to be engaged in as a team sport if you
9 will, a team approach with surgeons. Intussusception
10 is a surgical disease, and we need to keep the
11 surgeons in a loop. Even though we can treat close to
12 90 percent of these, still if anything goes wrong,
13 it's still important to keep a surgeon wired ahead of
14 time.

15 So the contraindications again, bowel
16 perforation or shock and peritonitis, the inflammation
17 of the abdominal cavity. Enema therapy, again, is
18 engaged in if the patient is stable. We do teach the
19 patient's families about the different options and the
20 reason why we're not doing surgical work and the
21 potential for a perforation. The parents are in the
22 room with us when we do these procedures.

23 We then will immobilize the child for a
24 controlled, accurate procedure, and we use a
25 controlled mechanism that we'll show you in just a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 moment.

2 Simply stated, air versus fluid, if you
3 look at the world literature over the past 40 years,
4 there is a consistent theme that air is much more
5 effective than any sort of water medium, and air is
6 safer, faster, easier, cheaper, more easily
7 controlled, and less messy for everyone concerned,
8 including the surgeons, if there's a problem.

9 The guidelines, we do have a guideline, a
10 very controlled guideline, a maximum pressure that we
11 put into the patient, the most that we will
12 insufflate. The child can then strain or bear down
13 and give us what's known as a valsalva maneuver. That
14 protects the patient, protects the colon with an
15 external sleeve of pressure from perforation or
16 extension of any hole that may occur, and it actually
17 assists the patient in reduction.

18 We know that 17 percent of children can
19 reduce their own intussusceptions. So this whole
20 mechanism plays out very well in the radiology suite,
21 and again, we maintain moment to moment control with
22 this hand operated pump, similar guidelines to fluid
23 with three to five minutes for each attempted and
24 three to five attempted maximum, and if the
25 intussusception is not reduced, we may wait anywhere

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 from 30 minutes to two hours and then try again.

2 This is the air system that we use. We
3 use a hand pump. You can see that that is controlled
4 with fingertip decompression, which is instantaneous
5 if pressure ever does get to a point where it's
6 undesirable, and the operator will monitor both the
7 pressure gauge and the X-ray monitor while the child
8 is being controlled by the operator and the
9 technologist in the room.

10 This is what the images looked like during
11 an air enema. We have the colon coming in from the
12 rectum. We see the left colon being insufflated and
13 distended with air, meets the mass in the right
14 abdomen most commonly up in the upper quadrant.

15 The air then pushes this mass down to the
16 junction with the small bowel of the ileocecal valve,
17 and with roughly 100 millimeters of mercury of
18 pressure on average, this then pops through and
19 telescopes and reverses the obstruction.

20 Again, what can we expect of ourselves as
21 far as outcomes? We get to the bottom line with air.
22 We should be able to see roughly 85 to 90 percent
23 success. These were our numbers with a very focused
24 series controlling as many technical variables as we
25 could.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we sent to surgery cases that required
2 resection or required a surgeon to do something other
3 than easily unfold this telescoped bowel. We pushed
4 the limits to the point where surgeons essentially had
5 no easily reducible cases. So we reduced everything
6 possible in X-ray and sent only to surgery only those
7 patients that required a surgeon's instrumentation.

8 This is what the surgeons will do when
9 they have to reduce it. It looks like squeezing a
10 tube of toothpaste. It's known as the Taxis maneuver,
11 and they generate pressure that then reverses this
12 obstruction as well.

13 These slides get into something that is
14 new to this audience. This was brought up as a
15 question at the NIH meeting. The question about
16 lymphoid follicular hyperplasia rose many questions
17 when we brought this forward. The point that children
18 under the age of three months don't have lymphoid
19 follicular tissue to respond to things like vaccines
20 or viral infections, and that's why we see
21 intussusception so infrequently in one month old
22 children.

23 I was challenged to produce some data that
24 confirmed what we knew. We have just finished our
25 pilot study confirming, indeed, for the first time, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looks like, that the appendix, number one, is a great
2 marker. So that if we're doing surveillance and
3 children go to surgery, the surgeons will usually take
4 out the appendix.

5 If we have appendices available, we can
6 look at these for the potential reaction of the child
7 to a vaccine. This is the appendix, and this is a
8 newborn child. There is no lymphoid follicular
9 tissue. You don't see those blue lumps that I showed
10 you earlier in the wall of the intestine. That is
11 something we refer to as grade zero.

12 This is grade one. The child begins to
13 form these little clusters of lymphoid tissue. The
14 trading from one to four extends over the first 12
15 weeks of life.

16 Then we get into stage two and three,
17 where we begin to see these germinal centers. These
18 are the centers that produce the hormones or the
19 humeral factors, rather, the antibodies. Here are the
20 lymphoid tissues. These are the germinal centers, and
21 this, again, is the wall of the appendix.

22 The appendix turns out to be a fabulous
23 marker to define the lymphoid reaction of the child.
24 This is stage four or grade four where we see wall to
25 wall lymphoid tissue in a relatively significant mass

NEAL R. GROSS


COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of this lymphoid tissue in and around the entire
2 circumference of the appendix.

3 So, again, data and clear images about the
4 role of the appendix as the potential surveillance
5 marker for lymphoid activity.

6 The complications we will go through very,
7 very briefly. The bottom line is that water has a
8 much more potentially adverse outcome with
9 complications than air. Air can be decompressed if
10 there is a perforation in X-ray. Water cannot be, and
11 we'll save you these details. We'll show you these
12 graphically. This is what an abdomen looks like when
13 there's a barrier perforation mixed with stool and
14 barium. Surgeons never want to see this, and they
15 will curse the name of my colleagues if they ever see
16 this again. It happens all too often.

17 This is what an abdomen looks like with
18 air. It's extremely clean, and there's no stool that
19 leaks out with the air.

20  This is a small perforation with barium
21 contaminated and may often require a colostomy. You
22 can see centimeter rule markings. This is a one
23 centimeter as the smallest perforation that we had in
24 an experimental study that we performed looking at
25 fluid versus air agents.

1 This is one of our small perforations with
2 air. It never made it through the back wall of the
3 intestine. It then tracked through this layer of
4 bowel and ended up somewhere downstream. This was
5 never seen on the outside, and you can see these small
6 holes are one-tenth of the size.

7 So essentially we can diagnose
8 intussusception very eloquently with ultrasound. We
9 can treat it well if we get the child early enough, if
10 our surveillance is aggressive and we educate
11 patients. We can make a significant impact.

12 Children shouldn't die from
13 intussusception if we can get them to centers that can
14 treat them well, and hopefully this review has been
15 helpful for you in going over clinical pathologic
16 features, treatment features and outcomes
17 expectations.

18 Thank you for your attention.

19 DR. DAUM: Thank you, Dr. Shiels.

20 I think we will continue the policy of
21 trying to get all of the information downloaded and
22 then have questions and comments after. So we will
23 then go on to Dr. Wharton's presentation.

24 DR. WHARTON: On August 31st, 1998,
25 RotaShield, a rhesus rotavirus, a tetravalent rhesus

1 based rotavirus vaccine, or RRV-TV, was licensed by
2 the FDA for use in infants to prevent severe
3 gastroenteritis due to rotavirus.

4 As Dr. Carbone or as I think will be
5 mentioned later on, there had been several cases of --
6 there had been a few cases of intussusception noticed
7 in prelicensure studies, and for this reason post
8 licensure surveillance for intussusception was
9 conducted, and an early assessment of VAERS data was
10 undertaken by CDC and FDA.

11 By May 21st, 1999, ten cases of
12 intussusception in recipients of rotavirus and other
13 vaccines had been reported to the vaccine adverse
14 event reporting system of VAERS, a passive
15 surveillance system jointly operated by FDA and CDC.

16 The interval after vaccination was known
17 for nine of the ten cases. Six of these cases had
18 onset of intussusception three to six days after
19 receipt of rotavirus vaccine.

20 In response to these cases, as has already
21 been mentioned, CDC did suspend use of the vaccine in
22 mid-July, and following that suspension and the
23 publicity accompanying it, we received a very large
24 number of reports of previous cases of intussusception
25 that had occurred prior to the cessation of use of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine.

2 Ultimately there were 60 confirmed cases
3 of intussusception reported among infants who had
4 receive rotavirus vaccine within the week preceding
5 onset of intussusception. This is about a fourfold
6 increase over that expected based on the observed
7 baseline rate of about one in 2,000 infants.

8 At the same time this was going on, CDC
9 undertook a large multi-state investigation of the
10 association between intussusception and rotavirus
11 vaccine and the remainder of the slides I'm going to
12 present are from that study.

13 This study was led by the national
14 immunization program. Dr. Trudy Murphy, who couldn't
15 be here today, was the principal investigator on that
16 study which involved 19 state health departments and
17 a very large number of state and local health
18 department personnel, and without their cooperation,
19 this activity never could have been undertaken.

20 = The objective of the study was to estimate
21 the relative risk of intussusception among infants
22 vaccinated with rotavirus vaccine and for unvaccinated
23 infants for prespecified risk periods. The study was
24 a matched case control study with four controls
25 selected per case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Cases were ascertained in hospitals which,
2 based on historical data, were those likely to take
3 care of patients to young infants with
4 intussusception. These were largely hospitals with
5 large pediatric services.

6 The cases were then identified by
7 systematic review of hospital discharge and
8 radiological records. Controls were selected from the
9 hospital of birth of the case and matched closely on
10 age, plus or minus seven days.

11 All vaccination records were provider
12 verified.

13 The study was performed in areas where
14 rotavirus vaccine had been distributed. The
15 manufacturer shared with us information on
16 distribution of vaccine during the previous period,
17 and we focused on those areas where there was the
18 opportunity for exposure.

19 The age of the patients were one to 11
20 months, that is, the ages at which rotavirus vaccine
21 was approved for use, and the study period was
22 November 1, 1998, through June 30th, 1999. Note that
23 the case ascertainment did end before the announcement
24 of the association in mid-July.

25 What I'm going to present are interim

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 analyses that have previously been presented for the
2 Advisory Committee on Immunization Practices and also
3 the Infectious Disease Society of America last fall.
4 While these are not final data and, indeed, there are
5 not yet final data available, I believe that the final
6 results will not substantively differ from what I'm
7 going to present to you today.

8 At the time of the interim analysis, data
9 were available for 2,046 subjects, 427 cases, and
10 1,619 controls. Ninety-three of the cases had four
11 matched controls.

12 This slide shows the vaccination status of
13 case and control infants for the first dose of
14 rotavirus vaccine, oral polio vaccine, and inactivated
15 polio vaccine. Of the cases, 75, or 18 percent, had
16 received rotavirus vaccine, and of the controls, 192,
17 or 12 percent, had received rotavirus vaccine.

18 In contrast, for oral polio vaccine 18
19 percent of cases and 21 percent of controls had
20 received that vaccine, and similar percentages, 68 and
21 71 percent, of cases and controls had received
22 inactivated polio vaccine.

23 The odds ratio for intussusception
24 determined on the entire data set by conditional
25 logistic regression are shown here for infants who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were ever vaccinated with rotavirus vaccine compared
2 with infants who were never vaccinated with rotavirus
3 vaccine. The odds ratio for intussusception among
4 vaccinated infants was 1.8, with a 95 percent
5 confidence interval of 1.3 to 2.6.

6 This represents an 80 percent increase in
7 the risk of intussusception after vaccination compared
8 with the risk of intussusception without vaccination.

9 Shown here are the number of cases of
10 intussusception among rotavirus vaccinees for the
11 first 21 days after vaccination with doses one, two,
12 and three. There were no cases of intussusception
13 observed on day zero, one or two after any dose of
14 rotavirus vaccine.

15 For dose one, there was a large cluster of
16 cases between days three and seven after vaccine. A
17 smaller cluster of cases occurred during the second
18 week of vaccination, days eight through 14. There was
19 no clustering of cases after day 14 following dose
20 one.

21 For dose two there does appear to be a
22 small clustering of cases between days three and seven
23 after vaccination, but no subsequent clustering.

24 And for dose three, no clustering was
25 observed, and the results that follow will be for

1 doses one and two.

2 This slide is actually remarkably similar
3 to the VAERS cases as well, which was somewhat of a
4 surprise, given the potential for bias in a passive
5 reporting system.

6 This slide shows the odds ratios for
7 intussusception by prespecified risk windows following
8 dose one. There were 412 case control sets considered
9 in this analysis. There were no cases of
10 intussusception in the day one to two window after
11 vaccination. Therefore, there was no odds ratio
12 estimated for that period.

13 For the three to seven day window, the
14 odds ratio was 24.8, with a 95 percent confidence
15 interval of 9.5 to 65.1, indicating an approximately
16 25-fold increase in the risk of intussusception during
17 days three to seven after receipt of rotavirus
18 vaccine.

19 For the eight to 14 day window, the odds
20 ratio was 7.1 with a 95 percent confidence interval of
21 2.3 to 21.9, indicating a sevenfold increase in the
22 risk of intussusception in the second week following
23 dose one.

24 There was no increase seen in the risk of
25 intussusception 15 days or longer after receipt of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 first dose of vaccine.

2 Similarly, this slide shows data for
3 intussusception following dose two. There were no
4 cases of intussusception occurring during the period
5 one to two days after vaccination with the second
6 dose. The odds ratio for intussusception three to
7 seven days after the second dose was 13.4, with a 95
8 percent confidence interval of 2.6 to 69, with a point
9 estimate indicating a 13-fold increase in risk.

10 There was no significant increase in the
11 risk of intussusception eight days or more after
12 vaccination in the eight to 14 day and 15 to 21 day
13 windows after receipt of vaccine.

14 To estimate the number of vaccine
15 attributable cases of intussusception we used the odds
16 ratios from the case control study and baseline
17 incidence rates of intussusception from the New York
18 State Hospital discharge database covering the period
19 1991 through 1997, and we assumed that 80 percent of
20 children would receive dose one at age two months and
21 20 percent at age three months. Sixty percent would
22 receive dose two at four months, 40 percent at age
23 five months, and for dose three a third of children
24 would receive it each at six, seven, and eight months
25 of age, which are reasonable assumptions given the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 timeliness at which children in the United States
2 receive vaccines.

3 Without vaccine, we would expect
4 approximately 2,000 cases of intussusception in the
5 typical annual cohort of four million infants. With
6 full implementation of a rotavirus vaccine program, we
7 estimated that there would be about 888 excess cases
8 of intussusception primarily in infants receiving a
9 first or second dose of vaccine.

10 Based on these interim results, we
11 estimated that there would be one vaccine attributable
12 case of intussusception for about each 5,000 children
13 vaccinated with rotavirus vaccine. With universal use
14 of the tetravalent rhesus based rotavirus vaccine,
15 there would be about a 40 percent increase in the
16 baseline number of cases of intussusception expected
17 in the United States.

18 Based on these data, as well as data from
19 other studies, the Advisory Committee on Immunization
20 Practices withdrew its recommendation for use of
21 rotavirus vaccine in the United States in October of
22 1999.

23 Thank you.

24 DR. DAUM: Thank you, Dr. Wharton.

25 And we've gained a few minutes from your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concise presentation. So we'll move on to hear from
2 Dr. Atreya from FDA regarding updates on rotavirus
3 vaccine, intussusception pathogenesis/research issues.
4 Quite a title.

5 DR. ATREYA: Okay. So far we already know
6 what intussusception is, and there are some links
7 between wild infections and intussusception. So
8 intussusception is associated with adenoviral
9 infections as well as lymphoid hyperplasia, and it is
10 not known to be associated with wild type rotavirus
11 infections.

12 However, we just now know that
13 intussusception is associated with RV vaccinations.

14 It is also known that not all of the
15 vaccinees get intussusception. Therefore, the
16 etiological factors of intussusception associated with
17 live attenuated RV vaccine are unknown at this time,
18 and also the underlying mechanisms of this RV
19 associated intussusception is not known.

20 Therefore, research is needed to
21 characterize mechanisms of RV vaccine associated with
22 intussusception. The research approaches could be
23 something like, for example, you should have clinical
24 epidemiological studies and then the basic research.

25 The basic research probably should involve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 molecular biological studies at the state-of-the-art
2 technology using these things, and then the tissue
3 culture and then animal models.

4 And all of these research approaches
5 should have a goal towards improving the vaccine
6 safety, and for example, I didn't find the host, virus
7 or environmental factors that are associated with the
8 risk of intussusception after RV vaccination, and also
9 applied these techniques and data that is available
10 after we do these experiments to incorporate to
11 develop a new and improved vaccine in future.

12 Rotavirus vaccine, as I said, possible
13 factors are host, environmental or virus. So let's
14 see what the host and environmental factors that we
15 need to address as part of the research.

16 We need to see that the cellular immune
17 responses to virus infection. For example, the
18 induction of cytokines, and what kind do cytokines
19 play on the gut physiology relation to
20 intussusception, and then we also have to look into
21 the gender differences, probably then the
22 developmental, that is, age related differences, and
23 also then we have to look at another critically
24 important issue, that is, the alteration of virus
25 application in certain heterologous host-virus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 combinations.

2 What I mean by heterologous host-virus
3 combinations is that most of the vaccines now we are
4 thinking of are non-human rotaviruses, and so if those
5 viruses are given to humans, what will be the
6 consequences?

7 In fact, we do not know, and the other one
8 is the environmental factors, such as in dietary or
9 even concurrent infections, something like bacterial
10 or other vital infections, along with the time of
11 giving the RV vaccine, what kind of play they have.

12 And then the next one. What are the
13 possible viral or virus factors? It could be that the
14 strain of zero type specific replication could be one
15 of the reasons. As we know, the RV vaccine that was
16 causing intussusception is multivalent different zero
17 type vaccines. So they may have a role to play.

18 And then also the effects of the viral
19 proteins themselves on the host. For example, data
20 from animal studies suggest that the diarrhea which is
21 the diagnostic factor of this rotavirus infection is
22 probably caused by enterotoxin, which is called NSP4
23 protein.

24 And also, the fluid loss accompanied by
25 this diarrhea is stimulated by the enteric nervous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 system. So what are the links between these kind of
2 studies that probably have a role to play in
3 intussusception has to be looked into.

4 Then the next one, please.

5 Given all these factors, it is good if we
6 have already an animal study in intussusception.
7 However, we do not have one. So what all we know
8 right now are that there are some established animal
9 mouse models for RV pathogenesis. The elegant work of
10 Dr. Estes has, years of work, showed how the
11 pathogenesis of RV can occur and what are the other
12 factors associated with that.

13 The other animal study mouse model is
14 recently known to public, that is, the LPS induced
15 intussusception, and comparing these two studies and
16 learning from these two studies, the question to us
17 now is based on this knowledge is there a chance to
18 develop a suitable animal model, and it is likely that
19 we can develop. Of course, it is not an easy task to
20 do.

21 So moving on to that, the animal model
22 research, if we believe that we can establish one
23 animal model, then the study should be focused to
24 understand the mechanisms of intussusception
25 associated with RV vaccine to assist rational design

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of future vaccines which are much more improved, and
2 for example, by collecting the specific virus genome
3 sequences that are associated with intussusception,
4 and then you can develop and validate some new
5 diagnostic techniques or methods, for example, early
6 detection, like ultrasound, as we heard now.

7 Our new treatment strategies, such as
8 noninvasive, example, pharmacological. So with this
9 in mind, we at FDA initiated a small research program
10 to study RV associated intussusception at the front
11 level. At this point we are carrying some studies to
12 see the effect of individual virology and products on
13 host cells, what kind of cytokines and other facts
14 that it can induce, and also the other protein, which
15 is an enterotoxin of the virus, which is NSV4, and we
16 are currently looking into the cytotoxicity versus
17 attenuation of this particular protein.

18 And a small result is that where we
19 identify the domain of heterogeneity near the NSV4
20 cytotoxic domain, and in different RV strains, and
21 currently what role does this variable domain play has
22 to be seen.

23 Thank you.

24 DR. DAUM: Thank you, Dr. Atreya.

25 We'll now hear the final presentation in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this series, which will be from Dr. Carbone of FDA on
2 rotavirus vaccine, clinical studies, design and safety
3 issues.

4 And then we'll have committee questions on
5 this series of presentations.

6 DR. CARBONE: Today I'd like to give an
7 overview of some of the considerations that all people
8 involved in clinical study design and vaccine safety
9 issues deal with both from industry and from the
10 review community.

11 Next slide, please.

12 So part of this will be a general
13 discussion and part will refer specifically to
14 considerations evaluating rotavirus vaccines in
15 clinical studies.

16 The question comes with the experience
17 with the licensed vaccine. What is the current status
18 of rotavirus vaccine development? This information
19 comes from published and public information and serves
20 merely to give examples and demonstration that
21 rotavirus vaccine development activity is still quite
22 active in the United States.

23 These include live attenuated vaccines
24 from other sources, bovine human, attenuated human
25 strains, even a lamb derived strain in China,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inactivated virus-like particles that don't replicate,
2 and even some recombinant salmonella and vaccinia is
3 expressing rotavirus proteins.

4 Next slide.

5 The safety assessment is, of course, a
6 critical part of the vaccine developmental process,
7 and one thing to be established up front is all
8 vaccines carry some risks. What we aim to do is keep
9 the risks as a minimum and the efficacy at a maximum.

10 There are, of course, some minor risks
11 that we're all aware of, the sore arms following
12 vaccinations, but there are some major risks which we
13 aim to keep rare, and an example would be progressive
14 vaccinia when an immunocompromised person received
15 smallpox vaccine.

16 There are a very small number of cases,
17 five cases per million doses it's estimated, but the
18 fatality rate was fairly high. Two of those cases
19 would be expected to succumb to the infection, and
20 therefore, vaccines are approved for use and actually
21 use clinically based upon the efficacy and analysis of
22 benefit.

23 And the safety analysis always includes a
24 study of the risk and benefit of the vaccine.

25 Next slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Vaccine safety is classically derived from
2 what we call attenuation. This would be live viral
3 vaccine, and by attenuation, we can define that as the
4 disease with the wild type infection is significantly
5 worse than the risks of the vaccine.

6 And I've listed some examples in smallpox.
7 Wild type was estimated to kill approximately 20
8 percent of people infected, whereas the vaccine caused
9 five serious adverse events per million doses.

10 In polio, and this would be live oral
11 polio vaccine, the wild type vaccine was associated
12 with up to 5,000 cases of paralytic polio per million
13 infections, whereas the vaccine produced two cases of
14 paralytic polio per million. That would be the first
15 dose, significantly less risk than the second dose.

16 Rotavirus vaccine, we've basically
17 presented the risk for the disease in the United
18 States, and of course, the risk of vaccine use has to
19 be determined for each vaccine, but just to note in a
20 recent international meeting with the WHO in February
21 of this year, the conclusion was arrived that
22 significant health risks of wild type vaccine -- sorry
23 -- rotavirus disease supports the continued rotavirus
24 vaccine development study.

25 Next slide, please.

1 One thing to note -- this is very
2 important in assessing vaccines -- is that the risk-
3 benefit assessment is always a moving target. Vaccine
4 safety profiles can change for a variety of reasons.
5 When natural history of the disease changes, for
6 example, the eradication of small pox, even a low risk
7 of death following vaccination may become
8 unacceptable. When the risk of natural disease varies
9 by geographical area, what may be a serious risk-
10 benefit in one area might be a risk-benefit worth
11 using the vaccine in another.

12 One of the ways we deal with this in the
13 United States, for example, is we don't recommend
14 universal vaccination for Japanese B encephalitis, but
15 for a traveler traveling to an endemic or high risk
16 area, the benefits may then outweigh the risks.

17 When safer vaccines are developed, the
18 risk of the old vaccine, the risk profile of the old
19 vaccine may change, and a recent example would be the
20 preferred use of acellular pertussis based on
21 reactogenicity data.

22 With evidence of exposure to natural
23 infection, those exposed to rabies are obviously at
24 higher risk, and therefore, the benefits of the
25 vaccines increase.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And finally, the risks and benefits
2 associated with viruses can change with post marketing
3 studies following licensure, and RotaShield in
4 intussusception would be an example of that.

5 Next slide.

6 How can vaccine safety be assessed? As
7 Dr. Atreya has discussed, basic research and a better
8 understanding of the mechanisms of natural disease
9 pathogenesis, vaccine adverse event pathogenesis, and
10 vaccine attenuation can only help in our ability to
11 design rational and safer vaccines.

12 Preclinical evaluation is an important
13 part. Either testing in animals or adventitious agent
14 testing in tissue culture or molecular biological
15 testing are good examples of steps that can be taken
16 before clinical testing to improve safety profiles of
17 vaccines.

18 Obviously the vaccine then moves into
19 clinical trials for safety testing, but we don't stop
20 there and continue with post marketing surveillance
21 and post marketing studies following licensure.

22 Next slide.

23 So how can we determine the vaccine safety
24 profile for use in humans? We can't determine the
25 safety profile of the vaccine definitively until it is

1 actually studied in a clinical trial.

2 What kind of adverse events do we look
3 for? Well, traditionally one looked for adverse
4 events that could be predicted based on what we knew
5 about wild type disease. An example in rotavirus
6 would be obviously disease and fever -- pardon me --
7 diarrhea and fever.

8 And as we've learned perhaps from this
9 rotavirus experience, that there may be unexpected
10 adverse events as well in intussusception and, of
11 course, question mark. Those may not become obvious
12 until the vaccine is studied.

13 So how do we design a clinical trial to
14 assess future vaccines, rotavirus, for safety? Well,
15 of course, we start by reviewing the available safety
16 information on the new candidates to identify
17 potential adverse events. At that point the decision
18 is made whether the vaccine has a reasonable safety
19 profile to proceed to clinical use.

20 And since we're not addressing efficacy,
21 of course, the vaccine would have to have a reasonable
22 efficacy profile to make it valuable for future study
23 in addition.

24 Then the task is to design a clinical
25 trial adequate to safely in the study subjects detect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a significant increase in an adverse event in
2 vaccinees versus placebo recipients.

3 Next slide.

4 Again, we have what might be called a
5 moving target in that the ability of a clinical trial
6 to identify adverse events can be affected by the
7 frequency of the event. Low frequency events, such as
8 intussusception, may require large studies in order to
9 accurately detect a change in placebo versus vaccinee
10 recipients. Our ability to diagnose or define the
11 adverse event also affects our ability to pick it up
12 in a clinical trial. A qualitative event, such as
13 intussusception, which is initially a disease
14 syndrome, may be more difficult to define and diagnose
15 than a syndrome which might be a change in blood
16 counts, for example, which would be a simple lab test
17 and a quantitative number.

18 The studies have to be performed in an
19 area where there is adequate medical and technical
20 resources to identify rapidly the adverse events,
21 particularly those that are serious. Parents and
22 guardians require good education in order to identify
23 serious consequences that need to be brought to
24 medical attention.

25 Safety monitoring design of a study is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 critical. The frequency of monitoring, taking into
2 account information such as the timing of
3 intussusception following vaccine administration is
4 essential.

5 In addition, passive monitoring is
6 important, and patient-parent education are helpful,
7 but active monitoring is believed to be also an
8 essential event.

9 Next slide, please.

10 In clinical trials, it is very careful, a
11 safety assessment, to carefully select the subjects
12 and carefully define exclusion and inclusion criteria
13 for subjects in the study.

14 Informed consent is a paramount concern,
15 and informed consent for rotavirus vaccine use may
16 contain the previous rotavirus vaccine experience so
17 that the parent and/or guardian is fully educated, and
18 that includes to presence of intussusception risk,
19 therapies that include surgery, and other therapies
20 which may have their own risks, and the very rare but
21 reported risk of death.

22 Monitoring of adverse events rates in
23 ongoing studies have to be considered during the
24 study. It is simply not appropriate to perform a
25 study and look at the end. Monitoring of the adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 events during the study is an important feature.

2 Again, frequency has to be considered, how
3 often it's monitored. Monitoring carries the risks of
4 losing the double blind nature of the study, which is
5 critical, and that needs to be considered, and there
6 need to be carefully defined, reasonable stopping
7 rules predetermined so that when an adverse event is
8 detected at an unacceptable frequency, the study can
9 be stopped quickly.

10 Next slide.

11 And finally to consider, there are many
12 things to consider, but finally here clinical trial
13 design for vaccine safety assessment requires careful
14 statistical considerations, again, including the
15 number of subjects, because statistical power to
16 detect a difference in adverse events in vaccinees
17 versus placebo recipients is key, and also the process
18 of data analysis, the statistical process, has to be
19 predefined and carefully determined.

20 Thank you.

21 DR. DAUM: Thank you, Dr. Carbone.

22 And to all of the individuals who helped
23 orchestrate that concise sharing of information with
24 committee.

25 What I would like to have now is questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701