

1 or comments from committee members about the material
2 that we've seen. We'll then have the open public
3 hearing and then return to the committee for general
4 comments.

5 So I'd like everybody to think about
6 whether this is a question about the material that
7 they've seen.

8 I think you were first, and then we'll go
9 over here. Almost everyone has their hand up, and
10 we'll go down the row.

11 DR. FOST: A question for Dr. Wharton. It
12 has to do with the relative risk and benefit of the
13 RotaShield vaccine in developing countries versus the
14 U.S.

15 In the U.S. a child with rotavirus
16 infection has a relatively low risk of anything
17 serious happening. There is mortality rate, but it's
18 low, and the rest is relatively manageable
19 complications, hospitalizations.

20 In a developing country where the risk of
21 death is much higher per 1,000 cases of rotavirus,
22 it's a different potential benefit. My question is
23 this. Have you made these calculations or can they
24 be? Do you have enough data to make them?

25 Suppose the rate of intussusception in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 developing country were the same as it was in the
2 RotaShield experience in the U.S. Suppose the rate of
3 intussusception was the same, and for the sake of
4 discussion, suppose all of those children died of
5 intussusception.

6 What would that be, that death rate be, as
7 compared with the death rate of rotavirus infection
8 itself? That is, can an argument be made; is there
9 any data to support the claim or the possibility that
10 even if there was a complete, 100 percent mortality
11 rate from intussusception, you still might be better
12 off if you were in a country where you had a high risk
13 of dying from rotavirus?

14 DR. WHARTON: In our discussions with the
15 Advisory Committee on Immunization Practices, this
16 clearly was an issue which weighed on the committee.
17 I think that the committee was very cognizant of the
18 fact that conditions which prevail in the United
19 States don't prevail globally, and other countries
20 faced with similar data might very reasonably come to
21 different conclusions about what was appropriate to do
22 in their country.

23 This was a subject of a WHO meeting which
24 I didn't attend, but my understanding is that it was
25 discussed extensively, and Dr. Snider was there, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 perhaps he could comment more on what happened there,
2 but clearly the situation is different in other
3 countries.

4 Other countries could reasonably conclude
5 that use of the tetravalent rhesus based vaccine could
6 be of benefit.

7 DR. FOST: Do you have the numbers? I
8 mean is the question that I asked answerable?

9 DR. SNIDER: It was not. I moderated the
10 ethics group at the rotavirus consultation, WHO
11 consultation, and we tried to find those data because
12 I think the question you raise is a very important
13 one.

14 Unfortunately, data on death from
15 intussusceptions, even the incidence of
16 intussusception in a lot of developing countries, is
17 just not available, and so the group felt that they
18 really could not quantitate these risks as well as
19 they would like and recommended that that kind of
20 information be collected.

21 DR. DAUM: While you have the floor, did
22 you have a question for the speakers, as well? And
23 we're just going to go down.

24 DR. SNIDER: Yes, and I apologize to my
25 co-worker from CDC for asking this now. I should have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 asked it before, but the number that's thrown out of
2 one in 5,000 is obviously an estimate, but that number
3 is also used in some calculations later about a trial
4 that we're going to be discussing.

5 And I was just wondering. I know it's a
6 conglomeration of risk from dose one and dose two, and
7 it's hard to get 95 percent confidence limits around
8 a risk of one in 5,000, but I just wondered if some
9 thought had been given to how low might it be or how
10 high might it be in terms of what we might have to be
11 looking for in any subsequent trials.

12 DR. WHARTON: I think that probably is
13 something we should have addressed, but I'm not aware
14 of any calculations of certainty around that estimate.

15 I would point out though that the findings
16 of the case control study were very consistent with
17 those of the retrospective cohort study also done by
18 CDC. So I think that the point estimates are
19 reasonable as point estimates, but in terms of
20 applying the 95 percent confidence intervals to the
21 background intussusception rates, I'm not aware of
22 that calculation having been performed.

23 DR. DAUM: I have Dr. Huang, Dr. Faggett,
24 and Dr. Griffin.

25 DR. HUANG: I was impressed by Dr. Shiels'

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comment that intussusception occurred less frequently
2 in less healthy infants, and I would like to give him
3 a chance to sort of comment on what he thinks about
4 that in terms of under developed countries and the
5 discussion that went on just previously about the
6 possibility of continued use of RotaShield vaccine in
7 those countries.

8 DR. SHIELDS: Very interesting question,
9 and at the NIH meeting, the Minister of Health from
10 Peru was present and noted that he sees a much lower
11 incidence of intussusception in the country of Peru
12 than we see in the United States, and I don't believe
13 we have any good data, and we've been looking since
14 that last discussion. There's no good data that
15 correlates exactly the degree of malnutrition in
16 underdevelopment of a country and the incidence of
17 intussusception.

18 But it is interesting to just listen to at
19 least one minister of health who felt that there was
20 certainly some difference at least in his country, but
21 it certainly does beg the question of the relevance of
22 the number of lives saved by the use of the vaccine
23 weighed against the relative risk of a disease that
24 may be less frequent in that country if they are dying
25 more from a viral infection than they ever would from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a disease that they're not going to solve frequently
2 anyway.

3 DR. DAUM: Thank you.

4 Dr. Faggett.

5 DR. FAGGETT: Dr. Shiels, thank you very
6 much for that very clear lecture. I just wish one of
7 my interns had heard it prior to not giving my eight
8 month old the needed IV in a baby presenting with
9 gastroenteritis, subsequently died from
10 intussusception, age eight months, in July. So it's
11 pretty much as you've outlined.

12 My question is you mentioned 40 percent
13 incidence, three to nine months of age. That sounds
14 kind of high from my clinical experience in things
15 I've read. Could you comment on that and also at what
16 age did you start seeing them for tissue in the
17 appendix?

18 You were talking about the studies. So at
19 what age do you start seeing significant lymphoid
20 tissue hyperplasia?

21 So a two-part question.

22 DR. SHIELS: Actually a very good
23 question. Again, the incidence of intussusception,
24 the age distribution, I think the best paper that I've
25 seen was authored by Dr. Rennels, and that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distribution did, indeed, fall out exactly as we laid
2 out.

3 You see the highest incidence in the three
4 and certainly four to nine months of age distribution.
5 Five months of age seems to be one of the highest
6 peaks in all children.

7 As far as the appendix, the lymphoid
8 follicular tissue, as we have been forced now to grade
9 that in the appendix, we have four grades. Grade zero
10 is seen in neonates, and there's no lymphoid tissue
11 whatsoever.

12 Grade one is sparse distribution of
13 lymphoid cells not in aggregates, lymphoid cells in
14 the wall of the appendix, and that is seen in the
15 first few weeks of life.

16 Grade two is when we see defined
17 aggregates of lymphoid tissue. Aggregates are clumps.
18 Those are distinguished from grade three.

19 Grade three has germinal centers. So the
20 germinal centers will then be the centers of those
21 humeral responses.

22 And then grade four is a conglomeration of
23 those lymphoid centers and lymphoid follicles that
24 form this conglomerate circumferential distribution of
25 lymphoid tissue.

1 That spectrum, and we're continuing the
2 number of children that we've investigated. We're
3 going back and looking at every appendix that we've
4 ever taken out of a child, including the tiniest
5 neonates, and those are the most fascinating because
6 in our hospital with a very high risk neonatal
7 population, every time a surgeon goes in to do any
8 intra abdominal surgery, they grab the appendix and
9 take it out and do the child a favor.

10 So now we have these specimens, and there
11 is this fairly consistent proof, and it turns out
12 there was never proof before we did this, and that was
13 the striking thing that was raised at the NIH. Where
14 is the proof? It pushed us back to the laboratory.

15 So now we know that neonates are not born
16 with lymphoid follicles. They develop over time, and
17 they develop in the first 12 weeks. Usually in the
18 first eight weeks of life, you see clearly defined
19 grade two aggregates, and then by age ten weeks, you
20 begin to see clear germinal centers. So by ten weeks
21 of age, you see the germinal centers with the humeral
22 potential that's clearly definable on H&E stains.

23 And again, the role of the appendix -- I
24 didn't mean to go too fast in that discussion, but I
25 was trying to be cognizant of time constraints, and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think we met those well, but the appendix turns out to
2 be the only marker that we have in all of these
3 children that are going to be surveilled.

4 If they go to surgery to have manual
5 reduction of intussusception, the surgeons will
6 invariably take out the appendix. So in our
7 surveillance exercises in the future, I would highly
8 encourage us to bank the appendices and look at those
9 if they are available, which they should be available
10 for children who are treated, and depending on the
11 center, the center I was previously associated with
12 there was a 50 percent rate of surgery. We have
13 pushed that in our current institution to ten percent.
14 The national average is hovering at about 55 percent.
15 So we still have of all children that get
16 intussusception for whatever reason, we still have
17 about a 50 percent chance of having appendices to look
18 at and analyze.

19 DR. DAUM: Thank you, Dr. Shiels.

20 I have Drs. Griffin, Kim, Kohl, and Brody,
21 in that order. Dr. Griffin.

22 DR. GRIFFIN: Well, my question is sort of
23 a follow-up to yours and a combined question to
24 Walter, a combined question for Dr. Shiels and Dr.
25 Wharton.

1 Because if you put together the data that
2 the two of you have and think about the pathogenesis
3 of this disease because the highest incidence appeared
4 to be in the youngest children and the youngest
5 children are the ones that are predicted to have the
6 least lymphoid tissue.

7 My question would be whether immunizing
8 those children increases the volume of their lymphoid
9 tissue, and therefore, you can make a correlate with
10 inducing an immune response at an age perhaps that the
11 child isn't usually exposed to quite so many oral
12 pathogens and whether one not solution, but one thing
13 to think about in future rotavirus vaccine development
14 would be to push up the age somewhat of when you
15 initiate infection because rotavirus infection per se,
16 the incidence of the disease, is at a somewhat older
17 age. You're basically trying to get all of those
18 doses in before the increased incidence. I'm no
19 expert in rotavirus, but over six months of age or so,
20 I think, is when you start seeing most of the
21 dehydrating diarrhea.

22 And if there's a mechanism for putting
23 together these pieces of information to help us really
24 better understand how we should be approaching this
25 vaccine.

1 DR. WHARTON: Well, the question you raise
2 is an excellent one, and it's certainly one that
3 there's been a lot of discussion about.

4 The first point I'd like to make is I'm
5 not sure that any of us have -- there's been a lot of
6 focus on lymphoid hyperplasia and its potential role
7 in intussusception by serving as a lead point. It's
8 possible that, in fact, the mechanism is something
9 different than that. There's much discussion in the
10 intussusception literature, particularly older
11 literature about the role of motility in causing
12 intussusception.

13 My engineer husband and I have had a lot
14 of conversations about fluid dynamics and about the
15 role of partial obstruction with flow across it in
16 creating pressure gradients, and there are two things
17 that are needed for an intussusception to occur. One
18 is a pressure gradient. You have to have that
19 pressure gradient which requires flow.

20 So I think that motility is a possibility
21 as a factor in the pathogenesis, and that would be
22 independent of the presence of lymphoid hyperplasia.

23 The one area --

24 DR. GRIFFIN: Is that that children
25 have -- I mean younger children have more motility or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 less motility or how do you relate to the --

2 DR. WHARTON: Well, the point is that it's
3 possible that what the vaccine does is not cause
4 lymphoid hyperplasia, but induces some abnormality of
5 motility, which could then --

6 DR. GRIFFIN: That younger infants are
7 more susceptible to than older.

8 DR. WHARTON: Well, I have no data one way
9 or another on age related differences in motility, but
10 I think in terms of pathogenesis, there is another
11 component that we need to keep in mind and not just
12 focus on the presence or absence of lymphoid tissue or
13 lymphoid hyperplasia.

14 There is one area though in which we do
15 have some data by age group, although I didn't present
16 it in my presentation earlier. In the case control
17 study, we have looked at the odds ratio for
18 intussusception in the prespecified risk windows
19 stratified by age group, and for the first dose, which
20 was the dose associated with the highest risk, the
21 point estimate for children in the one to two month
22 age group for the three to seven day window was 4. --
23 I'm sorry -- was 27 with a 95 percent confidence
24 interval of 10.4 to 70.3.

25 For the three to five month age strata

1 following the first dose, the rate ratio was 24.8 with
2 a 95 percent -- I'm sorry. These are from the case
3 series analysis. These are not odds ratios -- was
4 15.2 to 40.3, and the rate ratio for six to eight
5 month old children was 16.5 with a 95 percent
6 confidence interval of 8.4 to 32.4.

7 Those three rate ratios were 27, 24, and
8 16, and although the confidence intervals are wide, I
9 don't think one can make a case that, in fact, the
10 risk differed by age group.

11 DR. SHIELDS: To follow on that comment, we
12 didn't discuss much about the pathogenesis hypotheses
13 for intussusception. Essentially there are two
14 mechanisms that are hypothesized. The first is the
15 potential mass effect of a lymphoid follicle being
16 felt by the intestine and then carried forward.

17 The second hypothesis is -- I think it's
18 largely based on some of the work in the mouse by Dr.
19 Hanani -- that showed that the LPS, when injected into
20 the peritoneal cavity of a mouse, will induce a small
21 intestine small intestine or even more proximally,
22 jejunal-jejunal intussusception that does not progress
23 to an ileocolic intussusception and is usually self-
24 reduced by the mouse.

25 It looks like it's a very different

1 mechanism. The important point is that it is a
2 mechanism. It's reproducible in the laboratory. So
3 the fact that a lipopolysaccharide can, indeed, induce
4 motility disturbance or, as we best understand it and
5 try to teach, that it is likely a combination of
6 lymphoid inflammation of the small bowel with a reflex
7 peristaltic disturbance or paralysis. The paralyzed
8 segment may, indeed, be grabbed and moved forward with
9 this lymphoid tissue.

10 My own personal belief is that it's
11 probably a combination of both. It's likely a
12 combination of both. In surgical reports and having
13 been through way too many of these, the surgeons
14 invariably report -- and they call them lymph nodes --
15 the masses, lymphoid masses, and it's in report after
16 report after report.

17 So I suspect it's a combination of both
18 mechanisms.

19 DR. GRIFFIN: So just one follow-up
20 because I'm not personally familiar with the LPS data
21 or model system. That is known to induce since that
22 is an active activator of lymphoid tissue itself, but
23 the mechanism is known to be a motility based
24 mechanism, not an effect on lymphoid tissue.

25 DR. SHIELDS: Exactly, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intussusception occurs within hours, and then it goes
2 away. So it looks like it's a very different
3 mechanism, but it's still important to keep in our
4 consideration.

5 But as far as the age, even if a three
6 week old child has lymphoid tissue there -- there's
7 data to suggest that, indeed, they do -- they have the
8 potential to respond. Then the question that is
9 begged is: will they then generate germinal centers
10 much earlier than a nonexposed infant if they have
11 precursor cells, even one potential B cell, that can
12 then kick into gear and form a colony?

13 DR. DAUM: Thank you.

14 Dr. Kim, please.

15 DR. KIM: I have two questions to Dr.
16 Shiels for clarification.

17 First is that, if I understand correctly,
18 you indicated that rhesus rotavirus in contrast to
19 human rotavirus is associated with intussusception,
20 again, in outside data from vaccines. Has that been
21 documented in the literature?

22 DR. SHIELS: Has the lack of association
23 of rotavirus? ..

24 DR. KIM: No, no.

25 DR. SHIELS: The wild type?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KIM: No, no, no, no. What the
2 question is, that you indicated -- I think you stated
3 that wild type rhesus, a rhesus rotavirus is
4 associated with intussusception in contrast to human
5 wild type rotavirus which is not associated with
6 intussusception. I just want to see whether that
7 difference has been documented in the literature.

8 DR. SHIELDS: Maybe I can clarify it and
9 restate that. If I confused the issue, I apologize.

10 The data that I have seen published on the
11 wild human rotavirus strain suggests that there is no
12 association with the peak incidence of intussusception
13 graphed over time, over a year, with a clear contrast
14 to the peak incidence of rotaviral infection in that
15 same population over that same time period.

16 So there is a spike and a very definable
17 peak. The reference I have, I can pull it up, but
18 it's been shared at a number of different meetings.
19 So the wild type and the lack of association has been
20 documented in multiple references in the literature.

21 As far as the rhesus type, I was referring
22 to the manufactured vaccine, which was a combination
23 of the rhesus and in human strains. That was the
24 reference. So I hope I didn't confuse anyone with
25 that.

1 DR. KIM: Okay. Second question is I know
2 you talked about lymphoid hyperplasia. Is this unique
3 to intussusception or is this also relevant to other
4 conditions associated with bowel obstruction in
5 infants?

6 DR. SHIELS: A two-part question. The
7 first part, lymphoid follicular hyperplasia is not
8 unique to intussusception. As a matter of fact, the
9 most common mimic for both intussusception and
10 appendicitis is a disorder known as mesenteric
11 adenitis.

12 Mesenteric adenitis is definable on
13 ultrasound exquisitely. We can see the lymph nodes.
14 We can measure them, and we can define point
15 tenderness that reproduces the patient's pain exactly.

16 At the same time, we can see that there is
17 no intussusception, and there is a normal appendix.
18 So there is no specific association or unique
19 association. There's no unique association between
20 lymphoid follicular hyperplasia and intussusception.
21 All lymphoid follicular hyperplasia is is an
22 inflammatory response to many, many different inciting
23 agents. **

24 DR. DAUM: Dr. Kohl, please.

25 DR. KOHL: Dr. Shiels and Dr. Wharton,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thanks a lot for those presentations.

2 The question that kind of came up before,
3 but I want to hit it again: the Rennels paper and
4 then wide quoted by many people suggests there's no
5 seasonal association in intussusception. Dr. Shiels
6 you mentioned that not only was there a summer peak,
7 but there was a slight winter peak.

8 And then in this month's Pediatric
9 Research to be presented, I guess, at the meetings
10 this week in Boston, there is an abstract suggesting
11 that there was a winter peak with intussusception as
12 there was in rotavirus, and it's from the South
13 Atlantic, one of the institutions.

14 Could you hit some of that data, both of
15 you, a little harder to convince us that there is an
16 association, there isn't an association, or we just
17 don't know at this point?

18 DR. WHARTON: The sort of ecologic studies
19 that compare the two trends, of course, don't
20 establish that no cases of intussusception due to wild
21 type disease. Dr. Rennels' paper is the one published
22 source that I'm familiar with where there was, if
23 anything, modest late spring, summer seasonality
24 observed in the New York State Hospital discharge data
25 in contrast with the very marked winter seasonality.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That clearly doesn't establish that wild
2 type rotavirus does not cause some cases of
3 intussusception. It simply cannot account for the
4 majority of them.

5 The studies that have been done of
6 children with intussusception to try to define viral
7 etiology are few and most of them have poorly
8 characterized series of patients and are not
9 necessarily using optimal methods of detection of all
10 potential pathogens, including rotavirus.

11 So I would consider it unclear whether or
12 not wild type rotavirus can on occasion result in
13 intussusception. It's not clear to me, and I think
14 it's an area of interest and there, in fact, are
15 studies being launched that will attempt to address
16 that.

17 DR. DAUM: Is there other comment on Dr.
18 Kohl's question?

19 DR. SHIELDS: As far as the seasonal
20 incidence of intussusception, the best reference that
21 we have used actually comes from Scandinavia, from
22 Sweden, and this is literature that was vintage 1980,
23 1978.

24 Dr. Ole Ecklof with a large series, and at
25 that time Dr. Ecklof was the world authority on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intussusception diagnosis, treatment, et cetera, and
2 there were two peaks in the Swedish country or the
3 Swedish region.

4 As far as my own personal experience, I
5 would have to say in now more than a decade of looking
6 at intussusception at large centers, and the largest
7 centers in the United States for intussusception
8 happen to be clustered in that mid-continental region
9 between Chicago, Cincinnati, Columbus, and certainly
10 Boston, and the largest center in North America is
11 Toronto. It currently has the largest incidence of
12 intussusception.

13 But in those five or six centers, we see
14 the majority of intussusception cases being treated.
15 In at least the experience of two of those geographic
16 regions, I've certainly seen in my own personal
17 experience a focal, definable peak in the mid-
18 summertime and then a much lower peak, but another
19 peak in the mid-winter time.

20 DR. KOHL: And a second unrelated
21 question. We've talked a lot about lymphoid
22 hyperplasia. There are several well known bacterial
23 agents that cause lots of intestinal lymphoid
24 hyperplasia, eosinia, salmonella. Is there any
25 association between those agents and intussusception?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WHARTON: I'm aware of case reports of
2 intussusception associated with several different
3 bacterial agents.

4 DR. SHIELDS: Actually in a few series that
5 went beyond the case reports there is a gamut of
6 agents that are held responsible. The most common are
7 the two viral agents, the adenovirus and enterovirus.
8 Rotavirus was looked at and was discounted in a number
9 of studies, and then the other bacterial agents then
10 came after the viral agents.

11 So the bacterial agents were held
12 accountable, but to a much lower degree than the viral
13 agents.

14 DR. DAUM: Dr. Brody.

15 DR. BRODY: This question actually shifts
16 focus a little bit. It goes back to the last
17 presentation on the question of clinical trials to
18 test safety, and I took quite a valuable point that
19 you made, the importance of having predefined stopping
20 rules in connection with safety. I would think,
21 however, the development of those sort of rules won't
22 have to make an assessment of what is an unacceptable
23 increase in the rate of intussusception and what
24 thought has been given to how to define what would
25 constitute an unacceptable increase.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CARBONE: Actually a lot of thought
2 has been given, but nothing has been fixed on. I
3 think those are currently under discussion right now.
4 It's a very complicated issue, as you have recognized,
5 and we have within the agency been discussing that
6 issue and continue to discuss it, but I can't give you
7 any sort of an assessment.

8 In fact, part of the decision to bring it
9 in front of the advisory committee was to seek advice
10 in that area, among others.

11 DR. DAUM: Dr. Fleming was next, I
12 believe.

13 DR. FLEMING: Actually I had another
14 question, but I'd like to follow up on Dr. Brody and
15 actually Dr. Fost. I think both raised questions that
16 have essentially been unanswered that are critical,
17 which are looking at risk in the context of benefit
18 and trying to assess how much increase, in fact, is
19 something that could be accepted within the context of
20 how much benefit would be achieved, and these are
21 issues we're going to have to be able to more
22 effective address than we at this point have been able
23 to do.

24 Actually my question is in follow-up to,
25 I believe, Dr. Wharton's projection of essentially 50

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cases of intussusception for 100,000 infant years, and
2 I guess I have -- and this would be by diagnostic
3 procedures currently standard in the U.S. I'm
4 assuming. So I have, I guess, three questions related
5 to this.

6 First of all, could there be under
7 reporting, and maybe Dr. Wharton can comment on that,
8 but first, for Dr. Shiels, could there be under
9 diagnosis, and could there be spontaneous resolution
10 that would be related to the issue of under diagnosis?

11 Where I'm leading to is if we do a
12 prospective clinical trial and are carefully
13 monitoring and looking, may we see more than 50 cases
14 per 100,000 infant years?

15 DR. SHIELS: I think the answer is clearly
16 yes, and it's not a subjective guess any longer thanks
17 to great investigators in Toronto for the first time
18 ever, and we've just had little dribbles of case
19 reports about spontaneous reduction of
20 intussusception, and they've literally been onesies
21 here and there. There haven't been even case reports
22 of two.

23 The group in Toronto took the time and did
24 meticulous evaluation of children with ultrasound and
25 enemas, and indeed did find 17 percent of all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intussusceptions prior to their report, I would
2 suggest, are going to go undiagnosed, 17 percent. So
3 the answer to your question is in my heart of hearts,
4 I believe there's a 17 percent under reporting or
5 under diagnosis of intussusception, and that now with
6 the use of ultrasound, which is really gaining
7 momentum in the past four to five years, now we have
8 the ability to correctly diagnose children that have
9 intussusception.

10 Unfortunately, not to get into too much
11 editorialization, but there are forces at work in the
12 country that would encourage us not to diagnose
13 children with expensive diagnostic tests. So when you
14 hold back ultrasound to save money, you're going to
15 under diagnose until they get to the point where you
16 need to do an enema or have a surgeon treat them.

17 But if we have aggressive surveillance
18 techniques, we should find 17 percent more
19 intussusceptions that are going to be spontaneously
20 reduced, but at least we can find them, diagnose them
21 to find them.

22 But, yes, there is now clear evidence that
23 children can reduce their own intussusceptions. They
24 just bear down, strain, and it goes away. The classic
25 example, the expert self-reducers are well known, and

1 they are cystic fibrosis patients, and they get
2 intussusceptions into the teenage years, and they'll
3 tell you when they get it. They will tell you when
4 they reduced it, and they'll only come to you after
5 they've pushed and pushed and pushed and can't get it
6 undone.

7 DR. DAUM: Dr. Verter.

8 DR. VERTER: Yeah. Actually I would like
9 to follow up on the issue that Dr. Fleming raised
10 about risk-benefit, and in doing that, first let me
11 state that I'm not a vaccine expert. I'm mostly from
12 the cardiovascular world, but I'd like to ask Dr.
13 Carbone and Dr. Wharton if they could possibly clarify
14 some numbers for us.

15 On your first presentation, Dr. Carbone,
16 there was a slide that had in children under five
17 years of age, and at the end of it it said U.S.
18 deaths, about 20 per year, one out of 200,000. Was
19 the per year per year of age? Because --

20 DR. CARBONE: No, it was total number, not
21 per year of age. They asked me 20 to 40 deaths per
22 year in the United States in children under five due
23 to intussusception. ..

24 DR. VERTER: Okay. Well, that's --

25 DR. CARBONE: Sorry. Rotavirus. Sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. VERTER: Yeah. Due to rotavirus.
2 Okay. So it would be of the one of 2,000 that get
3 rotavirus, that that total, 20 of them, 20 to 40 die.

4 Well, what I'm trying to focus on is the
5 one out of 200,000, where did that come in. I
6 estimated about 20 million children under the age of
7 five in the United States. Okay?

8 And one out of 200,000 would give me about
9 200 deaths. So I'm just trying to get a sense of what
10 you're relating it to.

11 DR. CARBONE: Right. The one in 200,000,
12 isn't that the intussusception, not rotavirus?

13 DR. VERTER: Yeah, yeah.

14 DR. CARBONE: Right. We're talking
15 rotavirus deaths are 20 to 40 a year.

16 DR. VERTER: Oh, okay.

17 DR. CARBONE: And one in 200,000 cases of
18 intussusception. I'm sorry for my perplexed look, but
19 without my calculator.

20 DR. VERTER: So what you're saying is the
21 rate is one out of 200,000 in intussusception. The 20
22 is one out of 200,000 intussusception cases.

23 DR. CARBONE: ** No, no. That is purely
24 rotavirus.

25 DR. VERTER: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CARBONE: And the numbers are a little
2 hard to come by. Many of the cases are
3 immunocompromised children, et cetera.

4 DR. VERTER: Okay.

5 DR. CARBONE: It's clear that there's an
6 interesting phenomenon of studying rotavirus vaccines
7 in underdeveloped countries where death would be
8 expected to be a much higher rate. The minute you
9 start studying it, it becomes a Heysenberg uncertainty
10 principle because to prevent deaths in rotavirus, you
11 merely need to get to the child quickly and
12 resuscitate or rehydrate the child quickly, which
13 means in a study the death rates fall to zero even in
14 the placebo recipients.

15 DR. VERTER: Right.

16 DR. CARBONE: So death from rotavirus is
17 a very moving and very economically medically
18 technology derived figure.

19 DR. VERTER: Okay. A second issue has to
20 do with how we're presented with data, and I recognize
21 when we're dealing with very low attack rates people
22 tend to go to things like person-years or doses as
23 opposed to children.

24 And I'm going to try to focus on children
25 here and see if we can come to the -- I guess she's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 passing the ball -- to see if we can come to at least
2 some common grounds so that I personally can get a
3 better sense of it, and what I'm going to focus on is
4 a slide you put up on the RotaShield vaccine, which
5 you said an estimated 1.5 million doses were
6 administered in about a year's time.

7 And I'm grossly estimating that's about
8 500,000 children that got it, although I realize that
9 given the time frame probably not all got all three.
10 So I'm probably underestimating the actual number of
11 children.

12 In that same slide, you indicated that 15
13 vaccinees reported intussusception, and then Dr.
14 Wharton followed, I believe, by saying that a total of
15 60 had been reported. So for that cohort, can someone
16 tell me how many actual cases?

17 DR. CARBONE: One thing to be very
18 cognizant of is when I say 15 cases were reported,
19 that's a passive reporting system VAERS.

20 DR. VERTER: Okay.

21 DR. CARBONE: That is no way a
22 surveillance system. So we actually estimate that
23 there's anywhere up to ten times that number of actual
24 cases we see ten percent reported, and again, as Dr.
25 Wharton showed, once the information became public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about the concerns of intussusception and rotavirus
2 vaccination, the number of reports shot through the
3 roof.

4 So I think we can ask Dr. Wharton
5 directly, but those 60 cases were reported cases,
6 passively reported cases.

7 DR. VERTER: So are you both indicating
8 that even the 60 is an underestimate of the actual
9 number?

10 DR. WHARTON: Absolutely.

11 DR. VERTER: Okay.

12 DR. WHARTON: It's clear that with passive
13 reporting systems many, many cases are not reported.
14 Even with very severe adverse events that are clearly
15 vaccine associated, reporting is incomplete. So the
16 only question is how bad is it, not is it bad.

17 DR. VERTER: Okay. So therefore, I can't
18 use any of that data to look at like incidence per
19 child.

20 DR. CARBONE: Better data would probably
21 come with the study of the large vaccine safety
22 database, which comes from HMO computerized records,
23 and in that case that was the better data.

24 DR. VERTER: And that did come out to
25 about one out of 2,000?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WHARTON: Well, the retrospective
2 cohort, there was a retrospective cohort study done
3 which involved looking at a large population of
4 children who were vaccinated in a number of large
5 health maintenance organizations, and I don't have
6 those data with me. I can tell you that the rate
7 ratios observed in that study were very similar to
8 those seen in the case control study. The findings
9 were remarkably consistent between the two, but I
10 don't have those data with me.

11 DR. VERTER: Can I be permitted another
12 one?

13 DR. DAUM: If it's a brief one because
14 there are people in line.

15 DR. VERTER: Okay. I'll try to make it as
16 -- this relates to Dr. Wharton's numbers.

17 You had a graphic which I still see here
18 that I thought was describing some subset of the 427
19 cases by dose one, two, three during the first 21
20 days, and roughly eyeballing it and eyeballing it
21 again here, it looks like considerably fewer than the
22 427 were reported.

23 If that's correct, does that mean the
24 remaining cases occurred later? And what implication
25 is that for us looking at risk during the entire year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as opposed to the first 21 days?

2 DR. WHARTON: That graphic showed the
3 onset of intussusception among vaccinated children
4 within 21 days of receipt of any of three dozens of
5 vaccine. As you may recall, only a small number of
6 children in the case control study actually had
7 received rotavirus vaccine. So other cases either
8 occurred outside those 21 day risk windows following
9 doses of vaccine or occurred in children who had never
10 received rotavirus vaccine.

11 DR. DAUM: Thank you.

12 Ms. Fisher is next.

13 MS. FISHER: Dr. Carbone, please correct
14 me if I'm wrong. Did I understand that in your first
15 presentation you said that 12,907 children were
16 studied for licensure of the rhesus rotavirus vaccine?

17 DR. CARBONE: Those were, right,
18 participants, any dose, any formulation of the
19 vaccine.

20 MS. FISHER: And there were no cases if
21 intussusception in that study?

22 DR. CARBONE: In the original studies
23 prior to licensure, there were five reported cases of
24 intussusception. Three of them were reported in
25 children who received non-license formulation, two in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 children who received formulated dose. Only two of
2 the five cases were reported to have occurred within
3 two weeks of the dose.

4 MS. FISHER: Okay.

5 DR. CARBONE: There were five cases.

6 MS. FISHER: Then I have two questions,
7 both relating to that and also to the follow-up. If
8 wild type rotavirus is not associated with
9 intussusception and at that point obviously there was
10 no knowledge that the rhesus rotavirus had been
11 associated, what criteria was used to determine that
12 a case of intussusception both in the prelicensure
13 trials as well as the post licensure follow-up, that
14 those cases were due to the vaccine or were not due to
15 the vaccine? What criteria was used?

16 DR. CARBONE: We used the most
17 conservative criteria, and that was even assuming that
18 the cases were all due to vaccine when they were
19 reviewed compared to the placebo, cases that occurred
20 in the placebo, there was no statistically significant
21 difference. So even if you assume that every case
22 prior to licensure was due to vaccination, if you
23 compare them to the cases that occurred in the placebo
24 recipients, statistically speaking there was no
25 difference between the two groups. So it was not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 statistically associated with vaccination.

2 MS. FISHER: So you were hampered in a way
3 by biological mechanism knowledge because you had to
4 look at only the numbers. So you'd had five in
5 12,000, which would have been about one in 2,000.

6 DR. CARBONE: And there was one placebo
7 case reported, but the number of placebo recipients
8 were much smaller, which is why the statistics worked
9 out not to be significant.

10 And I agree with you. I think in any
11 case, knowing the biology and the pathogenesis of the
12 wild type disease, the vaccine, and the adverse event
13 is always important to have that information whenever
14 possible and to get it if you don't have it.

15 DR. DAUM: Dr. Brody, please.

16 DR. BRODY: Just a quick follow-up on the
17 question that was asked about the larger number of
18 cases when you moved away from just the passive
19 reporting. What were the outcomes in those cases of
20 intussusception? Were there any deaths in that group?

21 DR. CARBONE: There were a total of two
22 deaths reported associated with vaccine that we know
23 of. In one case the autopsy report is not clearly
24 associating the death with intussusception, and the
25 other case there was evidence of intussusception, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those are the only two cases I'm aware of. That's
2 correct.

3 DR. BRODY: In addition to death, any
4 other long term serious sequelae from the
5 intussusception in those cases?

6 DR. WHARTON: I'm aware of one additional
7 child who had a prolonged hospitalization with a
8 number of complications, and I'm not aware of the
9 ultimate outcome of that child, but the child was
10 hospitalized for a couple of months.

11 DR. BRODY: The reason why I asked this
12 question, because this was not unrelated also to the
13 question of what is an acceptable additional rate
14 because the question is not merely how many more cases
15 you get, but what's the outcome of those cases.

16 DR. DAUM: Dr. Kohl and Dr. Stephens next.

17 DR. KOHL: On the other side of the risk-
18 benefit analysis, we've heard the number 20 to 40
19 death a year in the United States and 55 or so
20 thousand hospitalizations. Are those in the current
21 era, in the last five years, for instance?

22 Because the oral hydration has really
23 changed dramatically. We used to hospitalize kids
24 like crazy for rotavirus, and now you hardly ever see
25 a kid on the ward with rotavirus.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WHARTON: Those estimates have been
2 developed by the rotavirus group in the National
3 Center for Infectious Diseases, and I think we're
4 hampered by the presence or absence of specific codes
5 and what those codes mean in these large
6 administrative data sets from which these estimates
7 are derived.

8 The estimates are for the most recent
9 period, but it used to be that there were no deaths
10 specifically recorded as due to rotavirus in the
11 United States because there wasn't a code. Now with
12 introduction of specific codes for rotavirus, some
13 deaths are being identified, and I think that either
14 the deaths could go down as children are perhaps
15 better managed or they could go up as more deaths due
16 to rotavirus are, in fact, reported using a specific
17 diagnostic code, but these are the best numbers we
18 have right now.

19 DR. DAUM: Dr. Stephens.

20 DR. STEPHENS: I'd like to return for a
21 minute to the pathogenesis issues of both rotavirus
22 infection and to intussusception. It's my
23 understanding that really, children under age three
24 months don't get rotavirus infection, wild type
25 rotavirus infection; is that correct? Could you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comment on the correlates of that protection or
2 someone help me understand that a bit better?

3 DR. CARBONE: Are you speaking of
4 rotavirus infection or intussusception?

5 DR. STEPHENS: Wild type rotavirus
6 infection first.

7 DR. CARBONE: In a developed country like
8 the United States, that's probably an accurate
9 statement. It would be unusual to get infected with
10 that, yeah.

11 DR. WILLOUGHBY: No. In fact, you can get
12 infected at any age, and there's symptomatic cases
13 going down as low as you want to get. In fact, one of
14 the lead candidates for vaccines has been the fact
15 that in many nurseries there's an endemic strain in
16 newborn, regular, normal newborn nurseries, and that
17 the degree of illness in those nurseries is low, and
18 for that reason those particular isolates have been
19 developed as future vaccine candidates.

20 - So rotavirus replication can occur in an
21 infant at any age.

22 DR. CARBONE: In terms of frequency, at
23 the --

24 DR. WILLOUGHBY: Now, in terms if chief
25 burden of disease, typically the rule of thumb is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 three months and up. Some people use six months and
2 up. So there's more severe disease once you get a
3 little bit older, but it, in fact, can occur and be
4 symptomatic at any age.

5 DR. STEPHENS: And the severity of disease
6 in the older children is associated -- is there
7 maternal antibody protection, for example?

8 DR. WILLOUGHBY: There are maternal
9 antibodies that excrete in breast milk and a variety
10 of animal models. There has been a protection
11 associated with maternal antibody ingested.

12 The evidence in humans for breast milk
13 protection against viral disease is much less strong
14 than it is for bacterial pathogens.

15 DR. STEPHENS: The next question relates
16 to the male predominance issue that's associated with
17 intussusception. Was there in the hyperplasia
18 studies, is there a difference between hyperplasia in
19 males versus females?

20 DR. SHIELS: I can't honestly address
21 that. At this point we did not look at the -- as far
22 as the appendix, we didn't look at the male versus
23 female differences, but it's certainly something we
24 can do.

25 DR. DAUM: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We have only a few minutes left. I'd like
2 to inquire as to several people have mentioned
3 enteroviruses as associations with intussusception in
4 the past. Has either wild type polio virus or polio
5 virus vaccine as represented in OPV been associated
6 with intussusception?

7 DR. WHARTON: I think there are studies
8 underway to look at that. I'm not aware of any
9 confirmed information in that.

10 DR. DAUM: Melinda, I guess for you again.
11 Was the vaccine on the market long enough and
12 surveillance in place that was good enough to know
13 whether there was efficacy of the vaccine on the
14 positive side? Was the program working or was it just
15 too quickly flashed to know?

16 DR. WHARTON: I'm not sure I can address
17 that. Anecdotally we have heard from some people that
18 they did see less severe rotavirus disease during the
19 season in which the vaccine was out, but I can't
20 address that further. Perhaps someone else could.

21 DR. DAUM: Were any of the cases of
22 intussusception investigated insofar as excretion of
23 virus, presence of virus in stool or in lesions, or
24 are there stools stored somewhere where those studies
25 could go on?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WHARTON: There is an effort underway
2 to collect surgical specimens from those children who
3 underwent surgery, including the appendices that were
4 obtained as incidental appendectomies from children
5 who didn't require -- who required surgical reduction,
6 but did not require resection and to look for a
7 variety of viral agents in those specimens.

8 I think that protocol is still under
9 development. Given that the amount of material
10 available is limited, we need to really make sure that
11 the best use is made of it, but there are plans to do
12 that.

13 DR. DAUM: Thank you.

14 I think in the interest of time, we'll
15 truncate this.

16 Dr. Egan, do you want to make a very brief
17 comment?

18 DR. EGAN: Bill Egan, FDA.

19 I would like to ask a question, if I
20 might, of Dr. Shiels. You noted in your presentation
21 that the majority of intussusception that occurs in
22 children, infants occurred at around five months of
23 age, and in the vaccine studies there were a large
24 number of children that developed intussusception at
25 two months.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Could you comment briefly on, one, the
2 difficulties in diagnosing a five month old relative
3 to a two month old, and also the difficulty in
4 treating a two month old versus a five month old and
5 any adverse events, outcomes, relative rates of
6 adverse outcomes in treating a five month old or older
7 child versus the two month old?

8 DR. SHIELDS: Please make sure I stay on
9 target with your question. My understanding is that
10 you are --

11 DR. EGAN: It's just diagnosis and
12 treatment of a two month old versus a five months old.

13 DR. SHIELDS: Versus a five month old. The
14 bottom line is there's no reason to believe that we
15 should have any lower diagnostic accuracy with a two
16 month old child than a five month old child with
17 diagnostic testing if we get the child under an
18 ultrasound probe or have the child in for a diagnostic
19 enema. There should be zero difference in the
20 diagnosis.

21 That means that we have to get the child.
22 The issue regarding the potential for increased
23 morbidity and/or mortality in children under the age
24 of three months who may have intussusception is, at
25 least in our experience, related to the thought about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intussusception in a child under the age of three
2 months and the potential delay for diagnosis.

3 If you're not thinking about the disease
4 in a two month old, then you may not think to do a
5 diagnostic test that's targeted for that, and if plain
6 films are grossly inadequate and you're using plain
7 films as your standard measure, then you may miss the
8 diagnosis until it's too late or until you have to
9 then resect a piece of gangrenous bowel.

10 So it would be more related to the
11 cognition factor involved in making the diagnosis or
12 at least thinking about it, putting it in your
13 differential high enough to warrant a diagnostic
14 ultrasound or an enema, if that makes sense.

15 DR. KOHL: Could it be clinically a more
16 subtle presentation also?

17 DR. SHIELDS: Could it be? Anything is
18 possible. Is it possible that the younger children --
19 there's no good data that I'm aware of that would
20 suggest that children under the age of three months
21 would have the neurologic presentation, as we call it,
22 the lethargy and obtundation felt to be due to
23 endorphins, et cetera, and all of these other humeral
24 responses.

25 We don't know for sure, but possible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EGAN: But once diagnosed, treatment
2 and outcome are comparable?

3 DR. SHIELDS: Treatment and outcome should
4 be exactly identical. As it turns out, we have done
5 studies and we just finished our last draft in
6 conjunction with an Army pediatric radiologist. There
7 should be a lower incidence of complication and
8 certainly lower incidence of perforation in a younger
9 child who's undergoing a pressure enema with whatever
10 agent than in an older child. Smaller intestines will
11 perforate at higher pressures. They have more
12 resistive capacity than in a larger piece of bowel.

13 It's exactly the opposite of what you
14 would intuitively think. So if you infer that and
15 extrapolate that a little bit, you might even say that
16 the younger child should do better with an intestinal
17 obstruction being treated with pressurization.

18 DR. DAUM: Thank you very much.

19 We will now move on to the open public
20 hearing associated with this part of our meeting. I
21 believe there's a gentleman -- Dr. Innes, is that you?

22 DR. KAPIKIAN: No, I'm Dr. Kapikian from
23 NIH.

24 DR. DAUM: Okay. We're going to call on
25 Dr. Innes first from SmithKline, who has a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presentation that will not exceed ten minutes in
2 length; is that correct?

3 DR. INNES: That's correct.

4 DR. DAUM: Maybe less.

5 DR. INNES: Less.

6 DR. DAUM: Less is good.

7 MS. CHERRY: Am I blocking the view when
8 I sit here in front of the screen? Okay.

9 DR. INNES: My name is Bruce Innes. I
10 represent SmithKline Beecham Biologicals.

11 I have nine slides to show you to give you
12 an update on the development of our human rotavirus
13 vaccine candidate.

14 The development objectives at SmithKline
15 Beecham are stated here on the slide. An oral vaccine
16 to protect infants from rotavirus gastroenteritis
17 worldwide.

18 In particular, we seek a product that
19 profoundly reduces death and hospitalization from
20 severe rotavirus disease, and that achieves cost
21 savings from use of the vaccine in national
22 immunization programs worldwide.

23 And we've had some experience in working
24 with rotavirus vaccines at SmithKline Beecham. We
25 developed a candidate called RIT 4237 bovine rotavirus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine in the late 1980s. There were initially
2 promising results from the field in terms of its
3 efficacy, but use in the developing world showed low
4 efficacy, and so this program was stopped.

5 We then concluded that an attenuated human
6 rotavirus vaccine would likely be superior to an
7 animal rotavirus vaccine, and we had an opportunity to
8 in-license on attenuated human rotavirus vaccine
9 strain called 89-12 as a new candidate.

10 This new candidate has the following
11 history. In 1989, in Ohio there was an epidemic, the
12 usual annual epidemic of rotavirus. These were G1
13 serotype strains, and it was noted in retrospect that
14 these strains, in fact, elicited broad neutralizing
15 antibodies to the other G types, and in subsequent
16 years provided protection at a higher than expected
17 rate.

18 And so one of these isolates named 89-12,
19 made by Dick Ward in Cincinnati, was selected for
20 vaccine development. Their strain was prepared as a
21 pilot investigational vaccine by a company then known
22 as virus research institute by serial passage in
23 monkey kidney and was shown in a control trial to be
24 efficacious in children in the first year of life, and
25 I have some of that data to show you, but this also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was published last year in the Lancet.

2 And then a second year of follow-up in
3 that vaccinated cohort showed that the protection
4 persisted for a second year, and I have some of that
5 data unpublished to show you. So that SmithKline
6 Beecham licensed this product from the renamed company
7 AVANT Immunotherapeutics in 1998.

8 And in 1999, we began clinical evaluation
9 of an improved vaccine now called RIX 4414 that was
10 made using a refined process.

11 Here is the year one efficacy of the 8912
12 precursor vaccine to the product that we are
13 evaluating now, which you're looking at in the columns
14 two and three are the number of cases, and you see
15 that the placebo and vaccine groups were balanced.
16 I've given you a vaccine efficacy estimate, again,
17 several conditions.

18 Any rotavirus gastroenteritis, and then
19 the severity of rotavirus gastroenteritis is typically
20 graded on a 20 point scale. Very severe rotavirus
21 gastroenteritis is 14 points or higher, and then I've
22 shown you the efficacy against physician intervention
23 and dehydration, and you see that this product was
24 particularly active in preventing the more important
25 forms of morbidity from rotavirus disease.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The reference is down there at the bottom,
2 Lancet 1999.

3 This is the new information which will be
4 reported in full by David Bernstein and his colleagues
5 at the IDSA meeting this year, but again, we're
6 looking at the same birth cohort with some attrition
7 now down to '91 and '93 in the vaccine and placebo
8 group, and we're again looking at any rotavirus
9 gastroenteritis and then the more medically and
10 economically important very severe rotavirus
11 gastroenteritis, and you see that there was continued
12 protection.

13 So the overall two year efficacy against
14 severe and very severe rotavirus gastroenteritis was
15 84 and 100 percent.

16 So what is this RIX 4414? It's a live,
17 attenuated vaccine. It's monovalent in distinction to
18 other vaccines that are being evaluated. Its serotype
19 is G1, and the VP4 genotype is P8. It shares
20 neutralizing epitopes with G1, G3, G4, and G9
21 rotavirus types. These represent the majority of
22 human rotavirus strains in North America.

23 It's an oral vaccine given with buffering,
24 and it's administered by a simple device, and it's
25 planned to be administered as two doses beginning at

1 six to 12 weeks of age with a second dose eight weeks
2 later.

3 And the manufacturing process is
4 sufficiently efficient to meet global requirements.

5 Currently studies with the new product are
6 being performed in Europe, and IND studies in the USA
7 are anticipated to start soon, and then later this
8 year studies in several developing world settings are
9 planned.

10 DR. DAUM: Dr. Innes, we thank you for
11 your presentation. Oh, there's more.

12 DR. INNES: One more. We can go through
13 this very quickly.

14 So what about intussusception? In this
15 particular product, we assess the risk at this point
16 as very low. It appears to be very low. As we have
17 talked about at the meeting today, human rotavirus has
18 no known causal relationship with intussusception, and
19 the major data bearing on this is the disconnect
20 between seasonality of rotavirus in temperate regions
21 and intussusception.

22 This candidate is a human rotavirus, and
23 in fact, it's attenuated. It ought to have lower risk
24 than wild type rotavirus if there is one, and this
25 candidate differs from animal rotaviruses that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tested previously in that it's monovalent, not
2 multivalent, if that has any effect, and that is
3 shares only one of 14 genes with the previously
4 withdrawn product.

5 The other thing we should notice, that the
6 precursor 89-12 elicited a completely different
7 clinical response from the withdrawn product. So far
8 in our testing program, admittedly small, there's been
9 no intussusception in any recipient.

10 Thank you very much.

11 DR. DAUM: And now I'm going to thank you
12 and hopefully not in the middle of your presentation
13 again.

14 We will ask anyone else who wishes to
15 speak at the open presentation to state their name and
16 financial or commercial involvements. I presume we
17 know Dr. Innes'.

18 And Dr. Kapikian is at the microphone. So
19 I'll ask you to do those things and then please
20 comment.

21 DR. KAPIKIAN: I'm Al Kapikian from the
22 NIH. Can you hear me? No, they can't hear me.

23 Thanks for a chance to make a comment. I
24 have a comment and a question for Melinda Wharton.

25 DR. DAUM: I presume you have no financial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or commercial involvements --

2 DR. KAPIKIAN: No.

3 DR. DAUM: -- in this issue.

4 DR. KAPIKIAN: The NIH has a CRADA with
5 Wyeth Lederle Laboratories.

6 Melinda, could you comment on the
7 discrepancy which I believe is a discrepancy actually
8 -- you stated it wasn't -- in the CDC data regarding
9 the attributable risk in the case control study which
10 from your data is really one in 4,500, with the 888
11 excess cases; with the attributable risk reported
12 recently by the CDC in the ten managed care
13 organizations, which included several hundred thousand
14 individuals as you know, which reported an
15 attributable risk of one in 12,274 doses.

16 To answer the question the gentleman there
17 asked, in people there was a triple risk of one in
18 12,000 individuals in the ten managed care
19 organizations, and whereas in the case control study
20 it included only about 2,000 individuals as you
21 stated, 400 cases, and about 1,600 non-cases.

22 Whereas in the study reported by CDC,
23 there were six cases of intussusception in 61,000
24 individuals who were vaccinated. That wasn't
25 attributable risk. That was six cases in the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 three weeks after 61,000 individuals, and the
2 attributable risk was calculated by CDC to be one in
3 12,000.

4 Now, one in 12,000 is 60 percent less than
5 the figure that you have reported and that CDC has
6 reported previously. It's our feeling very strongly
7 that the attributable risk from a cohort study that
8 includes 60,000 individuals gives us a much greater
9 confidence in what the risk of this vaccine actually
10 is.

11 And we feel also that decisions that are
12 made by committees like today here and by the ACIP
13 would be modified perhaps or would be at least
14 discussed in a different vein with an attributable
15 risk of one in 12,000.

16 And of course, those figures are still
17 being analyzed, and we've asked as you know, as Dr.
18 Snider knows, I've asked at the WHO meeting in Geneva
19 what we need to know is what happens after the cases
20 are studied for a comparable period of time with the
21 controls. Is this a triggering effect? Does
22 intussusception decrease later on? If rotavirus,
23 indeed, is associated with intussusception, what
24 happens later on?

25 So that if we get a person-year

1 calculation that includes a year's follow-up for the
2 cases and for the controls, we wondered if the
3 attributable risk from this vaccine will disappear
4 totally. Right now it's one in 12,000, and it's
5 really quite different than what you presented, which
6 came out to be one in 4,500 with your 888 figure.

7 I'd like your comment on this discrepancy.

8 DR. WHARTON: Well, obviously both of
9 these --

10 DR. DAUM: Thank you, Dr. Kapikian.

11 We will allow a single comment.

12 DR. WHARTON: These are -- both of these
13 are preliminary analyses, and final analyses of both
14 of these studies are still ongoing.

15 As far as the question about the chance
16 that this is a trigger, clearly that does require long
17 term follow-up, and that's not feasible within the
18 design as the case control study that is being
19 undertaken in the retrospective cohort study done in
20 managed care, and that is the way in which we will be
21 able to look at that issue.

22 DR. DAUM: Thank you, Dr. Wharton.

23 I'm going to stop this now. It's an open
24 public session and invite further comment.

25 DR. MORENS: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Is this microphone on or not? Yes.

2 I'm David Morens from NIH, and I have no
3 commercial or financial conflict or interest.

4 My comment really is related to the risk-
5 benefit discussions that have been going on, and as I
6 was listening to the discussion I was doing some
7 arithmetic, and granted the figures that are used are
8 open to question, but I had also heard as Dr. Kapikian
9 had the more recent CDC figures that I think CDC
10 claims are the ones they're going with, one case per
11 12,274 vaccinated kids.

12 And if you compare that with Dr. Shiels'
13 presentation in which he, I believe, showed that the
14 mortality from intussusception is less than .5
15 percent, and if you also calculate four million kids
16 born in the United States every year, I think my
17 arithmetic says that even if the association is true
18 and of the magnitude that CDC suggests, that would be
19 one excess case of vaccine associated death per year
20 compared to perhaps 20 or 40 lives saved from the
21 vaccine if it were licensed.

22 So I guess to me that sounds like an order
23 of magnitude difference such that I just question why
24 the vaccine is not on the market now, why it isn't
25 being used. I think the data that we've heard from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CDC, while they're troubling, are far from
2 confirmatory. These are basically fairly dirty
3 screening data, but all the figures that I look at
4 that I've seen presented by CDC and by FDA suggest
5 that the risk-benefit analysis is greatly in favor of
6 the vaccine.

7 DR. DAUM: Dr. Morens, thank you very much
8 for your comment.

9 Are there other comments for the open
10 public portion of this morning's meeting or early
11 afternoon's meeting?

12 (No response.)

13 DR. DAUM: Okay. Well, we will use the
14 remainder of our morning session to invite committee
15 members to free associate, if you will, on the
16 information that they've heard and to perhaps reflect
17 is the right word on what they believe we've learned
18 this morning and from the experience that we've had
19 about the prevention of rotavirus disease by
20 vaccination.

21 Dr. Huang.

22 Dr. Kim.

23 DR. KIM: I would simply just like to add
24 one more item to be included into research areas for
25 the future to understand the pathogenicity. You know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 that genomic data is coming out and is rapidly
2 expanding. Perhaps that can be included in cases
3 versus controls of rotavirus, particularly associated
4 with intussusception to see whether there is any host
5 factors that contribute to such complications.

6 DR. DAUM: Other comments?

7 DR. FOST: This might be anticipating this
8 afternoon's discussion.

9 DR. DAUM: Well, we don't want you to
10 anticipate this afternoon's discussion. So if there
11 are specifically items related to what you believe
12 will be discussed this afternoon, we'd like to defer
13 that.

14 We'd like general comments though about
15 vaccine development in this area.

16 Dr. Snider.

17 DR. SNIDER: I'd like to make a general
18 comment about risk and benefits, to not defend any
19 particular estimates at this point in time because, as
20 Dr. Wharton has said, the analytic work is ongoing,
21 and I'm not privy to what the specific numbers are,
22 but I think it is important, and in one of the things
23 that was considered by people who had to grapple with
24 the decision last year is the fact that rotavirus
25 vaccine and complications is not done in isolation,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but in the context of an entire immunization program,
2 and therefore, risk of vaccines of any sort, such as
3 oral polio vaccine, which is another issue we grappled
4 with, have to be done in the context of trying to
5 understand how the public perceives risks and benefits
6 of vaccines and the context in which they are
7 administered in the United States and not just a
8 single -- it's not just a simple calculation of one
9 vaccine risk-benefit for that single vaccine, but it's
10 in the context of the acceptability of the entire
11 immunization program.

12 And I think that's something important to
13 keep in mind for each of the vaccines we consider in
14 this committee, not just rotavirus.

15 DR. DAUM: Thank you, Dr. Snider.

16 Perhaps -- well, let me call on your
17 first, and then try and stimulate some more discussion
18 here.

19 DR. FOST: Thank you.

20 Dr. Snider's comment, I think, gives me
21 permission to comment, to say it in a different way.
22 I think it's a trap to discuss clinical trials of
23 rotavirus vaccine or a policy of the administration as
24 an all or none phenomenon. It is either it should be
25 distributed or it shouldn't or a trial should be done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or it shouldn't.

2 It will vary enormously depending on where
3 it is done. That is, doing a malaria vaccine trial in
4 Idaho would not be very sensible, but it might be very
5 sensible in a country where people are dying at a high
6 incidence from malaria.

7 Similarly, with regard to the trial that
8 are under consideration as well as past trials, it
9 might make much more sense to do this trial in a place
10 where the morbidity and mortality from rotavirus is
11 much higher than it is in the United States, and even
12 within the United States, a trial, as my college
13 Baruch says, in downtown Houston might make more sense
14 than in Madison, Wisconsin, where the burden of
15 rotavirus vaccine is minimal to negligible, or
16 rotavirus diseases is negligible.

17 So questions of trial design, of where to
18 conduct -- say you had a given trial design. It might
19 make a lot of sense, that is, the benefit-risk ratio
20 of such a trial might be very favorable in a
21 developing country and not at all in a middle class
22 suburban U.S. center, and the same comment would be
23 true for the licensing or at least the clinical use of
24 the vaccine. It might make very good sense to use
25 this in certain areas in this country and others and

1 not in affluent areas where the disease burden is low.

2 So I think it's a mistake to discuss this
3 as should we or should we not have a trial or should
4 we or should we not license any of these vaccines.

5 DR. SNIDER: If I could just add a piece
6 of information because I think that's an important
7 point, the WHO ethics work group made the
8 recommendation along the lines that you propose, but
9 in fact, they suggested that we do simultaneous
10 studies in the kinds of populations in the U.S. you
11 were talking about or in developed countries and in
12 developing countries, and that these be done
13 simultaneously and we not do them in sequence or leave
14 the developing countries out.

15 DR. DAUM: Ms. Fisher, then Dr. Kohl.

16 MS. FISHER: Well, I appreciate Dr.
17 Snider's comments, and in that context, I'd like to
18 add that I heard from a number of parents whose
19 children had intussusception following rotavirus
20 vaccine, and I can tell you that those parents were
21 extremely upset when they looked at the benefit risk
22 ratio to their child in terms of rotavirus being for
23 most children in this country not a disease that ends
24 in death or injury.

25 Intussusception, as Dr. Shiels knows, is

1 not an innocuous complication for a number of
2 children, particularly if surgery is involved, and
3 parents look at the benefit-risk ratio for their
4 child, and it is very different for rotavirus than it
5 is for smallpox, polio, diphtheria or some of the
6 other vaccines that we use.

7 DR. DAUM: Dr. Kohl.

8 DR. KOHL: I want to reiterate what
9 Melinda touched on. In the year 2000, I don't feel
10 like I, and maybe we, have a real good handle on
11 exactly who gets rotavirus in this country, exactly
12 how many kids are hospitalized right now in this
13 country, and in particular, who's dying from rotavirus
14 in this country, and indeed, is anyone dying from
15 rotavirus?

16 There are probably some, a real teeny
17 number, it's hard to get a handle on that, number one.

18 Number two, the question about using
19 rotavirus in other countries, vaccine in other
20 countries brings up the interesting economic
21 consideration that companies go through. They can't
22 sell a vaccine for 60 or 80 or \$120 in Africa where
23 the annual budget for health care is \$10 a person or
24 less.

25 So we may pontificate about that, but the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hopes of that being used worldwide is not great.

2 DR. DAUM: Can I ask the committee or
3 paraphrase a couple of thoughts from Dr. Carbone's
4 presentation about issues that the FDA would like to
5 hear us reflect on a little bit?

6 And that is that if you had a safety
7 database from small preliminary trials, how would that
8 allow you to think about advancing to a larger trial?

9 And also, how would you go about designing
10 a trial so as to be pleased that intussusception was
11 unlikely to be a problem when a new rotavirus vaccine
12 was deployed?

13 So I'm going to call on Dr. Brody first
14 because he had his hand up, but please give some
15 thought to these questions because FDA would like to
16 hear our thoughts about them.

17 DR. BRODY: I actually hope to address
18 that question as well.

19 I'd like to make just quickly three
20 observations. One is it's extremely important in the
21 assessment of risks and benefits to distinguish ex
22 ante and ex post. When there's a small chance of a
23 troublesome thing, ex ante that looks like a
24 reasonable bet. Ex post if you're one of the few
25 cases, it looks very bad.

1 Consequently, testimonies from those few
2 people who are ex post victims are the ones that have
3 to be heard, but on the other hand, they hardly are
4 definitive in settling the issues.

5 The second point I wanted to make was
6 about the economics. I think it's a very serious
7 issue. I share with you the view that if, in fact,
8 there's no reason to suppose the vaccine is likely to
9 be available afterwards in the countries in which you
10 test them, it would be inappropriate to run the trials
11 for them in those countries, and in fact, that's the
12 view that has widely emerged in another area which I'm
13 much involved in, namely, the testing of AIDS drugs.

14 I don't think we want in this area to get
15 into the same terrible fights that arose with Third
16 World trials in that question.

17 But the third is on this question you
18 raise of the FDA's request for advice. I think one of
19 the crucial things, and I think it's very important in
20 response to the remark that was made earlier, is that
21 when we think about what would be an appropriate
22 safety endpoint for evaluations and trials, we need to
23 think long and hard about this risk-benefit ratio, and
24 it isn't just a question of the number of cases of
25 intussusception. It's a question of what are the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 health implications of those numbers of cases of
2 intussusception, and that may have a big impact upon
3 thinking about whether some suppositions of excess
4 risk being inappropriate may be excessive and may be
5 too conservative.

6 DR. DAUM: Thank you.

7 Can we have further committee comment on
8 these issues? How would we go about designing such a
9 trial? How big would it have to be? What preliminary
10 data would we like to see?

11 Maybe we'll just go down the table. Dr.
12 Stephens, could we ask for a comment?

13 DR. STEPHENS: It's always dangerous to
14 sit at the end of the table.

15 (Laughter.)

16 DR. STEPHENS: I think at least my
17 perception of the discussion this morning, I'm still
18 in need, if you will, of more data concerning the
19 pathogenesis of intussusception. I'm intrigued by the
20 potential of animal studies that may be available to
21 look at intussusception as a risk factor.

22 However, I think it is important to
23 emphasize what already has been said, and that has to
24 do with the risk-benefit ratio and where you're going
25 to study this particular vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Obviously in the developing world,
2 rotavirus is an important disease and one where a
3 vaccine is clearly needed. The issues in this country
4 are somewhat less clear. I would want to see a study
5 of this vaccine in the developing setting, in my
6 opinion, maybe in contrast to looking at this vaccine
7 in the developed world.

8 So I think the risk-benefit ratio is an
9 important one in the study and design of trials of
10 where this vaccine is going to be used.

11 DR. DAUM: Thank you.

12 Dr. Huang, could we hear your views on
13 this?

14 DR. HUANG: Not being a clinician, I'm
15 going to not have all that much to say about the
16 design of clinical trials, although I am very
17 intrigued by the findings of lymphoid follicles and
18 their relationship to the side effects, and it causes
19 one to wonder whether even when we're thinking about
20 efficacy, whether the development of the infant in
21 terms of immune responses would really play a role,
22 and I think that obviously that calls for more studies
23 to be done. **

24 I would hate to see the fact that we don't
25 make vaccines that would be helpful to developing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 countries just because we think that they will become
2 too expensive for those countries. I think that where
3 vaccines are truly needed, and certainly in the
4 rotavirus infection case it is the developing country
5 that needs it, and in our discussions of this, that we
6 ought to really take that into consideration.

7 And I certainly second the suggestion that
8 if I were designing a clinical trial, I would like it
9 to be done in those places where the vaccine would be
10 most needed.

11 DR. DAUM: Dr. Snider, please.

12 DR. SNIDER: Well, just following on what
13 has recently been said, I think this is a very
14 problematic issue with regard to trying to do an
15 assessment of benefits and risk because as has been
16 pointed out, the ethics of conducting a good clinical
17 trial, indeed, even the scientific requirements of
18 doing a good clinical trial, impact on the risks and
19 benefits that will be observed.

20 In other words, as was mentioned earlier,
21 when you try to study this even in a developing
22 country, you wind up providing levels of clinical care
23 to the population even to the control population that
24 is not necessarily received by those who need the
25 vaccine most, who don't have access to oral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rehydration therapy or intravenous therapy.

2 So that you wind up in this dilemma of
3 wanting to have a vaccine most for a population in
4 whom the risk and benefits become almost impossible to
5 define because they won't be in places where there are
6 tertiary care centers where you can do this kind of
7 trial ethically, and they won't have -- even if they
8 are in those places, they'll have a different standard
9 of care during the trial likely than what would
10 ordinarily be the case.

11 So I hate to, you know, keep waxing
12 philosophical about this rather than getting to some
13 of the scientific issues, but I think it's extremely
14 -- I mean, these are some of the difficult problems
15 that impact on the answers to the scientific
16 questions, such as, you know, how large does the trial
17 need to be.

18 I mean, obviously we need to have a large
19 enough population that we're likely to be able to
20 detect an excess risk that's in the ranges that have
21 been calculated, but in order to be able to do that,
22 there's going to be large sample sizes required, and
23 the results that come out of the trial like that won't
24 necessarily be relevant for the kids who really need
25 this vaccine the most who are not going to have access

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to or have access to very little or no medical care.

2 DR. DAUM: Dr. Griffin, could we hear your
3 thoughts about this?

4 DR. GRIFFIN: Well, I'm not a statistician
5 or clinical trial designer so that I can't really
6 comment, except that it seems like we would be greatly
7 helped if we understood the pathogenesis more. Most
8 everybody would agree to that.

9 But one of the reasons that we might be
10 is, first of all, we're dealing with very low
11 frequency events, but presumably this is a spectrum of
12 problem many of which have some precursor to
13 intussusception that doesn't go on to intussusception,
14 in addition to the patients who may spontaneously
15 reduce their intussusception.

16 And if we could have some way of marking
17 whatever that -- whether it's a motility change or if
18 it's a change in lymphoid tissue or something where
19 you could genuinely look at the precursors that would
20 predict that some percentage of those kids are most
21 likely to get intussusception, I mean, as Dixie just
22 said, you need huge sample sizes right now to detect
23 these very infrequent events, and even then, you know,
24 your confidence is not very secure that you're going
25 to be able to identify the events, but I guess if we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had some other way or some other marker or some other
2 surrogate which requires understanding the
3 pathogenesis basically of why the vaccine might be
4 predisposing to this process.

5 DR. DAUM: We're heard from Dr. Kim a bit.
6 Would you like to comment on this issue? We'd
7 appreciate it.

8 DR. KIM: Well, again, I think I pretty
9 much echo what everybody has said, that we need more
10 information on the issues related to intussusception
11 particularly following vaccination.

12 And I'd like to see the completion of data
13 analysis being currently going on in CDC with respect
14 to the different numbers being, you know, discussed,
15 and again, I think that would be beneficial in
16 designing clinical trials, that what is the end that
17 will be required to meet the objective study criteria.

18 DR. DAUM: Ms. Fisher, did you want to
19 comment on these issues of how to know how to go
20 forward?

21 MS. FISHER: Yes. I think that in a
22 design of a clinical trial on a rotavirus vaccine,
23 it's going to very much depend upon what that
24 rotavirus vaccine is, and if you're talking about, for
25 example, the genetically reassorted human and animal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 viral vaccines, I think that you have to look beyond
2 intussusception, and it becomes extremely important.
3 Pathogenesis becomes extremely important with regard
4 to potential chromosomal change, residual RNA, DNA and
5 that sort of thing.

6 And so I think that you really have to
7 look at what vaccine you're talking about before you
8 can with confidence go forward with a clinical trial.

9 But I think there are certainly genetic
10 issues, whether you're going to use this vaccine in
11 combination with other vaccines and whether you're
12 going to be vaccinating sick children. That sort of
13 it is very important.

14 DR. DAUM: We're getting to the point
15 where we need to stop. Dr. Atreya and the
16 pathogenesis summary that he presented this morning,
17 you're hearing a plea for you to go faster, marshal
18 the troops, do more, do it quickly.

19 We're also hearing, I think, a clear
20 statement that there's a lot of death and morbidity in
21 developing countries from rotavirus infections, and we
22 may not have the perfect vaccine that we like, but we
23 have to weigh the risks and benefits of using them and
24 testing them against death of many, many children.

25 We also have, I think, perhaps discounted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a little bit the impact of disease in our own country.
2 Certainly the inner city tertiary care institution
3 that I work in has lots of kids hospitalized every
4 winter with rotavirus, lots of nosocomial rotavirus
5 cases, and although I am sympathetic, of course, to
6 parents who believe they were injured, whose children
7 were injured by this vaccine, I'm also sympathetic to
8 parents whose children are injured, if you will, by
9 the wild type virus and get sick, get hospitalized,
10 need IVs, need therapy, and the complications of
11 hospitalization and those therapies.

12 So I think we've got some imperfect and
13 very difficult issues to grapple with here. In the
14 meantime we encourage the science to go forward as
15 fast as it can, but that doesn't get us off the hook
16 of considering these very hard public health, ethical,
17 moral, and scientific issues.

18 And with that, it's lunchtime. Unlike the
19 real Chairman of this committee, I believe in lunch,
20 and so we're going to have a hour lunch.

21 DR. FLEMING: Mr. Chairman, did you not
22 want any statistical or ethical input into this
23 question? **

24 DR. DAUM: You know, I'd love it. Can I
25 finish this lunch comment? And then we'll have your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comment and then we'll adjourn.

2 One second.

3 DR. FLEMING: Just mine?

4 DR. DAUM: Well, I felt like we've heard
5 from this side of the table. If there are comments,
6 Dr. Fleming, please. We are dying to hear from this
7 side of the table. Please excuse me.

8 Dr. Fleming, please, and Dr. Verter and
9 others that wish to comment on these issues. Dr.
10 Fleming.

11 DR. FLEMING: You did want comment?

12 DR. DAUM: Very strongly.

13 DR. FLEMING: It didn't sound like it.

14 DR. DAUM: I apologize for not sounding
15 like it.

16 DR. FLEMING: I think this is a very
17 important issue, and I'd like to provide some
18 statistical perspectives on what would be the
19 background information we would need in a difficult
20 situation like this.

21 My sense is that we need important clues
22 about plausibility of whether we have a favorable
23 benefit to risk profile, and certainly this is
24 complex, as we've heard, in that these issues of
25 benefit to risk can certainly depend on population,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and we may well intentionally attempt to target the
2 confirmatory trial to a population where it is most
3 likely to have a favorable benefit to risk.

4 Nevertheless, I would say it's going to be
5 important when we do the studies, preliminary studies
6 and phase three confirmatory trials, to do so in
7 populations that allow us the ability to generalized
8 conclusions to broader settings in which these issues
9 will be important.

10 My sense of what we would need to look at
11 in settings like this, certainly we look for clues
12 about efficacy as well as safety, and from the
13 efficacy perspective, we have often needed to focus on
14 immunogenicity issues. In this setting though it's
15 certainly going to be possible to get important clues
16 about efficacy on direct measures, such as moderate to
17 severe or especially severe RV disease, hospital
18 admissions, health care use.

19 How plausible is it for a vaccine based on
20 these types of data to expect benefit that could then
21 be confirmed in phase three trial?

22 From a safety perspective it's going to be
23 impossible to expect to get data on rare events, but
24 what we certainly can get is information on common
25 AEs, fevers, injection site reactions, et cetera, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 biological insights about the plausibility of risk for
2 rare but profound events will be very key to consider.

3 Ultimately though a phase three is going
4 to have to be where we would get into issues of rare
5 events, and typically we don't always require that the
6 phase three trial identify conclusively what are the
7 ultimate rare event risks. We often rely on what
8 might be post marketing phase four, active or passive
9 surveillance.

10 But in a setting such as this where there
11 is obvious and significant expectation that these
12 risks are real, then it is important for the phase
13 three trial then to be designed to address those
14 issues, and as we'll see this afternoon, those could
15 require sample sizes on the order of 60 to 100,000.

16 DR. DAUM: Thank you.

17 Are there other members from this side of
18 the table inadvertently excluded from commenting? Dr.
19 Shiels.

20 DR. SHIELS: Very briefly.

21 DR. DAUM: We won't delay lunch too long.

22 DR. SHIELS: Two issues, pathogenesis and
23 the clinical trial suggestions.

24 Pathogenesis, one thing that we do know
25 that adds to our understanding of pathogenesis as far

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as motility, this is after the intussusception has
2 occurred. We do know that once we reduce the
3 intussusception that there is no reason to believe
4 that there is a motility disturbance present.

5 Let me repeat that. Once we reduce the
6 intussusception, there's no reason to believe that
7 there is a motility disturbance in these children.
8 The reason we know that convincingly is because we are
9 sending these children out of our hospital as out
10 patients. A large number of our children do not ever
11 see the hospital, except the radiology suite. They
12 have their intussusceptions reduced, and then they go
13 home, and they eat right way, and they're not having
14 recurrence or revisitation of the hospital because of
15 a motility disturbance.

16 So there is good reason to believe that
17 it's not primarily a longstanding motility
18 disturbance.

19 As far as study design, two suggestions.
20 Number one, as far as diagnostic studies, I think we
21 need to be very clear on the significant limitations
22 of plain X-rays. If we're going to surveil children
23 and observe them with accurate diagnostic studies, we
24 need to target ultrasound for the pre-therapeutic
25 evaluation of children, for the definitive diagnosis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That has to be clearly targeted. The next
2 follow-on to that is the ultrasound done in children
3 is not done universally well by all radiologists.
4 It's a very important point. If there's any one
5 imaging modality that takes artistry, commitment,
6 compulsion, and expertise, it is ultrasound.

7 Ultrasound in children, if it's going to
8 be in the setting of surveillance, should be focused,
9 if at all possible, if at all possible, in centers
10 where the community catchment area has effective focus
11 of their pediatric expertise, a three part team,
12 pediatric medical specialist, pediatric surgeons, and
13 pediatric radiologists.

14 There's a good list of roughly 13 major
15 urban centers where this country can count on urban
16 center populations with good trial data in children
17 that live in high density populations, good focus care
18 of pediatric teams, including reliable pediatric
19 radiology at any time of the day or night, and that's
20 the other point.

21 We don't delay diagnosis until eight
22 o'clock in the morning when some radiologists come to
23 work. We need to be able to count on radiologists
24 doing these studies at two in the morning or one in
25 the morning, and there's a good list of roughly 13

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 centers that have good community focus of their care
2 at children's hospital centers of excellence, and not
3 all large cities have that.

4 DR. DAUM: Thank you, Dr. Shiels.

5 The official FDA timepiece indicates that
6 it's 1:08 here in the Eastern time zone, those of us
7 that are disoriented, and we will take exactly one
8 hour for lunch and convene at 2:05 with a closed
9 session.

10 Our consultants are all included in the
11 closed session, and we'll see you all then.

12 (Whereupon, at 1:05 p.m., the open session
13 was concluded, to reconvene at 2:05 p.m., the same
14 day, in closed session.)

15
16
17
18
19
20
21
22
23
24
25

