

1 efficacy. Our point estimate is that there is a 50
2 percent increase in efficacy over the region that your
3 secondary analysis was drawing our attention to, which
4 was the period between the second dose and the third
5 dose. So I think there is an awful lot of confusion
6 for me, if I am trying to scientifically, objectively
7 interpret the data from Sweden II. My view of Sweden
8 II is that the passive surveillance didn't really work
9 to be able to detect pertussis cases. It will work to
10 detect those events that are so profound that with
11 passive surveillance they will be reported. So it is
12 a great study for studying rare safety concerns. I
13 have serious concerns interpreting it, though, when I
14 am trying to get efficacy for the pertussis cases when
15 they are so grossly under-reported, especially after
16 the third dose.

17 DR. DECKER: Dr. Fleming, you raised so
18 many points that my memory is overwhelmed. So if I
19 don't address them all, forgive me. But let me pick
20 the ones that were most striking to me. First off, I
21 regret that I wasn't sufficiently clear about the key
22 difference in the whole cell control groups in these
23 two studies. Sweden I used a United States whole cell
24 vaccine that was proven to be only 36 to 48 percent
25 efficacious. Sweden II used a European whole cell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine and the studies in the literature of that
2 vaccine suggest 80 to 90 percent efficacy. So the fact
3 that the performance of the acellular against this
4 dramatically shifting whole cell benchmark differs is
5 not surprising and is hardly an indictment of the
6 acellular.

7 DR. FLEMING: By the way, just to
8 interrupt, a valid point. And it gets at trying to
9 explain why there may be these inconsistencies in the
10 first study and the second study. It doesn't in any
11 way, though, address the issue of what the intention
12 was, which was to rule out a 50 percent increase in
13 the risk of pertussis cases against the more effective
14 whole cell vaccine used in Sweden II.

15 DR. DECKER: The second issue of
16 importance is your observation that with respect to
17 the European whole cell vaccine, there was an excess
18 of cases in the five-component acellular group after
19 the first dose. After the second dose, rough
20 comparability. Is that fair?

21 DR. FLEMING: No, it was also higher.

22 DR. DECKER: Also higher?

23 DR. FLEMING: As you showed us the
24 information between the second and third dose -- I
25 wanted to jump up, but I didn't want to interrupt your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 flow. You were using that data to get at serologic
2 information, but it actually points out that between
3 the second and third dose, there are more cases in
4 your vaccine than on the whole cell.

5 DR. DECKER: You have the numbers in front
6 of you and I am going by memory, so forgive me. But
7 is it --

8 DR. FLEMING: .13 versus .18 as I recall.

9 DR. DECKER: Okay. And then it was .85
10 after the third dose. I am absolutely comfortable
11 bringing this vaccine to this committee based on any
12 of those numbers because I want to remind you that
13 every acellular vaccine already licensed in the United
14 States was less efficacious than the whole cell
15 control vaccine in the study that they were licensed
16 on with one exception, and that would be the one
17 vaccine that was evaluated in the Italian trial which
18 used that inefficacious U.S. whole cell vaccine. The
19 other three were compared to European whole cell
20 vaccines and had lower efficacy. So this is not
21 remarkable. What is actually of interest is that for
22 at least the study design assessment of efficacy after
23 three doses, we have got a point estimate that is
24 superior to the European whole cell, and that has
25 never been observed before. I believe the totality of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the data support the --

2 DR. FLEMING: You are saying the post-
3 third dose?

4 DR. DECKER: Yes, right.

5 DR. FLEMING: That we have 15 cases versus
6 13 cases in 40,000 children? That is the impressive
7 take-home message?

8 DR. DECKER: No. The impressive take-home
9 message is that the efficacy of this vaccine compared
10 to a European whole cell is very similar.

11 DR. FLEMING: 15 cases versus 13 cases
12 after the third dose in 40,000 children. That is the
13 data, is that correct?

14 DR. DECKER: That wasn't the end of my
15 sentence. I was going to say after the first dose you
16 said that there was a -- if I am remembering your
17 numbers right, and I don't have them in front of me.
18 I am not trying to misquote them.

19 DR. FLEMING: It is 58/48 after the first
20 dose.

21 DR. DECKER: 58/48. That is at least as
22 good as was reported for other U.S. licensed acellular
23 vaccines that were compared to European whole cells.
24 We need to -- I think it important that we not apply
25 a different set of standards today than we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 applied on all other such occasions. This vaccine very
2 comfortably fits in the spectrum of U.S. license
3 acellular vaccines, and indeed I believe is more
4 efficacious than other U.S. license acellular
5 vaccines. It is true that in Sweden II, the vaccine
6 was subjected to a tough standard, but that shouldn't
7 be held against it.

8 DR. FLEMING: The first question that I
9 raise to the committee is what is the standard we
10 should hold. So I am agreeing with you that it is
11 something -- that it is a question that is worthy of
12 discussion. What I am trying to probe at this point,
13 though, is the confidence that you are deriving from
14 Sweden II in terms of the efficacy message for your
15 vaccine against the whole cell, particularly relative
16 to the post-dose three comparison, where it seems to
17 me that there is such dramatic under-reporting that it
18 is extraordinarily difficult to make any conclusion,
19 and certainly the hypothesis that generated this study
20 was not met. The non-inferiority hypothesis was not
21 met. I agree with you, that doesn't lead me to believe
22 that we conclude inferiority, but rather that there is
23 such dramatic under-reporting, that this study is so
24 dramatically under-powered that one is really hard-
25 pressed to draw any conclusion about relative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 efficacy, particularly using only the post-third dose
2 data.

3 DR. DECKER: All right. You raised two
4 important issues there and let me respond to them. The
5 first is we don't really bring the Sweden II Trial
6 because of our need for the post-three dose efficacy.
7 We report it, and I think the data are interesting and
8 useful. But the real reason we bring the Sweden II
9 Trial is because of the question as to whether the
10 reduced pertactin antibody response in U.S. kids has
11 important implications for protection of U.S. kids.
12 And with respect to that, the key part of the Sweden
13 II Trial that -- the reason we bring the Sweden II
14 Trial is because the way it unfolded it turns out that
15 we believe you can derive important efficacy data and
16 serologic data after the second dose. And after the
17 second dose, the efficacy of the acellular vaccine was
18 not as good as the whole cell.

19 DR. FLEMING: Right.

20 DR. DECKER: But it was more than good
21 enough. No other acellular vaccine that is licensed
22 in the U.S. had any better efficacy against a
23 comparable whole cell. And importantly, this
24 demonstrated adequate relative efficacy to the whole
25 cell after the second dose is in the context of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antibody levels in the Swedish kids lower than in the
2 American kids in the Bridging Study. So the important
3 point we are trying to draw from the Sweden II -- you
4 know, what you say about the surveillance is true. But
5 unless the surveillance was differential or biased, it
6 weakens the power. It doesn't invalidate the
7 comparisons.

8 DR. FLEMING: We are agreeing -- last
9 comment. We are agreeing completely on the logic of
10 what you are trying to pursue here. You are trying to
11 address the U.S. Bridging studies by putting them in-
12 between Sweden I and Sweden II. I understand that.
13 That is why you are looking at Sweden II. My concern
14 is the reliability of your conclusions about the
15 actual efficacy in Sweden II then is critical to that
16 argument. And with such dramatic under-reporting,
17 there is first of all significant lack of power to be
18 able to derive reliable conclusions. But secondly,
19 also major risks for bias because so much of what
20 actually is happening in terms of the cases aren't
21 being detected. But what we see is an estimate of an
22 increase, but an increase that could be consistent
23 with a fairly striking and unacceptable level of
24 increase. Not because the data are proving that there
25 is an increase, but because there is such variability

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 due to under-reporting and such potential bias due to
2 that under-reporting. Plus the fact that the point
3 estimate is in the wrong direction over that region
4 where you are drawing our attention, which is after
5 dose 2.

6 DR. DECKER: I wouldn't characterize it as
7 being in the wrong direction. The key thing is that
8 the estimate of efficacy at that point is compatible
9 with the estimated efficacies of other acellular
10 vaccines that are licensed in the U.S.

11 DR. GRIFFIN: Okay, I think we have gotten
12 -- unless you have new points to make.

13 DR. DECKER: I do. One more, please. Let
14 me just remind you that what we have focused on for
15 the last five to ten minutes is only one element of
16 the explanation as to why the CPDT vaccine is suitable
17 for use in U.S. children. If we entirely remove the
18 information from the Sweden II Trial, which I don't
19 think would be valid. But if we did, there is still
20 the other data concerning antibody decay, equivalency
21 of the antibody levels, and even the question on the
22 pertactin is a focus on a difference in one of the
23 four antibodies, and we have got good data to suggest
24 that the protection arrives from the combination of
25 all of the antibodies. And so I don't want the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 legitimate argument over the interpretation of the
2 Sweden II data to cause us to forget that that is only
3 one element in a larger picture. Other elements --

4 DR. GRIFFIN: Okay, we won't.

5 DR. FLEMING: I would like to address
6 those other elements later today.

7 DR. GRIFFIN: Okay. Yes. No, we will get
8 back to them. But let's stick to addressing the
9 questions that people have specifically asked. Okay,
10 other -- okay, I just wanted to make sure that there
11 wasn't anybody on this side of the table that I wasn't
12 looking at and ignoring. Dr. Kohl?

13 DR. KOHL: I think to some extent we may
14 be missing the forest for the trees. And it goes back
15 to a question that Dr. Fleming asked, which is really
16 the key question. And Dr. Fleming asked what levels of
17 antibody are correlated with protection -- I may be
18 paraphrasing him. There is a more basic question to
19 that obviously. It is, is antibody correlated with
20 protection? And this is an extremely controversial
21 part of the whole pertussis story. There are good data
22 in animals that animals given TH-1 cells and no
23 antibodies at all are protected to some extent against
24 pertussis. And we know from some of the other
25 vaccines, the pertussis toxin only vaccine and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two-component vaccine, which contains no pertactin,
2 that there is reasonable protection, not great, in the
3 PT only and pretty good protection in the two-
4 component. So I think it is a more basic question that
5 we have to address. And I am concerned that we are
6 focusing on this minutia with pertactin when, from
7 what I can see, this is quite an excellent vaccine and
8 I hope that this obsessional concern here doesn't
9 throw us off track of the bigger picture. That we
10 really don't know in 2000, I don't think, which
11 antibodies protect, let alone exactly what level of an
12 antibody protects. So I think we have to bear that in
13 mind.

14 DR. GRIFFIN: Okay. Ms. Fisher?

15 MS. FISHER: I want to return to the HHE
16 issue, because that is a very serious event. And in
17 the Swedish II Trials, the HCPDT vaccine was second
18 most reactive in that category. And HHE has been
19 particularly associated with pertussis containing
20 vaccines and speculation has been that it is either
21 caused by the endotoxin or by pertussis toxin. So the
22 CPDT vaccine included in Swedish Trial I contained
23 half the amount of pertussis toxin that the HCPDT
24 vaccine in the Swedish Trial II did. First, do you
25 know the components in the vaccine responsible for HHE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and the biological mechanism. And if you don't, isn't
2 it risky to assume that the increased HHE seen within
3 the Swedish Trial with that vaccine, even with the
4 increased observation, that they were not due to the
5 increased levels of pertussis toxin in that vaccine?

6 DR. FAHIM: Just one comment. Actually,
7 you raise a very good point. In that same trial, we
8 had another vaccine, the two-component, which had 25
9 micrograms, which is higher than the 20 micrograms we
10 had with the hybrid combination. So we had 25
11 micrograms in the one vaccine that compared with the
12 HCPDT and the differences in HHE's are insignificant
13 statistically.

14 MS. FISHER: That is the DTaP-2?

15 DR. FAHIM: Correct. That has 25
16 micrograms of PT compared to our 20 micrograms.

17 MS. FISHER: And the DTaP-3, what did that
18 have?

19 DR. FAHIM: That has a recombinant
20 pertussis toxoid. It has 5 micrograms of a
21 recombinant, which is a different -- completely
22 different shape.

23 MS. FISHER: Do you know what component is
24 responsible?

25 DR. FAHIM: No. I don't think I do.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DECKER: No. This is -- to the best of
2 my knowledge, no one in this room knows for sure what
3 the connection is between pertussis-containing
4 vaccines and HHE. That is something we would like to
5 know more about but we don't know. With respect --

6 MS. FISHER: One of the reasons I am
7 concerned is I -- something crossed my desk just this
8 week coming from Canada about the epidemic of
9 anaphylaxis and shock-like episodes after vaccination
10 which could potentially also be HHE. And since this
11 vaccine, the HCPDT formulation is the one used in
12 Canada is the reason I am concerned about the HHE
13 connection here.

14 DR. DECKER: Well, I have got basically
15 two answers. One is as I said before, and you will all
16 make up your own minds looking at the data, but I am
17 firmly convinced that the elevated rate of HHE
18 reporting in the Sweden II is not a function of this
19 one vaccine. It is a function of the way that study
20 was conducted. But the second thing is if you are not
21 convinced of that, remember that is not the vaccine we
22 bring here to license today. We show you the Sweden II
23 data because, as I mentioned, this discussion with Dr.
24 Fleming. We think it helps to reassure that the CPDT
25 vaccine will be efficacious in U.S. children. But if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you believe that the CPDT is a safer vaccine than the
2 HCPDT, I would remind you it is the CPDT we seek
3 licensure of.

4 MS. FISHER: I understand.

5 DR. GRIFFIN: Dr. Hewlett?

6 DR. HEWLETT: In fairness, it is not
7 really appropriate to refer to this as pertussis
8 toxin. It is pertussis toxoid in these vaccines.
9 Unlike the whole cell vaccine, in which there is some
10 biologically active pertussis toxin. all of these
11 acellular vaccines, to the best of my knowledge, have
12 no measurable pertussis toxin biological activity.

13 MS. FISHER: Really? That was not my
14 understanding. I understood that there was still some
15 bioactivity with acellular.

16 DR. HEWLETT: Well, maybe they can answer
17 this.

18 DR. FAHIM: Based on the assays defined by
19 the FDA, we don't have -- based on the sensitivity of
20 those assays, we don't have any measurable detectable
21 pertussis toxin in our vaccine. Based on multiple
22 assays that we used for the release of this vaccine,
23 we don't have any measurable pertussis toxin.

24 DR. GRIFFIN: Okay. Other questions from
25 the committee before we take a coffee break? All

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right. We will have a coffee break. Let's make it
2 short. Back by 11:15.

3 (Whereupon, at 11:00 a.m., off the record
4 until 11:17 a.m.)

5 DR. GRIFFIN: Okay, now we are going to
6 move to presentations from the FDA that are relevant
7 to our discussions today. First, we will start with
8 Dr. Meade on efficacy and immunogenicity.

9 DR. MEADE: Okay. In this section, I will
10 spend about 30 minutes presenting some review and
11 comment of some of the data that we have looked at
12 this morning. My part of this will be dealing with
13 the efficacy and immunogenicity issues and then
14 following my presentation, Dr. Antonio Geber, the
15 clinical reviewer for this application will discuss
16 the safety and concurrent immunization issues.

17 So, again, I will start with -- the
18 question that my presentation will be dealing with
19 will be related to the question of efficacy. To
20 remind you that we will be asking the question in two
21 parts. The first one, are the data adequate to support
22 the efficacy of the acellular pertussis component of
23 CPDT when administered to infants and children in the
24 U.S. as a four-dose series. If not, what additional
25 information should be requested. And question 1B, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the committee response to question 1A is yes, please
2 discuss the adequacy of the data to support the
3 efficacy of the acellular pertussis component of CPDT
4 when administered to infants in the U.S. as a three-
5 dose series.

6 I am going -- in this presentation, I will
7 be mentioning two of the safety and immunogenicity
8 trials with CPDT, and I thought it would be worthwhile
9 to mention them very briefly here, because I will
10 switch back and forth a little bit during the talk.
11 The first one you have heard, we presented very
12 briefly earlier, is the U.S. Bridging Study, and that
13 was the Population and Lot Bridging Study, which
14 included the two lots of CPDT, lots 6 and 9. It was
15 done in the U.S. at a 2, 4 and 6 month schedule with
16 this number of subjects and was completed in 1997.

17 We will also be mentioning the phase II
18 lot consistency study, which again included three lots
19 of CPDT and was done in Canada. And this study, they
20 had children with a 2, 4 and 6 month schedule and that
21 portion was completed in about 1993. And these
22 children received the fourth dose at 17 to 18 months
23 of age and that was completed in 1994.

24 Again, I will come back and I will try to
25 emphasize which trial I am talking about when I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 switching on this as I go through.

2 Just to go back now to highlight the
3 points I made earlier regarding the U.S. Population
4 Bridging Study. The study was conducted to compare the
5 antibody responses between U.S. and Swedish infants,
6 to provide immunogenicity data to support the
7 generalization of the Swedish efficacy data to the
8 U.S. infant population. And again, that trial in the
9 U.S. did lots 6 and 9, as we have discussed earlier,
10 and the immunogenicity comparison was done using data,
11 same lab, same time, using children with lot 6 in
12 Sweden and lot 6 in the U.S. and lot 9, which was a
13 more recently manufactured lot in the U.S.

14 We should mention the antibodies to the
15 U.S. Bridging Study portion were measured initially at
16 Connaught prior to the complete validation of the
17 assays. After completion of validation, APL re-assayed
18 the available sera from the U.S. Bridging Study and
19 from Sweden Trial I. And the sera that were available
20 for this assay included 46 percent of the samples that
21 they had obtained from Sweden and approximately 71
22 percent of serum samples from lot 6 and lot 9.

23 And the serology data were submitted in
24 August of 1999. And I will go through -- you have
25 seen some of these data, but I will go through them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very briefly to highlight a few specific points to
2 show that they didn't meet the criteria for either lot
3 when applied to lot 6 in the Sweden Trial I. There
4 was a lower geometric mean and a higher proportion of
5 non-responders and a higher proportion of low-
6 responders. And I will go through the data a little
7 bit more -- some aspects a little bit more
8 completely.

9 You have seen the reverse cumulative
10 distribution curves and I don't think we need to see
11 them again. But to highlight again that it was
12 different. It differed substantially in shape of the
13 curve. Again, especially at the lower end of the
14 responses.

15 Again, this is to show the geometric mean
16 data. And you have seen these highlighted in the
17 pertactin data from Sweden I. There were 83 serum
18 samples available with this mean. From the Bridging
19 Study there was 107 available for lot 6 and 108 for
20 lot 9. These are the GMC's and these are the ratios of
21 the GMC's with the confidence intervals -- 90 percent
22 confidence intervals. So comparing lot 6 in the U.S.
23 versus lot 6 in Sweden, the ratio is .54, with the
24 confidence intervals as shown. And again, this shows
25 lot 9 to U.S. and lot 6 to U.S. with the estimate of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 .72.

2 Again, to highlight what I think many of
3 us believe is of particular importance is to look at
4 the low end of the curve. This illustrates for, again,
5 arbitrarily selected values, 5, 20 and 50. The
6 difference in proportion of individuals -- of subjects
7 who met those criteria. In Sweden Trial I, all
8 children had a post-immunization value greater than
9 20, and only about 10 percent were less than 50 one
10 month after the third dose. If we compare the
11 comparable cutoffs for the lot 6 and 9 for the U.S.
12 Bridging Study, again it was 5 to 7 percent that were
13 below the 5 ELISA unit cutoff, 17 to 22 percent that
14 were below 20, and again approximately 35 to 42
15 percent that were below the 50.

16 So the conclusion is that they didn't meet
17 -- you know, they were lower responses in the U.S.
18 compared to what they saw in the regulatory
19 population, and the regulatory issue is that lower
20 responses to an antigen believed important for
21 protection for this vaccine suggest that the vaccine
22 may have a lower efficacy in the U.S. population than
23 what was estimated in the efficacy trial in Sweden.

24 So we wanted to review a few points along
25 the way. On some of the analyses and evaluations that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have been done related to this, and one of the points
2 -- again, this was due to the age of the lot used in
3 the efficacy studies. And again, in the Bridging Study
4 the lot 6 was about four years old at the time
5 immunizations were done. However, this seems not to be
6 a major issue because the results for lot 6, which was
7 the efficacy lot, were similar to or higher than the
8 results for the more recently manufactured lot 9.

9 And we asked the sponsor to look to see if
10 this was unique to this particular trial, and Aventis
11 has reviewed data from the other U.S. and Canadian
12 studies and they looked at data from CPDT, HCPDT and
13 combinations that were based on both of these
14 vaccines, and the quantity of pertactin per dose is
15 the same for all of the products. And again in all of
16 these analyses, most antibodies were measured either
17 at laboratories other than APL or at APL prior to
18 assay but finalized by validation. So that has to be
19 kept in mind. But the available evidence from these
20 studies indicates that the antibody responses to
21 pertactin in the U.S./Canadian children were generally
22 lower than those observed in infants from Sweden in
23 Trial I. So the evidence seemed to be that it was not
24 unique to that particular trial.

25 Again, as you heard earlier, there was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some looking to see if this was potential explanations
2 for that. One, again, looked at the age of first
3 dose. And as the sponsor noted, in Trial I all the
4 infants were at least 60 days of age at time of
5 enrollment. And in some of the U.S. trials, there were
6 some of the infants that were less than 60 days, as
7 you saw earlier. But it is important to note that in
8 most of the Canadian trials, virtually all of the
9 children were at least 60 days of age at the time of
10 entry in the trial. And we saw some data from the
11 sponsor suggesting that at least in one analysis the
12 maternal antibody in the pre-immunization sample could
13 potentially be influencing the results. Again, in the
14 U.S. studies, possibly related to the lower age at
15 obtaining the pre-immunization sample. But also
16 potentially other explanations, there was again higher
17 maternal antibody in the U.S. studies. And at least in
18 the one study -- one of the studies is that there was
19 a negative correlation between the pre and post-dose
20 three pertactin antibody responses, suggesting that
21 the maternal antibody could somehow influence the
22 results. But we have looked at, again, the information
23 of the sponsor and we have looked at most of the North
24 American studies, and we are not finding a consistent
25 conclusion that can be drawn in all of the studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 For example in the MAPT trial, there was no
2 significant negative correlation between pre and post-
3 immunization titers for pertactin antibody.

4 So, again, if it were a consistent
5 observation from all of the trials, that would be --
6 at least would provide an explanation. But we have not
7 at this point seen a consistent conclusion. But
8 again, we are certainly willing -- you know, further
9 explanations and studies to try to evaluate that would
10 be useful. Again, I am not sure how it could influence
11 immunization practices. We are certainly not going to
12 be screening mothers for antibody -- prior to
13 immunization. So again, I think there is some
14 question about how it influences practices, even if we
15 do have an explanation.

16 So we wanted to look at little bit at the
17 issue we have talked about before as to what is the
18 clinical significance of reduced responses. And we
19 wanted to talk through some of the analyses that have
20 been presented. And one relates to the household
21 contact studies that were designed to look for
22 correlates of immunity. And there were two published
23 studies back to back in vaccine. One from Storsaeter,
24 et al. That was the data you have seen before already
25 this morning from Sweden Trial I. And there was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study by Cherry, et al., that used data from a German
2 trial that evaluated the Acel-Immune product. Both of
3 these studies concluded that when exposed to
4 pertussis, the lowest pertussis attack rates were
5 observed in children with detectable antibodies to
6 protect and fimbriae and to a lesser extent for
7 pertussis toxin.

8 However, it is important to note that
9 there is no serologic correlate of immunity that has
10 been universally accepted for acellular pertussis
11 vaccines for some of the reasons that I will talk
12 about in the next slide.

13 And in particular, at least in some of the
14 submissions we received from the sponsor -- I don't
15 think they did so today -- and the question is is
16 there a protective level. In some of the submissions,
17 the value of 5 ELISA units was suggested as a
18 protected level, and that was the cutoff used in the
19 household contact study that you heard this morning.
20 But I think we need to keep in mind some of the
21 limitations of any value, again much less 5 ELISA
22 units for defining protective level. One is that the
23 household contact studies evaluated the concentration
24 as well as possible at the time of exposure and not at
25 the post-immunization time point of one or two months

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 post-immunization. And the two household contact
2 studies, the two of them used different values to
3 define what they specified as a threshold response.
4 Similar, but again not identical. And again, the
5 values were measured in different laboratories. So the
6 absolute antibody values may not be equivalent in the
7 different laboratories. But more importantly, I think
8 it is important to know that these are observations
9 based on pre-immunization samples. But it doesn't
10 begin to evaluate and really discuss the relative
11 contribution of each individual antigen to protection
12 and this has not really been elucidated. And again,
13 even we will recall that the protective mechanisms, as
14 was mentioned this morning, are not really fully
15 understood. Is it antibody or is it cell mediated
16 immunity or some assessment of memory that can occur
17 in response to infection. So, again, in the absence of
18 a clear understanding of protective mechanisms, just
19 a simple interpretation of antibody, any antibody
20 value as being protective, I think needs to be thought
21 through carefully.

22 Again, related to the evidence that --
23 again, this is, as you have heard this morning, APL
24 has presented evidence to support efficacy in the U.S.
25 population. In our reviews, we have broken these down

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 into three areas for our purpose of review. One is the
2 argument related to the redundancy of the pertussis
3 component with basically the argument being that with
4 the multi-antigen vaccine, children may not need to
5 respond to all antigens in order to be protected.

6 Secondary, which we have heard this
7 morning, is that there is efficacy in a population
8 that had heterogeneous responses to protect, and this
9 was based on the data we saw this morning based on
10 Trial II, the safety immunogenicity of the HCPDT
11 vaccine after two doses.

12 And then the third area relates to their
13 presentation for the adequacy of pertactin response
14 following the fourth dose. And the argument is that
15 children respond adequately to pertactin following the
16 fourth dose recommended in the U.S. schedule.

17 Now I wanted to start with the last of
18 those, which again I think will be the first part of
19 our question. It relates to the fourth dose and it
20 certainly is a lower hurdle for evaluating the data.
21 And APL has concluded that the pertactin antibody
22 response following the fourth dose in North American
23 toddlers is equal to or greater than that observed
24 following the three-dose series using the Swedish
25 efficacy Trial I.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now related to this, I wanted to note that
2 Aventis was not able to evaluate the response to the
3 fourth dose schedule in the U.S. Bridging Study, which
4 is the study we have been looking at, because no
5 subject received a fourth dose of CPDT. We should
6 note that some children received an investigational
7 vaccine for the fourth dose. But again the data from
8 this investigational vaccine has not been considered
9 relevant to this application.

10 Since the data from the U.S. Bridging
11 Study were not available, APL has submitted post-
12 fourth dose immunogenicity data from four other
13 studies. For the largest study, the sponsor was able
14 to go back to a phase II study, which I will describe
15 on the next slide, and reanalyze the pre and post-
16 fourth dose sample using the revalidated assays. For
17 the other three studies, antibody assays were
18 performed either by a different laboratory or by
19 Aventis prior to completion of the validation.

20 I am going back to this slide again just
21 to keep in mind which study we are talking about. This
22 is the U.S. Bridging Study. Again, there are no fourth
23 dose data. The largest study with fourth dose data is
24 the phase II, which was designed as a lot consistency
25 study in Canada in which they had data on 301 subjects

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the 35 infants who had the lowest post-dose three
2 pertactin antibody. Again, this was the pre-validated
3 assays. So we were not accepting them in a
4 quantitative sense, but we ranked them and picked the
5 35 infants that had the lowest, and this value was
6 those that had less than 5 ELISA units after the third
7 dose. And again, looking at these 35 specifically, the
8 geometric mean post-dose four was 125 ELISA units with
9 the confidence intervals indicated. And of these 35,
10 all of these infants had exceeded the 25 ELISA unit
11 threshold cutoff after the fourth dose.

12 And then just to complete the available
13 data. The fourth dose data are presented here. It is
14 three smaller studies. And here we present the results
15 post-dose three, post-dose four. We indicate the
16 laboratory that did these assays and the number of
17 individuals. And again, because of the differences in
18 laboratories and the fact that the assays at APL were
19 prior to completion of the validation, again we need
20 to be somewhat careful in comparing these. But in all
21 cases, you will see that there was certainly a good
22 measurable response post-dose four that was higher
23 than that observed post-dose three in all of these
24 studies.

25 So that summarizes what is available on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the fourth dose. I want to move to some of the areas
2 of argument that have been presented by the sponsor.
3 And the first relates to the redundancy of the
4 pertussis component. We have reviewed it contains five
5 antigens, as we have discussed. And APL has proposed
6 the responses to all the antigens are not necessary
7 for protection. And specifically that reduced response
8 to one of the antigens would not necessarily put a
9 child at risk of infection.

10 So, again, we have done some analyses to
11 look at -- to break this down and to try to look at
12 that a little more clearly as to the response of the
13 other antigens in these subjects. And this was
14 analysis that was done by APL at our request. What we
15 have looked at is we -- now we have gone back to the
16 U.S. Bridging Study -- again, just to remind you that
17 this is the Bridging Study that showed the low
18 responses in the RCDs shown earlier. Again, what we
19 have done is we have stratified the children for each
20 of the lots that you have used based on what their
21 pertactin response was after the third dose. We have
22 chosen a very arbitrary cutoff of 20 ELISA units, and
23 we have chosen that -- probably the primary reason is
24 that it is well above the limit of quantitation in the
25 assays and it is not a close call. It is certainly a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RECORD ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measurable quantifiable response. It is on the low
2 end, but still it is a quantifiable response. And we
3 thought this was a reasonable cutoff. They have looked
4 at other cutoffs, but this is an arbitrary one that
5 seemed to make some sense.

6 If we look at those who had less than 20
7 after the third dose, we look at the responses to the
8 other antigens and compare them to those who had
9 greater than 20 ELISA units to protect. And the trend
10 is certainly true in all of the assays that those
11 subjects who had a lower response to pertactin tended
12 to have a lower response to the other antigen. And
13 that held true with both of lots 6 and 9.

14 So given the -- again, this information we
15 have at this stage, we took their line listings of
16 data and actually thought it was important to look at
17 individual study subjects. Again, the cutoffs are
18 arbitrary and we thought it was important to look at
19 the responses more carefully. And this is analyses
20 that we have done from their line listings of data.
21 And for the 215 subjects immunized with CPDT, and we
22 have lumped lots 6 and 9 in this analysis for
23 simplicity. And, again, for those for whom sera was
24 available for reassay, we observed that 72 percent --
25 again, going through the same arbitrary cutoff, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again is well above the LOQ in all four assays --
2 sorry, limit of quantitation in all four assays. 72
3 percent were above 20 for all four assays or for all
4 four of the antigens tested. 93 percent of the
5 subjects had greater than 20 ELISA units for at least
6 three of the four antigens. And 98 percent had at
7 least 20 ELISA units against at least two of the
8 antigens. Again, in our reevaluating these data, it
9 appears to us that at least most of the individuals
10 responded to more than one of the pertussis
11 components. And again, we have looked at the line
12 listings in more depth, and of the values, the
13 relative few values that were below the limit of
14 quantitation, which is again the lowest quantifiable
15 of antibody. And again in, looking at the line
16 listings, all of the infants were above the LOQ, again
17 quantifiable antibody, in at least two of the antibody
18 assays. Again, there were relatively few values below
19 the limit of quantitation.

20 But again, I think it is important, as we
21 will hear -- we have heard this morning and we will
22 hear again -- it is very difficult to interpret the
23 clinical significance of these observations in the
24 absence of a well defined and validated serologic
25 correlate.

1 Now I wanted to turn to the third area
2 that we have had discussed this morning by the
3 sponsor, and that relates -- again, the way we have
4 presented this is that the sponsor has tried to make
5 a case for efficacy in a population with heterogeneous
6 responses to pertactin using the data as we have seen
7 from before on Trial II in Sweden. And again, as we
8 have heard already, that employs the efficacy and
9 immunogenicity data following the second dose of HCPDT
10 given at five months of age.

11 By now I think you are well familiar with
12 the layout of Trial II, but again to remind you it was
13 a 3, 5 and 12 month schedule. There was a minority,
14 about 12 percent of the subjects that were evaluated
15 on the 2, 4 and 6 month schedule. This lists the
16 vaccines that were evaluated. And the result, which
17 has been presented, that the efficacy with this
18 definition was comparable to the whole cell vaccine
19 with these relative risks indicated.

20 But the analysis that has been presented
21 today is not what was defined as the primary analysis
22 from the trial. It was a different efficacy analysis.
23 This was a planned analysis that was done at the 7
24 month interval following the second dose of HCPDT
25 given at five months of age. And the data we have seen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 REBOE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this morning is that for the interval for 5 to 12
2 months of age, the efficacy for HCPDT was
3 significantly greater than that for the two-component
4 vaccine, the SKB two-component vaccine with the
5 relative risks as listed. The quantitative antibody
6 comparisons that have been done in these analyses
7 compare the Sweden Trial II results, which the samples
8 were taken two months after the second dose and
9 compared to the data from the U.S. Bridging Study,
10 which again was one month after the third dose. So we
11 have a difference in the timing of samples.

12 And I think it is important to highlight
13 some of the limitations that we have identified for
14 these analysis. Again, I think many of these have been
15 brought up this morning, but I wanted to comment that
16 the vaccine composition was different. That the hybrid
17 vaccine contains more of an inactivated pertussis
18 toxin and FHA than the CPDT. And it is important to
19 keep in mind that the duration of protection was
20 really only this 7-month period of observation --
21 again, that interval between 5 to 12 months of age.
22 And the efficacy was reported relative to other
23 vaccines. Again, it was done to the two-component
24 vaccine for which the absolute efficacy following only
25 two doses is uncertain.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 REBOE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Again, there were differences in the
2 antibody testing laboratories. The Swedish data were
3 from the Swedish laboratory and the North American
4 studies were from the APL laboratory. We have seen
5 some data this morning indicating -- in which they
6 showed the different -- the similarity of assays and
7 results from the assays. But again, one of the
8 important issues is the timing of the serum sampling
9 and making any comparison. It was two months post-dose
10 two versus one month post-dose three, and they use
11 comparisons. And I think this timing of serum sampling
12 is important. Again, in the analyses that we were
13 presented related to this in making these comparisons,
14 APL employed antibody decay rates that were estimated
15 from observed published antibody values. The
16 estimated decay rates were then used by APL to
17 estimate the antibody concentrations. And they would
18 estimate the concentration at one month post-
19 immunization when the two month value was available or
20 at two months post-immunization when the one month
21 value was available.

22 Again, I think it is important to point
23 out at least some of the limitations to the
24 quantitative use of these decay curves. Again, I
25 think the validity of the estimated decay rates has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 REODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not really been rigorously verified in studies. The
2 Swedish investigators concluded that in the
3 publication that the decay rate estimates were of
4 limited predictive value for any individual. The
5 decay rates were estimated based on three observed
6 time points. The one month, seven month and 23 month
7 post-dose three data points. And it assumes a linear
8 decay during the relevant interval and the comparisons
9 between one and two months post-immunization.

10 Our conclusion is that the quantitative
11 comparisons between the U.S. Bridging Study and the
12 Sweden Trial II have important limitations. Again, on
13 the other hand we have looked at the qualitative
14 comparisons from the sponsor and what we have done --
15 and I will show our CD on the next slide. For the
16 pertactin antibody, they compare the RCD curves among
17 the subjects -- and again, they were looking at the
18 shape of the curves in the U.S. Bridging study, which
19 are the curves you have seen many times already this
20 morning -- lot 6 and 9 -- one month post-dose 3. On
21 the same graph we will show the data Sweden Trial II,
22 two months post-dose two. And on this same curve --
23 again, it was from the sponsor and included two other
24 curves. I thought I should identify them. These were
25 from Swedish infants done in the Swedish laboratory.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 These were one month post-dose three. And this
2 included the data from Sweden Trial I at the 2, 4 and
3 6 month schedule. And as you recall, that minority
4 group had 12 percent of the population. In the Sweden
5 Trial II, they were evaluated on the 2, 4 and 6 month
6 schedule, and this is from the immunogenicity subset
7 from that group.

8 Again, this shows the RCDs. Again, the
9 two on the right are the two that are one month post-
10 dose three from Sweden Trial I, which is lot 6, and
11 Sweden Trial II, which was at 7 months of again but it
12 was following the 2, 4 and 6 month schedule. So these
13 two are presented for comparison. And the other three
14 curves are shown here. Again, you have seen lot 6 and
15 lot 9 this morning several times from the U.S.
16 Bridging Study. And this superimposes the data, non-
17 adjusted. Again, we feel that adjustments are really
18 of limited value. So these are the non-adjusted
19 values for two months after the two doses in Trial II.
20 Again, this is the 7 month time point in the two month
21 schedule. And again, since it is two months after the
22 dose versus one month after the dose, we certainly
23 expect that there would be some shifting of this curve
24 to the right. Again, but we wouldn't begin to know
25 how far to adjust that very accurately.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I think the conclusion from this is
2 again that the shape of the curves are similar in that
3 you have a population in Trial II in Sweden that has
4 a very heterogeneous response to pertactin, and again
5 some individuals that had very low or no responses.
6 And it is roughly similar to the shape of the curve as
7 has been seen from the U.S. Bridging Study.

8 So with respect to these qualitative
9 comparisons, the conclusion by the sponsor is that the
10 comparable RCDs indicate heterogeneity among subjects
11 in the response to pertactin in both Sweden Trial II,
12 which are again two months post-dose two, and the U.S.
13 Bridging Study one month post-dose three. And they
14 have concluded that the data provide evidence for
15 efficacy in a population immunized with the Aventis
16 pertussis antigens but without antibody to all of the
17 antigens. And in any kind of evaluation, it is
18 important to remember that in each case they would be
19 receiving doses of DTaP. In Sweden Trial II, the
20 subjects did in fact receive their third dose at 12
21 months of age. And in North America in the U.S., a
22 fourth dose is recommended at 15 to 20 months of age
23 in that group.

24 So again, I just want to conclude with the
25 -- again, what I have tried to do is summarize some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 our comments and thoughts related to some of the
2 analyses presented, again in what was our
3 interpretation of the three areas of argument that
4 have been presented by the sponsor related to this.
5 One as it relates to the redundancy of the pertussis
6 component. One is the efficacy in the population with
7 heterogeneous responses to pertactin. And then the --
8 as we have summarized before, the response to
9 pertactin specifically following the fourth dose.
10 That concludes my presentation.

11 DR. GRIFFIN: Okay. Thank you. I think we
12 will have questions now for Dr. Meade. Yes, Dr. Huang?

13 DR. HUANG: Why don't we see any
14 protective efficacy studies from the Bridging results,
15 even if we have to compare them to historical controls
16 or other types of controls?

17 DR. MEADE: I am sorry, I couldn't hear
18 you. I am sorry.

19 DR. HUANG: Why don't we see any
20 protective efficacy results from the Bridging studies,
21 even if we have to compare them to historical controls
22 or some other types of controls? There is no
23 pertussis in the United States?

24 DR. MEADE: No, no.

25 DR. HUANG: So there is no way that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can get any direct result like that?

2 DR. GEBER: There were only 315 subjects
3 enrolled in that study.

4 DR. HUANG: Right.

5 DR. GEBER: As clear -- I think the group
6 from NIH can tell you, when they were looking for
7 places where they could do efficacy studies, they
8 evaluated whether or not studies could be done in the
9 U.S., and the conclusion is that there was not enough
10 pertussis in order to do a trial here. And certainly
11 not in the number of subjects that were done in the
12 safety and immunogenicity studies.

13 DR. GRIFFIN: Other questions for Dr.
14 Meade? Dr. Estes?

15 DR. ESTES: I' would just like a
16 clarification about -- could you explain to me why we
17 don't have a correlate of protection? Are people
18 working on this? Briefly, just update me.

19 DR. MEADE: That is a very good question.
20 I am trying to think --

21 DR. GRIFFIN: Some member of the panel
22 want to address that one?

23 DR. MEADE: You can pick several different
24 answers. Again, we could cover the rest of the
25 afternoon, and in fact there will be -- NIH is holding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a pertussis meeting in about a week and a half and
2 that will certainly be one of the topics that will be
3 updated and discussed at length. But I think there is
4 at least two types of explanation that I can come up
5 with. And again, I would invite any others on the
6 panel. One is that there is multiple antigens. Again,
7 we have seen from this that in the U.S. there are
8 vaccines of different composition. So there are
9 certainly different -- there has been data that shows
10 that a one-component vaccine can be effective and a
11 two-component and a three and a four. So, again, it is
12 very complex in terms of the composition. So it is
13 very complex. If we had one antigen and enough data,
14 you could certainly look at it more carefully. So it
15 is very complex just in terms of the number of
16 antigens and doing multi-component analysis with
17 trying to -- when you have different antibodies to put
18 them all together. And the best available data is what
19 was presented in the household contact studies that
20 were included in the briefing packages.

21 But I think the other important area is
22 that if you look at the data that is available from
23 animal models and available human data, it is
24 certainly very complex. There is certainly evidence
25 that cell mediated immunity is very important. Again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there is good evidence that in some models and in some
2 systems you can get protection in the absence of
3 antibody. And again, the other component that is very
4 difficult to evaluate in my mind is memory. Again, if
5 you are primed for a booster response, upon exposure
6 you can presumably mount an antibody response. And it
7 is very difficult to measure memory and quantitate
8 memory and do any comparison. So it is -- those are at
9 least the ones that I can come up with quickly. And I
10 would certainly invite other members of the panel if
11 they wanted to comment on other reasons why it has
12 been difficult. It is certainly being looked at. There
13 has been -- it has been looked at exhaustively. I
14 think the data that are available today are -- is the
15 best available information.

16 DR. GRIFFIN: Other questions? Yes?

17 DR. HEWLETT: I will just add a little
18 bit. I think that was a very good summary of what the
19 situation is at the present time. There are multiple
20 antigens that are important here. The problem with the
21 original Swedish field trial was the timing of the
22 collection of the sera after -- there was not a pre-
23 exposure estimate available. Therefore, there was not
24 any apparent serologic relationship between who got
25 disease and who didn't. What we see from the papers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that are included here -- interestingly from two
2 separate studies -- is that there are some
3 relationships. There are not as there are for other
4 infectious disease processes values in which you can
5 pretty much define a threshold as of yet. But
6 certainly there are relationships. And that is a big
7 step forward over where we have been in the past. But
8 I think we certainly see patients who recover from
9 pertussis who have not made antibody against pertussis
10 toxin. And we have other people who don't have
11 antibody against pertactin. So the host response is
12 heterogeneous. What is needed for immunity undoubtedly
13 is heterogeneous. So it is very complicated. Probably
14 if you have a little of this and less of that, it will
15 work. And if you have more of this and less of that,
16 it will work. And if you have more of both of them,
17 maybe you are better off.

18 DR. GRIFFIN: Okay. Dr. Fleming?

19 DR. FLEMING: I found it interesting in
20 reading the document. There was a statement that says,
21 "In the absence of an accepted correlate of immunity,
22 a demonstration of comparable antibody responses would
23 provide support for the conclusion that efficacy was
24 likely to be comparable in both populations." It seems
25 to me the latter is a non sequitur of the former. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in fact, the very thing we are hearing here -- it is
2 undoubtedly a multi-faceted mechanism of action issue
3 here. So relying on antibody responses, which is
4 essentially what the latter part says here, seems to
5 be a real reach or a real act of faith here in the
6 absence of any really scientifically rigorous
7 justification for that.

8 One of the things, though, that there does
9 seem to be at least some clue about is you had given
10 us the numbers of these participants that had achieved
11 adequate units for at least two antigens and at least
12 three antigens and at least four antigens, and you
13 said it wasn't clear how many you needed. But 98
14 percent had had adequate EU responses for at least two
15 antigens. Again, I don't know what we consider
16 adequate efficacy, but one solid result we seem to
17 have is from the Sweden I Trial that indicates that
18 there is a difference in efficacy between the two-
19 component Infanrix vaccine and the five-component APL
20 vaccine, where the efficacy difference went from 58 to
21 85 percent. What is interesting is the five-component
22 vaccine achieved that 85 percent efficacy compared to
23 the 58 percent efficacy of the two-component FHA and
24 PT containing vaccine, even though it had discernibly
25 less GMTs for PT and FHA. As you went from the two-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 component Infanrix vaccine to the five-component APL,
2 the CPDT vaccine, the GMTs to PT dropped from 60 to 49
3 and FHA dropped from 111 to 34. And yet the five-
4 component vaccine had better efficacy. It is telling
5 me that two antigens isn't enough. Or you can
6 certainly do a lot better with more than two antigens.

7 DR. GRIFFIN: Okay.

8 DR. MEADE: I need to make one important
9 clarification. In those analysis, we picked 20 and it
10 is an arbitrary, I would not want to use the word
11 adequate for the reasons we have talked about before.
12 But we picked our 20 as clearly quantifiable in
13 response. Again, for the reasons you say, I would
14 avoid using the word adequate. It is above the 20
15 cutoff, which we use again to just try to identify the
16 types of responses we were seeing. We did analyses
17 breaking down by which antigens, which is again -- so
18 that has -- we have looked at that also, which is
19 again an important issue. One potentially to which --
20 not only which antigens, but how much. But that is --

21 DR. FLEMING: Sure. Understood. Your
22 analysis that used 20 ELISA units as the cutoff giving
23 us 98 percent having at least two antigens is
24 arbitrary. But your fundamental question is -- the
25 question you posed was is two antigens enough? Is

1 three antigens? Is four antigens? And what I am
2 arguing is the one thing we actually have firm data on
3 is a randomized comparative trial in Sweden I
4 comparing the two-component against the five-
5 component. And even though the five-component achieved
6 much lower GMTs to the two antigens in the two
7 component, it achieved a much higher success rate.
8 Which suggests to me that ultimately I don't know
9 whether it is measured by serologic response, but
10 ultimately the other two components here, specifically
11 the pertactin and the fimbriae, do seem to be
12 important to the overall achievement of higher
13 efficacy.

14 DR. KOHL: That is really important, Tom.
15 And I think one of the things you have to be extremely
16 careful with these pertussis trials is keeping each
17 trial separate. And what you are seeing I think in
18 that trial is a good vaccine which happens to have
19 five components against a lousy vaccine which happens
20 to have two components. But I don't think you can
21 generalize from that trial that two components is half
22 as good as five components or two-fifths as good, et
23 cetera. There is a one-component vaccine in a nice
24 tight trial that had a 70 percent efficacy rate -- PT
25 alone. There is a two-component vaccine in a

1 reasonable trial that had an 80 something percent
2 efficacy rate. So you really have to be careful when
3 you try to generalize from one study or even across
4 the board, because the studies are so different. And
5 I guess I would like you to address that because you
6 are trying to make some correlates with these antibody
7 responses, which we know very little about anyway. And
8 yet we do have a one-component vaccine and a two-
9 component vaccine that are currently licensed in this
10 country that have very low antibody responses to
11 pertactin and to fimbriae because there are none in
12 it. Yet, they are licensed and they are proven
13 efficacious. So why are we putting so much emphasis on
14 this one antibody level.

15 DR. MEADE: Again, I think the analyses we
16 have done is we have agreed we can't compare between
17 products. The only objective analysis we have is what
18 are the data for this product in the efficacy study
19 and then what are the data for that product in the
20 population where it is going to be used. When you get
21 comparable responses in the assays we know how to
22 measure, then -- but when you see differences, I think
23 the concern or the question relates to the fact that
24 there is such a significant proportion of the
25 population that had no response to pertactin in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 U.S. And if pertactin is an important component of
2 that vaccine and you see a lower response to that in
3 the U.S. population in the one response that is
4 available for pertactin, it makes it difficult to
5 assume that the efficacy seen in the trial directly
6 would be seen in the U.S. I mean, the one objective
7 comparison we can make is what does that vaccine do in
8 the U.S. And the significance of that is a very
9 complex question that we have been struggling with and
10 in fact is why we are here at the Advisory Committee
11 meeting because it is such a complex question.

12 DR. GRIFFIN: Ms. Fisher?

13 MS. FISHER: Well, when you take your
14 child in today to the doctor to have a blood test for
15 proof of immunity to pertussis, you get a PT and an
16 FHA reading. So what we are being asked to do here --

17 DR. GRIFFIN: I don't that -- is that
18 done? Is that part of pediatric? I don't think that
19 that happens.

20 DR. MEADE: I am not aware of that and I
21 wouldn't know how to interpret it myself. I
22 wouldn't --

23 MS. FISHER: Well, I can bring you lab
24 reports.

25 DR. GRIFFIN: But I don't think most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people bring their children in to get antibody tests
2 for these antigens I guess is my only point.

3 MS. FISHER: But post-pertussis disease,
4 you do get a lab test.

5 DR. MEADE: That is diagnostic, right.

6 MS. FISHER: Diagnostic, right. For
7 confirmation of pertussis.

8 DR. MEADE: Right.

9 MS. FISHER: And they give you a PT
10 reading, pertussis toxin reading, and FHA reading.
11 Which is --

12 DR. MEADE: It is possible to do that.

13 MS. FISHER: With levels -- okay. So it
14 just seems to me that pertussis toxin and FHA have
15 been the traditional components you look at.

16 DR. GRIFFIN: No, no. That is only for
17 diagnosis. It is not for looking at protection. I
18 mean, that situation you are talking about is for
19 diagnosis of disease, not looking at who is protected.
20 Those are two different questions.

21 MS. FISHER: Well what is -- then what is
22 confirmation of protection?

23 DR. GRIFFIN: That is basically what we
24 have been talking about. Nobody knows for sure. And
25 that is what these trials are trying to address.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. FISHER: But there had to have been
2 some standard prior. I mean, we are being asked to
3 look at pertactin. You say lowest pertussis rates in
4 children with detectable antibodies to pertactin,
5 fimbriae and to a lesser extent pertussis toxin. That
6 is the argument that is being made here. That this
7 vaccine is efficacious because those readings are high
8 in those children who have low pertussis rates,
9 correct?

10 DR. GRIFFIN: Well, I mean the main focus
11 of the discussion is that we do not have solid data on
12 how the antibody levels relate to efficacy.

13 MS. FISHER: That is right. So how -- if
14 we do not have something that we are starting from,
15 how can we possibly pass off on this?

16 DR. MEADE: I think it is important to
17 remember -- and the sponsor could comment on this --
18 that there is efficacy data for this product. I mean
19 the decision, the primary starting point for any
20 decision is have they been able to demonstrate
21 efficacy for the product in an efficacy study looking
22 at protection from disease, which is the data that has
23 been submitted and that is from the Sweden Trial I.
24 That is the data that speaks to the efficacy of the
25 product. But that addresses the efficacy in Sweden

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and one of the questions relates to can we make
2 conclusions that would be comparable effective in the
3 U.S.

4 MS. FISHER: It is extremely important
5 because if you have a difference in populations and
6 you have higher maternal antibody levels in the United
7 States and you see a lower pertactin response, if you
8 do not understand the mechanism of either the disease-
9 induced immunity or the vaccine-induced immunity,
10 there is no way to measure.

11 DR. GRIFFIN: I think that is the reason
12 that it has been brought to the Advisory Council as a
13 complicated issue. You have to balance a lot of
14 different pieces of information, none of which are
15 absolute. I mean, there aren't absolute levels. So
16 that is the reason they ask for the advice of people
17 who think about these things. Okay, other questions?

18 DR. LIVENGOOD: Just briefly. I mean, sort
19 of taking a more historical perspective. When we were
20 first designing these trials, there was a concern on
21 the part of the community, the public health
22 community, that even after these trials in Sweden,
23 Italy and Germany, places that have pertussis, that we
24 would have a barrier to licensure of the vaccines in
25 the United States since we would not have efficacy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data from the United States. We made an informal but
2 nonetheless I think somewhat explicit agreement that
3 we would accept that through the use of these bridging
4 studies where we could demonstrate for each vaccine in
5 and of itself a comparable immune response to that
6 which was generated in the context in which efficacy
7 was demonstrated that therefore we would accept that
8 vaccine for licensure.

9 Why we are here today is that in the fact
10 of that agreement we have one component which has
11 failed that criterion. Is that a component that is
12 important? We have some data to suggest that some
13 people expert in the field of pertussis through these
14 household contact studies feel that it is very
15 important in this vaccine or in other vaccines. Yet,
16 it is clear that we do not have definitive information
17 one way or another to say that this component or X
18 level of this component is that. So we have sort of
19 ended up in this position where we are going to have
20 to no --

21 DR. GRIFFIN: Or are we going to get that
22 information? I mean, that information is not
23 accessible.

24 DR. LIVENGOOD: That is one of the
25 possibilities here. I mean, we have to either decide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that the original agreement by which we would accept
2 these based on immunogenicity data, while it was
3 effective and has certainly moved these vaccines into
4 use in the United States more quickly than one would
5 have had with a different standard, was inadequately
6 thought out and we should reconsider whether we agree
7 that it needs to meet the same immunogenicity
8 criteria. Or we look at the corollary lines of
9 evidences that the FDA and the sponsor have put
10 forward to us today or we request additional
11 information. I think those are where we are going to
12 spend the rest of the afternoon talking about it. So
13 I think you are exactly right with some of the points
14 that have been brought up here. Nobody has a clearcut
15 answer to this or else the sponsor and the FDA would
16 have --

17 DR. GRIFFIN: It wouldn't have been so
18 hard.

19 DR. LIVENGOOD: Right.

20 DR. GRIFFIN: Okay. One more comment and
21 then I think we will move on to the next presentation.

22 DR. FLEMING: Just to follow up on that
23 and to probe with Steve for a minute. As you point
24 out, if there is an agreement, even if there is
25 uncertainty as to the scientific basis for that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 agreement, th at serologic responses and equivalent
2 immunogenicity by those measurements would be used in
3 a bridging sense, and we see, to use your words, that
4 we failed that relative to one of the components, then
5 it becomes important to try to understand whether that
6 component is key. And, Steve, I had mentioned that one
7 of the most solid pieces of information I could think
8 we could get would be a randomized trial between a
9 setting in which the one component wasn't delivered
10 versus where it was delivered and then look to see in
11 that randomized trial whether there is an increase in
12 efficacy. We come pretty close to that because in the
13 Sweden Trial, we have the PT and FHA and then we have
14 the addition of the pertactin.

15 DR. KOHL: They are different vaccines
16 from different companies. If you had the PT and FHA
17 from the same company and then just added pertactin.
18 But you really can't compare the vaccines otherwise.

19 DR. FLEMING: Understood. But let me probe
20 with this just a little bit more. You are right, that
21 is the case. And what is interesting is the vaccine
22 that includes the pertactin yields much -- if we are
23 going to use serologic measures, yields much less
24 impressive serologic response to PT and FHA. So isn't
25 that about as close to solid evidence that we can get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that there is something about the pertactin that can
2 in fact substantially increase efficacy?

3 DR. KOHL: No. Because there are multiple
4 other antigens that we are not testing for, both in
5 cellular immunity and humoral immunity that we are not
6 accounting for at all in that, number one. Number two,
7 even though the levels are lower, it doesn't mean they
8 are not protected. I don't know that there is a
9 threshold protective level versus a curve of
10 protection. I mean, it is so complex because we are
11 talking about thresholds versus curves of protection
12 versus combinations of these curves.

13 DR. FLEMING: But as soon as we
14 acknowledge this -- and I really understand your point
15 -- but as soon as we acknowledge that there is this
16 myriad of additional types of responses, cell-mediated
17 immune responses and memory and all this, then we are
18 completely throwing away the basis for using the
19 antibody response as our bridging. Do we believe it in
20 any way or do we not?

21 DR. KOHL: I think the best we have so far
22 are the two papers that have been referred to here.
23 And if you look at those, they are fairly preliminary
24 pieces of data. And that is after ten years of looking
25 very hard for this, it is starting to come. And this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 may be the beginning of it. But right now, I think it
2 is soft. It is nice having the experts on the other
3 side, because you guys agree that it is at this point
4 still on the soft side.

5 DR. HEWLETT: Absolutely. I think the
6 other thing we need to bear in mind is that these data
7 weren't -- data such as they are -- these
8 relationships have been established. And I believe
9 that there are relationships there. Exactly what they
10 mean, whether they are surrogate for something else,
11 is not clear. These relationships exist. We -- you --
12 whoever didn't have even that level of observation
13 when the previous acellular vaccines were evaluated.
14 They were looked at differently. And I think we have
15 to be careful, as you said before, that this provides
16 guidance to us and enables us to think about it, but
17 doesn't provide a barrier -- put up a new barrier that
18 only confuses the issue.

19 DR. GRIFFIN: Okay, Dr. Katz.

20 DR. KATZ: I think Erik has articulated
21 the difference between standards that have been
22 applied and I would put out a rhetorical question to
23 John Livengood, which is if you go by these standards
24 and you say a diminished response to pertactin is
25 important, would you withdraw the approval of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccines you have already licensed that are acellular
2 pertussis vaccines that have no pertactin and have no
3 antibody or minimal antibody responses to pertactin?
4 If you barred this vaccine on that basis, you would be
5 totally inconsistent in what you have done on the
6 past. I wasn't on the committee, so I don't have any
7 institutional history.

8 DR. GEBER: I think maybe perhaps from
9 FDA's point of view that those vaccines were evaluated
10 in efficacy trials and bridging studies were done to
11 U.S. populations with those vaccines. I think the
12 difficulty we are facing today is that the vaccine was
13 evaluated in an efficacy study and the bridging study
14 did not show comparable. So I am not sure that --

15 DR. KATZ: But they don't have pertactin.

16 DR. GEBER: They don't have pertactin, but
17 they were demonstrated to have efficacy without it.

18 DR. KOHL: What we are seeing is
19 interesting. Having been on the committee for several
20 years. The more complex the vaccine gets and the more
21 components that are involved, and especially when we
22 are looking at combo vaccines or concomitant
23 immunization, typically one thing doesn't make the
24 cut. And what we have done in the last year is knock
25 off a couple of vaccines which some people may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consider good vaccines because one thing didn't make
2 the cut out of four or five or six or seven different
3 tests. And how often does that just happen by chance
4 or by some non-relevant factor that may have no effect
5 on efficacy. I think we are getting into that. Because
6 the more complex these are, the harder it is to prove
7 that they are equal to something in the past.

8 DR. GRIFFIN: Okay. Should we move on to
9 the next presentation -- the last one of the morning?

10 DR. GEBER: Good morning or perhaps early
11 afternoon. I will be reviewing the safety data
12 submitted in support of CPDT as well as the data
13 submitted in support of compatibility of CPDT when
14 given with other routinely recommended childhood
15 vaccines.

16 With regard to safety, the question the
17 committee is being asked to address is are the data
18 adequate to support the safety of CPDT. Please
19 specifically address both the infant series and the
20 fourth dose data. If not, what additional information
21 should be requested?

22 In support of safety, the sponsor
23 submitted data from clinical trials with CPDT as well
24 as data from clinical trials with the higher antigen
25 component vaccine, the hybrid vaccine, or HCPDT as it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has been referred to today. The FDA's review of the
2 data from the hybrid vaccine focused on serious
3 adverse events following receipt of that vaccine. In
4 my presentation today, I will review the safety
5 results from Sweden Trial I, the results of a phase II
6 lot consistency study. I will summarize the data for
7 local reactogenicity following a fourth consecutive
8 dose of CPDT from several studies, and I will review
9 serious adverse events following receipt of both CPDT
10 and HCPDT. Finally, I will review the available data
11 on the use of CPDT with concurrent immunizations.

12 Just a brief review of the slides of the
13 safety data base with the CPDT. A total of 3,852
14 infants received approximately 11,500 doses of the
15 vaccine within the infant series, the vast majority on
16 the U.S. schedule of 2, 4 and 6 months of age. 637
17 infants received a fourth dose of CPDT and 526 of
18 these received it following an infant series of CPDT.
19 The sponsor is seeking an indication for a fourth dose
20 given at 15 to 20 months of age. However, note of the
21 526 who received a concurrent consecutive fourth dose
22 of CPDT, only four of them had received it prior to 17
23 months of age.

24 I would now like to review the results
25 from the Sweden Trial I. As has been reviewed today,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this was a double blinded, multi-center, randomized DT
2 controlled study. Infants were randomized to one of
3 two acellular pertussis vaccine or DT control arm, and
4 two months into the study to a whole cell vaccine
5 group when that vaccine became available. Analyses of
6 safety included all children as randomized. Adverse
7 events were monitored through the use of diary cards,
8 which parents were instructed to fill out 14 days
9 post-vaccination, and adverse events were collected by
10 scripted telephone interviews on day 1 and 14 post-
11 vaccination. Serious and adverse events and
12 contraindicating adverse events which will be
13 described later and hospitalization records were
14 reviewed throughout the study period until 60 day
15 post-dose three, or until 8 months of age if the child
16 received only one or two doses of the study. All
17 deaths that occurred during this study were recorded.

18 Shown on this slide are the rates of local
19 reaction reported following the three doses of vaccine
20 for the CPDT arm. As can be seen, there were some
21 increases in reports of induration and redness
22 following successive doses.

23 However, for all doses, rates of local
24 reactions occurred significantly less frequently
25 following the CPDT vaccine as compared to the whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cell vaccine, and this is illustrated on this slide
2 for the third dose. Furthermore, rates of local
3 reaction reported by the CPDT group were similar to
4 those reported by the DT control arm.

5 This slide illustrates selected systemic
6 reactions reported following receipt of CPDT vaccine.
7 While most reactions did not increase in frequency
8 with successive doses, the rate of fever greater to or
9 equal to 30 degrees centigrade did increase with
10 successive doses. And as I will review in the later
11 section, with a second and third dose approximately
12 two-thirds of the children received IPV and one-third
13 received hemophilus conjugate vaccine, whereas only a
14 handful had received these vaccines in the first dose.

15 Again, systemic reactions were
16 significantly less frequent in the CPDT arm as
17 compared to the whole cell arm, as is illustrated in
18 this slide for the third dose. Furthermore, again,
19 the rates of reactions for the CPDT arm were
20 comparable to those in the DT control arm.

21 I would now like to review the rates of
22 local reactions in the phase II lot consistency study.
23 I am reviewing these results because it is the largest
24 study in which children received four consecutive
25 doses of CPDT. The lot consistency study was a multi-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 center, double blind, randomized study in which
2 children were randomized to receive one of three lots
3 of CPDT or a licensed Canadian whole cell vaccine.
4 Monitoring for safety was accomplished by telephone
5 calls from study personnel to parents at 2 to 6, 8 to
6 12, 24, 48 and 72 hours post-vaccination, as well as
7 day 7 post-vaccination. Adverse events were also
8 queried in clinic prior to the second and third
9 immunizations and 28 days after the third and fourth
10 doses.

11 Reaction rates for the CPDT lot were
12 similar and results have been pooled. As you can see
13 in this table, rates of local reaction occurring
14 within 72 hours were higher following the fourth dose
15 as compared to previous doses. However, when reaction
16 rates were compared to the whole cell arm in the
17 fourth dose, the reaction rates were any tenderness,
18 any swelling, any erythema were higher in the whole
19 cell arm as compared to the CPDT arm. But rates of
20 severe swelling and redness were not significantly
21 different among the two groups.

22 Just to note that for systemic reactions,
23 the increase in reaction rates were not reported in
24 the CPDT arm with successive doses.

25 FDA requested additional information on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all children who had received a dose of CPDT at 15 to
2 20 months of age and who had experienced severe local
3 reaction. Severe redness and swelling were defined as
4 reactions of greater to or equal to 35 mm in size.
5 Information was specifically requested regarding the
6 size and the duration of the reactions, the extent of
7 limb involvement and interference with activity and
8 whether the child had received CPDT or whole cell
9 during the infant series. Line listings were provided
10 for all children with severe local reactions and for
11 subjects enrolled in four clinical trials, the FDA was
12 able to identify that the child had received CPDT in
13 the primary series. All studies had follow-up for
14 local reactions at 72 hours and one or two had follow-
15 up -- I am sorry, I believe it was two had follow-up
16 at 7 to 10 days.

17 Of the 401 subjects enrolled in these four
18 studies, approximately 20 percent experienced redness
19 greater or equal to 35 mm during the first 72 hours.
20 In 4.5 percent, the size of the redness was unchanged
21 in size or was increasing from the previous
22 measurement at the 72 hour follow-up time point. 11
23 percent approximately reported swelling of greater or
24 equal to 35 mm in size, and in 3 percent, the size of
25 the reaction was either unchanged from the previous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measurement or was increasing in size at the 72 hour
2 follow-up. No specific information was available on
3 the extent of limb involvement or interference with
4 activity.

5 So I would now like to move to the review
6 of serious adverse events and contraindicating adverse
7 events reported from clinical trials of CPDT and the
8 hybrid vaccine. As has already been reviewed today,
9 the safety and efficacy of the CPDT or classic
10 formulation was studied in Sweden Trial I, and the
11 hybrid vaccine was studied in Sweden Trial II. I have
12 already reviewed the procedures for monitoring safety
13 in Sweden Trial I. Trial II was a randomized, multi-
14 center, double blind study of three DTaP vaccines and
15 a British whole cell vaccine. Approximately 83,000
16 subjects were enrolled.

17 Surveillance for serious adverse events
18 was performed by weekly review of hospital records for
19 pre-specified conditions. Parents were asked about the
20 occurrence of serious adverse events and
21 contraindicating adverse events at the time of the
22 second and third dose of vaccination and at a clinic
23 visit when the child was 18 months of age. The
24 vaccination schedule for most children in this trial
25 was 3, 5 and 12 months of age.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Definitions for serious adverse events and
2 contraindicating adverse events were pre-specified for
3 both studies and are listed here on this slide. There
4 were some differences in the definitions for these
5 adverse events, but in general the types of adverse
6 reactions are defined as contraindicating or serious
7 were similar between the two studies. I would like to
8 note that for serious adverse events and
9 contraindicating adverse events, for both studies
10 shock-like reaction was included.

11 This slide reviews the numbers of selected
12 serious and contraindicating adverse events from Trial
13 I by a vaccine group and the time intervals post-
14 vaccination of their occurrence. The numbers
15 represent events reported following all three doses.
16 Rates of events were calculated and test of
17 significance performed. And for temperature greater or
18 equal to 40 degrees, crying for three or more hours,
19 and marked local reactions with general symptoms,
20 those reactions occurred at significantly higher rates
21 in the whole cell arm as compared to the CPDT group.

22 There were six episodes of hypotonic
23 hyper-responsive events reported from Trial I. Five of
24 these were in the whole cell arm and one was in the
25 CPDT arm. This did not represent a significant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 difference in rate between the two groups. Rates of
2 convulsions, which included suspected seizures, was
3 not significantly different among the vaccine groups.
4 There was on additional seizure from the entire data
5 base of CPDT that was judged to be related to vaccine
6 and which occurred in the U.S. Bridging Study, and I
7 will be reviewing that event a little bit later.

8 There were no cases of generalized
9 cyanosis in the CPDT arm, and there were no deaths in
10 the CPDT group. And there were no deaths in any of the
11 studies with CPDT that were submitted for support of
12 the license application. Under the other category,
13 there were three cases of apnea in the CPDT arm. One
14 on day 3 and one on day 8 post-dose one, and one day
15 post-dose two. There was on petechial rash four days
16 post-dose two, a case of Lee's disease on 26 days
17 post-dose one, and Kawasaki's disease 34 days post-
18 dose one.

19 Hospitalization records from all children
20 enrolled in Trial I reviewed by the clinical
21 coordinating group for the study, and children were
22 grouped by primary diagnosis. The following table was
23 constructed by FDA from line listings of all
24 hospitalization diagnoses for all subjects. The rates
25 of hospitalizations were similar among vaccine groups.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This slide lists selected serious and
2 contraindicating adverse events from Trial II. There
3 were 15 deaths within 60 days of vaccination in the
4 studies, and two of them were in the hybrid or HCPDT
5 group. Both of them were due to SIDS. One occurred 28
6 days post-dose one and one 45 days post-dose two.
7 Throughout the entire study period, that is from
8 September of 1993 until October of 1996, there were 49
9 deaths, 10 in the hybrid group. And other than the two
10 cases of SIDS that I have already mentioned, there was
11 one sudden death 175 days post dose-three. The other
12 deaths were all caused by trauma, malignancy or
13 congenitally acquired illnesses. In other trials of
14 the hybrid vaccine, there were two additional cases of
15 SIDS, both in the phase IIC study, which is not being
16 presented today, one 20 days post-dose one and one 6
17 days post-dose one.

18 There were 25 seizures that occurred
19 within 72 hours of vaccination in the study, 13 in the
20 whole cell arm and 4 in the hybrid group. The rate of
21 seizure within three days of vaccination was
22 significantly higher in the whole cell arm as compared
23 to the hybrid group.

24 Temperature greater than 40.5 degrees
25 Centigrade was reported as a significantly higher rate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the whole cell arm as compared to the hybrid group.
2 There were 101 episodes of HHE reported between 9/93
3 and August of 1995, when the safety data base was
4 locked for the pre-planned analysis of safety. The
5 rates of HHE in the DTaP groups, as has already been
6 mentioned today, was higher than had been previously
7 reported in other studies with acellular pertussis
8 vaccines. All episodes in this study occurred within
9 24 hours -- in the hybrid group occurred within 24
10 hours of vaccination. 20 of the 29 lasted less than 60
11 minutes, and only one lasted for more than two hours.
12 14 of the infants received no care, 7 were
13 hospitalized and the others were evaluated and
14 treated at outpatient clinics or emergency rooms.

15 100 of the 101 subjects who experienced an
16 HHE episode were evaluated at the age of 18 months of
17 age. They underwent a routine exam performed on all
18 children of that age, which is designed to evaluate
19 motor and cognitive development. The evaluation
20 consists of a few simple tests and is designed to
21 evaluate extensive developmental abnormalities. The
22 result of the tests for those 100 subjects and for a
23 reference population taken from one of the child
24 health centers in Sweden are listed here. All
25 children who had an HHE were classified as showing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 normal development by the investigators as measured by
2 the test. The one child who was not evaluated had
3 moved outside the country but was reported to have
4 shown a normal development as well.

5 The technical report, which was written by
6 the investigators offered several explanations for the
7 high rate of HHE in Trial II. One was the increased
8 use of concomitant vaccination with hemophilus B
9 conjugate vaccine. In Trial I, as I will be reviewing
10 later under the section of concurrent immunization,
11 IPV was given starting with the second dose of vaccine
12 and given to about two-thirds of subjects. The
13 hemophilus B conjugate vaccine was introduced while
14 the trial was ongoing and approximately one-third of
15 the subjects received it with dose 2 and 3. In a post-
16 hoc analysis in which children who had received
17 concurrent immunizations with dose 2 and 3 were
18 compared to those who did not. Rates of systemic
19 adverse events were higher for those children in Trial
20 I who had received concurrent immunizations. In Trial
21 II, almost all children received concurrent
22 immunization with IPV and hemophilus B vaccine, at
23 least those who got it at the 3, 5 and 12 month
24 schedule. And the investigators postulated that
25 perhaps the increased use of concurrent immunization

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also resulted in the higher rate of HHE.

2 Another possible explanation put forth in
3 the technical report was a variation in pain. And
4 this, the reasoning behind that was that in an earlier
5 study in which the single component vaccine licensed
6 in the U.S., Certiva, was studied. The vaccine and the
7 DT control vaccine had been given subcutaneously.
8 There were no HHE episodes reported from that study
9 and reports of pain from the group that received the
10 DT arm were lower than historical comparisons.
11 However, for both Trial I and Trial II, which are the
12 studies under discussion today, all immunizations were
13 given intramuscularly.

14 The investigators noted that there was a
15 variable case definition. The technical reported noted
16 that HHE is not a specific disorder but a clinical
17 syndrome made up of a constellation of symptoms and
18 the classification of an event as HHE is subjective.
19 In Trial I, the case definition was not prospectively
20 defined. But information provided to the FDA, the
21 definition included presence of pallor, lack of muscle
22 tone, and hyporesponsiveness for Trial I. In Trial
23 II, the definition was prospectively defined as a
24 condition in which the child had loss of muscle tone
25 or diminished or absent responses to stimulation. The

1 definition did not include pallor. However, review of
2 the case report forms indicates that 21 or 22 of the
3 29 subjects in the hybrid did experience pallor. In
4 three or four, their color was not noted and in two or
5 three, the subject was not pale.

6 In a reply to a March 2000 CBER letter,
7 APL indicated that they had contacted Dr. Olin, the
8 principle investigator for these studies, and he
9 suggested that the difference in rates observed in
10 Trial I and Trial II was due to better education of
11 study personnel and parents, and therefore a possible
12 over-reporting of HHE in Trial II. However, it must
13 be noted that there was a much more active safety
14 monitoring plan in place for Trial I than there had
15 been for Trial II.

16 So I would like to review the incidence of
17 HHE in clinical trials with DTaP and then follow it
18 with a review of HHE from all clinical trials with the
19 classic and hybrid formulations. The next two slides
20 are taken and constructed from a publication by
21 Heijbel, et all, in Developments of Biological
22 Standards from 1997, in which the incidence of HHE
23 from eight pertussis vaccine trials were compared. I
24 am going to be going over the studies in which
25 acellular vaccines which are currently licensed in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 U.S. were studied as well as the trials for the two
2 vaccines that are under consideration today.

3 The publication included a table in which
4 the study, the number of HHE episodes per vaccine
5 group, the number of children enrolled in the vaccine
6 group, and the incidence of HHE per 100,000 was
7 calculated. And that is the information that I have
8 reproduced.

9 The first study is a study in which the
10 three-component SmithKline vaccine licensed in the
11 U.S. as Infanrix was studied. And there was one HHE
12 episode per 22,500, for an incidence of four per
13 100,000. A German study in which the four-component
14 vaccine manufactured by Lederle and licensed in the
15 U.S. as Acel-Immune was studied. There were no
16 episodes of HHE in any of the arms.

17 In a Swedish efficacy study which I
18 mentioned a little bit earlier in which the single
19 component acellular vaccine licensed in the U.S. as
20 Certiva was studied. There were also no episodes of
21 HHE. And in a German study in which the two component
22 acellular pertussis vaccine licensed by Aventis as
23 Tripedia in the U.S., there were two episodes in
24 12,700, for an incidence of 16.

25 In Trial I, there was one episode in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 BROOK ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CPDT arm, as already mentioned, for an incidence of 39
2 per 100,000. In the whole cell arm, there were 5 in
3 that study for an incidence of 250. In an Italian
4 study in which Infanrix, the three-component
5 SmithKline vaccine, was studied, there were no HHE's
6 in that arm. However, of note, there were two HHE
7 episodes in the DT control arm. out of 1,600 subjects,
8 for an incidence of 121. The whole cell vaccine
9 studied in that arm was the same as the one studied in
10 Trial I, and there were 192 episodes per 100,000. And
11 the incidence for the various vaccine groups in Trial
12 II are listed on this slide. The whole cell arm had
13 the highest incidence of 164. And of the acellular
14 vaccines, HCPDT had the highest incidence with 140.
15 But this was not significantly different from the
16 other vaccine groups.

17 So looking at the overall incidence of HHE
18 from all studies with CPDT and the hybrid vaccine,
19 there was only the one reported episode of HHE from
20 all clinical trials submitted in support of the
21 application. For the infant series, the calculated
22 rate of the rate was 26 per 100,000. And if all doses
23 are included, the incidence is 25. By that I mean the
24 fourth dose as well as the infant series. The
25 incidence is 25. For the hybrid vaccine, there are a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 REODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 total of 33 episodes of HHE. 29 of these came from the
2 Sweden II Trial. The overall incidence for the infant
3 series is 138, and if all doses are included, it is
4 141 per 100,000 children. I have also listed on this
5 slide the incidence per 100,000 doses because that
6 information was available for these vaccines.

7 If one excludes Sweden Trial II and looks
8 only at the incidence of HHE following receipt of
9 hybrid from the other clinical trials that were
10 submitted in support of this application, that is from
11 phase II studies, there were four cases of HHE among
12 2,367 infants and 8,047 doses. The incidence in the
13 infant series in those studies then is 127 per 100,000
14 children and 151 per 100,000 children.

15 So I think that the conclusions that one
16 can draw from the information is that the rates of HHE
17 in clinical trials with pertussis vaccines is
18 variable. For most studies but not all, the rates in
19 the acellular arm -- excuse me, in the whole cell arm,
20 the rates were high. And for studies with the hybrid
21 vaccine, the rate was high in both Sweden Trial II and
22 in other supporting studies.

23 I would now like to review the data on
24 compatibility of concurrent immunizations. And with
25 regard to these data, CBER is asking the committee to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discuss the adequacy of the data to support concurrent
2 use of CPDT with other vaccines administered according
3 to the recommended schedule of infant and childhood
4 immunizations. Please discuss additional information,
5 if any, that should be requested.

6 This table provides the size of the safety
7 data base for concurrent immunization from U.S. and
8 North American studies. In the next slide, I will
9 review the data from Sweden Trial I. I have broken
10 out in the infant series the U.S. Bridging Study
11 because that is the only study from which
12 immunogenicity data are available with concurrent
13 immunization.

14 For the fourth dose, the sponsor did
15 present some data on the use of hemophilus B --
16 immunogenicity data on the use of hemophilus B when
17 given concurrently with CPDT. Those data will not be
18 presented by FDA as they were felt to be difficult to
19 interpret. There were two groups that were studied.
20 The numbers were small, 21 and 29. While the GMTs
21 were higher in the group that received the vaccines on
22 the same day post-vaccination, they were higher pre-
23 vaccination. Those children were enrolled from the IIC
24 study in which hemophilus B conjugate vaccine was
25 supposed to be given with the infant series, although

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not all children got it and the study reports that the
2 fourth dose did not identify definitively that all
3 children had received the infant series.

4 As noted earlier in the discussion of HHE,
5 the rates of systemic adverse events in Sweden Trial
6 I in a post-hoc analysis when concurrent immunizations
7 were given with dose two and three were noted by Olin,
8 et al., in a publication in the International Journal
9 of Infectious Disease in 1997 were noted to be higher.
10 This is illustrated on this slide. This was especially
11 true when both IPV and Hib were given together. The
12 same observation was noted for dose three.

13 Concurrent immunizations were given in the
14 U.S. Bridging Study and safety was monitored by the
15 use of diary cards within the first 72 hours. And I
16 have listed the rates of systemic adverse events from
17 this study following the three doses. Most children
18 in the study received hemophilus B conjugate vaccine,
19 OPV and 102 out of the 321 received hepatitis B at a
20 0, 2 and 6 month schedule. I would just like to note
21 that systemic reactions did not increase with
22 successive doses in this study.

23 As I noted earlier, all available
24 immunogenicity data on concurrent immunization with
25 CPDT were obtained from the U.S. Bridging Study. There

1 was no group of children that was to receive these
2 vaccines at separate times to provide comparison of
3 immunogenicity results if vaccines were not given
4 concurrently.

5 Vaccines were to be given according to the
6 local standard of care. So the vaccine manufacturer
7 was not pre-specified. But FDA asked that for the
8 analyses of hemophilus B conjugate vaccine responses
9 that only subjects who had received PRPT be included,
10 and who had received it at 2, 4 and 6 months of age.
11 And almost all children from whom sera was available
12 met those criteria. The GMCs -- the results were
13 provided by CPDT lot and I listed on the slides that
14 the GMCs between the two were comparable, the rates
15 and the percentage, achieving a level of greater than
16 or equal to .15 micrograms and 1 microgram are listed.

17 For the analysis of polio virus responses,
18 only those children who received OPV at 2, 4 and 6
19 months of age and from whom sera were available were
20 included and the results are expressed as percent
21 achieving a neutralizing antibody titer of greater
22 than or equal to 1 to 8 post dose-three.

23 For the analysis of hepatitis B responses,
24 again only those children who received vaccine at 0,
25 2 and 6 months of age are included. The numbers in

1 each group are small. And as I said earlier, the
2 manufacturer was not pre-specified, so not all
3 children received the same hepatitis B vaccine. Of
4 note, for the information on concurrent immunization,
5 there are no data on administration of CPDT with
6 varicella, MMR and the recently licensed pneumococcal
7 conjugate vaccine, Prevnar.

8 So while in some instances the numbers are
9 small, the antibody responses for those vaccines where
10 information is available are within the realm of what
11 has been seen historically as well as the percent of
12 children receiving zero protection. That concludes my
13 presentation. Thank you.

14 DR. GRIFFIN: Okay. Questions for Dr.
15 Geber? Dr. Kohl?

16 DR. KOHL: Are there any data with IPV?
17 OPV is kind of obsolete at this point.

18 DR. GEBER: There are no immunogenicity
19 data. There was the safety data from Trial I.

20 DR. FAGGETT: That leads into my question.
21 When were the clinical trials conducted? Was this
22 1991/1992? I see reports in 1997, but when were the
23 clinical trials and what were the demographics of the
24 subjects that were studied?

25 DR. GEBER: Okay. So most of the studies

1 were conducted in Canada and the vast majority of the
2 subjects were Caucasian. Excuse me, let me -- that is
3 incorrect. Many of the studies were conducted in
4 Canada. And in Canada, only the age and the sex is
5 recorded. We specifically asked for ethnicity and that
6 was not provided. For the U.S. Bridging Study, the
7 majority -- and I believe -- I don't have the exact
8 numbers at my fingertips here, but it is in the
9 briefing document. The majority of children, I believe
10 it was over 90 percent, were Caucasian. But if
11 somebody has got the briefing document in front of
12 them.

13 DR. FAGGETT: And the year of the trial
14 was 1991/1992?

15 DR. GEBER: The years of the studies
16 varied, but they were for the most part in the early
17 1990's.

18 DR. FAGGETT: So prior to IPV.

19 DR. GEBER: Prior to IPV. And that was
20 true also for the U.S. Bridging Study. It was
21 initiated prior to the switch to IPV.

22 DR. FAGGETT: Thanks.

23 DR. LIVENGOOD: Could you sort of pull out
24 -- because there were several different numbers -- how
25 many in terms of the safety data base there were -- at

1 different points 637, 526 and 301 participants. I
2 mean, what is the safety data base? What is the n in
3 that as far as you can see? And a little bit with the
4 concomitant immunizations, where there really seems to
5 be very sparse data available.

6 DR. GEBER: Okay. So the 637 are the total
7 number of children who received a fourth dose of CPDT
8 in clinical trials submitted to support licensure. Of
9 those 637, 526 had received an infant series of CPDT.
10 So that is 111 had received whole cell in the infant
11 series. For the concurrent immunization in North
12 American studies -- sorry, I am trying to get to that
13 table here -- I believe there were 505 who received
14 OPV concurrently with CPDT. I think that is the
15 number you may be referring to in the fourth dose, and
16 135 who received hemophilus B conjugate concurrently
17 with the fourth dose.

18 DR. GRIFFIN: Other questions? Yes, Dr.
19 Stephens?

20 DR. STEPHENS: Two clarifications. In the
21 studies with the hybrid vaccine, other than the
22 Swedish II study, you suggested or indicated that
23 there is a higher rate of HHE in those studies as
24 well, is that correct?

25 DR. GEBER: Well, you know, it is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difficult statement to make. The data are what the
2 data are. The rates are very variable. I think that
3 what we wanted to bring to the committee's attention
4 is that of the 2,800, I believe, children or 2,300
5 children, there were an additional four cases of HHE
6 from the phase II studies. So even taking the children
7 outside of Sweden II, the rates of HHE were high in
8 that relatively small or smaller sample size. There
9 was 4 per 2,800 I believe it was.

10 DR. STEPHENS: The second point is you had
11 mentioned that there is a -- that one theory is that
12 the Hib conjugate was potentially a factor. Can you
13 comment on that?

14 DR. GEBER: Well, I am not sure that any
15 of the explanations really are explanations. They are
16 explanations -- the first three were put forth at the
17 time that the technical report was written by the
18 investigators. And it is true that an increased rate
19 in other systemic reactions were seen when both IPV
20 and hemophilus B were given. But I don't think that
21 there are any data that link rates of other adverse
22 reactions to rates of HHE. So I guess that this is not
23 an FDA explanation, but one that we felt was put out
24 by the investigators and that we would bring to your
25 attention. I am not sure that it holds in other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 studies or that that argument has been made.

2 DR. GRIFFIN: Dr. Kohl?

3 DR. KOHL: There is an attempt to
4 extrapolate from very small numbers of rare events in
5 the HHE tables. And I guess I need Tom Fleming to help
6 me with that. Tom, if you have a rate of 0 in 17,000
7 cases, can you say that is zero versus 1, et cetera?
8 What I am saying is I don't have any trust in those
9 numbers or at least in most of them. Yes? No? Say
10 something.

11 DR. FLEMING: It's an important question.
12 I think specifically how many people do you have to
13 see in order to have a reliable sense of what the rate
14 is of rare events. I mean certainly one of the major
15 advantages that I see for studies such as the Sweden
16 I and Sweden II Trial is it gives us a very
17 substantial data base for being able to get at events
18 that are less frequent than one in 1,000. Basically
19 we would have in the Sweden II Trial very high power
20 of picking up events that are at a rare occurrence
21 level of one in 1,000, and possibly even one to 5,000.
22 It is essentially at that level that we are powered to
23 pick up events with high probability.

24 DR. KOHL: But that is not the case of the
25 other studies, is it? Like the Sweden I study had

1 2,500 in each arm.

2 DR. FLEMING: It is much more on the order
3 of 1 in 200 or 1 in 500 would be picked up with high
4 probability.

5 DR. GRIFFIN: Other questions? Yes, Dr.
6 Estes?

7 DR. ESTES: I have a question about the
8 change in the definition of HHE between the two --
9 Sweden I and Sweden II studies. Even if you change
10 the definition, is there any question that the
11 changing of that is now reporting something that is
12 not correct? So people may become aware of something
13 that has been there all along and they might have
14 missed it earlier. But is it over-reporting? I mean,
15 we have sort of had this discussion that this is over-
16 reporting. But in fact, if it is a real thing, it is
17 really just a recognition that it existed all along.

18 DR. GEBER: I think we have had some
19 indication from the sponsor who has been in contact
20 with the principle investigator that study personnel
21 in Trial II and therefore parents, perhaps, were more
22 aware of the event. I think there were some
23 differences in the case definition. In one instance it
24 was more stringent perhaps for Trial I because it
25 included pallor. But in Trial II, it was more

1 stringent because the child had to not respond to
2 stimulation. So I think the other thing, though, that
3 needs to be taken into consideration is the monitoring
4 plan for both studies. And I think that what we are
5 left with is I am not sure that we know the reason for
6 the higher rates of HHE in Trial II. I don't think we
7 have a definitive answer for why it was. We have some
8 theories or some suggestions.

9 DR. GRIFFIN: Yes, Dr. Livengood?

10 DR. LIVENGOOD: I think I would agree with
11 you. The word over-reporting is misused here. I would
12 -- it is very likely that the increased stimulation
13 increased your reporting fraction, if you will, and
14 you are capturing a much larger proportion of events
15 than you were when you don't stimulate. That is a
16 basic surveillance concept. But over-reporting would
17 mean that people are reporting things that aren't
18 HHE's, and that is not what I think is really meant
19 here. But the extent to which the fraction went from
20 10 percent to 60 percent, is that -- we can't really
21 say. But I don't -- I have a problem with calling it
22 over-reporting as well.

23 DR. FAGGETT: But, John, would you say it
24 is more accurate reporting then?

25 DR. LIVENGOOD: It is more reporting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GEBER: In Trial I, the 14-day post-
2 vaccination phone call, there was a question of did
3 your child experience a shock-like episode. So it was
4 in a sense solicited for, but perhaps not
5 specifically.

6 DR. GRIFFIN: Yes, Dr. Diaz.

7 DR. DIAZ: I think I know the answer
8 already, but I am going to ask anyway. Are there any
9 other countries in which there are -- where you have
10 this vaccine licensed where you have post-licensure
11 information about serious adverse events for which
12 there are data bases in those countries that are
13 population based that could be helpful in adding more
14 information?

15 DR. FAHIM: As mentioned previously, this
16 vaccine is licensed and the only vaccine used in
17 Canada in the HCPDT formulation but in combination
18 with IPV and Hib. That is the vaccine -- the standard
19 of care in Canada for all children in Canada. And,
20 yes, we do have information about that -- about the --
21 really it is relevant to what was used earlier, which
22 is the whole cell vaccine combination. And now with
23 the acellular vaccine, we have information about that.
24 It is really up to the FDA whether you would like to
25 see this data or not. It is not part of the file. That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is why we have to ask the FDA for it.

2 DR. GEBER: I guess the caveat that would
3 be added is that we have not reviewed the data. And so
4 we --

5 DR. GRIFFIN: Right. And so we can't
6 introduce it into this discussion. All right. Other
7 questions? If not, lunch. And then we are back -- we
8 are going to try to stay on schedule. So we are going
9 to get 50 minutes for lunch. So be back at 1:50.
10 Thanks.

11 (Whereupon, at 12:58 p.m., the meeting was
12 adjourned for lunch, to reconvene this same day at
13 1:50 p.m.)

14

15

16

17

18

19

20

21

22

23

24

25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

1:50 p.m.

DR. GRIFFIN: We are going to begin the afternoon session with an answer to Dr. Diaz's question, which is to see what the data are like from monitoring of the Canadian experience with reference to HHE. And that is going to be presented by Dr. Elaine Mills.

DR. MILLS: Thank you. My name is Elaine Mills from Aventis Pasteur. The Canadian experience with the hybrid combination vaccine of HCPDT-IPV-PRP-T began in 1997. Almost the whole country had switched over from a whole cell combination vaccine to an acellular combination vaccine within the first six months. So what we are talking about is the standard of care in Canada.

There are several surveillance systems, and I will describe one, because it is a population-based system. And this was -- this is data, first of all, provided by Dr. John Waters, who is the Provincial Health Officer of the Alberta Department of Health and Wellness. Even though this data is not published, Dr. Waters gave us this information and is allowing us to share it with you.

What I want to mention, first of all, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that the surveillance -- the data that I am going to
2 present was from a surveillance system that was well
3 established before 1997. So they had accumulated a
4 lot of information on vaccine safety prior to the time
5 when there was a switch.

6 Just a few points about the system. One is
7 that all childhood immunizations are given by public
8 health nurses. And these public health nurses are
9 trained in immunization policies and practices. They
10 counsel parents about adverse reactions and urge them
11 to report adverse events. The public health nurses
12 are required to question parents at each clinic visit
13 concerning adverse events of prior immunizations. And
14 the adverse events are used in the national vaccine
15 adverse events evaluation report. They use the same
16 report form as everybody else in the country does for
17 passive reporting of adverse reactions.

18 There is coming into the national system
19 a much higher proportion of reports coming from
20 Alberta than from other provinces, and Dr. Waters
21 likes to call this a stimulated passive surveillance
22 system.

23 With that background and because we are
24 talking about HHEs, this is the definition that has
25 been used in the Canadian reporting system since 1987.

1 So this is standard reporting. And the definition of
2 HHE is decreased or loss of muscle tone and pallor or
3 cyanosis and decreased level of consciousness or
4 cardiovascular or respiratory arrest. So that is the
5 definition.

6 These are the data that were collected
7 between 1996 and 1998 in this system. And it was for
8 18 months of data using whole cell, January 1996 to
9 June 1997, and 264,000 doses were given during that
10 period of time. And acellular vaccine came on board
11 July 1, 1997. And because of their system, they were
12 able to switch almost immediately to the acellular
13 combination vaccine. Therefore, the data then are for
14 the next 18 months, July 1997 to December 1998, where
15 there were 250,000 some doses that were given. This
16 shows the rate per 100,000 doses, whole cell in green
17 and acellular vaccine in orange. Now you can see they
18 were obviously collecting the adverse events that had
19 been associated with whole cell pertussis vaccine. So
20 these are the selected adverse events that are
21 presented here -- fever, HHE, crying, severe local and
22 moderate local reactions.

23 HHE's are given for -- the rates are given
24 for dose 1, 2 and 3, because all but one HHE occurred
25 in the first three doses. And I will show you the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data by dose as well. As you can see, in the 18
2 months, the rate during the first 18 months with whole
3 cell was 116 per 100,000 doses. And following the
4 introduction of acellular vaccines, it was about 24
5 per 100,000 doses. Now these children, if they
6 received -- right after July 1, if they received an
7 acellular vaccine, whether they had received one or
8 two doses of whole cell previously, they were counted
9 now in the acellular vaccine group. So for the first
10 several months then, there was a mixed schedule. They
11 were started to receive acellulars, but they may have
12 previously received whole cell. And then there was a
13 complete switch.

14 So these are the rates for these severe
15 adverse reactions. There was an 80 percent decrease
16 in the HHE's over that 18 months. And these were the
17 rates per dose. As you can see, the vast majority of
18 them in fact were associated with whole cell vaccine,
19 and there were a few that were associated -- in fact,
20 in this particular data base, there were none of the
21 fourth dose. They were all in the first of the second
22 dose. I think I will stop here, because that was what
23 the question was.

24 DR. GRIFFIN: Right. Okay. Are there any
25 questions related to this? Yes, Dr. Katz.

1 DR. KATZ: Elaine, my assumption is all of
2 these children recovered. There were no fatalities, is
3 that correct?

4 DR. MILLS: That is correct.

5 DR. KATZ: Thank you.

6 DR. MILLS: In fact, you mean of the
7 follow-up of those --

8 DR. KATZ: The HHE's.

9 DR. MILLS: Yes, they were all well.

10 DR. GRIFFIN: Okay. Other questions? I
11 have one correction that I forgot to mention at the
12 beginning of the session that the company has called
13 to my attention, which is on page 64 of your book.
14 And that is relevant to this current discussion. The
15 line that says only two HHE cases were observed in
16 69,525 doses, that is the wrong denominator. That
17 denominator is actually 6,550 doses. So for the
18 record, we wanted to make sure that was corrected.

19 Okay, now we are going to move into open
20 session -- open public hearing, excuse me. We have
21 been in open session this whole time. We are now in
22 open public hearing. You can tell I am a new person at
23 this. And our first speaker is Dr. Stanley Plotkin.
24 And you are asked to announce your affiliation.

25 DR. PLOTKIN: Yes, my name is Stanley

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Plotkin, and I am a consultant to Aventis Pasteur. I
2 just want to make several comments on the prior
3 discussion. I have been interested in this vaccine for
4 ever since I heard about it. Primarily because from
5 the theoretical point of view, this was an attempt to
6 reconstruct with acellular components, that is with
7 individual components, and efficacious whole cell
8 vaccine. And that is to include all of the factors
9 which were thought to be protective factors. And
10 indeed I think the Canadian investigators succeeded in
11 doing that.

12 We have heard a great deal of discussion
13 about correlates of efficacy, and I won't go through
14 the tedious repetition about the multiplicity of
15 factors which do seem to correlate with protection.
16 But again, the point was to introduce redundancy into
17 the vaccine. That is to say to have as many protective
18 factors as could be justified scientifically.

19 The ultimate test, of course, is efficacy
20 in the field. And we have heard that before. The
21 trials that were organized in Sweden and in Italy
22 were, as you know, financed by the United States, and
23 financed in an effort to bring efficacious and safe
24 pertussis vaccines into the United States. Therefore,
25 it seems a little strange to me to hear some doubts

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 expressed about the vaccine which appeared to have
2 been the most efficacious of all of the vaccines
3 tested.

4 If my memory serves, and I can be
5 corrected if I am wrong, the SmithKline tri-component
6 vaccine also showed some differences in pertactin
7 antibody levels, that is, between the studies done
8 overseas and the studies done in the United States.
9 However, the vaccine was licensed, properly so,
10 because of the efficacy data from Germany and from
11 Italy. So I think one should be consistent.

12 I would also like to point out some things
13 about the second Swedish study, of which we have heard
14 a great deal today. The point of introducing the data,
15 or one of the major points certainly, was to show that
16 the pertactin antibody levels were not necessarily
17 relevant to the protection produced by the vaccine.
18 Because as was shown, the pertactin antibody levels in
19 Sweden II were significantly lower than titers in
20 Sweden I. And yet, the vaccine appeared to be
21 efficacious, although some doubt was introduced about
22 that at this meeting. It is true -- and I am sorry
23 Dr. Fleming is not here -- but it is true that the
24 confidence limits of the primary analysis were over
25 1.5, although the point estimate indicated high

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 efficacy. However, I think you have to look at the
2 totality of the data. You have, I think, probably in
3 your pre-reads the paper that recounts Sweden II. And
4 I call your attention to the fact that if you look at
5 Table 2, which is the --

6 DR. GRIFFIN: Do you want to say what page
7 you are on?

8 DR. PLOTKIN: Sorry?

9 DR. GRIFFIN: What page so people can
10 follow along.

11 DR. PLOTKIN: Well, I don't know what page
12 it is in your handout. It is page --

13 DR. GRIFFIN: Does your handout look like
14 this handout?

15 DR. PLOTKIN: Yes.

16 DR. GRIFFIN: Okay. I think we are on the
17 same page so to speak.

18 DR. PLOTKIN: It is page 1573 of the
19 original document. But that is -- it is towards the
20 end of this packet.

21 DR. GRIFFIN: Okay. So it is the paper at
22 the back, page 1573.

23 DR. PLOTKIN: Yes.

24 DR. GRIFFIN: Okay.

25 DR. PLOTKIN: So what that shows is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the criterion for diagnosis of pertussis was culture.
2 In the absence of serologic data. And, therefore,
3 there were relatively few cases in that primary
4 analysis of pertussis. This accounts for the weak
5 power. If, however, you look at the Table 3, which
6 compares the vaccines to the SmithKline two-component
7 vaccine, one sees that the three-component and the
8 five-component and the whole cell were all
9 significantly more efficacious. Now it should be
10 remembered that that two-component vaccine was not
11 without efficacy. It was about 60 percent efficacious.
12 So it is not comparing to placebo. It is in fact a
13 rather tough test, and yet efficacy was shown.

14 And finally, if you look at Table 4, in
15 which the authors tried to make up for the deficiency
16 in diagnosis -- that is, for the absence of serologic
17 criteria -- they asked parents to tell them whether
18 the child had an illness diagnosed as pertussis during
19 the study period. And then they did a statistical
20 analysis. Now since this was still a blinded study, I
21 think we have to take these data seriously. And
22 especially since the bias, if any, was against the
23 vaccine by taking into account non-pertussis cases.
24 And yet we see that for certain diagnosis of whooping
25 cough in Table 4, that the efficacy of the five-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 component vaccine was -- compared to the whole cell
2 was 1.12, with confidence limits of .9 to 1.4,
3 indicating that indeed under this analysis that the
4 five-component vaccine was equal to a highly effective
5 whole cell vaccine.

6 Finally, I would like to introduce a point
7 which is irrelevant in a sense to the considerations
8 of the committee, but is certainly not irrelevant to
9 those of us who are interested in public health. And
10 that is that this vaccine, this acellular pertussis
11 vaccine, is the only acellular pertussis vaccine which
12 does not show an interference with hemophilus
13 influenza. Consequently, when combinations of this
14 vaccine are introduced, it will be possible to reduce
15 the number of injections being given during the infant
16 pediatric schedule, which is something I think we
17 would all like to achieve. And as I say, this is
18 perhaps not relevant to the isolated consideration of
19 this vaccine, but it is an important public health
20 issue. And I certainly believe that the vaccine had
21 fulfilled the criteria for both safety and efficacy.
22 Thank you.

23 DR. GRIFFIN: Thank you. The second and
24 only other that we know of speaker in the open public
25 hearing is Dr. Michel DeWilde.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DEWILDE: Good afternoon. My name is
2 indeed Michel DeWilde. I am with Aventis Pasteur. A
3 little bit like Michael Decker, I would not have
4 imagined ten years ago that I would be sitting here
5 today. The reasons for that are totally different,
6 however. Ten years ago, I was with SmithKline Beecham
7 and hence don't review the development of Infanrix.

8 So without breaking any intellectual
9 confidential, I would just like to testify to the
10 committee that each vaccine has to be taken on its own
11 merit. And even so we keep saying that we should not
12 keep comparing two vaccines of similar comparison.
13 Again, we should be very careful when we do that.

14 A given antigen in two different vaccines
15 is not necessarily the same. So if the antigen is
16 purified and extracted or is the antigen detoxified
17 the case being, and the amount of the antigen is
18 different from one vaccine to another. So we should be
19 very careful in extrapolating from comparisons that we
20 should not do.

21 Another point I want to make is that
22 indeed as we were conceiving the vaccine, we were
23 aware at that time of all the data for which to choose
24 in terms of trying to pick what would be protective
25 antigens. Included in those data were those that Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Fahim earlier this morning pointed out and which are
2 those epidemics that occurred in the time of the whole
3 cell vaccine due to strains containing fimbriate type
4 that were not present in the whole cell vaccine.
5 Pointing and making very strong evidence that fimbriae
6 is a key and definitely a protective antigen. So it
7 was to reiterate Dr. Plotkin's point on the unique
8 polyvariacy of CPDT, which does contain those
9 fimbriae in an amount that until recently I thought
10 was not technically feasible, by the way, and I have
11 great admiration for Raafat for doing that.

12 So I just wanted to restress to the
13 committee to look at this vaccine in the entirety in
14 terms of its composition and what each of its
15 components can contribute to efficacy. Thank you.

16 DR. GRIFFIN: Thank you. Is there anyone
17 else who wishes to speak in the open public hearing?
18 If not, we will move to the committee discussion,
19 which can include a question.

20 DR. FAGGETT: Okay. I had a question for
21 Dr. Plotkin. He mentioned the fact that this vaccine
22 had no interference with Hib. Were there some data
23 available for that somewhere?

24 DR. PLOTKIN: Dr. Faggett, there are data,
25 yes. They are not on the table here because the only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine that is being considered here is the DTaP.
2 However, there are data, mainly from Canada, to show
3 that you can combine in the same syringe hemophilus
4 influenza and this particular acellular pertussis
5 vaccine without seeing a reduction in the titers of
6 antibody to PRP.

7 DR. FAGGETT: And you have also experience
8 with giving the vaccine to patients who had Hib? Do
9 you have any of that experience clinically of patients
10 -- concomitant immunization with Hib in the acellular
11 in Canada?

12 DR. PLOTKIN: Oh, yes. Yes.

13 DR. FAGGETT: Okay.

14 DR. GRIFFIN: Okay. Any other questions
15 that were specifically directed at the speakers? If
16 not, I think we are going to now move into the
17 committee -- oh, excuse me, Dr. Goldberg.

18 DR. GOLDBERG: One other question for the
19 Aventis. When you did the Bridging Study and you did
20 the -- when you compared the titers from before -- pre
21 and post titers, you were missing about 20 percent of
22 the samples. Do you have any idea what the reasons for
23 that were and are there any potential sources of bias
24 that you have identified that might influence the
25 outcome?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: I think the Bridging Study
2 were not all the sera were available for comparisons.

3 DR. FAHIM: Right. Where it is about 70
4 percent or so of the sera were available. We actually
5 looked at that to see the distribution of sera and the
6 immune response, and this was a very good
7 representative sample of the whole. There was no bias
8 in it at all, and this is obviously, as you can
9 imagine, one of the questions the FDA asked us
10 originally anyway, and they satisfied themselves that
11 there was no bias in it.

12 DR. GOLDBERG: Would you have that data to
13 show us by any chance?

14 DR. FAHIM: Yes, we do.

15 DR. GOLDBERG: Thank you.

16 DR. FAHIM: If you can give us just a few
17 minutes to sort through it. Meanwhile, maybe if you
18 wanted to continue.

19 DR. GRIFFIN: Right, we can -- all right.
20 I think the way we should structure this discussion is
21 to begin with -- since we are going to eventually then
22 go through and be addressing the specific questions
23 that the FDA has posed to perhaps go through in that
24 sort of order so that we can structure this discussion
25 a little. And then we will -- there will be two of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these questions for which there will be a vote, and
2 that will be question 1A, are the data adequate to
3 support the efficacy of the acellular pertussis
4 component when administered in infants and children in
5 the USA as a four-dose series? And then if not, what
6 additional information should be requested? And then
7 also question 2, are the data adequate to support the
8 safety of CPDT? Please specifically address both the
9 infant series and the fourth dose data. I guess it is
10 my inclination to sort of start with the safety data,
11 because I think in some ways that is a little easier
12 discussion. And then to go to the question 1 on the
13 efficacy data. Is that okay with everybody? Okay. So
14 if we start with questions, discussions, et cetera,
15 relevant to the safety issues. Okay, we are ready
16 with the data that are relevant to efficacy.

17 DR. GEBER: The question that was just
18 asked, the response was reviewed by FDA regarding bias
19 in selection. And the results are on -- I know it was
20 a lengthy document, but the clinical trial summary,
21 the draft on A-21. We did ask the company to look at
22 whether there was any bias in excluding 20 percent of
23 the samples. There was some evidence that perhaps
24 that the -- and it is in the paragraph underneath the
25 third table, the results. There was some evidence that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in omitting the 20 percent or so samples, that perhaps
2 the differences between lot 006 and 009 was larger in
3 the pre-validated assay or would have been than
4 resulted in the reassay. In other words, there was
5 some difference between the two lots, 006 and 009, in
6 the GMCs that were presented. That might have been
7 somewhat larger had all samples been included. But 006
8 would have looked perhaps a little bit more like
9 Sweden I and 009 a little bit less, but it was not
10 dramatic.

11 DR. FAHIM: So these are the reverse
12 cumulative frequency distributions here. And you can
13 see it is not as clear, but this is U.S. lot 6, U.S.
14 lot 9 -- U.S. lot 6 not tested and U.S. lot 6 tested.
15 I apologize for the quality of the overhead. I guess
16 the point is that they are -- for the PT, FHA and
17 fimbriae in the 69K, they more or less overlap with
18 the exception here of the 69K. Maybe that is a minor
19 difference between them.

20 DR. GRIFFIN: Thank you. Okay, now
21 committee discussion on the question of safety. Any
22 other questions or any other points that need to be
23 brought up or that you want to make that haven't
24 already been made? Yes, Dr. Huang?

25 DR. HUANG: I really just need some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701