

UNITED STATES OF AMERICA

+ + + + +

FOOD AND DRUG ADMINISTRATION

7889 02 APR -8 P1 38

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH
ALLERGENIC PRODUCTS ADVISORY COMMITTEE MEETING
(APAC)

+ + + + +

Friday
March 15, 2002

The Committee met at 8:00 a.m., in Versailles Rooms I and II of the Bethesda Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, 20814, Dr. Samuel B. Lehrer, Chairperson, presiding.

COMMITTEE MEMBERS:

- SAMUEL B. LEHRER, CHAIR, Ph.D.
- MELVIN BERGER, M.D.
- A. WESLEY BURKS, M.D.
- WILLIAM FREAS, Ph.D.
- REBECCA S. GRUCHALLA, M.D., Ph.D.
- PETER HAUCK
- DOLORES LIBERA
- SUSAN M. MacDONALD, M.D.
- HAROLD S. NELSON, M.D.
- MARIA C. SOTO-AGUILAR, M.D.
- DALE T. UMETSU, M.D., Ph.D.

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

OPEN

2840T

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

C-O-N-T-E-N-T-S

	<u>PAGE</u>
Division of Bacterial, Parasitic and Allergenic Products Dr. Richard Wallace	8
Overview of Laboratory of Immunobiochemistry	20
Research Activities	32
Overview of Particulates in Allergen Extracts and Compliance	57
Reduction of Possible Exposure to TSE Agents In Allergen Extracts	87
Regulation of Recombinant Allergens for Diagnosis And Treatment of Allergic Diseases	102
Glycerol in Allergen Extracts	126

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

P-R-O-C-E-E-D-I-N-G-S

(8:11 a.m.)

1
2
3 DR. FREAS: Good morning. I would like to
4 welcome everyone to this 18th meeting of the
5 Allergenics Products Advisory Committee. I am Bill
6 Freas. I'm the Executive Secretary and I'll be
7 introducing the speakers at the main table. Most of
8 the meeting today will be open to the public.
9 However, there will be a short closed session as
10 announced in the Federal Register. This session is
11 closed to discuss personnel issues of a site visit
12 report of the Laboratory of Immunobiochemistry.

13 Now, before I introduce the members at the
14 table, I would like to say that we had a meeting this
15 time last March, excuse me, this time last year in
16 March and the East Coast was hit by a terrible severe
17 winter storm and two of our new members could not make
18 it. Therefore, I'll be introducing to you six new
19 members this morning and a welcome to all of our new
20 members.

21 I will start going around the table and
22 introducing everyone, including the old members as
23 well and I'll identify the new members as we get to
24 them. Starting on the right side of the table, that's
25 the audience's right side, we have a long time member,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Dr. Dale Umetsu, Chief Division of Allergy and
2 Clinical Immunology Stanford University. And would
3 the members just raise their hands so the people can
4 see who is who, especially myself.

5 Sitting next to Dr. Umetsu is one of our
6 new members, Dr. Rebecca Gruchalla, Assistant
7 Professor of Internal Medicine, University of Texas,
8 Southwestern, Medicine. Welcome. Next is another new
9 committee member, Dr. Harold Nelson, Senior Staff
10 Physician, Department of Medicine, National Jewish
11 Medical Center. Next is our consumer representative,
12 Ms. Delores Libera, Director of Publications, Allergy
13 and Asthma Network and Mothers of Asthmatics,
14 Incorporated, Fairfax, Virginia.

15 Around the corner of the table we have
16 another new member, Dr. Melvin Berger, Professor of
17 Pediatrics and Pathology, Case Western Reserve, School
18 of Medicine. In the center of the table, we have our
19 Chair, Dr. Samuel Lehrer, Research Professor of
20 Medicine, Tulane University Medical Center. Around
21 the corner of the table, we have another new member,
22 Dr. Susan MacDonald, Associate Professor of Medicine,
23 the Johns Hopkins University School of Medicine.

24 Next we have another new member, Dr.
25 Wesley Burks, Professor of Pediatrics, University of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Arkansas for Medical Sciences. Next we have a
2 standing committee member, Dr. Maria Soto-Aguilar, a
3 physician in private practice in Hudson, Florida,
4 specializing in allergy, rheumatology and immunology.
5 Next we have not only a new member, we have not only
6 a new member, we also have a new position on this
7 committee. The new position is that of a non-voting
8 industry representative and that position is filled by
9 Peter Hauck, who is Executive Director for Scientific
10 Affairs of the Allergen Products Manufacturer's
11 Association.

12 Welcome to all of the members, especially
13 the new members. I would also like to thank Pearline
14 Muckelvene who the members have been coordinating with
15 in the last couple of months who has organized and
16 pulled this meeting together. She is outside of the
17 table in the back.

18 Now, I have to read into the public record
19 conflict of interest statements for this meeting. The
20 following announcement addresses the conflict of
21 interests issues associated with this meeting of the
22 Allergenic Products Advisory Committee on March 15th,
23 2002. "To determine if any conflicts of interest
24 existed, the Agency reviewed the submitted agenda and
25 all relevant financial reported -- interests reported

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 by meeting participants. The discussions at today's
2 meeting are considered general matters issues.

3 The discussions will effect all firms
4 involved equally. Therefore, no products are being
5 discussed at this -- no specific products are being
6 discussed at this meeting. We would like to note for
7 the record that Peter Hauck is participating in this
8 meeting as an industry representative acting on behalf
9 of a regulated industry. Mr. Hauck has the following
10 interests.

11 He is Executive Director of Scientific
12 Affairs, Allergen Products Manufacturer's Association.
13 He also has relationships with numerous firms involved
14 with allergen extracts. In the event that the
15 discussions involve products or firms not on the
16 agenda for which FDA participants have a financial
17 interest, the participants are aware of the need to
18 exclude themselves from these discussions and their
19 exclusions will be so noted for the public record.

20 With respect to all other meeting
21 participants, we ask in the interest of fairness that
22 you state your name, affiliation, and any current or
23 previous financial involvement that you may have with
24 any firm whose product you wish to comment upon".
25 That ends the reading of the conflict of interest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 statement. Dr. Lehrer, I turn the microphone over to
2 you.

3 DR. LEHRER: Thank you very much, Bill.
4 I want to welcome first all of the members and
5 particularly the new members. I know with this day
6 and age of travel, it is a real effort to get anywhere
7 nowadays and your service certainly is greatly
8 appreciated. I wanted to also welcome the public
9 that's attending the open session and I wanted to give
10 a thanks to the staff, especially to Pearline
11 Muckelvene for all the help that they provided in
12 preparing for this meeting.

13 Today I think all of you should have
14 copies of the agenda listing the topics that we're
15 going to discuss and I'm not going to review them in
16 detail, just to make some brief remarks. And what
17 we're going to do is cover some of the activities that
18 have been done over the past year at the laboratory.
19 And that will consist of much of the morning's
20 session, it will be an open session.

21 Then there will be several regulatory
22 topics that we will discuss in the afternoon following
23 lunch. This will be an open session as well and there
24 will be opportunity for the public to comment and then
25 finally, we'll go into closed session in which we'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consider the site visit report and that will be
2 discussed and then we will adjourn.

3 I really don't anticipate this lasting
4 longer than the adjournment time, which is at 4:00
5 p.m. and perhaps it may be sooner but a lot depends on
6 how the discussions go. So again, welcome everyone
7 and now we can begin with our session.

8 The first presentation will be by Dr.
9 Richard Walker, who is the Division Director and this
10 is the -- he will present an overview of his division,
11 the Division of Bacterial, Parasitic and Allergenic
12 Products. Dr. Walker?

13 DR. WALKER: Good morning. Thank you.
14 This morning it will be my pleasure to introduce the
15 Division of Bacterial, Parasitic and Allergenic
16 Products to you. What I will try to do in the next 15
17 minutes is introduce you to the roles and challenges
18 of the research reviewers which make up our division
19 and also talk a little bit about how we're organized
20 to meet these challenges that our division must deal
21 with.

22 Basically, all of the laboratories, and
23 there are eight laboratories in the Division of
24 Bacterial, Parasitic and Allergenic Products, all our
25 laboratories have the mission to assure safe and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effective products for immunological control of
2 bacterial, parasitic and allergenic agents affecting
3 human health. And as I've already indicated we have
4 researcher reviewers in our division so these people
5 conduct research in the laboratories as well as
6 conduct review functions for the various sponsors for
7 our products.

8 Something that I'm going to emphasize is
9 that not only do we review products during their
10 development and going through licensing but our
11 interaction with a product continues to post-licensure
12 surveillance, and as you can see on this slide,
13 inspection issues, lot release and label and
14 promotional activity review are all part of our
15 functions.

16 In addition to these functions dealing
17 directly with products, we also are involved in
18 numerous consultations with other organizations like
19 PAHO and WHO and different agencies of the U.S.
20 Government and so forth dealing with vaccine issues.
21 This slide and the slide that follows it is just
22 trying to drive home one thing and the bottom line is
23 not all of the things in the vertical columns on this
24 slide but the horizontal and once again, I want to
25 emphasize that our research reviewers become involved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with a product usually early in its life as shown
2 here, like in the pre-IND phase where we've begun
3 meeting with the sponsors, talking about the product
4 and provide some guidance, but it also goes through
5 the IND phase where we're looking at the review of the
6 original submissions, we're giving technical advice
7 for product assay and development and so forth, then
8 on in through clinical testing into pre-licensure
9 review of final product manufacturing processes and so
10 forth.

11 But the key thing that I'm emphasizing one
12 more time is that it's a lifelong interaction that we
13 have with the product that goes post-licensure as
14 shown in this slide where we're doing things, looking
15 at deviation reports, view of post approval
16 commitments and so forth. So it's a very involved and
17 long term process that we have with the product.

18 The other part of the challenge facing our
19 researcher reviewers is the number of products and the
20 variety of products that they have to deal with. This
21 slide and the next slide just put together a number of
22 products that might be possible within the next 10
23 years that could either be -- existing products that
24 could either be improved upon or new products that
25 need to be developed. As you can see, we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 respiratory pathogens all the way from things causing
2 earache to pneumonia, sexually transmitted pathogens,
3 pathogens encountered through a bite, like by a
4 mosquito or a tick and then in the last couple of
5 years, and particularly since this last fall, we're
6 looking at issues dealing with products for special
7 pathogens, bioterrorism agents, like *Francisella*
8 *tularensis*, *Bacillus anthracis*, *Clostridium botulinum*
9 and *Yersinia pestis*.

10 In addition, we're looking at not only
11 newer pathogens like these bioterrorism agents but
12 some very old pathogens, like those that cause
13 diarrhea, diarrheal diseases for which we don't have
14 licensed vaccines at the moment, other mucosally
15 trafficking pathogens, like *Helicobacter pylori* which
16 has recently become recognized as a major human
17 pathogen and of course the focus of today's meeting is
18 our allergenic products dealing with a variety of
19 issues and products such as latex and cockroach
20 antigens and so forth.

21 And then we also have skin test antigens
22 that people in our division are involved in review or
23 regulatory activity such as PPD, Coccidian and so
24 forth. So we have a long-term involvement with a
25 product. We have quite a variety of products and our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 division is organized into eight laboratories to deal
2 with all of these products. I'm in the, of course,
3 the Immediate Offices of the Director and I'm not
4 ignoring my Deputy Director. Right now I don't have
5 a Deputy Director. I have a vacancy and that's one of
6 the reasons I won't be able to spend this morning with
7 you because I'm trying to play the game of being
8 everywhere at once but then my job and the other
9 people in our immediate office staff are dedicated to
10 try to help these other people accomplish their jobs
11 in the various laboratories.

12 So as you see starting at the top over
13 here on your left, we have the Laboratory of
14 Respiratory and Special Pathogens, the Laboratory of
15 Bacterial Toxins, the Laboratory of Microbacterial
16 Disease and Cellular Immunology, Laboratory of Methods
17 Development and Quality Control, Laboratory of
18 Immunobiochemistry that we'll be focusing on today,
19 that's Dr. Slater's laboratory, the Laboratory of
20 Biophysics, the Laboratory of Enteric and Sexually
21 Transmitted Diseases and Laboratory of Bacterial
22 Polysaccharides.

23 Now, what I want to do in the remainder of
24 my talk is sort of go through what goes on in these
25 various laboratories. Now, these laboratories are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 abbreviated in red on this slide, like the LMDQC and
2 so forth, and it's not so important what each one of
3 those abbreviations stands for but if you take all the
4 talent and the resources that are present in these
5 various laboratories, we can bring them to bear on
6 various focus areas and that's what I'm showing on
7 this slide, that one of the things that all of the
8 laboratories are involved in to some extent or another
9 is standardization of assay methods for these various
10 products that we deal with and the laboratory methods
11 development and quality control is one of the major
12 laboratories leading this process.

13 Another major focus areas is pertussis and
14 other toxin mediated diseases, like tetanus and
15 diphtheria. Then we have another focus that doesn't
16 have as many laboratories involved in it, but is
17 focused on the microbacterial diseases and other
18 intercellular parasites. Then a newer area or an area
19 that we've had for some time but we're building up is
20 mucosal pathogenesis and immunization because so many
21 of the pathogens that I showed you on a few slides
22 back are mucosal pathogens. And also we're interested
23 in trying to get to needless type delivery systems, so
24 we're trying to understand how like oral immunization
25 might be accomplished.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Another major focus area that, of course,
2 we'll be talking about today is allergenic products
3 and allergenic diseases, as I've already alluded to,
4 our newer focus area, which is products to combat
5 bioterrorism agents. So just very briefly, I'm going
6 to run through these eight laboratories just to give
7 you a flavor of the overall division of which the
8 Allergenics Group is a part.

9 The Laboratory of Methods Development and
10 Quality Control, as you would expect from the title is
11 focused in the development of standardization and
12 quality control methods for bacterial vaccines. They
13 develop immunologic type methods to assure the immune
14 response or quantitate the immune response in vaccine
15 trials. And then as I've already said they've taken
16 the lead in our effort within the Center for Biologics
17 Evaluation and Research to develop a quality assurance
18 activity and so this laboratory within our division
19 provides our divisional leadership to get us
20 accredited for quality control testing.

21 The Laboratory of Bacterial
22 Polysaccharides is also involved in some testing like
23 standardization methods for relevant clinical
24 applications, development of novel physical and
25 chemical methods for improved evaluation of licensed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 and experimental vaccines that involve Polysaccharides
2 or conjugate vaccines but they're also involved in
3 research activities such as characterization of immune
4 responses to polysaccharide and conjugate vaccines as
5 well as trying to characterize innovative --
6 characterize vaccines and develop innovative
7 approaches to development of new vaccines and also an
8 evaluation of epidemiologic aspects of various vaccine
9 candidates.

10 The Laboratory of Biophysics is a group
11 that uses high tech instrumentation and modeling to
12 study or plot various molecules of import in vaccines
13 and immunologic agents. They have been involved in
14 studies already of characterization of biopolymers,
15 such as Polysaccharides, proteins and DNA and
16 macromolecular assemblies such as vaccine/adjuvant
17 complexes and membranes and so forth. They use, as I
18 said, various high end instrumentation such as NMR and
19 light scatterings and so forth to provide a
20 characterization of various products.

21 The Laboratory of Respiratory and Special
22 Pathogens is conducting structure and function studies
23 of various toxins, particularly pertussis toxin and
24 they're investigating the molecular biology or the
25 regulation of virulence factors of not only pertussis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but also a lot of our anthrax research goes on in that
2 division. And they're developing animal models for
3 evaluation of various aspects of pertussis infections.

4 The Laboratory of Bacterial Toxins is
5 involved in a variety of studies, characterization of
6 Tetanus toxin also there's an interest in that group
7 in iron-related virulence determinants as C diphtheriae
8 and bacillus anthracis and also doing some work with
9 the biosynthesis of bacterial Polysaccharides. And
10 there's also new work going on in there that's just
11 started. I'll have to update these slides.

12 They are also doing much expanded work on
13 Botulinum toxin now.

14 The Laboratory of Microbacterial Diseases
15 and Cellular Immunology is evaluating immune responses
16 to intracellular bacteria. They've been concentrated
17 not only on tuberculosis but also on Francisella
18 tularensis. They're assessing DNA vaccination
19 strategies against TB and they're also trying to
20 identify different proteins that might be useful in
21 vaccines against TB.

22 The Laboratory of Enterics and Sexually
23 Transmitted Diseases is looking first at invasion
24 mechanisms of certain of the enteric pathogens such as
25 C. jejune, Shigella species and also Salmonella.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 These organisms all colonize and then invade. They're
2 also looking at genetic regulation of various
3 surveillance factors and have been doing some work,
4 even though that work has just shifted to our
5 Laboratory of Bacterial Polysaccharides have been
6 looking at hormonal effects on gonococcal
7 pathogenesis.

8 They're also studying as I indicated is
9 very important a little while ago, how to better
10 achieve mucosal immunity and how to does for effective
11 mucosal immunity and maybe how adjuvants might be used
12 for that. They're also doing some work on anthrax
13 using mucosally delivered anthrax antigens.

14 I'm not going to say too much about this
15 laboratory because you're going to be hearing a lot
16 more about it this morning. Our Laboratory of
17 Immunobiochemistry is looking at allergen structure
18 and function, immunomodulation of the allergic
19 responses and chemokines and chemokine receptors in
20 the modulation of immune responses. I think it's very
21 important that we have this strong immunological
22 emphasis in this laboratory, but as I said, you'll
23 hear a lot more about it this morning.

24 The final thing that I want to show you is
25 just because I've been emphasizing how a lot of our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 activity within the Division of Bacterial, Parasitic
2 and Allergenic Products has become focused even more
3 so than it was in the last two years, it's been
4 focusing more so recently on bioterrorism. So right
5 now we have research and review going on in our
6 division for both -- not only bacillus anthracis which
7 was our original focus as far as bioterrorism, but
8 recently we've expanded that to more extensive studies
9 of Francisella tularensis, new studies of Yersinia
10 pestis and expanded studies Botulinum toxin. Once
11 again, we're using the various approaches that we've
12 used on these other pathogens like genetic
13 manipulation and regulation, the study of virulence
14 factors, examining ways that vaccines might be
15 improved and standardization assays that could be used
16 in regulating products for these types of agents.

17 So this should give you an overview of the
18 challenges facing this division, the nature of the
19 work done by the researcher reviewers and sort of an
20 overview of the organization and how -- and what we do
21 in the various aspects of the organization to help
22 meet these challenges.

23 One final thing, I'd like to leave you
24 with is these people you'll hear this morning when you
25 hear some more of the details. In Dr. Slater's work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you'll see the quality of their work. I want you to
2 keep in mind that these people are conducting world
3 class research programs. At the same time, they're
4 conducting review activities which can take up to 50
5 percent or more of their time and another aspect of
6 these review activities it puts a challenge on our
7 researcher reviewers is that these people have no
8 control over the scheduling of these activities. They
9 don't know when a sponsor submission is going to
10 arrive and then they'd have certain time limits that
11 they have to deal with that product.

12 So these people are under a tremendous
13 time pressure and have a tremendous responsibility.
14 I think it's remarkable that they accomplish not only
15 their review activities, but they accomplish world
16 class research. Thank you.

17 DR. LEHRER: Thank you very much, Dr.
18 Walker. Do any members of the committee have any
19 questions for Dr. Walker? Any members of the public
20 have any questions or comments?

21 Thank you very much.

22 The next presentation will be an overview
23 of the Laboratory of Immunobiochemistry by Dr. Jay
24 Slater, the Laboratory Chief. He will discuss
25 personnel and organizational and lot release

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 activities. Dr. Slater?

2 DR. SLATER: Thank you very much. I want
3 to start by echoing the thanks that you heard from
4 others for coming. I really appreciate -- we really
5 appreciate the time and effort you're putting in. Two
6 of you were here for the site visit in January. Most
7 of you were at the Academy meeting just a couple of
8 weeks ago. Now, you're all here. It's a lot of time,
9 a lot of travel. We really appreciate the time and
10 the effort that you're putting into participating in
11 this committee's activities.

12 Today in the open session, I'm going to
13 start with a lab overview and then we'll do an update
14 of the research activities of the laboratory and this
15 year the research update is going to be a little
16 different than it has been in past years because of
17 the site visit and what I'm really going to do is give
18 you a brief summary of the site visit overall
19 presentation that I gave back in January.

20 My plan was to have Dr. Rabin present his
21 project in somewhat greater detail since he's the new
22 person in the laboratory but Dr. Rabin, unfortunately
23 had to be out of town on a personal family emergency
24 and so he will not be making that presentation today.
25 What we're going to talk about next is a couple of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regulatory updates, the first one about particulates
2 in allergen extracts, which we presented in somewhat
3 greater detail at last year's meeting but we have some
4 additional information and as part of that, Teddi
5 Lopez from the Compliance Division is going to be
6 giving you an update on compliance activities of the
7 FDA in the allergenic industry over the past year.

8 We'll also be giving you an update on the
9 transmissible spongiform encephalopathies. Again, last
10 year I presented in somewhat agonizing detail our
11 analysis of the issues regarding allergenic extracts.
12 This will be a much shorter and more succinct
13 presentation of some of the material from last year as
14 well as some updated information of what's happened in
15 the past year.

16 Then after those two update presentations,
17 I'll be making a presentation about recombinant
18 allergens and the purpose of that presentation is
19 really to sort of initiate a discussion within the
20 committee on issues that I suspect we're going to be
21 confronting over the next several years and in
22 addition, I'm going to be giving a review of the role
23 of glycerol in allergen extracts. Not much new
24 material there but this is something that has come up
25 recently, particularly in reference to particulates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and I thought this would be an opportune time to
2 review one of the reasons that glycerol is such an
3 important component of allergen extracts, at least in
4 the United States.

5 So let's talk about some of the
6 operational issues of the laboratory and again, I'll
7 be going over this history of the laboratory and some
8 other structural issues later on. This is just an
9 update, a snapshot of where we are now. We have three
10 principal investigators in the laboratory. I've been
11 in the lab for three and a half years. Dr. Lyudmila
12 Soldatova has been in the laboratory for four years
13 and Ron Rabin really started just before last year's
14 advisory committee meeting. He's been there the
15 shortest time.

16 We have two post-doctoral fellows. Jonny
17 Finlay came about 14 months ago and Hui Huang came
18 even more recently than that. We have six research
19 technicians who also -- five of whom also wear the hat
20 of regulatory biologists and microbiologists; Melissa
21 patters, Al Gam, Mona Febus, Marc Alston, Cherry
22 Valerio and Katia Dobrovolskaia is an IRTA technician.
23 She doesn't participate in regulatory activities but
24 spends 100 percent of her time on research. And in
25 addition, we have three guest workers, Bhavini Trivedi

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is an NIH fellow who's working with us this year,
2 Gerry Poley is an allergist from Children's Hospital
3 who works -- does research at our laboratory and Li-
4 Shan Hsieh actually works in Cedar but also does part
5 time research in our laboratory.

6 And my computer just froze. It's probably
7 because of the ornate graphics of this incredible
8 picture that I've showed year after year, which
9 basically gives you an idea of where our staffing has
10 been since I came to the laboratory and the staffing
11 on this graphic it really focuses on the regulatory
12 staffing, the research regulatory technicians that we
13 have and the bottom line is that we are now up to what
14 I think is a very stable, reasonable level in terms of
15 performing the regulatory activities of the
16 laboratory.

17 We've certainly had our ups and downs and
18 we've been lower in the past than we are now but we
19 have a very good crew and are very comfortable with
20 out level of staffing in the laboratory at this point.

21 In addition to the research activities
22 that you're going to hear more about in a few minutes,
23 we have a number of regulatory activities that I put
24 routine in quotes because although some of these are
25 routine activities, they often are not and we often

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have to respond to immediate situations as they arise,
2 but we are responsible for reviewing the lot release
3 data that manufacturers send us on every lot of
4 standardized allergen extracts in the United States.

5 We also do testing on those lots to
6 confirm the manufacturer's data. We are responsible
7 for the U.S. Standards of Reference and we're
8 responsible for developing those references,
9 maintaining the references with semi-annual checks and
10 replacement and in addition the distribution of those
11 references to the manufacturers so that they can use
12 them for their lot release activities.

13 We reviewed in the year 2001, we reviewed
14 406 protocols, each of them for a different lot of
15 standardized allergen extract. We tested a number of
16 them. We reviewed all of them and two of them, in
17 fact, were withdrawn for lot release failure, evidence
18 of lot release failure. We distributed 2,151 vials of
19 U.S. Reference Standards and 101 shipments are sent to
20 our manufacturers for their lot release activities.

21 We replaced two references this past year,
22 E4 cat was replaced in April. The process of
23 replacing a reference involves screening the
24 references that we have, choosing a reference that
25 looks like it will be closest to the current U.S.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Reference Standard and cause the least disruption when
2 we switch references. Then what we do is we purchase
3 a significant amount of the reference and send it out
4 to the manufacturers so that they can test.

5 We send it to all of the manufacturers.
6 We give them 60 days to send us back their results and
7 then we pool the results, decide whether the reference
8 is, in fact, a good one, the candidate reference is,
9 in fact, a good one and then we select it as the next
10 U.S. reference standard. If based on our analysis of
11 the manufacturer's data combined with our data, the
12 reference does not seem to be a reasonable change from
13 the previous U.S. Reference Standard, then we reject
14 that candidate reference and start the process all
15 over again.

16 This year we didn't have to reject the
17 references. Our candidate reference for E4 cat hair
18 was distributed in April. Seven manufacturers
19 responded and we finally shipped out the new reference
20 in July. C10 cat which is the calibration curve that
21 was made out of E4 cat hair, was sent to the
22 manufacturers for testing in August. Five
23 manufacturers responded with their data and we sent
24 out the new calibration sets in November.

25 We have had several publications this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 year, although this hasn't been our strongest year in
2 terms of publications. I'm optimistic about next
3 year. Melissa Patterson and I wrote an article about
4 our work on cockroach allergens, which actually I
5 presented in large measure at last year's advisory
6 committee meeting, that was accepted by Clinical and
7 Experimental Allergy and is currently in press.

8 A paper that was written by my
9 collaborators in Melbourne, Australia using reagents
10 and collaborating with them on work on specific
11 monoclonal antibodies in human IgE studies of Hev b 5,
12 responses, that's also in press in Clinical and
13 Experimental Allergy.

14 We published two reviews this year, one of
15 them Kristin Morrow, who's no longer with the lab and
16 I wrote in Clinical Reviews and Allergy and Immunology
17 on regulatory aspects of allergen vaccines in the U.S.
18 In addition, I just finished co-authoring the chapter
19 called "Preparation and Standardization of Allergen
20 Vaccines" in the upcoming edition of Middletons'
21 textbook.

22 We were very active at the Academy meeting
23 this year. We had seven abstracts, six of them were
24 poster presentations. One of them, Ron Rabin's, was
25 an oral presentation. And that is all I have for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 lab overview.

2 DR. LEHRER: Are there any questions from
3 the committee members?

4 DR. MacDONALD: Thank you, Jay. I have a
5 question. Being an academic and doing clinical related
6 research, what is the timeliness for the FDA to
7 respond to academic queries of when you would like to
8 use a reagent and is there a necessary time limit that
9 the FDA can respond to the investigator?

10 DR. SLATER: Well, we attempt to respond
11 as quickly as we possibly can. I don't know that
12 there's any specific mandatory time limit in terms of
13 those responses. There are time limits in terms of
14 other formal responses to INDs and to BLA supplement
15 applications and BLA applications. And since there
16 are -- there are clear and hard limits imposed on
17 those, very often those will take -- those activities
18 will take priority.

19 But we certainly attempt to respond to all
20 queries as quickly as possible. You know, those
21 queries come in many different forms. Some of them
22 are voice mail messages left on machines and others
23 are more formal requests but we certainly make an
24 attempt to respond as quickly as possible to those.

25 DR. LEHRER: Related to that question, do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you have any information on how either response times
2 or you mentioned lot release activities and referenced
3 distributions and so on, compared to previous years?

4 DR. SLATER: We haven't been tracking
5 that. I can tell you that we -- with lots submitted
6 to us for lot release, the laboratory responds, you
7 know, within -- certainly within 10 days at the
8 outside and most of the time much more quickly than
9 that. In the lot release, the lot release paperwork
10 has to go through several steps before the
11 manufacturer is finally notified that they can release
12 the lot so there are several steps involved. But we
13 do make a concerted effort to get those out quickly
14 because we know the manufacturers are eager to release
15 the lots.

16 DR. LEHRER: What I was getting at is with
17 the increase in personnel and it seems that the
18 laboratory is functioning better than it ever has,
19 would you say there is an increased or more rapid
20 response compared to previous years?

21 DR. SLATER: Well, I would hope so. I
22 mean, I can only gauge it by the number of complaints
23 that I get. You know, no one sort of tells me I'm
24 doing a great job and my lab is responding quickly but
25 I think that with the increased staffing, we certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 respond very quickly to inquiries from the
2 manufacturers. They all know how to reach the people
3 in my lab directly. They also all know how to reach
4 me directly and I think the communications are fairly
5 open and fairly rapid. And certainly in terms of
6 turnover time for lot release, I suspect we're doing
7 better but I don't have real data to demonstrate that.

8 DR. LEHRER: Thank you. Any other
9 questions from members of the committee?

10 DR. MacDONALD: I have a question about
11 the response of the manufacturers. How do you
12 determine how many manufacturers need to respond
13 before you can release a lot?

14 DR. SLATER: We consider the step in which
15 we send the lots to the manufacturers a critical step
16 in terms of our ability to collect as much data as
17 possible and really ascertain that the new U.S.
18 Reference Standard is statistically identical to the
19 old U.S. Reference Standard. We don't have any
20 arbitrary cut-off and theoretically if the -- if none
21 of the manufacturers responded, we would still make a
22 decision just based on the data that we have

23 I should tell you that we do a lot of in-
24 house data. We do a lot of work on these references
25 before we send them out to the manufacturers. So it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not as though we don't have any data but sometimes we
2 have found that the manufacturers who really are going
3 to be working with these references as much or even
4 more in some cases than we are to make some new
5 observations.

6 Every year I try to encourage the
7 manufacturers to respond, all of the manufacturers to
8 respond and I think our response rate, in fact, has
9 gone up over the past year. I think these numbers are
10 better than they have been in the past. But I agree
11 with you. I think it's an important step. When we
12 replace a reference we're not in the position to not
13 release the new reference based on a lack of
14 cooperation or lack of participation from the
15 manufacturer. So we really need to make a decision
16 based on whatever data we have. Obviously we welcome
17 having as much data as possible.

18 DR. LEHRER: Yes.

19 DR. EAGAN: Yeah, I'm Dr. Eagan, the
20 Deputy Director for the Office of Vaccines. I'd just
21 like to make a couple of very quick comments about the
22 lot release program just to amplify a little bit about
23 what Jay said and note that it's a coordination
24 between several offices and many different labs, so
25 it's not just Jay's group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Samples come into our Office of Biologics
2 Quality Control. They get logged in and then several
3 different reviews will start. Some will be done in
4 Jay's lab and some will go to other laboratories
5 within the Office of Biologics Quality, and Chris
6 Anderson's lab, for example, or Joe Jay's lab,
7 depending on the nature of the test whether tests for
8 sterility or particular preservatives or glycerol or
9 what have you and then part of that is in Jay's.

10 And then everything is assembled and then
11 it goes back to Jay Elterman in the Office of
12 Biological Quality. And there the protocols will be
13 signed off on if they're approved and then the lots
14 get released, so it's a rather complicated process and
15 it involves a number of people.

16 I'll mention that very recently, however,
17 two of the laboratories that are involved in biologics
18 quality control that is Chris Anderson's group and Joe
19 May's group, they have just recently been or are in
20 the process of being transferred over into the Office
21 of Vaccines and one final comment, one of the areas
22 that we're looking at now are various ways to speed up
23 the lot release program.

24 DR. LEHRER: Thank you very much. I think
25 we can move onto the -- yes, one last comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. UMETSU: I was just wondering what
2 kind of data do you collect about these lots? I heard
3 about the sterility but what other data do you look at
4 before deciding to release a lot?

5 DR. SLATER: It depends on the lot itself.
6 Lots that contain 50 percent glycerol, the
7 manufacturers have to test to ascertain if the
8 glycerol content is within error limits around 50
9 percent. They have to be tested for phenol content.
10 If they're lyophilized, they have to be tested for
11 water content. There's sterility tests, potency
12 tests, identity tests. There's a menu of tests that's
13 actually unique to each product that -- and the only
14 tests that are handled by my laboratory in fact, are
15 the tests for potency and identity and the rest of the
16 testing is handled in other laboratories.

17 DR. LEHRER: Okay, I think we can get onto
18 the next topic. This will again be Dr. Slater, who
19 will review or update is with research activities.

20 DR. SLATER: Thank you. This is actually
21 a short summary of the site visit presentation on
22 January 24th. The Laboratory of Immunobiochemistry
23 has gone through several iterations over the years.
24 It was in the past the Laboratory of Allergenic
25 Products, which in a sense is probably a more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 descriptive and accurate name within the Division of
2 Bacterial Products.

3 Subsequently, it was -- the name was
4 changed to the Laboratory of Immunobiochemistry and
5 this was now within the new Division of Allergenic
6 Products and Parasitology. And within the Laboratory
7 of Immunobiochemistry there were two distinct but
8 organizationally connected laboratories. One was the
9 Allergenic Products Testing Lab and the other was the
10 Allergenic Products Research Lab. Three years ago,
11 the Division of Allergenic Products and Parasitology
12 merged with the Division of Bacterial Products into
13 the new larger and very happy Division of Bacterial,
14 Parasitic and Allergenic Products and at the time that
15 I came on board, we retained the name of the
16 Laboratory of Immunobiochemistry but eliminated the
17 distinct research and testing laboratory programs
18 within the laboratory.

19 The mission of the laboratory is to
20 support the regulatory mission of CBER and FDA in
21 assuring the safety and efficacy of allergenic
22 products in the United States and we do this in five
23 ways. We do it by performing original research in the
24 field of allergen structure and function and
25 immunomodulation. We also respond to specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 regulatory needs with directed research projects. We
2 provide expert advice and support for CBER, FDA staff
3 regarding allergy and allergenic products.

4 We review, confirm and approve lot release
5 data from allergenic product manufacturers and we
6 assist in the review of BLAs, those are biological
7 license applications and INDs, investigational new
8 drug applications, that relate to allergenic products.

9 Now, I had looked for a floor plan of
10 Building 29 at NIH and couldn't get one, so I used the
11 floor plan of my own house and superimposed these
12 numbers on it. LIB is lucky enough to be on the NIH
13 campus in Building 29 in the basement and on the
14 second floor. We have an office/library complex in
15 Room B1, a laboratory in Room B3. This is where Ron
16 Rabin, Jonny Finlay, Hui Huang and Bhavini Trivedi do
17 most of their research.

18 On the second floor, my office is in Room
19 203. We have a large laboratory at 214 to 218 complex
20 in which most of the other work is done. Room 211 is
21 also a laboratory and 212 is a lunch room and office.
22 We are a very well-equipped laboratory for what we do.
23 We have all of the standard equipment that you would
24 expect in a biochemical and immunologic laboratory.
25 We have some equipment that you might not be lucky

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enough to find.

2 We have a mouse plethysmograph, a non-
3 invasive buxsc0 mouse plethysmograph in our lab. We
4 have an excellent fluorescent imager and with Ron
5 Rabin's arrival, we obtained a magnetic cell sorter
6 and the Division, in concert with the Division of
7 Viral Products purchased a flow cytometer that's
8 housed on the fifth floor but that is readily
9 accessible and is actually largely used, at this
10 point, by Dr. Rabin and Dr. Elkins.

11 We also have terrific core facilities at
12 CBER. Most of these are located in Buildings 29 and
13 29A, which immediately adjoins our building.
14 Some of them are located in the Nicholson Lane
15 facility which is about three and a half miles up
16 Rockhill Pike. These include a DNA sequencing
17 facility, oligo primer synthesis, peptide synthesis,
18 N-terminal sequencing, mass spec analysis, amino acid
19 composition and lyophilization core facility.

20 There's also a substantial amount of
21 really topnotch shared equipment and it's readily
22 available to us. There's a gene expression microarray
23 laboratory, there's a circular dichroism spectrometer
24 in Building 5, which we've actually used quite a bit
25 over the last year and there are currently two NMR

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 spectrometers of 300 and 500 MHz with a third machine,
2 700 MHz on order and due for arrival fairly soon.

3 The scientific goals of our laboratory are
4 really three-fold. We look at allergen structure and
5 function, the immunomodulation of allergic responses
6 and this is clearly the most regulatory focused
7 scientific goal, the preparation and preservation of
8 allergen reference standards.

9 And in order to illustrate how each of the
10 projects ties into these goals, I've put together this
11 cartoon graphic with each of the three goals in the
12 three corners of a triangle. The latex cockroach
13 hymenoptera work that we do really fits neatly in
14 between these two goals as I think does Ron's work on
15 RVS and MDR, work a little bit closer perhaps to the
16 immunomodulatory goal than the allergen structure and
17 function goal but nonetheless, located between those
18 two goals.

19 The work that I've been doing on the
20 effects of lipopolysaccharides on allergic responses
21 clearly fits closer in between these two goals. Our
22 concern, obviously, is that there is and we know there
23 is endotoxin present in allergen extracts, and we're
24 concerned about the effects, either positive or
25 negative that this may be having on the use of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 products as immunomodulatory agents.

2 We have a project in which we've been
3 looking extensively at the effect of lyophilization on
4 the stability of allergen extracts and that clearly
5 fits closest to this goal. This is an example of a
6 minor project that's been driven by regulatory
7 necessity. The presence of precipitates in allergen
8 extracts which I will be talking about in more detail
9 later, is a project that fits in between these two
10 goals.

11 We had some work that went on over the
12 last couple of years using novel techniques of
13 characterizing allergen extracts both MALDI-TOF and
14 SELDI technology in the identification of allergens
15 that ties in fairly closely with that goal. Jerry
16 Poley's work on the cross-reactivity between foods and
17 latex, obviously fits between these two goals and a
18 recent new project on the study of protease activated
19 receptors on mast cells fits closest to this goal.

20 Now at the site visit we didn't talk about
21 all of these projects. We actually focused on these
22 projects at the site visit and it had been my
23 intention to focus on these two projects today with
24 Dr. Rabin's presentation. What I'm going to do in
25 response to his absence today is I'll cover some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the other projects in somewhat less detail.

2 It's important to note that as Dr. Walker
3 mentioned, we have a research program that we're very
4 proud but our mission in essence, a regulatory mission
5 and it's important to note that each of our research
6 projects really ties in fairly tightly, I think, to a
7 specific regulatory objective of the laboratory either
8 looking at latex cockroach hymenoptera, in terms of
9 what we anticipate is going to be our regulation of
10 recombinant allergens and examining novel
11 immunotherapy options.

12 Our studies of lipopolysaccharide in
13 allergen extracts clearly is looking at the safety and
14 efficacy of allergenic products. Extract potency
15 determination methods ties in fairly closely with our
16 lot release activities. Extract preservation, the
17 lyophilization study is really largely our effort to
18 replace lots less frequently. It would be much less
19 disruptive if we could develop a U.S. Reference
20 Standard that would be good for 10 years rather than
21 three, four or five years and this is our objective in
22 this study.

23 Ron's work on RSV and multi-drug
24 resistance proteins is clearly looking at
25 immunomodulation in a novel and I think, a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interesting way. In addition, when building this
2 laboratory as it's been my privilege to do over the
3 last three and a half years, I've been paying
4 attention to our technical capabilities and to the
5 interactions of the different parts of our laboratory
6 in terms of the technical capabilities and the
7 contributions that one project can make to another.

8 So you know, clearly for the latex and
9 hymenoptera projects, we've been using standard
10 techniques of protein analysis, DNA analysis and
11 cloning and mutagenesis. The work on cockroach
12 allergens has introduced some novel techniques into
13 the lab. We've been constructing combinatorial IgE
14 libraries. The LPS study, the novel contribution of
15 that to our lab has been a study of mass responses
16 using plethysmography and Ron's work has introduced
17 flow cytometry and cell sorting to the laboratory, but
18 clearly we've been using the techniques from the latex
19 and hymenoptera studies for our cockroach work.

20 Likewise Jonny Finlay's work with the
21 combinatorial IgE libraries will clearly have
22 applications to our latex and hymenoptera work. The
23 plethysmographic skills that we've been developing
24 with the LPS study are also going to be applied to
25 latex and hymenoptera and Ron's skills with flow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cytometry and cell sorting are going to have broad
2 applications to, I think, all of the other projects in
3 our laboratory.

4 Again, to emphasize the point that all of
5 our biologists who can participate in research and
6 regulation do both. Each of our biologists has a
7 primary area of research activity and several of them
8 have secondary areas of research activity as well.

9 So at the site visit, we -- Dr. Rabin, Dr.
10 Soldatova and I presented key aspects of our various
11 projects and I'm going to take advantage of Dr.
12 Rabin's absence now to give an extremely brief summary
13 of each of those projects without audio-visual
14 benefit.

15 Ron's work on the characterization of
16 responses to RSV by T-cells from human tonsil really,
17 I think reflects a novel approach to the question of
18 how TH₁, TH₂ polarization happens in vivo and what the
19 possible influence of viral infections on that might
20 be. He is going to be obtaining tonsils from
21 children, putting those into histoculture, infecting
22 them with RSV and looking at both the cytokine
23 responses of those cells and the phenotypic responses
24 of those cells.

25 He's very experienced in doing both of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those and that project is actually going forward very
2 nicely already and is, I think, one of the most
3 exciting projects in the laboratory. Working with Dr.
4 Hiu Huang, the second project, the modification of the
5 multi-drug resistance protein activity as a potential
6 mechanism of immunomodulation is also a novel and
7 exciting project. It borders on the medium to high
8 risk project because it's really based on an
9 interesting observation and, you know, I think it's
10 very likely to be successful.

11 Multi-drug resistance proteins, as you all
12 probably know, are ubiquitous membrane proteins that
13 have been extensively studied as potential agents of
14 chemotherapeutic resistance and targets for enhancing
15 chemotherapy in cancer studies. What Dr. Rabin
16 observed a couple of years ago is in his studies of
17 Waggoner's granula metosis cells, that they had
18 evidence of impaired MDR protein activity and he
19 wondered whether MDR could actually be or MDR type
20 proteins could actually be involved in lymphocyte
21 activation and with Dr. Huang, they're actually
22 developing the tools now to look at this carefully in
23 lymphocytes.

24 It's actually a very exciting project,
25 again, like his other project, looking at sort of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 standard question in a novel way and I think it's
2 going to go very well.

3 Dr. Soldatova at the site visit presented
4 two of her projects. Dr. Soldatova has been a
5 scientist who's been committed for many years to the
6 study of bee venom allergens and the work that she
7 presented included her study on the glycosylation and
8 the allergenicity of bee venom hyaluronidase using
9 recombinant hyaluronidase expressed both in E-coli and
10 in baculovirus infected insect cells and comparing
11 their activity, comparing their enzyme activity.

12 In addition, she's been looking at mutants
13 of hyaluronidase that have been mutated both in the
14 enzyme active sites and in each of the four N-
15 glycosylation sites and looking at again, the antibody
16 binding and the enzymatic activity of these mutants.
17 In addition, she has cloned a new vee venom allergen,
18 acid phosphatase and has obtained the sequence for the
19 allergen.

20 Now, I just said that in two sentences.
21 That's been a lot of very hard work involving the
22 dissection of venom sacs from over 500 honey bees and
23 the extraction of RNA from those, the construction of
24 a cDNA library that ultimately led to the obtaining of
25 the full sequence. So those projects are also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 described in the site visit briefing document that
2 you've been sent. And those are projects that are
3 going forward very well, as well.

4 At the site visit in January, I presented
5 three of my projects. The first is the look at LPS,
6 defective LPS on allergenic responses and this project
7 has sort of two wings to it. One wing is a study that
8 we started at Children's about five years ago in
9 response to inquiries regarding the observation that
10 latex gloves had a significant amount of endotoxin and
11 we were able to demonstrate fairly clearly in mice,
12 that LPS enhanced IgE responses to the latex allergen
13 Hev b 5.

14 When I came to FDA we attempted to
15 replicate this with ovalbumin and found that we could
16 easily replicate that and we subsequently found that
17 if we introduced the allergen and the LPS into the
18 airway as opposed to just into the nose, we also could
19 elaborate a very high level bronchospastic responses
20 as well. So one angle on this is to look at the
21 mechanism of LPS' effect on allergen responses in
22 these mice and that's going forward actively. But
23 another interesting angle on this project is one that
24 Dr. Trivedi is now following.

25 She went back and looked again at commonly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 used allergen extracts that had been shown many years
2 ago to contain endotoxins and she looked again and
3 found, once again that house dust mite and cat
4 extracts at least contain significant quantities of
5 endotoxin although highly variable from one
6 manufacturer to another.

7 She's now in the process of looking at
8 whether those amounts of endotoxin are significant in
9 terms of the responses first of animals and ultimately
10 we will be comparing human immunotherapeutic responses
11 to different licensed products that contain different
12 amounts of endotoxin. So this is an important project
13 that I think really touches on a lot of our regulatory
14 activities.

15 A second project is, again, continuation
16 of work that I started at Children's on the latex
17 allergen Hev b 5. Latex allergy, as I don't have to
18 tell any of you, is an important allergy that seems to
19 effect both children with spinabifida and health care
20 workers worldwide. Hev b 5 is one of now 13 allergens
21 that have been described in latex. It's unusual in
22 that it appears to be important in both populations,
23 both the health care workers and the spinabifida, the
24 children with spinabifida. And we've been working
25 hard to identify the IgE binding sites on Hev b 5.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We've now mutated what we believe to be the IgE
2 binding sites.

3 We're doing studies collaborating again,
4 with out colleagues in Australia on doing studies both
5 in animals and in cultured human cells to look at the
6 effects of these mutations. And finally, and this is
7 a project that it's sort of hard to believe it just
8 started about 12 months ago because we've really made
9 such exciting advances at this point, we've been
10 building IgE combinatorial libraries in our effort to
11 study immune responses to cockroach allergens and
12 Jonny Finley who is a post doc that just started last
13 year, has really been spearheading that project.

14 What we've been able to do is we've been
15 able now to construct these IgE combinatorial
16 libraries for only five mls of peripheral blood. Past
17 studies have really required 20, 30, 40 mls of
18 peripheral blood which is certainly obtainable when
19 you're doing studies on adults, but since our interest
20 was looking at cockroach allergy in children, we were
21 limited by that technical barrier and Jonny has now
22 overcome that. He's now constructed two libraries
23 that seem to be functional from five mls of peripheral
24 blood and we have an active protocol to recruit more
25 children from Children's Hospital for this study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Melissa Patterson has done a great deal of
2 work looking at cockroach allergen extracts in the
3 United States. Again, this was the work that is going
4 to be published in Clinical and Experimental Allergy.
5 And this is really the beginning, as I introduced last
6 year in our effort to standardize cockroach allergy
7 extracts in the United States.

8 And that's my summary of the site visit.
9 Again, at this point I was going to introduce Dr.
10 Rabin. I apologize but will go on, I think, to the
11 discussion of this.

12 DR. LEHRER: Are there any questions from
13 the committee members? Yes.

14 DR. SOTO-AGUILAR: Yes, I have two
15 questions. One regarding the RSV. Since you are
16 involving now yourself into biology and immune
17 response, have you thought of including Epstein-Bar
18 virus which can lead to so many immune responses, so
19 many different types?

20 DR. SLATER: I'm sorry, have we thought of
21 looking at what?

22 DR. SOTO-AGUILAR: Of Epstein-Bar virus
23 and -- EBV and what happens with the immune response.
24 As a rheumatologist, I see the most -- our largest
25 variety of immune responses, much as the chronic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fatigue syndrome, I'm talking of monocytosis for a
2 long period of time, all different types of serial
3 responses, IgM combined with different types of IgE,
4 antibody response to the epitopes and what is really
5 happening over time.

6 That, I think, is a very interesting, very
7 intriguing type of virus and the potential effects on
8 the immune response. That's one question.

9 The other one pertains to cockroach. Six
10 years ago I presented data showing that Caucasian
11 population responded very differently serologically to
12 the African American population with respect to their
13 IgE responses. They seemed to respond to different
14 epitopes. Have you done that? Are you looking at
15 different type of serobank and see what happens?

16 DR. SLATER: Well, in response to your
17 first question, thank you for the suggestion about
18 EBV. I will talk to Ron about that and we'll look at
19 that but I appreciate your comments. Certainly EBV is
20 an important virus and we know that it does alter
21 immune responses, so that's a good suggestion.

22 As far as the heterogeneity of the IgE
23 response to cockroach, that's actually one of the
24 reasons that we're pursuing this project the way we
25 are. You know, I think one of my concerns about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standardizing cockroach allergen is specifically that,
2 that we need to try to do our best to identify the
3 allergens that will lead to safe and effective
4 products in as broad a population as possible and my -
5 - you know, I obviously didn't give you a thorough
6 presentation of the cockroach work but really one of
7 our major objectives is to really look in a more
8 specific and rigorous way at what the epitopes are,
9 what the relevant allergens are and what we need to
10 measure as we go forward with standardizing these two
11 important allergens, but I think your comments are
12 very important and thank you.

13 DR. BERGER: In a related sort of question
14 to the last point, do you have a way to correlate the
15 things you recover in making a combinatorial library
16 with what's actually displayed on the mast cells in
17 the patient you got the peripheral blood from?

18 DR. SLATER: Well, I don't have the tools
19 to correlate it now. I think we certainly could build
20 that into the study later. I think that's a good
21 idea. There's no way -- you know, one of the beauties
22 of these libraries is you really -- we use the Pax
23 gene system for drawing the blood. It actually gives
24 us a very nice quick freeze frame of the RNA at that
25 moment. I don't have any way of freeze framing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mass cell sensitization at that moment as well. But
2 certainly, I think it's something -- I think you're
3 raising a good point. I think once we're able to --
4 what we're able to identify using the combinatorial
5 library, we probably need to go back and correlate it
6 in vivo.

7 That's a project, that of necessity, is
8 quite aways down the pike because that would involve
9 introducing product into people. Presumably with
10 basophil histamine release, we could do it in a less
11 than basic way.

12 DR. BERGER: I think a related question or
13 suggestion might be in a few, you know, representative
14 cases to sort of at the same point in time, compare
15 the same combinatorial library approach on tonsillar
16 cells with peripheral blood taken from the same
17 patient at the same time. So that would, perhaps,
18 give you snapshots comparing what's in the peripheral
19 blood versus what's in a respiratory tract associated
20 lymphoid -- solid lymphoid tissue as an approach
21 towards what is the relationship between peripheral
22 blood and mucosal antibody production.

23 DR. SLATER: That's actually a terrific
24 suggestion, because we are obtaining the consults and
25 we could easily -- that's actually an outstanding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 suggestion, thank you. That's really good.

2 DR. UMETSU: You mentioned your interest
3 in looking at LPS as an adjuvant in some of these
4 extracts. What is the current data that's collected
5 on allergen extracts with regard to LPS and endotoxin?
6 Do you look at that content in the commercialize
7 extracts?

8 DR. SLATER: No, we don't look at it
9 routinely. Really, it's not something that is
10 monitored in allergen extracts. So it's actually
11 something that we're looking at and that my
12 predecessor in the lab looked at quite awhile ago, so
13 it's kind of fun because the previous data is from the
14 same laboratory but completely different cast of
15 characters.

16 DR. UMETSU: But isn't that -- I thought
17 all products that are administered to people are
18 monitored for LPS content. That's not true? Is that
19 just for drugs or intravenous products?

20 DR. SLATER: Allergen extracts are
21 excluded from that requirement.

22 DR. LEHRER: Pursuing the LPS issue, I had
23 question; you mentioned that there was a fair amount
24 of variability when you looked at manufacturer to
25 manufacturer. What about with the same manufacturer,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 if you look at different lots of the same vaccine or
2 if you look at different samples from the same
3 manufacturer?

4 DR. SLATER: I think that's a very good
5 question. We haven't done hundreds of lots at this
6 point, so we really can't -- I really can't give you
7 a statistically valid comment on that. I think based
8 on my review of the data, I think the differences
9 between manufacturers are far greater than the
10 variability within a manufacturer. So I think that we
11 certainly do see variability within a manufacturer but
12 it's much less than we see between the manufacturers.

13 DR. LEHRER: And do you see that one
14 manufacturer may seem to have higher levels of
15 endotoxin within their product line as opposed to
16 other manufacturers or is it just one product in
17 particular?

18 DR. SLATER: Well, in the studies that
19 were done several years ago, the highest levels were
20 clearly present in the dust mite and house dust
21 extracts with levels in cat as well. We didn't look
22 at pollens this time around. We haven't looked at
23 them yet but we're going to. The -- you know, all of
24 the extracts that we looked at had some level of
25 endotoxin in them, that is the two different species

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of dust mite and the cat extracts.

2 And you know, there were some
3 manufacturers that were higher and some that were
4 lower. And part of the purpose of this project is to
5 try to determine whether the differences is
6 biologically meaningful. It may or may not be, we
7 just don't know.

8 DR. LEHRER: Actually, that was my last
9 question in terms of real life, and you have no
10 information on that.

11 DR. SLATER: That's the purpose of the
12 project is to really try to determine whether these
13 differences mean anything. And my guess is they may
14 not. I think it's -- you know, we are not able to
15 make a direct comparison between a product and an
16 identical product that has no endotoxin, it's
17 endotoxin free. We still haven't quite worked that
18 out yet.

19 The problem is that all of the methods
20 that we've tried to use to remove endotoxin and there
21 are many, also remove allergen. So it's kind of hard
22 to separate them out properly but we're working on
23 that.

24 DR. LEHRER: Also what I was getting at is
25 the levels of endotoxin that you're detecting in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comparison to levels of endotoxin that have perhaps
2 been shown in other products that cause problems, how
3 do these -- how to your levels relate to those levels?

4 DR. SLATER: I don't know the other data,
5 that's the problem. I don't really know the other
6 data. I don't think that this is anywhere near the
7 doses that were used in studies long ago looking at
8 the biological effects of endotoxins in humans. These
9 are much lower levels.

10 DR. UMETSU: How about in terms of the
11 reaction, the LPS content, you're looking at the
12 adjuvencity of LPS. Could it also be involved in
13 causing immediate reactions when -- on just giving the
14 shots?

15 DR. SLATER: You know, I don't think that
16 that actually has been -- immediate febrile reactions,
17 is that what you're talking about?

18 DR. UMETSU: Yeah, exactly, a toxic
19 reaction on giving the shot. Yeah, exactly.

20 DR. SLATER: I don't know. Again, I think
21 that the doses that are in the allergen extracts are
22 substantially below that threshold but -- well, I know
23 what they are in the ones that we've measured but I --
24 you know, we don't have broad data.

25 We don't have any -- I should tell you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that this project did not start out with a clinical
2 problem. In other words, we weren't confronting a
3 problem. We had data that suggested that endotoxin --
4 data not just from me by any means, data from many
5 sources that suggest that endotoxin can effect the
6 immune system's responses to antigens. And so we
7 wanted to look -- we wanted to investigate this as
8 much as possible, to be diligent about what role in
9 life you play in the allergen extracts.

10 We still have no evidence that there is a
11 clinical problem with this but we're trying to look at
12 it in as step-wise and organized manner as we can.
13 But we are concerned about that.

14 DR. LEHRER: Dr. MacDonald?

15 DR. MacDONALD: Jay, your seminal work
16 with latex allergy alerted at least this nation to not
17 use it any more in many -- I would assume like
18 Hopkins, many institutions are not even using it any
19 more. So my question is, what is it that have been
20 occurring in other countries or have -- you know, is
21 there a necessity to continue work on latex allergies
22 because nobody uses it any more?

23 DR. SLATER: I actually think that's a
24 terrific question and it's come up in several settings
25 and I think that -- I don't know that answer about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what's happening in other countries. I know that what
2 you're saying is happening in the United States is
3 clearly true. And those of us that see patients are
4 not only aware that we're not using latex gloves any
5 more but we're aware that we're just seeing fewer and
6 fewer new cases.

7 Certainly, you know, the doctors that take
8 care of spinabifida patients are well aware of this
9 problem and these children are not exposed to latex in
10 the first week of life during their closures any more.
11 And so we're seeing it a lot less. It's decreasing as
12 a clinically significant problem. And so the question
13 arises as to whether continuing to study latex
14 allergens is really worthwhile.

15 I think we have learned interesting things
16 from the latex allergens that we've studied. I think
17 that, you know, what we learn about tailoring
18 immunomodulatory therapy for these patients, those
19 patients that have latex allergy many of them still
20 have it and it's quite severe. So there is a cohort
21 of individuals out there who are still sick and still
22 are fairly limited in their activities.

23 As you know, most healthcare workers can
24 get by with relatively easy measures, but a
25 significant number had to quit healthcare in order to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 prevent episodes. So I think there's still a cohort
2 of individuals who are sick. I don't know that novel
3 sensitization is necessarily a major issue outside of
4 this country. I suspect that it's not but I really
5 don't know the answer.

6 But I think that the science is still
7 good. The science is still relevant to other allergic
8 responses and we're going to go forward.

9 DR. LEHRER: Mr. Hauck?

10 MR. HAUCK: Just a point of clarification
11 to the panel. Allergenic extracts are exempt from
12 endotoxin testing. One of the problems of testing had
13 been the technical problems with interference with
14 some of the enzymes present in the extracts. So that
15 is one of the reasons it's exempt.

16 The second thing you should be aware of is
17 that allergen manufacturers must monitor the bioburden
18 of their source materials through to finished product.
19 So although it's not endotoxin level, manufacturers
20 have an idea of the bioburden of the source material
21 and what happens during the processing to assure that
22 that bioburden doesn't get added to. I just wanted to
23 clear that up.

24 DR. LEHRER: Any comment from the
25 committee members? Any comments from the public?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Thank you very much, Doctor Slater. We now have a
2 break scheduled. We're running ahead of time. So
3 we'll just allot, I believe it's 15 minutes for the
4 break. Please adhere to that so that we can continue
5 in a timely fashion in this review.

6 (Off the record at 9:28 a.m.)

7 (On the record at 9:48 a.m.)

8 DR. LEHRER: Now, let's continue with our
9 meeting. The next presentation will be particulates
10 in allergen extracts and compliance overview. Dr.
11 Slater?

12 DR. SLATER: Well, thank you. This is the
13 first of two regulatory updates of topics that were
14 discussed last year. And I'm going to give a brief
15 background presentation about particulates in allergen
16 extracts. There's not particularly much new to show
17 here but again, I'm -- you know, with the committee
18 with there being so many new members of the committee
19 I think it's very important to bring people up to date
20 and following my presentation, Teddi Lopez will be
21 giving a compliance overview, an overview of
22 compliance activities in the allergenic manufacturers
23 and included in that she will be touching on
24 compliance issues as relates to particulates in
25 allergen extracts.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Just to give a historical perspective,
2 precipitates or particulates in allergen extracts
3 have, in fact, been recognized for many years. There
4 were early efforts in the 1970's by the allergenic
5 manufacturers to characterize these precipitates in
6 terms of their physical descriptions and in terms of
7 solubility properties and there were also early
8 efforts by the manufacturers to remove the
9 precipitates by instituting manufacturing changes,
10 bulk settling steps and formulation changes using
11 different kinds of extraction fluids.

12 This is, in fact, a very old problem. And
13 if you go back to the Journal of Immunology from 1923,
14 and look at Professor Coca's -- one of Professor
15 Coca's papers, in which he describes the preparation
16 of fluid extracts and solutions for the use and the
17 diagnosis and treatment of allergies, with notes on
18 the collection of pollens, this is one of the original
19 formulations of Coca's solution. He has a fairly
20 lengthy description of the problems that they
21 encountered with precipitates.

22 "In most of the extracts and preserved
23 juices, a precipitate forms upon standing. As
24 precipitation continues even after the fresh extract
25 has been filtered, it is necessary to wait until the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 precipitation is complete before carrying out further
2 steps in preparation. In the case of some of the
3 vegetables, this precipitation has been found to cause
4 relatively little reduction in the nitrogen content,
5 the protein content of the extract. On the other
6 hand, the precipitate in the extract of meats and fish
7 is doubtless wholly protied. Conceivably some of the
8 exciting agents of the allergy", what we would call
9 allergens, "to the original material are in part or
10 entirely lost by this precipitation", but then he
11 admits, "We have no evidence to offer on this point".

12. He then talks about the particular method
13 they used to clear these precipitates and I was
14 especially taken by the next comment, "The precipitate
15 that forms in some of the extracts is so voluminous
16 that it is impossible to use the centrifuge for
17 removal. In such a case, a partial separation can
18 usually be effected by the use of a fine mesh towel
19 laid over the sieve. As the precipitate tends to form
20 an impervious mat upon the cloth, it is necessary at
21 intervals to scrape off the collected material with a
22 large spoon".

23 So this obviously is a problem that goes
24 back a long way. But how did the issue arise for us?
25 The issue arose for us in that between 1999 and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 present, the appearance of precipitates was noted
2 during team biologics inspections of several firms.
3 And the issues -- the areas in which these
4 precipitates were observed by the inspectors were in
5 the bulk containers, the final containers, the
6 retained samples and also in the customer complaint
7 and product return files. In other words, they
8 actually saw precipitates on site but they also saw
9 the paperwork suggesting that physicians were
10 returning these products, were complaining about the
11 products to the manufacturers.

12 Of the standardized extracts, the only one
13 that really is a major issue is short ragweed for
14 reasons that we can touch on later, and this is an
15 example of a precipitate in a commercial short ragweed
16 extract. This is a relatively small button at the
17 bottom of an otherwise clear solution. This is a
18 somewhat more disturbing one, although I think from a
19 regulatory point of view, they're both quite
20 disturbing.

21 What is our current knowledge about these
22 precipitates? Well, we have a fairly good idea that
23 the aqueous extracts precipitate more than their
24 glycerinated counterparts. This is not to say that
25 glycerinated extracts don't precipitate. They just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 precipitate less often and smaller precipitates.

2 Aqueous short ragweed extracts commonly
3 precipitate and again, among the standardized extracts
4 it's really the only one that's a major problem.
5 Precipitates are a primary cause of physician
6 complaints, usually about visual appearance, and
7 product returns to the industry. Precipitates do not
8 appear to be caused by microbial contamination and we
9 have extensive data from the manufacturers to support
10 that statement.

11 And it's pretty clear that the extraction
12 ratio and the concentration of the extract as well as
13 the phenol content may contribute to precipitation.
14 Now, we actually initiated a very small scale study
15 that we've not expanded yet in our laboratory in which
16 we took several precipitated short ragweed extracts,
17 filtered out the precipitate that was actually there
18 and dialyzed the extracts into different modified
19 diluents to try to look at the effects of these
20 different diluents on subsequent precipitation.

21 Again, the precipitation is an -- as you
22 would expect, it's an ongoing dynamic process where
23 the manufacturers filter out the precipitate which for
24 some extracts they do routinely, the precipitation
25 continues on for quite awhile. And what we were able

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 to observe and this is an OD in the visible range,
2 looking at the precipitation that occurs in time, is
3 that basically all of our extracts eventually began to
4 precipitate and these are all filter sterilized
5 extracts but all of them eventually begin to
6 precipitate but the one that really was -- and this
7 one here was actually stored at room temperature and
8 that actually, to my surprise, at least, precipitated
9 more rapidly than the others. But among the ones that
10 were stored at refrigerator temperature, the one that
11 was most dramatic was the ones which we added an
12 abnormally high amount of phenol, one percent phenol
13 to the solution. This is actually an observation that
14 some of the manufacturers have made as well.

15 Now, this isn't to say that the entire
16 problem is phenol, nor is this to say that the entire
17 problem would be solved by glycerin but certainly we
18 have evidence to suggest that the more concentrated
19 one precipitate more. Aqueous ones precipitate more
20 than glycerin and the phenol may be contributing to
21 the precipitation.

22 Our current regulatory position is that
23 we've told manufacturers that no shipment of final
24 containers exhibiting precipitates should occur. That
25 they need to institute a way to inspect them to make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sure they don't contain precipitates and not to ship
2 them out to their clients. The development -- we want
3 the manufacturers to develop an in-house quality
4 control program to identify and describe the
5 precipitates.

6 They need to validate any reprocessing
7 procedures performed on precipitated extracts. They
8 need to modify their labeling to address precipitates.
9 They need to submit what's called Biological Product
10 Deviation Reports and you'll hear more about that in
11 a few minutes from Teddi Lopez, on precipitated lots
12 to CEBR and if no approved license supplement is in
13 place for reprocessing or reworking precipitated
14 products, one needs to be submitted.

15 I just wanted to note that in the CFR
16 there is already the requirement that in the dosage
17 and administration section of the package insert,
18 there be something similar to the following statement
19 "The parenteral drug products should be inspected
20 visually for particulate matter and discoloration
21 whenever the solution and the container permit".

22 We have recommended several changes to the
23 manufacturers in terms of their package inserts and
24 these are by way of recommendations. We've
25 recommended that the package insert contain a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 statement indicating that precipitation occurs. We've
2 also recommended that there be a description of the
3 typical appearance of the precipitate and finally,
4 we've recommended that there be a statement that
5 precipitated extracts should not be used in patients.

6 So in summary, we know the precipitates
7 occur and probably have always occurred in allergenic
8 extracts. We know that aqueous extracts precipitate
9 more than glycerinated ones. We know that almost all,
10 with the exception of short ragweed, almost all the
11 precipitated extracts are unstandardized. There are
12 still significant knowledge gaps on precipitated
13 extracts. We're collaborating with industry to fill
14 in those knowledge gaps and at this point, we're
15 pursuing what we consider to be a prudent regulatory
16 approach.

17 Did you want to have questions before
18 Teddi Lopez speaks or do you want to proceed with the
19 next --

20 DR. LEHRER: Since it's a continuation of
21 the same topic, I suggest that Teddi Lopez present and
22 then we can present the issue of precipitates.

23 MS. LOPEZ: Good morning, my name is Teddi
24 Lopez. I'm from the Office of Compliance and Biologic
25 Qualities, Division of Case Management. The first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 slide here is just the slide of the agenda. It's a
2 very short agenda. First I talk about enforcement
3 actions or the types of tools that we have available
4 to us when we find that a firm is not in compliance
5 with GMPs. I also show a few slides of enforcement
6 actions versus the total number of inspections over
7 the entire industry and of course, include the
8 allergenics.

9 I'll talk about the Biological Product
10 Deviation Reports and this is a mechanism that the
11 agency can use to monitor what is going on across
12 industries as well as with a particular firm, talk a
13 little bit about recalls, talk about those concerns or
14 items on a 43 or inspection report that might lead the
15 agency to take a regulatory enforcement and finally
16 the last slide -- the last couple of slides will be
17 about forward thinking and I'll talk a little bit more
18 about the precipitates and where we are with that.

19 Starting with enforcement actions, warning
20 letters, that is the first form of notification that
21 we will send a firm. Basically, it's the notification
22 that says, "We've had an inspection at your facility.
23 We have noted the following deviations. If you don't
24 implement effective and appropriate corrective
25 actions, the agency, without further notice, can take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 another action, another stronger action".

2 And usually those actions fall into two
3 categories, legal actions and administrative actions.
4 For legal actions, we have seizures and injunctions.
5 For administrative actions, we have a license
6 suspension, license revocation or otherwise perhaps
7 known as notice of -- excuse me, Notice of Intent to
8 Revoke and then other.

9 License suspensions, we don't do those
10 very often but should the agency determine that we
11 have an immediate health hazard situation, we will
12 immediately suspend the license. Notice of intent,
13 basically, again, we say, "We've had a few inspections
14 at your facility. These inspections have been
15 violative. We found repeat deviations. If you don't
16 clean up your act", essentially, "we will revoke your
17 license".

18 Under other, we have a letter after notice
19 of intent and in that situation a firm is currently
20 under notice of intent. We go back out, do another
21 inspection and while they have made significant
22 improvement, which would tell us that we don't need to
23 move forward in revoking a license, we still do have
24 concerns and so we will send a letter out to that
25 effect.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then regulatory meeting with the
2 agency in which we will call a firm and say, "You need
3 to meet with us. Please bring, you know, this
4 personnel". We'll tell them who we'd like to see,
5 "And be ready to address certain concerns". And at
6 the meeting, usually the office director is going to
7 be there to relay to the firm our serious concerns.

8 This slide is just for the enforcement
9 actions for the allergenic product manufacturers over
10 the past several years and basically I'll just note
11 that in '97, '98 and fiscal year 2000 we had more
12 warning letters issued than any other type of
13 regulatory action. In FY 2001 other led the charge,
14 so to speak and they were notice after letters of
15 intent. We do have a couple of firms under notice of
16 intent and a regulatory meeting.

17 Now, the next three slides basically show
18 the total number of inspections versus the number of
19 enforcement actions, for fiscal year '99, 2000, 2001,
20 for the entire industry and for allergenics, there
21 were eight total inspections and three of those led to
22 an enforcement action. For 2000, there were 12
23 inspections at allergenic manufacturers, five of which
24 led to an enforcement action, and in 2001 there were
25 10 inspections, three of which led to an enforcement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 action.

2 Now, the next slide just really talks
3 about where the dividing line is. If an inspection
4 took place in fiscal year 2001, however we took, an
5 action in 2002, we counted that inspection for 2002.
6 Other inspections completed in fiscal year 2002
7 pending classification or review. Basically, there
8 are three classifications for an inspection; NAI,
9 which is no action indicated, and the agency obviously
10 won't take regulatory action, VAI, voluntary action
11 indicated and again, the agency will not take a
12 regulatory action.

13 There were some valid observations that we
14 found on a 43. However, they were not significant
15 enough to lead the agency to take a regulatory action.
16 And finally OAI, official action indicated, those are
17 the types of reports that come to the Division of Case
18 Management. We look at the evidence, the inspection
19 report, the firm's response and determine what action
20 we should take.

21 Now for fiscal year 2002 there have been,
22 let's see, five inspections but thus far we do not
23 have any enforcement actions. A couple of the
24 inspections were classified VAI. At least two
25 inspections are currently under review at the center,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they were OAI and so we're currently reviewing them so
2 I don't know where this will end up. We may
3 reclassify them to VAI or we may opt to take
4 regulatory action.

5 Biological Product Deviation Reports, the
6 reg became effective May 2000, excuse me, May 2001,
7 and essentially we want to know when a firm has had
8 problems with a lot that is already in distribution.
9 And that's the key phrase there "in distribution". If
10 the lot is still under the firm's control, they do not
11 have to submit a biological product deviation report.
12 But once the lot is no longer in their control, if
13 it's at the user end or at a warehouse that they don't
14 control, we expect to see a Biological Product
15 Deviation Report and the report should contain the lot
16 numbers, the problem identified, an investigation and
17 any corrective actions that were implemented.

18 And here we just have a quick slide on
19 what the entire non-blood industry looks like for the
20 past few years. The 2001, that black column
21 represents the allergenics and that spike corresponds
22 to the implementation date of the final rule. And
23 most of the reports had to do with precipitation. And
24 let's see, I wanted to go back to a slide. Yeah. In
25 2002, we have thus far had 192 Biological Product

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Deviation Reports for the entire industry. Of the
2 192, 121 or 63 percent represent the reports for the
3 allergenic manufacturers.

4 And a further breakdown of that, 12
5 reports were for labeling, eight reports were for QC
6 and distribution and 100 of the reports were for
7 product specifications, that's 83 percent of that 121.
8 And it's interesting to note that of the 191, were
9 because the product contained precipitate. Now, the
10 agency has indicated that a manufacturer may report --
11 may combine these reports as long as they meet the 45-
12 day time frame.

13 That is to say, if you have lot X and you
14 have 13 complaints, you may submit one report and list
15 the 13 complaints or you can submit one report and
16 list various lots. So there's no need to send in one
17 report for each complaint.

18 And as Dr. Slater mentioned, this issue
19 was discussed in October and we have found that for
20 the most part, firms have implemented interim
21 measures. And for us that basically really means
22 prior to distribution, firms have written SOPs that
23 say, "Take a look at these vials before you distribute
24 them, and if they have precipitation, they're not to
25 be distributed".

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Recalls, and they're voluntary on the part
2 of the manufacturer and for allergenics, most of the
3 recalls that have been issued to date relate to
4 labeling. And for the fiscal year 2002, so far there
5 have been nine recalls and most of them had to do with
6 labeling. There were seven that had to do with
7 labeling and usually it was because of an incorrect
8 expiration date. We had one recall that was due to a
9 sterility issue and one recall that was due to QC and
10 distribution. It was an unlicensed product.

11 Now, the next few slides are really about
12 the types of concerns, issues that would lead the
13 agency to take a regulatory action and this is a
14 compilation of issues that we've seen from 1999 to
15 2002. Currently, we're not seeing all of these but we
16 thought we'd tell you what the history has been.

17 Leading off, we have inadequate
18 investigations and corrective and preventive actions.
19 I went back to the previously 43s and inspection
20 reports and just pulled out some of the observations
21 that we've seen that may have led to enforcement
22 action. And I'll just go ahead and read a couple of
23 them.

24 "Investigation was not conducted to
25 determine the origin and nature of precipitates that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 were found in batches of extracts". "Complaint was
2 received for precipitation vials, however the
3 investigation did not include testing of the return or
4 reserve samples and no corrective action was
5 implemented".

6 Next, we have refiltration, reprocessing
7 and reworking and usually it's the lack of validation
8 of these processes. A firm will go ahead and
9 reprocess a lot that has precipitation and there's no
10 assurance that they haven't determined whether or not
11 this has an adverse impact on the product quality. In
12 one of the examples cited in a 43, there was no data
13 to support reprocessing when certain limits were
14 exceeded. The SOP did not specify the number of times
15 that a lot may be reprocessed and there was no
16 requirement for an investigation.

17 Container closure, these are container
18 closure integrity issues. We don't see this
19 particular observation as we did in the past.
20 Validation issues, we still continue to see and this
21 is a lack of validation for processes, systems, tests,
22 also failure to qualify equipment. A couple of the
23 observations that we've seen on actions or on 43s that
24 have led to regulatory actions. No data to support
25 the validation of the sanitization cycle for the water

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 system, cleaning validation for product contact,
2 manufacturing equipment has not been validated,
3 failure to conduct cleaning validation studies for
4 removal of residual protein for equipment.

5 We also have reserve samples, CFR 211.170
6 says in part that, "A manufacturer must maintain
7 reserve samples and visually inspect them at least
8 annually to look for visible deterioration". And what
9 we find upon inspections that they may have these
10 samples but they don't look at them on an annual
11 basis. And sometimes the investigator will say,
12 "Well, let's go take a look at them now", and when
13 they do, they'll see vials that have precipitation.

14 Biological Product Deviation Reporting,
15 this is still an issue. We continue to see this
16 particular observation. So let's see, some of what we
17 see are failure to report shipping of products without
18 an expiration date, failure to report discoloration
19 and precipitation of allergenic extracts. SOP
20 deficiencies, we see this a lot but this goes across
21 industry lines of failure to follow an SOP, failure to
22 have an SOP, of failure to have an adequate SOP.

23 And then annual review, 211.180E says that
24 you have to take a look at records just to make sure
25 that there's no reason that you need to change certain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 specifications. I mean, if you're looking at, you
2 know, certain records, you may determine if there is
3 a trend or a shift and you may need to go back and
4 look at a process or perhaps, revise some
5 specifications.

6 And as I think I eluded to earlier, we
7 have seen a lot of improvement. We don't see as many
8 issues regarding container closure integrity. The
9 SOPs are getting better. Where there were no SOPs
10 before, there are SOPs now. We find that employees
11 are following the SOPs and that for the most part they
12 are adequate but they still need a little bit of
13 tweaking.

14 Validation, that is improving as well,
15 including for refiltration. As Dr. Slater mentioned,
16 you know, we expect that this process is validated and
17 that you send in a supplement to the agency. People
18 are now doing the annual reviews and looking at
19 retention samples at least annually for deterioration.
20 BPDR reporting is getting better particularly for
21 precipitates but we see still as an issue when
22 precipitates is not the cause.

23 And I have one slide here about forward
24 thinking as far as the precipitate issue is concerned.
25 Dr. Slater gave you a pretty good synopsis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Basically, we will continue to work with the
2 manufacturers with the goal of understanding and
3 preventing. We expect that there should be an interim
4 plan to prevent precipitated vials from being
5 released, a label basically alerting physicians that
6 they shouldn't use vials that are precipitated. Also
7 that these reports may be submitted en masse. Instead
8 of submitting one report for every complaint of
9 precipitation, you can put them together as long as
10 you continue to meet the 45-day time frame.

11 And here is just some contact information
12 for you. That's it.

13 DR. LEHRER: Thank you very much, Teddi.
14 The presentation by Dr. Slater and Teddi Lopez is now
15 open for questions. Are there any questions from the
16 committee members? Yeah, Harold.

17 DR. NELSON: Well, having used these
18 extracts for 30 years and seen precipitates in them
19 and never worried that much about them, I just wonder
20 why all of a sudden in 2001 there was this huge jump
21 in the recalls due to precipitates, number one.

22 And I wondered what we really knew about
23 these precipitates and wondered about this
24 recommendation that says a statement prescribing
25 extracts, that precipitated extracts should not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 used. What is the new information all of a sudden
2 that brings that to importance?

3 MS. LOPEZ: Regarding the first question
4 that you had, the spike you say was in recall but it
5 was really in Biological Product Deviation Reports.
6 And one of the reasons why we implemented the new rule
7 is because we found for the non-blood industry, there
8 was a lot of under-reporting. The blood industry,
9 which would be blood banks and places like that, they
10 had like, you know, 20,000 deviation reports. So they
11 were reporting but we found for the non-blood
12 industry, they weren't reporting the way we kind of
13 expected.

14 Also we found that we didn't put a time
15 frame in the previous reg. We expected a timely
16 response. And we found that it could be three, four,
17 five months. And so we implemented that new rule in
18 2001 and I think that's probably the reason why you
19 see that spike. We believe that those precipitates had
20 always been there, been in product, been the subject
21 of complaints but the firms hadn't been reporting them
22 to us and it wasn't until 2001 when the rule became
23 effective that we started seeing so many of them.

24 DR. NELSON: Now, is the difference just
25 in reports or were these previously going out and

1 being used and now they're being sent back to the
2 manufacturer?

3 MS. LOPEZ: I think that they had -- I
4 think the problem has always been there. It's just a
5 matter of reporting it to the agency. Before 2001,
6 they probably had just as many and the physicians
7 would receive the product, you know, fill out a
8 complaint, send it back and receive a new lot. And I
9 think the same thing is occurring now, but the only
10 difference was the requirement to report them.

11 DR. SLATER: Actually, when I presented
12 this information last year in New Orleans to the
13 Academy Immunotherapy Committee, most of the
14 physicians on that committee had not been as observant
15 as you. They didn't know that there were precipitates
16 in the extracts that their staff were administering.
17 So most physicians, most allergists that I've spoken
18 to have not been aware that this has been a problem
19 since 1923.

20 That this is something that they were
21 confused by. Now, several of them called me up and
22 said afterwards when they went back and talked to
23 their staff, "Oh, of course, of course there were
24 precipitates". Your question of why is there a
25 recommendation now that precipitating extracts not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 used, is there some new information that we have that
2 suggests that it's somehow more dangerous. And the
3 answer is, no, there is no new information but there's
4 actually no old information either. We don't really
5 know -- we know that allergen extracts are basically
6 safe products when used properly.

7 We also know that there's a baseline of
8 adverse reaction to precip -- excuse me, that there's
9 a baseline of adverse reactions to the use of
10 allergenic extracts. We don't know what these
11 precipitated extracts might be playing either in the
12 administration of extracts that are sub-potent and
13 then the administration with switching a vial to a new
14 far more potent vial that hasn't precipitated out yet
15 or with adverse events associated with the
16 precipitates. We just don't know.

17 It's actually -- that was the reason that
18 I said at the end, this is a prudent regulatory
19 policy. It's not one in which we have active
20 information that there's a problem but we are
21 concerned and certainly in the rest of parenteral
22 drugs. You know, there are measures instituted to
23 filter out precipitates if they're are an unavoidable
24 part of the process.

25 DR. NELSON: Of course, Jay, the raw

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 material that other drugs are made from though is a
2 little bit different from ground up cockroach and
3 vacuum cleaner sweepings. So is there any data with
4 the recent precipitates that this includes allergenic
5 material or even protein, or is it other substances?

6 DR. SLATER: Remember the only extract
7 that precipitates that we can actually look at in
8 terms of potency is ragweed and we've looked at
9 several lots of ragweed and we've not found that it
10 actually contains AMBAY 1 (phonetic).

11 Again the techniques that we're using to
12 look at it might not be the most sensitive techniques
13 but we suspect that at least in ragweed it does not
14 contain allergens. That doesn't necessarily mean that
15 there are no problems associated with it. It's a
16 little bit reassuring. Again, when we're talking with
17 the unstandardized extracts, which are the bulk of the
18 problem, we really are in an area where we're almost
19 completely in the dark in terms of the allergen
20 contribution that might be in the precipitated
21 extracts.

22 Actually, I didn't mention this in my
23 presentation but one of the things that we discussed
24 in last year's meeting when we discussed this was the
25 possibility of doing a survey to try to identify how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 many physicians thought this was a problem and how
2 many had seen reactions associated with this and how
3 many just noticed it.

4 I actually -- a therapy committee of the
5 Academy was working with me to put together such a
6 survey, such a questionnaire but it actually never
7 ended up getting sent out by the Academy to its
8 membership so we really don't have that information.

9 DR. LEHRER: Yes, Wes.

10 DR. BURKS: Do you have any idea why the
11 short ragweed has precipitates, I mean, compared to
12 other extracts that --

13 DR. SLATER: Well, short ragweeds, again,
14 I'm sort of jumping ahead to something I'm going to
15 say in about two hours, but all of the dust mite
16 extracts and all of the grass pollen extracts are
17 packaged and sold in 50 percent glycerin. A
18 substantial number of short ragweed extracts are
19 aqueous and I think that actually may be the issue.

20 Now, again, I don't want to sell the idea
21 that glycerin aiding ragweed extracts would make the
22 problem disappear. In fact, I've been told antidotally
23 that it doesn't make the problem disappear in the case
24 of ragweed and I see Peter nodding his head up and
25 down. But you know, I think that at least contributes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to it somewhat but again, I'm not really sure.

2 But it is a little bit frustrating. I'm
3 glad that the other standardized extracts don't have
4 the problem but I think we have a better handle on the
5 problem in terms of determining what issues there
6 might be in terms of allergen potency if we had
7 precipitation in some of those products.

8 DR. LEHRER: I wanted to ask the
9 clinicians on this committee, those of you like Hal
10 that have noticed the precipitates over the years,
11 have you noticed any diminished biological activity?
12 I know this is a very crude survey but I'm just
13 curious if you've seen any changes.

14 DR. NELSON: I don't think there would be
15 any way to be able to comment on that just from
16 antidotal observation.

17 DR. LEHRER: I guess what I was getting at
18 is I think that if there was a gross change, I would
19 imagine that you picked -- one would pick it up if
20 they, in fact, noticed that precipitates --

21 DR. NELSON: I think if we got ones that
22 looked like the ones Jay showed in the picture, we
23 probably wouldn't use it. Much more commonly, if you
24 hold it up to the light and shake it, you can see just
25 a tiny bit if precipitate but it's usually very minor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in its amount.

2 DR. LEHRER: Yes.

3 DR. BERGER: To the extent to which the
4 precipitates in allergy extracts represent large
5 aggregates of protein, whether that's the antigenic
6 protein or not, it may be useful to look in analogy at
7 the story of immunoglobulin which then became
8 intravenous immunoglobulin. And I think that the
9 analogy I would like to suggest is that because our
10 body has rather sensitive effector mechanisms for
11 aggregated IgG, sets off all sorts of effective
12 mechanisms, therefore, our bodies are very sensitive
13 to macromolecular aggregates in concentrated IgG
14 solutions, even if they're not big enough for our eye
15 to see.

16 And this problem prevented for 40 years
17 the licensing of gamma globulin for intravenous usage
18 and one of -- and it became clear that one could
19 remove the aggregate, the manufacturer would remove
20 the aggregates but aggregates would reform in the
21 bottle in storage as manifest by reactions in the
22 patients. And eventually the way this problem was
23 solved was primarily by including polyols, which is to
24 say sugars in the final iv-Ig concentrates.

25 So almost every now licensed iv-Ig

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 product, I don't have a table right in front of me,
2 but almost every product has some sort of sugar in it
3 which was added in the case of the original circa
4 modified ISG which then there were a lot of studies of
5 that with or without 10 percent maltose made a
6 tremendous difference in the stability of that
7 product. That may be a major effect of glycerine but
8 you may be able to achieve it in other things with
9 five or 10 percent of some other sugar.

10 DR. LEHRER: Thank you. Dale.

11 DR. SOTO-AGUILAR: I have one question.
12 Go ahead.

13 DR. UMETSU: I think that this policy
14 which is called prudent assumes that these
15 precipitates are detrimental to the allergen extract's
16 efficacy but it may, in fact, contain significant
17 amounts of protein, perhaps, denatured protein that
18 simply has precipitated out. And although Mel's point
19 about trying to solubilize it or keep it soluble may
20 be good, it's still possible that even if it's
21 precipitated out, it still is antigenic and therefore,
22 might be still useful and to remove it, may actually
23 be removing significant amounts of antigen that could
24 be beneficial.

25 So I think it's worthwhile perhaps,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looking at this issue much more closely to see what
2 is, in fact, precipitating out before making a policy
3 that may not be appropriate.

4 DR. SLATER: Well, I think in a sense, at
5 least with ragweed, we've covered that concern in that
6 the reprocessing protocols require the manufacturers
7 to test the extract after they've processed it to
8 ascertain that they're not losing -- that they're
9 still comparable to the U.S. Reference Standard. But
10 I think the point is well taken.

11 DR. SOTO-AGUILAR: Jay, my question
12 regards to the rubber stopper. Could the exposure to
13 the rubber have any influence in the production of
14 precipitates and on the other hand, are there going to
15 be any changes regarding the use of rubber stopper for
16 possible latex hypersensitivity?

17 DR. SLATER: I'm not aware of any way --
18 that's a good question. I'm not aware of any way in
19 which the rubber stoppers could exacerbate the problem
20 with -- of precipitates or somehow cause the
21 precipitates. I suppose that's a theoretical
22 possibility. Stoppers, whether they contain natural
23 latex rubber or other polymers, contain chemicals in
24 them, although I think it's relatively unlikely that
25 that could be the cause.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHCDE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The samples that we keep in our lab are
2 not kept in stoppered vials. They're actually kept in
3 other sterile vials with no stoppers, so we certainly
4 see precipitation in stopper-free materials. The
5 second question is, are there moves afoot to --

6 DR. SOTO-AGUILAR: I remember last year
7 there was a brief discussion about the use of rubber
8 stoppers and the potential for latex
9 hypersensitization among people who may have already
10 become hypersensitive or not. We see so many local
11 reactions. How many of those are really related to
12 the allergen to the glycerine or to the rubber
13 exposure, I wonder?

14 DR. SLATER: Well, I don't think it's been
15 a problem with allergen extracts. I think -- I'm
16 embarrassed to say I'm not really sure which of the
17 manufacturers, if any, still have natural rubber in
18 their stoppers. Peter, are you aware?

19 MR. HAUCK: There's at least one, probably
20 two or three.

21 DR. SLATER: You know, it's a problem that
22 physicians are certainly aware of now. I think the
23 studies that have been done and there have been
24 multiple studies looking at the rubber content,
25 looking at the allergen content or rubber stoppers,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 are fairly convincing that this is a very, very, very
2 low dose, it's a very low amount. The studies that
3 I'm thinking of involve measuring measurable latex
4 allergen in fluids simply exposed to the stoppers when
5 the vials are placed on the side.

6 There have been other studies in which
7 multiple punctures, I think up to 40 or 50 punctures
8 have been performed and again, in those cases,
9 measurable allergen has not been determined. I don't
10 think it's a major problem. The clinical setting in
11 which I suppose it would be a problem is if you're
12 finding reactions to injections of saline or to skin
13 testing with saline but I have not heard of that being
14 a major issue.

15 DR. LEHRER: One last question, Peter?

16 MR. HAUCK: This is more of a comment
17 again, we have seen some precipitation problems with
18 standardized Timothy, which is a glycerinated extract,
19 although the amount of precipitation is not nearly as
20 much as aqueous ragweed. And in that we -- and again,
21 it's a minimal amount of data. We haven't seen any
22 change in potency at least by competitive binding
23 assay that was significant.

24 Secondly, regarding precipitation, one of
25 the major issues deals with the concentration of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 material. If you're purchasing a one to 10 weight
2 volume or even to some extent one to 20 weight volume,
3 that's a very high concentration of protein, a lot of
4 total solids in suspension. What manufacturers see is
5 those are the ones that are most prone to
6 precipitation. If you're purchasing below that
7 concentration even in an aqueous form, you're much
8 less likely to develop that precipitate.

9 On the other hand, that opens a whole
10 other set of issues regarding stability, but I just
11 thought I'd make that point.

12 DR. LEHRER: Thank you very much. Now, we
13 will continue with the next presentation. Dr. Slater
14 will be addressing reduction of possible exposure to
15 TSE agents in allergen extracts. Dr. Slater.

16 DR. SLATER: Thank you, again. Again,
17 this is a -- this is really a review and update of
18 material that was covered at length last year. And
19 I'm going to go through some of that material and then
20 we'll give you some updated information on the
21 transmissible spongiform encephalopathies as they may
22 effect or in essence, don't really effect allergen
23 vaccines any more. And I'm going to give you the
24 punchline in advance, so this is our summary slide for
25 allergenic products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And the news is basically very good. It
2 was good last year and it's better now. Most allergen
3 extracts are produced without any bovine components;
4 however, mold extracts are stored and propagated in
5 culture media and some of these culture media contain
6 bovine components and in the past, that was of
7 uncertain origin. But again, the punch line is the
8 risks associated with these contaminations are
9 minimal.

10 And I'm going to now take you through a
11 very abbreviated process which, as I said before, we
12 went through at great length last year to show you
13 what we thought should be done at that point and what
14 has been done since then.

15 This is just a very selective time line of
16 some of the regulatory activities that we've had.
17 This has been going on for quite awhile as far as the
18 FDA is concerned. In May '91 CBER sent a letter to
19 its manufacturers alerting them to problems of bovine
20 components from certain countries. In December '93,
21 the FDA sent a letter to its manufacturers similarly
22 alerting them. In December '97 was when the USDA
23 expanded its list of banned countries to include all
24 of Europe.

25 Originally, the problem was in the United

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Kingdom but in '97, the data suggested that this was
2 a more widespread issue. In April of 2000, CBER sent
3 another letter to its manufacturers and in May and
4 August of 2000, we sent letters to our allergen
5 manufacturers basically asking them for data. We were
6 asking them for information about their products which
7 in many cases was fairly hard for them to pull
8 together but we were looking for certification data
9 about either the presence or absence of bovine
10 materials in all of their products and certificates or
11 certification that these products were from countries
12 that were not on the USDA list.

13 I list here in July 2000 there's a TSE
14 advisory committee. In July 2000 the TSE advisory
15 committee met together with the Vaccine Related
16 Products Advisory Committee. That was a fairly
17 important meeting at which some of the principles that
18 we're going to talk about were established.

19 With the data that we obtained from our
20 manufacturers, we then went through a rather laborious
21 process of risk assessment in which we attempted to
22 quantify or really estimate the risk associated with
23 the injection of these products based on all of the
24 following information. We were concerned about the
25 animal source. If there were bovine components, where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 did these cows come from and when did they come from
2 that place?

3 We know that the appearance of bovine
4 spongiform encephalopathy is not -- was not a problem
5 even in the UK prior to 1980. So we want to know both
6 the time and the place and we wanted to know what
7 tissue was actually used and we'll talk about the
8 different risks associated with different tissues in
9 a moment. We asked for detailed information from the
10 manufacturers as to how these extracts were prepared
11 so that we could make an assessment of whether there
12 were any processing or dilutional issues that might
13 reduce the exposure or unfortunately found in some
14 cases, enhanced the exposure.

15 There are precipitations for mold proteins
16 that would be expected to precipitate out the
17 infectious agents as well. We wanted to know what
18 typical protein doses were associated with
19 immunotherapy, what kinds of dosing they received and
20 if there were any route-specific risks. The
21 assessment of risk of infectivity of the tissue is
22 based on a fairly contrived high exposure method in
23 which tissue is injected into the brains of calves.

24 Obviously, we don't give you know, therapy
25 by that route and the immunotherapy given by this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 route is associated with a somewhat reduced risk.
2 These are the different categories of tissues ranging
3 for Category I which is considered to be high
4 infectivity. Obviously this is neural tissue. Medium
5 infectivity is some tissue associated with the central
6 nervous system and also lymphatic tissue as well. Low
7 infectivity tissues are listed here and then Category
8 IV are tissues that include -- that are thought to
9 contain no detected infectivity. This includes, by
10 the way skeletal muscle which will play into some of
11 our discussion later on.

12 There are special categories and these
13 are, by the way, from various advisory committee
14 meetings, that there are special categories that are
15 of concern to us. Glycerol, obviously, is something
16 that on the face of it, would be of concern to us
17 because it's such a prominent component of allergen
18 extract. It turns out that most glycerol in allergen
19 extracts is of plant origin in any case and that
20 minority that is of animal origin is not and has never
21 really been considered to be infectious because of the
22 processing that it goes through.

23 So glycerol fortunately for us in
24 allergenics is really not an issue. Milk, which is a
25 component of several growth media is also not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 considered to be infectious based on a fair amount of
2 accumulated data. Gelatin, which is an additive in
3 several growth media, originally was not considered to
4 be infectious at all and it probably is quite safe,
5 and certainly you'll see some of my calculations later
6 on that suggest that it's quite safe but you should
7 know that the TSE advisory committee now recommends
8 against its parenteral use and that obviously, effects
9 us because these are parenteral products.

10 So the process that we use to estimate the
11 risk starts with the cows and ends up with the actual
12 doses that are administered to people that are
13 receiving immunotherapy and in this slide, I take you
14 through the first half of that process, going from the
15 cow to the actual growth medium itself and in another
16 slide, I'll take you from the growth medium down to
17 the people.

18 Again, this is all an effort to estimate
19 the infectivity of the medium here at the bottom in
20 terms of LD50s per ml. We start out with the cows,
21 themselves, the tissue that's used and what the LD50
22 per gram is. Then we estimate how much gram of this
23 particular tissue is in each cow, how many cows are
24 used in a particular lot of the product. We have to
25 look at the regional risk per cow. Not every cow is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 infected, obviously, even from an area that's on the
2 list.

3 We look at the various process reductions
4 in terms of making the medium. We determine the LD50s
5 per lot and then based on the volume of that lot, the
6 LD50s per ml. Based on our review and based on the
7 data that the manufacturer sent us and also a fair
8 amount of investigation on our parts, we found that
9 some of the media supplements that we had been alerted
10 to turned out not to have any bovine components at
11 all. In other words, the manufacturers had told us
12 that they thought these might be problems but it
13 turned out they didn't.

14 So Proteose Peptones 2 and 3, Peptamin,
15 Neurospora culture agar and Malt extract broth
16 contained no bovine components and obviously were,
17 therefore, not of any great concern to us. There were
18 three supplements that did contain gelatin but only
19 gelatin, Peptone, Malt extract agar, not broth, and YM
20 Agar and Broth all contained bovine gelatin and we did
21 some analyses based on that.

22 Fortunately, also many of the supplements,
23 many of them, while they contained bovine component,
24 it turns out it was only milk. And I won't read
25 through these but these supplements again, sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 dropped off the radar screen because we found that
2 they were only used -- they only used bovine milk as
3 their protein source.

4 And then there were the media that
5 contained bovine muscle, organs and some of them
6 primarily neural tissue, Polypeptone, Proteose
7 peptone, Proteose peptone 4 and brain heart infusion
8 media which obviously contains a lot of neural tissue.
9 Now, you might wonder why we might be concerned about
10 bovine muscle if bovine skeletal muscle was on the
11 Category IV list of no detected infectivity. That's
12 because if you have pure muscle it has not detected
13 infectivity, but because of slaughtering practices,
14 there certainly is a possibility that it might be
15 contaminated somehow with neural tissue and that has
16 to go into your calculations as well.

17 Once we have an estimate of what the LD50s
18 per ml are in the medium, we then have to go from the
19 medium to the allergenic product to the patient and
20 again, the molds are growth in the medium. They're
21 all processed, reductions and unfortunately we also
22 process enhancements in the production of these that
23 we have to calculate. Again, the LD50s are all based
24 on cow to cow transmission. We know that cow to human
25 transmission is less efficient and so there are some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 relative species barriers that we had to build in.

2 There are, again, route barriers that I
3 referred to before. We made an estimate of the annual
4 US dose of the products and these are broad estimates,
5 estimated the LD50s per year administered in the
6 United States and then based on that, it's a
7 relatively easy calculation to determine the number of
8 years that we would have to go before we saw a case
9 based on this particular material.

10 As a result of our analysis of the data
11 the manufacturers sent us, we were concerned about
12 three different scenarios. There are many more than
13 three scenarios that are theoretically possible but
14 these are the scenarios that actually happened in our
15 manufacturers. We had products in which the use of an
16 uncertified medium from Category IV tissue, this is
17 the least infectious tissue, was used in mold
18 propagation process. We had other situations in which
19 there was the use of uncertified media containing
20 gelatin, which is a little more infectious we think
21 than Category IV tissue in mold seed stocks and then
22 finally, we had the use of uncertified media from
23 Category I tissue, this is the brain heart infusion
24 media, in mold seed stock. So we did a detailed
25 analysis of each of these three scenarios.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So let's talk about the first scenario.
2 The first scenario is the use of uncertified media
3 derived from Category IV tissue in mold propagation.
4 And again, I'm not going to take you through each
5 calculation step. We start out with a certain LV50s
6 per gram, go through all of the different processes
7 that we are referred to here, come up with LD50s per
8 year of this particular line of product, so one times
9 10⁷ which relatively easily takes us out to about 18-
10 1/2 million years that we'd have to go before we saw
11 a case from this. This was not a major concern.

12 We then proceeded to the next scenario,
13 which is the use of uncertified media containing
14 gelatin in mold seed stocks and here the tissue LD50
15 per gram is somewhat higher. It's 1,000 LD50s per
16 gram. And when we finally go through the calculations
17 for this line of products, the LD50s per year was
18 still pretty low, 4 times 10⁴, calculating out to
19 about a 5,000 year interval before we saw a case of
20 exposure in this scenario, also fairly reassuring.

21 Finally, we were -- we looked at the use
22 of uncertified media from Category I tissue, which is
23 the brain, heart infusion media in mold seed stocks
24 and that calculation, you can see here that the tissue
25 LD50 per gram which for Category IV tissue was .1, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the gelatin was 1,000, here the LD50s per gram is 1
2 times 10^7 , substantially higher. The process
3 reductions actually were significant though in this
4 case but we still came up with and LD50s per year of
5 4 times 10^3 or about 500 years between a case based on
6 the administration of the product, also fairly
7 reassuring.

8 But our feeling was that this was a
9 scenario that we could and should attempt to do
10 something about. So again, just to summarize and then
11 I'll get to what we talked about last year
12 specifically and what we've done in the interim, most
13 allergenic products are produced without any bovine
14 components. The mold extracts are potential or at
15 least a theoretical problem. The risks, it turns out
16 are quite minimal, intervals of 5,000 and 18 million
17 years is certainly pretty minimal by any definition,
18 I would think, and the manufacturers have been
19 directed to assure that all bovine components be
20 certified from approved sources.

21 But remember the situation that we were
22 dealing with, with that last scenario. This involved
23 mold seed stocks, not mold propagation. And so the
24 question that we asked to the committee last year we
25 set up as follows. In July 2000 the combined

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 committee, the TSA and Vaccine Related Products
2 Committee suggested that for vaccines the master seed
3 stocks should not be rederived to reduce the
4 likelihood of TSE transmission. In other words, they
5 were dealing with a parallel type of problem but with
6 vaccines.

7 And the Joint Committee felt that this was
8 the case after agreeing that the risk of TSE
9 transmission was remote and that the risks associated
10 with rederiving the master seed stocks of bacterial
11 vaccines were substantial. So this Joint Committee
12 when confronted with a parallel situation, decided
13 that the risk of TSE transmission was remote; whereas
14 the risk associated with rederiving the seed stocks
15 for these vaccines was real and therefore, they did
16 not recommend rederiving for vaccine stocks, certainly
17 a reasonable conclusion.

18 But our feeling was in contrast, CBER did
19 not believe that there was any risk to product
20 efficacy or safety associated with rederiving the
21 master stocks of mold strains for allergenic extracts
22 since these are non-standardized extracts to begin
23 with, there is really no defined risk and therefore,
24 we asked the committee and this was the question that
25 we asked in 2001, whether the committee agreed with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CBER that the master stocks of mold strains used for
2 allergenic extract should be rederived to reduce the
3 theoretical possibility of TSE transmission and at the
4 time, the committee agreed with us and felt that this
5 was an action that should be pursued.

6 In the interim, that action has been
7 pursued and substantial progress has been made in that
8 direction and I can tell you that as of this month,
9 the theoretical concerns regarding TSE and allergen
10 extracts have really for all intents and purposes been
11 resolved. So this was my way of saying that, you
12 know, we really didn't start out with a terribly bad
13 situation last year either. We were confronted with
14 a possibility of a bad situation.

15 We went through an extensive analysis.
16 The analysis was basically very reassuring with the
17 possible exception of one small part of it which we
18 really, with the committee's help last year, we really
19 were able to take care of during the interim year. So
20 that's the feel good part of the presentation and I
21 think we should all be fairly comfortable that we've
22 done a good job and allergenic products which were
23 safe from this point of view are now even safer.

24 This is the less feel good part of it and
25 that is that USDA list is and has been a moving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 target. And whereas the list as of last year
2 contained these countries in calendar year 2001 five
3 new countries were added to the list. Now, how do you
4 get added to the list? You get added to the list by
5 having evidence of bovine spongiform encephalopathy in
6 native cattle.

7 Remember this is a list that's maintained
8 by the USDA. This is not an FDA list but it's the
9 list that we use and it's the list that everyone is
10 supposed to use. And of these five countries that
11 have been added, really the one that, for biologics
12 manufacturers is of greatest concern is Japan in which
13 there were three cases in native cattle and it is now
14 on the USDA list. So again, based on a review of the
15 data that we were sent last year, we don't think the
16 Japanese products are really a problem. In other
17 words, we don't think that our manufacturers have been
18 using Japanese products but everybody has to be aware
19 that this is a list that evolves and the manufacturers
20 have the responsibility to continue to assure that
21 their products don't have even potential contamination
22 with BSE.

23 That list is on the USDA website. The
24 USDA Animal and Plant Health Inspection Service has a
25 website. It is updated all the time and that address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com