

1 preventive vaccine.

2 Approval of most drugs and other therapeutic
3 products are based on substantial evidence of efficacy from at
4 least two well controlled clinical studies.

5 Substantial evidence for drugs and therapeutic
6 products is commonly determined by hypothesis testing using an
7 acceptable level of statistical significance, usually less than
8 .05.

9 For preventive vaccines, much more emphasis is
10 placed on the efficacy estimates and the 95 percent confidence
11 limits. For most preventive vaccines, efficacy estimates are
12 relatively high, and confidence intervals are substantially above
13 zero.

14 Well, decisions about the approval of Prevnar for
15 prevention of acute otitis media could have implications for the
16 approval of other pneumococcal vaccines. Current thinking at the
17 Office of Vaccines is that an indication for prevention of otitis
18 media should stand on its own because license applications for
19 other new pneumococcal vaccines for prevention of acute otitis
20 media may not include evidence for prevention of invasive
21 disease.

22 Approval of an otitis media indication for Prevnar
23 would set a precedent for the level of efficacy, the type of
24 data, and the preferred endpoints for licensure of other
25 pneumococcal vaccines whether or not license applications for

1 these other products include evidence of efficacy for prevention
2 of invasive disease.

3 One possible scenario that Dr. Siber also
4 discussed this morning is that it's possible that a different
5 vaccine could prevent more acute otitis media episodes, but still
6 have less vaccine serotype specific efficacy. That's a possible
7 scenario.

8 Well, if evidence of efficacy in preventing acute
9 otitis media is judged adequate, then a new indication that
10 describes the treatment effect of Prevnar regarding acute otitis
11 media will be included in the label indication.

12 The sponsor proposes an indication for prevention
13 of AOM limited to serotypes represented in Prevnar. Some
14 considerations here include that that indication would reflect
15 the primary endpoint in the Finnish study, but not the primary
16 endpoint in the Kaiser study.

17 Also, focusing on serotype specific efficacy does
18 not capture the treatment effect for vaccine related serotypes,
19 nor the negative efficacy for unrelated pneumococcal serotypes.

20 Efficacy estimates were relatively low for some of
21 the outcomes and confidence intervals were relatively wide for
22 some of the outcomes.

23 Well, in the risk-benefit assessment of product
24 approvals by FDA, substantial evidence of clinical benefit must
25 be provided from well controlled studies. A decrease in office

1 visits for otitis media and potential cost savings of medical
2 care related to otitis media have been cited by the sponsor and
3 in the literature among the benefits of Prevnar related to otitis
4 media.

5 To the extent that office visits reflect patient
6 disease, they may be considered in the assessment of clinical
7 benefit for the basis of a regulatory decision. However,
8 economic benefit is not considered in the efficacy evaluation by
9 FDA.

10 Approval of an indication for prevention of otitis
11 media would normally allow the manufacturer to distribute
12 marketing materials promoting use of the product based on
13 information included in the approved labeling. Concerns have
14 been expressed about unrealistic public expectations regarding
15 the effect of Prevnar and acute otitis media.

16 The potential for misleading public expectations
17 with marketing materials exists for all products and all approved
18 indications. However, FDA is empowered to restrict unrealistic
19 marketing claims. Regulations require that advertisements and
20 promotional labeling be submitted to Siber for review, and any
21 advertisements that are judged false, lacking in fair balance can
22 result in a product being misbranded and then multiple corrective
23 actions are then possible.

24 That concludes my presentation.

25 CHAIRMAN DAUM: Are you going to have a

1 statistical presentation also about --

2 DR. PRATT: There won't be a separate statistical
3 presentation. However, we're happy to take questions relating to
4 the statistics.

5 CHAIRMAN DAUM: Okay. Then I think the way we'll
6 proceed is that we'll open the floor. We'll thank you, Dr.
7 Pratt. Then we'll open the floor to the committee for questions
8 and comments on your presentation with respect to clarifying what
9 was said.

10 Ms. Fisher.

11 MS. FISHER: That was an excellent presentation
12 that we can always expect from you.

13 I have a question. What is your definition of
14 substantial evidence of clinical benefit? Does that mean
15 clinical benefit to the individual child?

16 DR. PRATT: I think we mean by clinical benefit to
17 the individual. What is substantial, I think, is open to
18 interpretation, and we'd be interested in hearing the committee's
19 views on that as well.

20 As I said, for drugs substantial evidence usually
21 means two well controlled studies and statistical significance at
22 the .05 level.

23 CHAIRMAN DAUM: Dr. Glode.

24 DR. GLODE: I'm referring to page 22 of your
25 handout. It's about the PE tube placement issue.

1 DR. PRATT: Right.

2 DR. GLODE: And this is my question. In the
3 Kaiser study there was a statistically significant overall
4 reduction in all episodes of otitis media. That was not found in
5 Finland, but the study was not powered to show that effect.

6 DR. PRATT: That's correct. The estimates were
7 actually quite similar.

8 DR. GLODE: Right. Okay. If we now go to PE tube
9 placement, and so my question has to do with the power of the
10 study to show an effect in Finland. So there is a reduction. It
11 looks to me like approximately 13 percent.

12 The prevalence or incidence of PE tube placement
13 was higher; I understand that, during the early period than
14 usually occurs in Finland, but was the study powered to show if
15 there had been a 20 percent reduction, would that have been
16 statistically significant?

17 DR. PRATT: Right. The Finnish otitis media study
18 actually did not look at tympanostomy tube placement as an
19 endpoint. That was something that the FDA requested during the
20 review period, that they go back and look at that. We were
21 looking at consistency of effect with what was observed in the
22 Kaiser study.

23 So, no, the study was not planned to look at that
24 at all.

25 DR. GLODE: And then could I ask one quick follow-

1 up?

2 So, I mean, was your conclusion that it was
3 consistent or inconsistent? In the Kaiser study it showed a
4 significant reduction, and in the Finnish study it didn't. Isn't
5 the early period more comparable between the two studies as
6 opposed to that late follow-up?

7 DR. PRATT: That's correct. I think that the
8 early period is much more comparable.

9 Yes, they were not consistent. Again, you know,
10 with the reservation that this study was not really designed to
11 look at that, I think maybe the ascertainment of all of those
12 tympanostomy tube placements might be in question, though they
13 actually found that the rate was quite high. So I guess
14 ascertainment was fairly good.

15 And, yes, the ascertainment or the number of ear
16 tube's placement was higher than expected, I think, in that
17 study.

18 CHAIRMAN DAUM: Thank you.

19 Dr. Markovitz.

20 DR. MARKOVITZ: Yes. I'm curious. Is there any
21 precedence from the FDA point of view for this committee being
22 asked to approve an indication with such low sort of levels of
23 efficacy? Has this come up before just for historical purposes
24 in helping us think about this?

25 DR. PRATT: I think for an historical perspective

1 I might turn to Karen Goldenthal or Karen Midthun.

2 DR. GOLDENTHAL: We have considered some vaccines
3 with lowish efficacy or lower than usual, if you will. One
4 example that comes to mind was a typhoid vaccine, Typh Vi, and as
5 I recall the point estimate of efficacy was about 55 percent and
6 74 percent for two trials, respectively.

7 And, of course, that vaccine was approved. I also
8 think that there's some older vaccines that might have lower, you
9 know, efficacy.

10 Dixie, it looked like you were --

11 CHAIRMAN DAUM: Thank you, Dr. Goldenthal.

12 Dr. Snider is next.

13 DR. SNIDER: Yes, i was just going to mention from
14 my old days BCG vaccine efficacy, of course, would be one where
15 you would be looking at lower efficacy.

16 Also, there is the issue that we have to confront
17 here around semantics because if you really start looking
18 carefully at the words that you're using, it's sort of analogous
19 to saying, let's say, for BCG vaccine, for example, how much
20 pneumonia do you prevent or with measles vaccine, how much skin
21 rash do you prevent.

22 Because acute otitis media is due to multiple
23 different organisms, probably mostly viruses. So when you start
24 looking at more ill defined endpoints, then obviously efficacy
25 goes down, and it would go down for other vaccines that we've

1 licensed as well if you use endpoints that are less specific.

2 So I just wanted to raise that issue because I
3 think we are confronted with the semantic problem as well as the
4 scientific issue.

5 CHAIRMAN DAUM: Thank you, Dr. Snider.

6 I'd like to pose a question before I call on Dr.
7 Katz, and it goes to the question that was asked before about
8 precedent perhaps. Here we have a licensed vaccine with some
9 rather stunning efficacy performance data against invasive
10 disease, and now the very nice data that Steve showed us this
11 morning in terms of what happened since it's been out there.

12 We have at least two committees that I'm aware of,
13 and probably more, that have recommended this vaccine be given to
14 every child born in this country, and that is if we can keep the
15 supply chain going. That, in fact, is happening and will
16 continue to happen.

17 So I'm not quite clear how the agency, and I'd
18 also like to hear how the sponsor views this request for an
19 additional indication. I'm not clear exactly what it means.
20 We're already using the vaccine in a licensed way with a very
21 acceptable safety profile and a wonderful efficacy trial for
22 everyone. What will change if acute otitis media is added to the
23 package insert?

24 I'd actually like to hear from both the agency and
25 the sponsor in terms of the implications of what we're talking

1 about here.

2 DR. PRATT: Well, I think anything that goes into
3 the vaccine label can serve as the basis of promotion.

4 CHAIRMAN DAUM: Promotion meaning advertisement?

5 DR. PRATT: Advertisement, yes.

6 CHAIRMAN DAUM: So that's how the agency
7 interprets this request.

8 DR. PRATT: Well, I think it's one interpretation.

9 Karen, do you have something to say?

10 DR. GOLDENTHAL: I also think that the agency
11 believes, as Doug mentioned in his presentation, that the
12 indication would have to stand on its own merits.

13 CHAIRMAN DAUM: Would the sponsor care to comment
14 on this before we move on to Dr. Katz, then Dr. Overturf?

15 DR. SIBER: All right. Let me talk about two
16 aspects of this. From a purely scientific and communications
17 point of view, I think having a package insert that describes the
18 effects of a vaccine that are published now and widely discussed
19 and does so in an accurate way that is carefully reviewed between
20 the sponsor and FDA is in the interest of proper communication
21 about the benefits of the vaccine and its effects.

22 In the absence of that, physicians and parents are
23 left to draw their own conclusions from media reports or whatever
24 sources they might have, and I don't think that may be as
25 accurate as what would be written in the body of the insert.

1 Another issue about which I am not the best person
2 to comment is that there are, I understand, constraints on what
3 our staff are allowed to say when there is no mention of an
4 indication in the package insert, which is not in the best
5 interest of accurate communication when you're constrained in
6 that way.

7 From the vantage of whether it's an indication or
8 not, I think the issue becomes the one at least from my
9 scientific vantage, the one I mentioned, and that is if the
10 standard for licensing a vaccine is some benchmark of efficacy
11 against a syndrome caused by many agents, it would put a real
12 chill on the potential.

13 Let me give you an example. A non-typeable
14 Hemophilus Moraxella vaccine, which a number of manufacturers are
15 working on, I don't think will have much more of an impact on
16 otitis media than a pneumococcal vaccine. It will probably cause
17 a similar number of otitis medias.

18 And one would want ultimately a vaccine that
19 covers those pathogens. I don't see a way to license that
20 vaccine. If you all tell us that while ten percent effect or
21 eight percent effect or whatever, it's just not going to cut it
22 as an indication.

23 The only indication for that vaccine perhaps in
24 children would be otitis media.

25 CHAIRMAN DAUM: Thank you very much, Dr. Siber,

1 Dr. Pratt.

2 Dr. Katz and then Dr. Overturf, and Dr. Stephens.

3 DR. KATZ: I had a comment which maybe has passed
4 by, which was about the efficacy of vaccines and licensure. This
5 is a very major argument, as many people around the table know,
6 when you talk about HIV vaccines.

7 How much will you invest in vaccine research,
8 development, and licensure of a vaccine to prevent a disease
9 that's 100 percent fatal, but this vaccine may only protect 30
10 percent of people or it may only prevent clinical disease, but
11 viremia will continue?

12 There are a lot of these issues that are certainly
13 going to be faced when HIV vaccines come to licensure, but it's
14 apples and oranges, I realize.

15 I wanted to ask a question maybe of Richard
16 Schwartz. Frequently the statement is made that of all otitis
17 media that occurs, pneumococcal is more aggressive, more
18 virulent, apt to be more overt. So that the question of
19 percentage may also be modified somewhat by that.

20 Can you corroborate that or deny it?

21 DR. SCHWARTZ: Based on both experience over a
22 long time, oh, 25 years maybe, and published research that Dr.
23 Rodriguez and I did where we looked at this was culture proven
24 acute otitis media, looking at different pathogens that were
25 obtained from the middle ear fluid, and comparing that with what

1 the appearance of the eardrum and the height of the fever were at
2 the time of presentation, those who had streptococcus pneumonia
3 or pneumococcus had higher fevers, statistically higher fevers,
4 and also a more angry appearance, more red, if you will, but more
5 than red.

6 The drug, it's not just a red eardrum that's
7 bulging. It has a very thinned out appearance. Often the
8 epithelial cells on the surface of the eardrum that you're
9 looking at begin to peel off. So there's a very noxious organism
10 inside that's causing this drum to undergo some anatomical
11 changes. It's dying. It doesn't die completely, but at least
12 the outer layer peels off just similar to a bad sunburn. In
13 fact, it looks like a bad peeling sunburn.

14 So somehow pneumococcus is meaner. It's not only
15 meaner by look. Of the three major organisms, it's the only one
16 that has any substantial bearing on separative complications of
17 ear infections, such as acute mastoiditis.

18 If you look at pneumococcus, Haemophilus influenza
19 and Moraxella, only the pneumococcus is incriminated of those
20 three in acute mastoiditis, sa well as Group A strep. and
21 sometimes staph.

22 But the other two, pretty much they're middle ear
23 bugs, and they stay in the middle ear, assuming we're talking
24 about middle ear infections. They don't go anyplace. They
25 usually don't do very mischievous things, and they don't have the

1 same import that the pneumococcus does.

2 CHAIRMAN DAUM: Thank you.

3 I'm going to try and return the committee to the
4 issue of the FDA presentation primarily and ask Dr. Overturf and
5 Stephens, who are waiting patiently, to direct questions to Dr.
6 Pratt and clarify anything that they wish him to comment on.

7 Dr. Overturf.

8 DR. OVERTURF: I'd just like to reiterate again
9 two major agencies have recommended that all children less than
10 two years of age in schedules that were in the original
11 application and were approved. And actually most of this
12 additional data really is embodied in children less than two
13 years of age, for which we already have an indication.

14 So the issue really is -- one is to put in new
15 language that would include otitis media. The other issue is:
16 is there a different process for including data on otitis media
17 and the outcomes of the vaccine on otitis media without putting
18 an indication in the package labeling?

19 Does the FDA handle that differently at all?

20 Dr. Pratt mentioned that we can amend safety data.

21 The issue is: can you amend data or can it be put in the
22 application without a specific recommendation for an indication?

23 DR. PRATT: Right. Data can be included in the
24 clinical pharmacology section of the label without an indication.

25 That is a possible way to include data.

1 DR. OVERTURF: But that's not one of the questions
2 you're asking.

3 DR. PRATT: I see Dr. Midthun wants to comment
4 here.

5 DR. MIDTHUN: I guess I'd like to speak to that.
6 I think in general -- can you hear me?

7 Okay. I think that in general we do not like to
8 include data in the clinical study section of the label if we
9 don't believe that those data actually -- especially for efficacy
10 data, if we don't feel that the data really support the efficacy
11 of the particular outcome that's being described.

12 CHAIRMAN DAUM: Thank you for clarifying, Dr.
13 Midthun.

14 Dr. Stephens and then Dr. Snider, please.

15 DR. STEPHENS: I'd like you to comment on two
16 questions that were raised in your briefing. One is this issue
17 that I think was also raised in the New England Journal of
18 Medicine about the less credibility. If we approve this as a
19 recommendation, will this have less credible effect upon the
20 current recommendations, given some of the issues in terms of
21 percentages and so forth of efficacy? That's one question.

22 And a second has to do with the standards for the
23 licensure of other conjugate pneumococcal vaccines and whether in
24 your mind this will enhance, decrease, change those standards.

25 DR. PRATT: I think what respect to the first

1 point, the comment in the New England Journal. I really don't
2 have any comment on that. That is a comment from one of the
3 correspondents. I have no comment on that.

4 With respect to whether this would make it easier
5 or more difficult for a pneumococcal vaccine to be licensed,
6 it's hard to say. As I said in my presentation, I think it would
7 set a precedent for the type of data, the endpoints that would be
8 of interest, and the level of efficacy.

9 They did provide two well controlled clinical
10 trials. Each one of them met the primary endpoint. I think that
11 would set a level of efficacy for this indication.

12 CHAIRMAN DAUM: Thank you very much.

13 Dr. Snider, please, and then Dr. Decker.

14 DR. SNIDER: I had two issues. One, I would
15 wonder if FDA or the sponsor had any further comments about
16 efficacy against serotype 19F, why there may be less efficacy
17 against that particular serotype in this vaccine.

18 I noticed, but it's just a subjective impression,
19 that it appeared to me there was less boosting of the serological
20 response on a percentage basis from the third or after the fourth
21 dose with 19F, even though the GMCs were in the range.

22 So I'd like some comment on that.

23 Also, I'd be interested in some additional
24 comments about this business about replacement and whether there
25 is replacement with other serotypes and how you view that, if

1 there is replacement.

2 I'm confused because Northern California Kaiser
3 didn't seem to see replacement in a more general way, I guess,
4 but whether that means replacement with serotypes that cause less
5 severe disease and whether those are serotypes that are also less
6 likely to be drug resistant, and whether those, also, that are
7 less likely to be drug resistant are just less common in the
8 population and, therefore, been less exposed to drugs.

9 I guess I don't understand the biology here of why
10 some serotypes are more drug resistant than others.

11 DR. PRATT: Maybe taking the second point
12 first, what was the second point again? I'm sorry.

13 (Laughter.)

14 DR. SNIDER: Is there really replacement, and if
15 so, what is the significance of that?

16 DR. PRATT: Right. Well, I think the antibiotic
17 resistance is clearly most common in those serotypes included in
18 the vaccine. Replacement was observed in the context of the
19 Finnish study. It's not clear whether that will actually occur
20 in the general population. Again, this was from ear tube taps.

21 There may also be some data about nasopharyngeal
22 carriage and whether there might be replacement there. I think
23 I've seen some data to that effect from Ron Dagan.

24 But for the time being anyway, to the extent that
25 vaccine serotypes are replaced by non-vaccine serotypes, it

1 appears that one would be replacing more resistant organisms with
2 more susceptible organisms.

3 Over time there may be antibiotic pressure on
4 these as well, and they could also become resistant over time.

5 CHAIRMAN DAUM: ?Dixie, does that address your
6 questions or are we leaving one out?

7 DR. SNIDER: Nineteen F.

8 DR. PRATT: Nineteen F. We also observed what you
9 saw, that the booster responses were not quite as robust as some
10 of the other serotypes, but I don't have any real insight into
11 the differential efficacy for 19F. The sponsor may have some
12 insight.

13 DR. SNIDER: What would be the efficacy if you
14 took out 19F? I mean, would it jump up substantially?

15 DR. PRATT: We didn't analyze it that way. So I
16 can't answer that.

17 CHAIRMAN DAUM: Let's move on to Dr. Decker, then
18 Dr. Parsonnet.

19 DR. DECKER: Dr. Midthun mentioned that FDA feels
20 that evidence of efficacy robust enough to be in the clinical
21 pharmacology section -- let me rephrase that -- that evidence of
22 efficacy should not be in the clinical epidemiology section
23 unless it's robust enough to support an indication.

24 Did I paraphrase that correctly?

25 DR. MIDTHUN: Yes.

1 DR. DECKER: Does it then hold by inference that
2 evidence of efficacy robust enough to be long in the clinical
3 pharmacology section should be supporting an indication that
4 should be added?

5 DR. MIDTHUN: It would depend on how the study was
6 conducted and what the primary endpoint of the study was and what
7 had prospectively been planned to be demonstrated. So I think
8 there would be a number of different factors that one would have
9 to consider.

10 DR. DECKER: But assuming those were met, if it's
11 good enough for the clinical pharmacology, it's good enough for
12 an indication?

13 DR. MIDTHUN: If the sponsor were to request this
14 indication and the data supported it, and it had been
15 prospectively indicated as being a major outcome in the study,
16 you know, yes, in general that would be the case.

17 CHAIRMAN DAUM: Thank you.

18 Dr. Parsonnet, please.

19 DR. PARSONNET: It seems to me that one of the
20 obstacles that we're hitting is just this semantic one of having
21 this specific indication listed, this one specific one. And it
22 seems to me just by looking at the proposed indication that when
23 you talk about invasive disease and otitis media, you're
24 basically covering 99.9 percent of all diseases caused by
25 pneumococcus in children.

1 So I'm wondering if it's within our domain to
2 suggest potentially having a different proposed indication which
3 might be for active immunization of infants and toddlers against
4 disease caused by Streptococcus pneumoniae due to the capsular
5 serotypes; get rid of any specific indication; and then leave
6 that in the text to talk specifically about the data for each of
7 these indications.

8 CHAIRMAN DAUM: My understanding -- FDA people,
9 feel free to modify -- is that we can make comments about most
10 anything we like, but --

11 (Laughter.)

12 CHAIRMAN DAUM: -- but the business at hand is to
13 address what's requested, and to decide how we feel about that,
14 and so that everything you say is recorded. People are paid by
15 various companies and groups to pour over your comments, and
16 please feel free to make them because they will be noted.

17 On the other hand, we can't directly rewrite
18 what's requested today.

19 Dr. Katz and then Dr. Schwartz.

20 DR. KATZ: Again, it may be a little bit apples
21 and oranges, but when you license measles vaccine and you say it
22 prevents measles, you don't say on the front line it prevents
23 measles encephalitis or it prevents subacute sclerosing pan
24 encephalitis.

25 When you read the small print and you read the

1 papers, you say, "Okay. Measles encephalitis occurs one in 700
2 or one in 1,000 cases. Maybe after vaccine it occurs one in
3 100,000 cases."

4 Measles causing SSPE occurs one in 100,000
5 individuals. Maybe after vaccine it occurs one in a million or
6 more, but there is nothing in the front line statement that says
7 measles vaccine protects against measles encephalitis or SSPE.

8 That was comment one. Question two, and this may
9 go down alone with Julie's in a different way. I don't know if
10 either the agency or the producer would consider a modification
11 of the statement. Instead of active immunization of infants and
12 toddlers against invasive disease and otitis media, say against
13 invasive disease and to a lesser extent otitis media.

14 CHAIRMAN DAUM: Thank you, Dr. Katz.

15 Dr. Schwartz, please.

16 DR. SCHWARTZ: I may have a unique position in
17 being in front line practice compared with almost everybody else
18 who's academician, and one of the concerns, potential concerns,
19 that I have because it's already happened is that the
20 pharmaceutical representatives for the company use the stated
21 prevention of acute otitis media as a reason for using one of the
22 antibiotics that the same company manufactures, which is Sufixene
23 (phonetic), saying that since we've already done away with the
24 worry about pneumococcal acute otitis media because of the
25 vaccine, you don't have to worry about using amoxicillin

1 clavulanate or anything. You could just go to Sufixene and hit
2 bacteria number two and three, which is Haemophilus influenza and
3 Moraxella catarrhalis.

4 And I have a concern that this may intensify with
5 approval for this indication. I don't have a problem with the
6 data. I have a problem with what it means.

7 CHAIRMAN DAUM: I think at this point we've
8 probably exhausted our need to quiz and query Dr. Pratt, and I'm
9 going to thank him very much for a fine presentation also, as we
10 thank the sponsors for a fine presentation as well.

11 What I'd like to propose that we do now is to
12 break and go to lunch. It's two minutes to 12. We will resume
13 promptly at one o'clock right here.

14 Thank you very much.

15 (Whereupon, at 11:58 a.m., the meeting was
16 recessed for lunch, to reconvene at 1:00 p.m., the same day.)

17

18

19

20

21

22

23

24

25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:03 p.m.)

CHAIRMAN DAUM: While we are settling down, I'll tell you FDA has asked us to reflect on based on this morning's presentations. I'm going to ask Dr. Pratt to please read the questions to us so we can focus, and then Dr. Midthun was going to make a comment briefly, and then we will have general discussion among the committee on issues that you feel need to be addressed in your minds before we vote and address the questions directly.

So Dr. Pratt first.

DR. PRATT: Okay. The first question is a two-part question. Are the data adequate to support efficacy of Prevnar in infants and toddlers for prevention of otitis media caused by Streptococcus pneumoniae due to capsular serotypes included in the vaccine?

If not, would additional analyses derived from the Finnish otitis media study, the Northern California Kaiser Permanente efficacy study, or additional clinical trials be useful in establishing efficacy?

The second question: please discuss the strength of the data with respect to secondary otitis media outcomes.

(a) Acute otitis media episodes caused by Streptococcus pneumoniae regardless of serotype;

(b) Overall reduction in acute otitis media

1 episodes;

2 (c) Frequent or recurrent otitis media; and

3 (d) Tympanostomy tube placement.

4 CHAIRMAN DAUM: Always easy questions.

5 Dr. Midthun, an orienting comment for us, please.

6 DR. MIDTHUN: I just wanted to state that what
7 we're really interested in is the committee's opinion on whether
8 the data support the efficacy for prevention of serotype
9 pneumococci in the vaccine. Obviously the discussion will be,
10 you know, very helpful to us, and we'll certainly use it as we,
11 you know, consider this application further.

12 But what we're really looking for is your input on
13 whether you believe that the data are adequate to support the
14 efficacy.

15 Thank you.

16 CHAIRMAN DAUM: Thank you.

17 I think what I'd like to do is allow sort of
18 dealer's choice now. So committee members can feel free to ask
19 clarifying questions or raise issues that they think should be
20 discussed, and we're not going to vote or directly address the
21 questions quite yet until we sort of run out of things to talk
22 about a little while.

23 Maybe we're further along than I thought we were.

24 Dr. Decker.

25 DR. DECKER: All right. I was going to wait for

1 some questions, but I don't see any. So I'll lead off.

2 This is very interesting because as you pointed
3 out, Bob, and as we all know, basically apart from our
4 limitations of doing what we want to do, every kid in the United
5 States gets this vaccine already, and so from that point of view
6 you could argue that this is largely irrelevant.

7 But it's not. It's actually a very important
8 question. As was pointed out by both FDA and the sponsor
9 earlier, this is one of our first forays into that complex area
10 where we have to look at a multi-factorial disease for which we
11 have what appears to be solid evidence of excellent control for
12 one of the factors and decide whether that's good enough to grant
13 an indication to that particular vaccine for that, recognizing
14 that it covers no more than at best a slim majority, perhaps
15 depending upon your viewpoint a small minority of the cause of
16 agents of the disease in question, particularly in light of the
17 fact that if everybody already gets the vaccine, it would be
18 easier to take a conservative stance and say, "Well, let's not
19 stick our nose into that. Let's just let things be as they are.
20 Everybody is getting it anyway."

21 But the consequence of that would be severe.
22 There would be a number of vaccine programs that would be
23 terminated, development programs that would be terminated if the
24 committee did that because a lot of the problems for which
25 solutions are being sought over the next decade or two are

1 problems like this for which sponsors will come in with vaccines
2 proven and well controlled trials to be efficacy for the specific
3 issue, but which clearly in the larger context of the disease are
4 only partial solutions.

5 If you turn down every partial solution, you never
6 have a complete solution. So that's the problem that faces us.

7 I note with interest that the FDA clarified in
8 their presentation that the proper consideration for licensure,
9 or in this case for adding the indication to the license, is
10 substantial evidence of clinical benefit, must be provided from
11 adequate or well controlled studies.

12 And I note with particular interest that that does
13 not say evidence of substantial clinical benefit. The clinical
14 benefit is not for this committee to measure. It is the evidence
15 that is for this committee to measure.

16 As a practicing physician what I expect of the FDA
17 is that they will insure that the pharmaceuticals available to me
18 have been proven safe and effective for their labeled claims.

19 Whether or not they're a wise public choice or a
20 wise individual choice for the particular patient in my office at
21 that moment isn't for the FDA to say. Other groups or I myself
22 answer those questions.

23 I think what we've seen from the evidence
24 presented today is that we've got solid evidence of clear-cut
25 efficacy and safety for the requested label indication. So to

1 me, this is a very simple meeting, and the answer clearly is to
2 approve it for those indications.

3 CHAIRMAN DAUM: Thank you, Michael.

4 Your comments raise a question which I might ask
5 Dr. Pratt, Goldenthal, and others to comment on with respect to
6 Question 1. The question is worded to ask if the data are
7 adequate to support efficacy.

8 So do you want us to reflect on whether we think
9 the data demonstrate efficacy, in which case it may be a simple
10 question, or do you want us to for further and talk about whether
11 we think there should be an indication approval?

12 DR. MIDTHUN: We want to know whether you believe
13 that the data support efficacy. We don't traditionally ask the
14 Advisory Committee to actually vote on approving or not approving
15 something, but certainly you're welcome to comment in any way.

16 CHAIRMAN DAUM: Okay. We continue to seek
17 clarity. Other committee members, comments?

18 Dr. Glode.

19 DR. GLODE: I stuck on the issue of whether the
20 condition which is going to be prevented -- whether the
21 seriousness of that condition should have any bearing on the
22 degree of efficacy. So there is statistically significant
23 efficacy, and so I struggle with if this were, in fact, a
24 presentation about a conjugate pneumococcal vaccine for
25 prevention of invasive disease, and it's better than the control

1 vaccine and reduces invasive disease by seven percent, would I
2 consider that to be of clinical benefit?

3 And so should I have a different judgment because
4 I'm dealing with otitis media instead of invasive disease?
5 That's my issue.

6 CHAIRMAN DAUM: Does anyone want to answer that?

7 Go ahead.

8 DR. DECKER: I was just sort of going to comment
9 on that because I think that the issue is -- I mean severity may
10 be one of the issues, but I think the other issue is that we're
11 really talking about a syndromic process here, and we're talking
12 about even for the invasive disease indication that was to
13 prevent invasive disease by these serotypes.

14 And so here we have the same thing, preventing
15 otitis media by the serotypes, and personally, I think the data
16 is very strong in two well controlled clinical trials that it
17 does do that, but what gets people hung up is that it makes a
18 relatively small impact on overall acute otitis media.

19 But as Sam pointed out and Dixie pointed out
20 earlier, if it were measles vaccine for preventing rashes rather
21 than measles vaccine to prevent measles, you know, then they
22 would have a smaller impact on overall rash disease or overall
23 pneumonia if you're talking about something else.

24 So to me it helps a lot just to focus down on the
25 actual causes of the otitis media, and the FDA or other people

1 are going to have to deal with this bigger issue of how it's
2 marketed relative to the overall problem of otitis media.

3 CHAIRMAN DAUM: Thank you, Dr. Griffin.

4 Dr. Snider and then Dr. Whitley.

5 DR. SNIDER: I'd like to basically agree with what
6 Diane just said and just emphasize what a bad precedent I think
7 it would set to use the paradigm of a seven percent reduction of
8 acute otitis media because it would really say that ten in the
9 future you have to look at other vaccines according to the same
10 kind of syndrome.

11 So you would have to look at pneumonia reductions.
12 You'd have to look at rash reductions, that that would be a
13 totally different paradigm that we've used.

14 And so I'm very supportive of using the clear
15 endpoints related to these specific serotypes of Streptococcus
16 pneumoniae.

17 Having said that, I have some questions about
18 whether, again, going back to my favorite serotype here, 19F, and
19 whether efficacy really has been demonstrated for that particular
20 serotype adequately from the trials done thus far.

21 Now, you can argue that in the aggregate, you've
22 shown efficacy for all of the vaccine, all of the serotypes
23 included in the seven-valent vaccine, but have you shown it for
24 19F?

25 DR. GRIFFIN: And the only data that's relevant to

1 that really is the Finnish data, right?

2 DR. SNIDER: Right.

3 DR. GRIFFIN: Because that's the only data that we
4 have.

5 DR. SNIDER: Right, and there the 95 percent
6 confidence limits are minus 14 to 51. I forget what the
7 statisticians had to say about that. Maybe it would be useful to
8 remind me.

9 CHAIRMAN DAUM: Would the statisticians like to
10 clarify this point, please?

11 DR. GOLDBERG: There really isn't necessarily
12 sufficient power for each of these endpoints, which is really the
13 fundamental issue. It depends on how many -- I mean, I view
14 these kinds of data in the small as descriptive; in the large,
15 the collection of serotypes can be thought of a different way,
16 but each one individually is just describing what your data are.

17 DR. SNIDER: Thank you, Dr. Goldberg.

18 CHAIRMAN DAUM: Thank you.

19 Dr. Whitley next, and then Dr. Decker, please.

20 DR. WHITLEY: I was going to reiterate a couple of
21 the sentiments that had been made around the table earlier, but
22 just to bring up one fundamental point, and that is many of us
23 bring to this committee our own background, interest in a
24 specific organism, and when we think about disease reduction, we
25 think about how we can impact with a herpes simplex vaccine or an

1 influenza vaccine, and what we're really asked to do here, what
2 we get hung up on is what Mimi mentioned, and that is we look at
3 a global syndromic approach, which is not the question the Food
4 and Drug Administration asked us.

5 So I think if you look at the first question and
6 just focus on the reduction of disease caused by those serotypes,
7 the answer to the question unequivocally has to be yes.

8 And then the question is: what other supporting
9 evidence is there to indicate that that's the proper decision or
10 not?

11 And I think the analyses that Dr. Pratt asked for
12 of the sponsor clarified some of the issues about potential
13 imbalance and potential supporting information that would lead to
14 further iteration of that conclusion.

15 CHAIRMAN DAUM: Thank you very much.

16 Dr. Decker, please.

17 DR. DECKER: You know, committee members have
18 raised a number of really interesting and provocative questions,
19 but I think there's a clear path out of the thicket. Dixie was
20 asking about the 19F, but let's suppose that we knew that 19F
21 completely didn't work. I still think that that would be
22 irrelevant because we're being asked to approve a vaccine, not
23 serotype by serotype.

24 And the question, although interesting and one
25 that deserved to be addressed in the package material if it's

1 true, if it didn't work, but needs to be addressed in other ways
2 still isn't the question in front of us.

3 And someone -- I think it was Dr. Overturf --
4 earlier brought up the question of potentially inappropriate
5 promoting of an antibiotic based on the vaccine indication, but
6 the cure for that lies in FDA oversight and then promotion of
7 that antibiotic and not in inappropriately failing to give the
8 vaccine the indication that it clearly has earned.

9 DR. SNIDER: Can I just clarify?

10 With regard to Michael's comment, to me if you
11 didn't include the serotypes, I mean, I don't want to get too
12 nitpicky about this, but if you were talking about collectively
13 four, 6B, 9B, 14, et cetera, then the answer is yes.

14 My only point is that if you are saying each one,
15 then you don't have the data to say each one. You're talking
16 about collectively.

17 DR. DECKER: Well, that's interesting because I
18 interpreted it as the collective, but we ought to ask FDA how
19 they want the committee to interpret it.

20 DR. SNIDER: That's exactly the kind of
21 communication problems you have around this whole issue.

22 DR. PRATT: Well, I think even for the approval of
23 the invasive disease indication there was not statistically
24 significant evidence for each serotype. We considered it in the
25 aggregate, and in the aggregate it was significant.

1 CHAIRMAN DAUM: Dr. Stephens and then Dr.
2 Overturf.

3 DR. STEPHENS: Well, this 19F issue bothers me as
4 well, and I am still not quite sure. The way this question reads
5 to me we're saying that we have efficacy against 19F in this
6 statement. That's the way I interpret this statement to read,
7 and I'm troubled by that because I think there's not data from
8 19F.

9 CHAIRMAN DAUM: Dr. Overturf.

10 DR. OVERTURF: Well, you know, even being generous
11 about the efficacy of this vaccine, if we eliminate the six and
12 seven percent overall effect on otitis media, we're still only
13 talking about a 50 to 57 percent effect against vaccine
14 serotypes.

15 And when you look at that data specifically, the
16 robustness on the Northern California trial is not as good
17 because you weren't dealing with sero specific disease for most
18 of that. So that the data is more robust for that seven percent.

19 So when people have alluded to using 55 percent
20 efficacy in typhoid vaccine, which is against a disease which has
21 a 15 to 20 percent untreated mortality rate, I don't think it's
22 comparable to this.

23 So we're really talking really about a 50 or 55
24 percent efficacy based only on one trial which has fairly robust
25 data and another trial which is really kind of marginal data to

1 make a recommendation. And I think that needs to be reminded.

2 The 19F issue aside, we're not talking about huge
3 efficacy rates for what, on the other hand, even if we assume
4 that pneumococcal disease is not a supplement to these others,
5 it's still largely a self-limited process.

6 CHAIRMAN DAUM: It's been a difficult task to
7 assess pneumococcal vaccine performance for even 60 or 70 years
8 when people have tried to take apart each individual serotype and
9 try and demonstrate efficacy for each serotype, and I can't
10 remember. Maybe someone on the sponsor's team could refresh us,
11 but for the invasive disease indication, whether every single one
12 of the seven serotypes had proven efficacy.

13 My recollection is not, and yet I think the
14 balance of the data and the prudence of the committee was to
15 advise that the data demonstrated impressive efficacy.

16 So I think that if you go back even to early
17 trials that were done before most of us and perhaps all of us
18 were born, when you started analyzing by each specific component
19 of the vaccine, the studies weren't powered perhaps is the right
20 way to say it, to show individual serotype efficacy.

21 The second point with regard to this is that we're
22 not really being asked to address that question when it comes
23 time at least to sum up and say what we think, although in terms
24 of individual comments and exploring issues here, I think it's
25 perfectly appropriate to discuss what that's all about.

1 Perhaps most interesting is what's the biology of
2 all of this because if 19F was immunogenic and produced decent
3 amounts of antibody, how come it didn't work? That's a separate
4 issue, I think, from deciding the question of are the data
5 adequate to support the efficacy of this seven component vaccine
6 against otitis media.

7 Other comments?

8 Dr. Overturf, you did say your --

9 DR. OVERTURF: Well, I just bring up a point that
10 we're still hung up on the word "efficacy" because we're talking
11 about 50 or 55 percent efficacy, again, sero specific disease,
12 and if you use that definition, the issue is then do you have two
13 controlled trials that really demonstrate that.

14 CHAIRMAN DAUM: Dr. Markovitz.

15 DR. MARKOVITZ: Yeah, I also agree with Dr.
16 Overturf about this. Even if you take the generous view, this
17 isn't the world's most efficacious vaccine, and it's also, at
18 least for otitis media, of course, and it's not to be compared
19 with typhoid for the reasons he alluded to.

20 I'm also concerned that I don't know what the
21 proper purview is for our committee on this, but in terms of the
22 public reaction, I mean, in the vaccine world it seems that when
23 things don't work the way people expect them to, it can actually
24 bode very poorly for people actually taking the vaccine and using
25 the vaccine.

1 Look at influenza. There's always a lot of people
2 who think it's not worthwhile because it doesn't work all the
3 time, and it has a substantially higher rate of efficacy than
4 this one does.

5 I don't know if that's in our proper purview to
6 address, but I am quite concerned about that issue, that this
7 could end up being marketed heavily for otitis media and then
8 when everyone is still getting otitis media, there's going to be
9 a backlash. You could end up selling less of the vaccine in the
10 end.

11 CHAIRMAN DAUM: I'd be happy to hear from anyone
12 in the agency about that, but it seems to me that it's perfectly
13 appropriate to address that, but it's not directly speaking the
14 questions we'll be asked to vote on.

15 So your comments are noted. They're in the
16 record, and thank you.

17 Other comments? Dr. Glode.

18 DR. GLODE: Could someone from the FDA just
19 clarify the issue of one versus two trials that speak directly to
20 Question 1? Is there a requirement for two trials that provide
21 data specific to Question 1?

22 DR. GOLDENTHAL: There's a document that's
23 available that's called the evidence document in a general way
24 that applies to drugs and biological products, and that document
25 is fairly general, but it has a principle that if you have -- you

1 know, unless you have compelling evidence of efficacy, it's good
2 to have two efficacy trials.

3 Do the endpoints have to be identical for the two
4 trials? No.

5 I guess this really boils down to clinical
6 judgment, as to, you know, your view of these two trials. But
7 the endpoint for the two trials do not have to be identical.

8 CHAIRMAN DAUM: Dr. Stephens.

9 DR. STEPHENS: At the pneumococcal meetings, there
10 was some discussion about additional trials that are ongoing, and
11 I just wanted to hear whether there is other data out there that
12 we haven't been a part of. I was made aware of a Netherlands
13 study that dealt with otitis media. I think there's a Navajo
14 study that dealt with otitis media.

15 Are there other data that are pertinent from the
16 perspective that would at least help us with this discussion?

17 CHAIRMAN DAUM: Does anyone from the sponsor want
18 to deal with that?

19 DR. SIBER: Well, the buck stops there, huh? I
20 guess it does.

21 (Laughter.)

22 DR. SIBER: There was a study in the Netherlands
23 that was reported as an abstract in Anchorage, which we did not
24 sponsor and which was a high risk group with recurrent otitis
25 media at the beginning, who were given, as I recollect at least

1 conjugate vaccine, seven valent followed by 23 valent
2 polysaccharide vaccine, and in that particular subgroup of
3 individuals immunized generally at older ages with already
4 established disease did not show any benefit of that regimen, and
5 that's all we know about it.

6 It's been an abstract level presentation at this
7 point.

8 The Navajo study, I would prefer if -- there's a
9 chart? We'll know more about the details of what was done at the
10 Navajo study.

11 DR. KOHBERGER: The Navajo study on otitis media
12 was a post hoc study. Basically it's chart reviews, and I think
13 they were just hospitalized kids; is that correct?

14 We think it's just hospitalized kids. So it's
15 neither a complete ascertainment as Kaiser or a specific
16 ascertainment as in Finland. So there's a little question there.

17 And just to amplify a little on the Netherlands
18 study, it's a population of children that we'll never see in the
19 U.S. now because they're older kids with recurrent. They were
20 not immunized at two, four, and six.

21 So I don't think the Netherlands study is relevant
22 here. Does that answer your question?

23 DR. STEPHENS: I agree that the data isn't in. I
24 just wanted your comments on those two. There are other studies.

25 The larger question is: is there going to be

1 additional data above and beyond what we currently have?

2 DR. KOHBERGER: I don't think so.

3 CHAIRMAN DAUM: Dr. Snider and then Dr. Overturf.

4 DR. SNIDER: We were shown some serological
5 response data, and I can't remember whether that was the entire
6 population or some subset of the population. I would appreciate
7 some refreshment on that, as well as the comment that the sponsor
8 might have about serological responses among children who did
9 wind up getting acute otitis media by various serotypes.

10 Is there any such information available?

11 CHAIRMAN DAUM: A comment to Dr. Snider's
12 question?

13 DR. SIBER: Could you repeat the first part? Was
14 that directed to the sponsor?

15 DR. SNIDER: Yes, George. I was just asking about
16 the serological responses by serotype, and if that data -- if we
17 could be refreshed about whether that came from the entire
18 population or was a subset of the population.

19 And I was also asking about serological responses
20 among those who became the cases of acute otitis media from
21 vaccine serotypes, if there was any data on that.

22 Jukka has done, the statistician from Finland,
23 some analyses of serologic correlations with otitis media.
24 Again, these were presented as an abstract in Anchorage. They
25 were still early days in terms of the analysis.

1 I don't know if you want to comment on that. Are
2 you comfortable doing that? I did in Anchorage. So we'll do it
3 here.

4 DR. JOKINEN: Well, the serological data was from
5 a subgroup analysis of 60 per group.

6 CHAIRMAN DAUM: Excuse me. Can you speak right
7 into the microphone so we can all --

8 DR. JOKINEN: Yeah. The serological data was for
9 a subgroup of 60 per group, but they showed similar. There was
10 seven time points for serological data during the FinOM study.
11 They showed very similar responses as for the whole group, which
12 was only sampled once, and as that's the first question, I guess.

13 And then analyzing serological correlates of
14 protection we can see association with decreasing incidence with
15 increasing antibody concentrations, but results varied between
16 serotypes and not very confirmative, I mean, with regards to
17 number of cases.

18 CHAIRMAN DAUM: Thank you.

19 Before you sit down, can you say who you are into
20 the microphone for the transcript?

21 DR. JOKINEN: Jukka Jokinen, statistician from
22 National Public Health Institute, Finland.

23 CHAIRMAN DAUM: Thank you very kindly.

24 Dr. Overturf, please.

25 DR. OVERTURF: Just one clarification regarding

1 that first question, and there's an assumption here, and I want
2 to make sure the assumption is correct that the efficacy that we
3 want to support is for the use of Prevnar as a four dose regimen
4 in children less than two years old.

5 So we aren't acknowledging any data that I have
6 seen that really supports the use as a single or in reduced
7 doses; is that correct?

8 CHAIRMAN DAUM: Someone from the agency? Dr.
9 Pratt.

10 DR. PRATT: Yes, that's correct.

11 CHAIRMAN DAUM: Okay. Dr. Parsonnet.

12 DR. PARSONNET: Yes, I just also want to echo that
13 I agree with Dr. Overturf, but I had a few other comments.

14 One is that we are talking about an efficacy of in
15 the 50s, but in fact, the efficacy might be lower than that
16 because the 95 percent confidence interval goes substantially
17 lower than that.

18 So 50 is the middle, but it could be lower and it
19 could be higher, and it would be nice to have supportive data to
20 say which end of that number it really is.

21 And there is really only one study that directly
22 addresses that question. With that, the second study supports it
23 very strongly. I mean unless we think that this vaccine is
24 having some nonspecific effect, and I think most or at least I
25 would believe that its effects are due to the serotypes that

1 we're seeing.

2 So I think there is only one study that directly
3 addresses this, but the second study does show pretty strong
4 support that this is likely to be the case.

5 But I think the problem that I feel like we're
6 saying is that it's the problem with the indication, not with the
7 efficacy again that keeps coming up, and that, you know, I just
8 want to state for the record that I would prefer to address this
9 point with a different indication that was being proposed because
10 I think there are ways to address the ambiguity that we're
11 feeling a little bit better in the overall statement that the FDA
12 is planning to make and ways to address it in the text that
13 follows that indication.

14 CHAIRMAN DAUM: I'd like to propose that we handle
15 this because I think that it is a concern of a number of
16 committee members and temporary voting members, that we handle
17 this when we do formally vote by addressing the question we've
18 been asked by FDA, but then you're welcome to make the
19 distinction you just made and the comment to go with your vote.

20 Is that acceptable to FDA as a way to proceed?

21 DR. GOLDENTHAL: Yes.

22 CHAIRMAN DAUM: Okay. Additional comments that
23 haven't been raised in discussion so far that people would like
24 to air out a little bit?

25 (No response.)

1 CHAIRMAN DAUM: Well, we may be able to vote then.

2 Let's try and do that and see how it goes.

3 We're going to -- well, you never know -- we're
4 going to consider the first question first, and I don't think we
5 need to have them read again. We had them read by Dr. Pratt,
6 eloquently read after lunch, and, Dr. Stephens, we will call on
7 you first to address question one.

8 I have two incoming comments here. One is from
9 Dr. Griffin who says yes in two parts. Yes, would you please
10 address both parts in one second?

11 Oh, when people are finished speaking, would they
12 mind just pressing the little red button? Thank you.

13 Dr. Stephens.

14 DR. STEPHENS: The dangers of being at the end of
15 the table.

16 CHAIRMAN DAUM: It does not happen randomly.

17 DR. STEPHENS: Yeah, right. I appreciate that.

18 (Laughter.)

19 DR. STEPHENS: We'll talk to you off line.

20 Obviously Prevnar has been very successful as a
21 vaccine preventing basic disease. We've certainly seen that in
22 our population, and it's been remarkable, as was mentioned
23 earlier.

24 I do tend to view this somewhat as seven vaccines
25 in one, and in general though the vaccine is safe. I think the

1 data, at least the Finnish data, support a 50 percent decrease in
2 culture confirmed pneumococcal otitis media for 6B, for 14, 23F,
3 and 18C, and probably also for 9V and four, and both studies
4 demonstrate an overall efficacy of approximately six percent
5 against otitis media.

6 And there's also obviously reasonably convincing
7 data that there is significant decrease in tube placement and
8 potentially in complications.

9 And I think there's also evidence that 6A and 23A
10 and 9N are probably also affected in terms of limited data by the
11 vaccine.

12 I have concerns about 19F. I'm not quite sure
13 that we have demonstrated that the -- the efficacy is at best
14 very limited for 19F, and I share Dr. Parsonnet's view that maybe
15 this could be handled differently than the statement as worded as
16 the better approach rather than the specific statement.

17 I am concerned also about the serotype replacement
18 issue.

19 So with all of those caveats, I will vote a
20 qualified yes to the first part, and it doesn't look like we're
21 going to get any additional data of significance.

22 CHAIRMAN DAUM: I hate to boomerang this back to
23 you, but I don't think we can have qualified yeses. We need a
24 vote, with the comments being qualifiers as appropriate.

25 DR. STEPHENS: So moved and seconded.

1 (Laughter.)

2 CHAIRMAN DAUM: Thank you, sir.

3 Dr. Katz.

4 DR. KATZ: I don't think I have anything different
5 to say than David Stephens has said. The question as it is
6 worded, we're not voting on the wording of what was given to us
7 as the statement to be made on the vaccine insert or the package.
8 We're just voting on are the data adequate to support efficacy,
9 and I would vote yes.

10 CHAIRMAN DAUM: Thank you very much, Dr. Katz.

11 Dr. Snider.

12 DR. SNIDER: With regard to the answer to question
13 one, my answer would be yes. I would have many of the
14 reservations or concerns, I should say, that Dr. Stephens has
15 articulated and would also be with those around the table who
16 have expressed some concerns about whether this would be a wise
17 thing to do to include this indication, and whether that would be
18 good for the vaccine, good for the manufacturer, society at
19 large, at least as it's now articulated, and would encourage
20 further thought in terms of how the indication would be laid out,
21 as well as what kind of physician education and parent education
22 program would be put in place so that people understood what they
23 could expect and not expect from the use of this vaccine.

24 Because I do worry about many of the secondary and
25 tertiary consequences that people have already mentioned that I

1 don't need to go back over.

2 CHAIRMAN DAUM: And your vote is yes, Dixie?

3 DR. SNIDER: My vote is yes. Yeah, I tried to
4 start out and make that clear.

5 CHAIRMAN DAUM: You probably did. I'm sorry.

6 Dr. Hamilton.

7 DR. HAMILTON: I believe the data are sufficient
8 to support efficacy.

9 CHAIRMAN DAUM: Can I stop you? Just speak right
10 into that microphone so that we can hear. Pull it toward you.

11 There you go.

12 DR. HAMILTON: I think the data are sufficient to
13 support efficacy as the question is phrased. I think I'd be
14 interested in looking at the serologic response of the 60
15 individuals who developed 19F infection. Whether that would be
16 of any use or not I don't know, but that does seem to be an
17 outlier.

18 CHAIRMAN DAUM: Thank you.

19 Dr. Schwartz.

20 DR. SCHWARTZ: Yes.

21 CHAIRMAN DAUM: Succinct.

22 Dr. Glode.

23 DR. GLODE: I'm going to vote no. I think the
24 data support efficacy from the Finnish trial, serotype specific
25 efficacy. I'm simply not willing to presume from the Kaiser

1 trial that that's the explanation for the reduction, and I only
2 have the ruptured eardrum information, which is not statistically
3 significant.

4 So I think that I'm voting no, not because I don't
5 believe the Finnish trial, but on the basis of just one trial
6 that proves that to me.

7 CHAIRMAN DAUM: Dr. Glode, we have to ask you part
8 two since you voted no. No deed goes unpunished here.

9 Would additional analyses derived from the trial
10 or additional clinical trials be useful in establishing efficacy?

11 DR. GLODE: I don't think any additional analyses
12 would be helpful because it looked like they have been thoroughly
13 done with both trials. Certainly another tympanocentesis trial
14 looking at vaccine specific serotype would be helpful.

15 CHAIRMAN DAUM: Thank you very much.

16 Dr. Overturf.

17 DR. OVERTURF: I also have to vote no because of
18 the same reason. I feel that only one trial, the control trial,
19 has really demonstrated efficacy.

20 I also am very concerned that a yes vote here sets
21 another precedent which we haven't talked about. One is besides
22 establishing some arbitrary definition of, quote, efficacy,
23 unquote, for a noninvasive disease, it also would, it seems to
24 me, establish a new bar that you must show efficacy for every
25 indication you list, including sinusitis, pneumonia, and other

1 issues.

2 So actually I still like the recommendation of Dr.
3 Parsonnet, which is that if you're going to do this, if you do it
4 intuitively, you do it based upon all diseases due to pneumococci
5 of the serotypes.

6 I agree with Dr. Glode that probably the only
7 thing that's going to resolve this is another tympanocentesis
8 trial. It's the only thing that's going to really confirm what I
9 believe is probably a correct observation from the Finnish trial.

10 So my vote is no.

11 CHAIRMAN DAUM: Thank you very much.

12 Dr. Faggett, sir.

13 DR. FAGGETT: Yes. I do have some concerns that
14 the studies presented are not as inclusive as I would like to see
15 in terms of having the broader, heterogeneous American population
16 represented.

17 And I do have some reservations about efficacy
18 with 19F.

19 Having said all of that though, I think in terms
20 of the data adequate to support efficacy of Prevnar in infants
21 and toddlers, I think we do have adequate data to support
22 efficacy because we're not saying just how much.

23 So I think I'm going to go ahead and vote yes on
24 this, with those reservations.

25 CHAIRMAN DAUM: Thank you.

1 Dr. Griffin.

2 DR. GRIFFIN: I'm going to vote yes, and just
3 state that I think the Finnish trial, as many people have said, I
4 think gave us definitive evidence of efficacy against these
5 serotypes. The fact that the overall effect on otitis media was
6 almost exactly the same as it was in the Kaiser trial, you would
7 have to invoke a thought that it was a totally nonspecific effect
8 and that there wasn't an effect on these same serotypes, that it
9 didn't have the same sort of display of different types of causes
10 of otitis media.

11 So I think the data is adequate and I think it has
12 been shown in two trials.

13 CHAIRMAN DAUM: Thank you very much, Dr. Griffin.

14 Dr. Whitley.

15 DR. WHITLEY: My vote is yes for virtually
16 identical reasons to Diane's, and that is I think unequivocal
17 evidence of efficacy was established in the Finnish study, and I
18 think it's unreasonable to think that the results from the Kaiser
19 Permanente study could be attributed to anything other than the
20 vaccine.

21 Having said that, I do think it's important for
22 both the agency and the sponsor to carefully weigh how the
23 package insert reads and whether we go back to what Sam's
24 recommendation was earlier regarding the lesser degree of
25 efficacy in the prevention of otitis media or careful words to

1 indicate that that would be the case, I think, is relevant
2 because we clearly don't want to detract from the impact of this
3 vaccine on prevention of invasive disease.

4 CHAIRMAN DAUM: Think you, Rich.

5 Dr. Diaz.

6 DR. DIAZ: I likewise would vote yes because I am
7 also willing to extrapolate the Finnish data into the Kaiser
8 trial. The data is so similar, and yet I do agree that only
9 another tympanocentesis study would validate that completely for
10 me.

11 But the extrapolation is there. As Dr. Griffin
12 pointed out, you'd have to imagine something completely unrelated
13 would have played into making the data become or be the same in
14 the Kaiser trial.

15 That having been said, I think one of the points
16 that Dr. Katz raised earlier, which is that we're not being asked
17 to validate or vote for a package insert as stated in the
18 materials that we received, is extremely important because I,
19 too, have great concerns and reservations about issues
20 surrounding package insert for this indication for all of the
21 reasons that everyone has already elucidated.

22 CHAIRMAN DAUM: Thank you, Dr. Diaz.

23 Dr. Goldberg, please.

24 DR. GOLDBERG: I'm voting yes as well.

25 That said, I really view the otitis media

1 endpoints here as secondary endpoints to the basic indication for
2 the vaccine, and I urge the agency to find a way to deal with
3 this and not call it an indication in the label; that there needs
4 to be another mechanism for handling this that takes into account
5 the primary purpose of giving the vaccine where the major impact
6 is felt and doesn't detract in the long run from that.

7 CHAIRMAN DAUM: Thank you.

8 Dr. Markovitz.

9 DR. MARKOVITZ: Yeah, I think the way the question
10 is phrased I have to vote yes because I think there is efficacy
11 within this narrow spectrum.

12 I have reservations that have already been
13 expressed by me and others here and I might return to on question
14 two, but for question one I would say yes.

15 CHAIRMAN DAUM: Thank you.

16 Dr. Parsonnet.

17 DR. PARSONNET: I say yes, and I just also want to
18 point out that I don't think there's much experience with what
19 the efficacy should be for a disease that's not invasive and not
20 produced by a toxin. So it may be that 50 percent is really
21 fantastic for a noninvasive disease and we just don't know from
22 our experience yet what noninvasive disease efficacies are ever
23 going to be.

24 And with that I just want to reiterate my thoughts
25 about the labeling, that I'm concerned about the labeling as we

1 talked about before.

2 CHAIRMAN DAUM: Thank you.

3 Ms. Fisher.

4 MS. FISHER: As I understand it, the function of
5 the FDA standards for including a use indication in a vaccine
6 manufacturer's label is to insure truth in advertising. The
7 public looks to the FDA and trusts that CBER protects their right
8 to informed consent when using biological products.

9 We've been asked by Prevnar's manufacturer to
10 agree they have proven efficacy for prevention of otitis media,
11 which would then allow the advertising of Prevnar as a vaccine to
12 prevent otitis media even though a large U.S. study has shown
13 only a seven percent reduction in all otitis media cases.

14 Practically, that means that 93 percent of the
15 children whose mothers believe Prevnar is an ear infection
16 vaccine because the label says so, 93 percent of these children
17 may be susceptible to otitis media even though they've been
18 vaccinated.

19 Seven percent is not a scientific standard for
20 vaccine efficacy for a specific condition that engenders a lot of
21 trust. Because apparently there is no room under the regulations
22 to simply state that Prevnar efficacy with respect to presenting
23 otitis media has been shown to be seven percent, which would be
24 the most truthful and accurate labeling.

25 I must vote that efficacy has not been established

1 to justify the labeling change, and I don't think the
2 manufacturer should spend more time and money trying to prove
3 efficacy for otitis media in light of these studies and should be
4 well satisfied with the use of Prevnar to protect against severe
5 invasive disease.

6 CHAIRMAN DAUM: Thank you, Ms. Fisher.

7 I guess I'm probably last and possibly least, but
8 a couple of comments.

9 I think that I'm going to vote yes for the
10 question the way it's worded. I think this vaccine represents a
11 real triumph for children in this country. It's had a wonderful
12 track record in terms of its safety profile, and as important, a
13 wonderful impact on invasive pneumococcal disease.

14 I believe that the two trials that were done,
15 although I agree with Dr. Glode's comments about the differences
16 in methodology and conclusions that can be draw from each one of
17 them are internally consistent, and they do show that there is
18 efficacy against otitis.

19 A plausible secondary part of that is for me the
20 more severe the otitis was, the better the vaccine seemed to
21 perform. I'm not troubled globally because of a lot of previous
22 work that's gone on in this field that there isn't efficacy shown
23 for every single serotype. I think that the problem of powering
24 the study to do that would be formidable.

25 I do have some concerns though. One of them is

1 that by saying yes to the demonstration of efficacy that we
2 somehow have established or influenced the agency to establish a
3 candle for equivalency or noninferiority of other vaccines to
4 these numbers, and I have some concerns about that.

5 I'm disappointed that the vaccine isn't more
6 efficacious. I think my answer is, yes, it is, but I wish it
7 were higher, and so that I share some of the concerns by Dr.
8 Parsonnet, Ms. Fisher, and many others that this number be
9 translated into an important clinical message.

10 I'm concerned about the point of Dr. Overturf,
11 that even when you put the best possible light on things and take
12 only culture proven otitis due to serotypes contained in the
13 vaccine, that the efficacy was still only in the 50 percent
14 range, and I think that raises some points that we haven't said
15 much about, and that is that we don't completely understand the
16 biology of preventing otitis media.

17 The 19F story is instructive to me only in that it
18 says that there isn't a simple relationship between the
19 production of antibody and efficacy in this case. I don't know
20 what the other issues are. They could have something to do with
21 cytokines or something to do with individual eustachian tube
22 kinetics or lots of other issues, but I think this is more than
23 just measuring antibody and looking at efficacy, and that's why I
24 think in the best case we only got to in the mid-50s.

25 Having said that and voted, and to emphasize I do

1 vote yes about efficacy, I would offer the extraneous advice or
2 probably unwelcome advice that this not be used to translate into
3 promotion, sales marketing, or pressure on the public to accept
4 the vaccine based on this performance alone.

5 I think there are many other reasons to promote
6 and use this vaccine, and I think that it has been said
7 repeatedly, and I think that it's recommended for all U.S.
8 children as it should be, and I think that should continue to go
9 on.

10 But I personally would not like the efficacy that
11 I believe has been established to be translated into detailing or
12 advertisements or direct marketing to the public about the impact
13 of this vaccine on otitis media.

14 And with that, I think I'm done.

15 I'd like to move on at this point to announce the
16 vote for question one. Sorry. One second.

17 Dr. Decker, you need to state your opinion, but I
18 think you already have. Did you want to say something else about
19 question one? I'm pretty sure you've done it.

20 The outcome on the vote on question one is 13
21 voting in favor of the data being adequate to support efficacy,
22 three opposed, 13 to three.

23 The second question isn't really a question, but
24 is a discussion point that we would like to hear or the agency
25 would like to hear committee members weigh in on specifically.

1 I normally start off with some general discussion
2 before we go to the specifics, but my sense is we've had the
3 general discussion. If anyone disagrees with me, please let me
4 know, but I'd like to start with Dr. Stephens again and just
5 address -- perhaps we can do this fairly briefly -- the issues in
6 question two, but please feel free to make whatever points you
7 wish.

8 DR. STEPHENS: I think a lot of this has already
9 been stated. I'd like to make two points.

10 One is the issue of 19F and an urge. Continue
11 work on trying to understand why 19F is, in fact, not working
12 very well in current vaccine.

13 Secondly, I think pneumococcal disease is a moving
14 target, and what is efficacy now with the serotypes in terms of
15 prevention of otitis media may not in five years, given serotype
16 replacement and other issues be efficacy.

17 So there needs to be continued monitoring of post
18 marketing, and a continued look at otitis media.

19 CHAIRMAN DAUM: Thank you very much, David.

20 Dr. Katz.

21 DR. KATZ: I would like to emphasize what Dr.
22 Parsonnet had, and that is otitis media, even due to a single
23 bacterium, is not a single etiologic relationship. We know that
24 preceding viral infection; we know that allergy; we know that
25 smoke inhalation; there are many factors that have to do with

1 this, and to expect that a vaccine against a bacterium is going
2 to change things 80 percent is naive.

3 And I think that we need to continue to support
4 research in all the areas relating to the etiology, not just the
5 pneumococcus in the reduction of acute otitis media episodes, and
6 therefore, I'm comfortable with saying that what we voted, with
7 question number one.

8 I would be uncomfortable, as has been repeatedly
9 stated to say that, "Okay, folks. Now we've got the panacea for
10 otitis media. Have your children immunized not to prevent
11 invasive disease, but to prevent otitis media."

12 And I think a lot needs to be done in research.
13 You used the term or Diane did "syndromic." And I think we would
14 be less than a good Advisory Committee if we let it go as just
15 we've solved an issue.

16 We need a great deal more to be studied. I think
17 one of the side benefits that I hope might come from this, of
18 course, and this might be studied particularly in the Kaiser
19 population, is reduction in the use of antibiotics and antibiotic
20 resistance because I think those are probably more major
21 questions than otitis media.

22 CHAIRMAN DAUM: Thank you very much.

23 Dr. Katz raised many good points.

24 Dr. Snider.

25 DR. SNIDER: Well, with regard to acute otitis

1 media episodes caused by Streptococcus pneumoniae, regardless of
2 serotype, I mean, obviously efficacy drops. I mean, that's
3 pretty clear, although, you know, some of the related serotypes,
4 that data, although as Dr. Goldberg has pointed out are only
5 anecdotal in the sense that they're not definitive and not
6 statistically significant. They're encouraging.

7 But overall, as one would expect, there's not a
8 huge amount of efficacy against serotypes that are not included
9 in the vaccine, nor should we expect there to be.

10 Like others, I think that we -- I think David
11 mentioned this -- we need to be vigilant because doing this trial
12 in one setting, in one country, at one particular point in time
13 doesn't necessarily give us a clear indication what might happen
14 when the vaccine is used in larger populations in different
15 places, at different times, and so forth.

16 So I think we need to be vigilant around this
17 issue of potential replacement. I don't think it's completely
18 off the table that it will occur or won't occur, and the issue of
19 drug resistance, the story seems promising right now in terms of
20 potential for using this vaccine to reduce the number of drug
21 resistant cases of pneumococcal acute otitis media.

22 But we need to monitor over time to make sure that
23 that's not just a phenomenon that is a result of particular
24 circumstances that exist now or exist -- but won't exist in the
25 future.

1 I was a little surprised with regard to tube
2 placement not being different early in the trial and showing a
3 difference only later on, but I think we had some explanation
4 given to that that sounded reasonable.

5 So I think there is a lot more work that needs to
6 be done to try to understand this disease, to try to understand
7 this vaccine, to try to understand why certain -- it seems to
8 protect against certain serotypes better than others. One would
9 hope that the whole pneumococcal conjugate story would continue
10 to evolve with more serotypes in subsequent vaccines down the
11 road, and that we'll continue to be discussing this as new data
12 become available, as newer pneumococcal vaccines become
13 available.

14 CHAIRMAN DAUM: Thank you, Dixie.

15 We'll move on, please, to Dr. Hamilton.

16 DR. HAMILTON: You requested the strength of the
17 data, but not in which direction.

18 CHAIRMAN DAUM: Dr. Hamilton, will you speak right
19 into the microphone so that we can be sure we hear you?

20 DR. HAMILTON: Okay.

21 CHAIRMAN DAUM: Thank you.

22 DR. HAMILTON: You've requested the strength of
23 the data, but the question does not refer to which direction
24 you're interested in.

25 CHAIRMAN DAUM: Your choice.

1 DR. HAMILTON: Okay. Acute otitis media caused by
2 S. pneumonia regardless of the serotype, certainly the Finnish
3 study suggests that the serotype related strains, there's some
4 efficacy going from 56 to 34, but for those that are not related
5 at all, there doesn't appear to be any efficacy.

6 And then it's kind of like the top of a pyramid
7 that branches out. As you get to the syndrome at the bottom, you
8 get less and less effective, and one of my queries is actually
9 whether that 56 percent and 34 percent reduction directly
10 correlates into the six percent reduction or you're seeing
11 replacement with other radiologic agents there.

12 And no other microbiologic data was mentioned, but
13 I'd just be curious to know.

14 Overall reduction, acute otitis media episodes
15 because it was supported by two trials, although somewhat
16 differently done. I think the strength of the data is pretty
17 good.

18 Frequent otitis media, I would say no, and
19 tympanostomy tube placement I would say no. I agree that the
20 tympanostomy tube replacement was not adequate and well
21 controlled, and it's impossible to determine how one would relate
22 one to the other, given the different time frames and the
23 different treatment methods.

24 The other thing that you haven't mentioned here
25 but I think is provocative is the rupture issue out of Kaiser,

1 and I would like to know a little more about the microbiology
2 there, whether they were doing microbiology for, say, Group A
3 strep. with those who ruptured.

4 CHAIRMAN DAUM: Thank you very much.

5 We're going to go out of sequence here and ask Dr.
6 Decker to comment next.

7 DR. DECKER: Thank you, Bob.

8 CHAIRMAN DAUM: Because he has to leave.

9 DR. DECKER: You know what I was struck by more
10 than anything else, I think, in looking at these data was the
11 remarkable consistency of the data when you recognize the usual
12 statistical limitations and variations, particularly with
13 declining sample size. What you see is a remarkably consistent
14 picture, I think, of efficacy.

15 Let me put it this way. Were it known without
16 question that the vaccine were efficacious against the serotypes
17 that cause otitis media and it was reducing otitis media, then
18 you would see the pattern of results that we have here, and apart
19 from the limitations caused by shrinking sample size as questions
20 become more narrow, the variability caused in each statistical
21 sampling and the fact that not all serotypes are equally
22 prevented, with those thoughts in mind, the data are remarkably
23 consistent.

24 And i think the questions that the data really
25 leave for us aren't necessarily the ones listed here, but rather

1 some of the questions that have already been brought up, the 19F
2 question and what's going on with that. Why is it behaving
3 differently? The serotype replacement question begs further
4 attention.

5 To me most of the questions that are asked in item
6 two, although perhaps not proven statistically with these data,
7 clearly are addressed so uniformly and so consistently that I'm
8 not concerned by them.

9 CHAIRMAN DAUM: Thank you.

10 We'll continue going in sequence now. Dr.
11 Schwartz, I don't think you can be quite as succinct this time as
12 last time.

13 DR. SCHWARTZ: No. Everything that I can think of
14 that's been said has been said with one exception. There are
15 actually two different vaccines, and I know we're not discussing
16 the other vaccine that was tested in Finland, and to me that
17 means that there are, in fact, two trials. Even though the
18 vaccine is a different manufacturer and a different carrying
19 protein, we don't have any information at all as to how effective
20 was the Merck vaccine, the septavalent vaccine.

21 Just for curiosity, did that do the same thing?
22 And if so that would bolster my confidence that I voted the right
23 way.

24 CHAIRMAN DAUM: Does anybody from the agency or
25 the manufacturer wish to comment on that question? You have the

1 right to remain silent.

2 DR. GOLDENTHAL: I don't think it would be
3 appropriate for the agency to comment, but I do see a
4 representative from Merck.

5 CHAIRMAN DAUM: We also have an investigator at
6 the microphone.

7 DR. KILPI: All right. The other vaccine, we
8 have presented the results for the other vaccine at several
9 conferences, and it provided almost equal protection against AOM
10 due to vaccine serotypes. The point estimate was 56 percent
11 against the overall vaccine serotypes.

12 It also had a problem with 19F. I think the point
13 estimate was 34 or 37. I'm not quite sure, but overall the
14 efficacy against the vaccine serotypes was pretty much the same.

15 However, the point estimate in the prevention of
16 any pneumococcal AOM was slightly lower. The point estimates was
17 only 25 percent.

18 CHAIRMAN DAUM: Thank you very much. That's very
19 helpful and very interesting, and I'd like to just remind the
20 committee though that it's not part of what we're considering at
21 the table today.

22 Dr. Glode.

23 DR. GLODE: I think that the table on page 29 of
24 Dr. Pratt's handout summarizes this information very nicely, and
25 it pulls it all together.

1 So acute otitis media caused by Strep. pneumoniae,
2 regardless of serotype, again, the single study that answers that
3 is the Finnish study with 32 percent reduction, lower limits of
4 confidence, 20 percent, efficacy. Sorry.

5 Overall reduction in acute otitis media, again, as
6 already mentioned, statistically significant in the Kaiser trial,
7 in the same range in the Finnish trial, but not statistically
8 significant due to lack of power to detect that apparently.

9 And then effect on frequent otitis media, again,
10 summarized in the table. The most relevant data there would come
11 from the Kaiser study.

12 In tympanostomy tube placement, I remain confused
13 about whether or not there was adequate power in the Finnish
14 study to show it, and if there was why it didn't confirm and
15 validate the Kaiser study.

16 So I think that at least with tympanostomy tube,
17 I'm still a little confused about that issue, and overall
18 reduction in acute otitis media I can explain that by a power of
19 the study.

20 I did think between these times of one other
21 analysis that would be of interest to me and was probably done,
22 and that addresses the issue of should you presume that the only
23 explanation for the reduction of overall otitis media in the
24 Kaiser trial has to be related to the effect of the vaccine on
25 vaccine specific serotypes because I have been impressed for a

1 number of years with the biologic cross-reactions between
2 organisms, in particular, pneumococcus and Haemophilus.

3 So it would be of interest, and I'm sure people
4 have already done this, to just look at the efficacy or lack of
5 efficacy against Haemophilus otitis and Moraxella otitis in the
6 two groups.

7 CHAIRMAN DAUM: That was a provocative comment.
8 Thank you, Mimi.

9 Dr. Overturf.

10 DR. OVERTURF: I think I agree with most of what's
11 been said. In particular, regarding episodes caused by Strep.
12 pneumo. regardless of serotype, I think it's clear that there is
13 very little, if any, efficacy, and this is actually supportive of
14 the vaccine against serotypes not contained within the vaccine.

15 And I think the issue of replacement serotypes is
16 an important issue for this disease, and it's probably going to
17 be the same problem for Haemophilus and other otitis media
18 vaccines in the future.

19 And one of the concerns I have is once the vaccine
20 is approved for this indication, how demanding is the post
21 marketing surveillance going to be in terms of watching for this?

22 Today we have a six or seven percent reduction in
23 otitis media if you believe the data now. Will it be three and a
24 half percent next year and will it be less than that thereafter?

25 Because we will be setting a new baseline here,

1 which will be established by the routine immunization of all
2 children less than two years of age in this country with this
3 vaccine.

4 So I think that's going to be an issue about
5 future overall reduction in acute otitis media episodes. And
6 eventually if frequent otitis media is really more due to
7 pneumococcal disease, that also will be an issue.

8 I have the same concerns about the tympanostomy
9 tube placement. I don't think I'm clear because I think this is
10 a disease which probably is related to a lot of other issues that
11 can't be directly identified in the study, particularly issues of
12 individual anatomy genetics, other risk factors, and also just
13 the indications and use of tympanostomy tubes.

14 So I think it may be at some point appropriate
15 when you do further studies to move this particular indication up
16 the ladder a little bit so that one moves it up to a primary
17 outcome rather than and with more restrictive indications because
18 I think right now it's not clear to me that the way it was
19 examined in the current studies really makes it clear that the
20 outcome was affected by the vaccine or affected by some other
21 factors that we couldn't really identify.

22 CHAIRMAN DAUM: Thank you very much.

23 Dr. Faggett.

24 DR. FAGGETT: I pretty much agree with my
25 distinguished colleagues and their comments. Specifically the

1 acute otitis media episodes, question A, the Finnish study does
2 have good evidence of efficacy. Kaiser is equivocal.

3 Question B, overall reduction in acute otitis
4 media, there is some evidence of efficacy.

5 Question C, frequent otitis media, still
6 equivocal. Some evidence of efficacy.

7 And, again, pretty much the same with the PE tube
8 placement.

9 I would hope that further studies would allow us
10 to have the same kind of eloquent data in terms of looking at the
11 broader population so that we can, indeed, draw more conclusions
12 for the population that is not included in this study at the
13 present.

14 CHAIRMAN DAUM: Thank you.

15 Dr. Griffin.

16 DR. GRIFFIN: Okay. I think that the two studies,
17 because of their very different design, shed light on different
18 ones of these questions, more or less light on different ones of
19 these questions.

20 In the first, you're talking about acute otitis
21 media caused by all serotypes of S. pneumoniae, and in a way
22 that's just making it less specific and so diluting out the
23 effect somehow by adding in all of the serotypes.

24 And I think there is statistically significant
25 evidence from the Finnish study that that happens, that you still

1 have a significant effect even with that dilution.

2 I think the issue of replacement serotypes is an
3 important one, and I think it's also an interesting one because
4 it isn't clear to me. I mean, these serotypes were chosen to
5 make a vaccine partly because they cause most of the disease.

6 And so are those replacement serotypes
7 intrinsically less virulent and, therefore, even though they may
8 appear more, they still won't cause more severe disease or not,
9 and I think time is only going to tell what that answer is.

10 The second on the Part B, those studies showed a
11 very similar decrease in overall reduction, one, because the
12 power of the study is not statistically significant while the
13 other of the Kaiser study is.

14 Again, with frequent otitis media, because that
15 was an outcome where there were larger populations in the Kaiser
16 study, there was a significant difference there.

17 Like everyone else, the tympanostomy data is
18 somewhat confusing, although I thought the Kaiser data for an
19 American population using what's pretty much a real world
20 situation did indicate that there will be a reduction in
21 tympanostomy tube placement.

22 CHAIRMAN DAUM: Thank you very much.

23 Dr. Whitley.

24 DR. WHITLEY: I really don't have anything to add
25 to the discussion that has taken place. I just reiterate four

1 points:

2 That if the FDA has a chance to do Phase IV
3 studies with the sponsor, they would be important, and clearly
4 replacement serotypes and the response to 19F are critical, but
5 also the whole issue of understanding better antibiotic usage in
6 this targeted patient population, the propensity to develop
7 resistance or not develop resistance becomes essentially
8 important.

9 CHAIRMAN DAUM: Thanks.

10 Dr. Diaz.

11 DR. DIAZ: Thank you.

12 Just a couple of comments in general. I'm not
13 surprised with the delusional effect as has been described as one
14 moves from specific serotypes to, you know, related serotypes, to
15 nonrelated serotypes, to acute otitis media as a whole. It
16 follows logically, and I think the data followed logically.

17 It is a specific vaccine in the sense that it has
18 certain serotypes within that vaccine.

19 I do think though that this issue that's been
20 raised about replacement serotypes is an important question, but
21 I might even consider broadening that in wondering about not only
22 replacement serotypes, but perhaps replacement of other organisms
23 shift because this really is not a selective ecosystem in the
24 middle ear for the pneumococcus per se.

25 And I think a lot of my colleagues have commented

1 upon sort of the complexity of acute otitis media. I mean, it's
2 such a common diagnosis, such a common disease, and yet our
3 ability at diagnosing it remains at about the same point it was
4 years and years and years ago.

5 That having been said though, if all otitis media
6 is not the same, meaning that there are more pathogenic and
7 virulent organisms, as appears to be the case, then we should be
8 able to see some differences or changes over time as the use of
9 the vaccine increases dramatically in this country.

10 And one would hope that even if we do not see
11 truly a decrease in acute otitis media, the seven percent versus
12 three percent next year, et cetera, et cetera, that we might see
13 some changes in some of the things that others have commented
14 upon in terms of monitoring, and that might be the use of
15 antibiotics overall, changing patterns in use of antibiotics,
16 changes in diagnoses for febrile illnesses, more viral syndrome
17 versus acute otitis media per se.

18 And likewise perhaps tympanostomy tube placement.

19 Some of those things can be looked at. Tympanostomy tube
20 placements can be monitored by ICD-9 codes and a variety of other
21 mechanisms since they tend to be in hospital procedures, and I
22 would encourage the utility of looking at some of these other
23 perhaps not specific, but yet indicators of morbidity due to
24 acute otitis media in general in the future.

25 CHAIRMAN DAUM: Thank you.

1 Dr. Goldberg.

2 DR. GOLDBERG: I think that one comment is that I
3 think the trials are remarkably consistent. The places where
4 they're not -- the only difference that I would call possibly
5 nonconsistent is the tube placement issue, which I think various
6 reasons for that have been alluded to throughout the morning.

7 All of that said, you can look at the two trials
8 as complementary and quite supportive of one another with regard
9 to the results. The real issue is: is this efficacy sufficient,
10 particularly with regard to the acute otitis media overall
11 endpoint?

12 In fact, would I be happier if four percent were
13 really significantly different from zero in the intent to treat
14 in the Finnish study? Not really. It wouldn't matter to me.

15 I mean, I would urge the agency to really give
16 careful consideration to what we mean by efficacy and what is
17 meaningful efficacy and how you deal with reporting results like
18 this in a label without calling it an indication that can detract
19 from the primary purpose of the vaccine.

20 I mean, I think that has to be considered. I
21 mean, I think that they basically in one way or another -- some
22 efficacy, in quotes, or activity with regard to each of these
23 endpoints has been demonstrated in one or the other or both of
24 these trials. The issue is what to do with it.

25 CHAIRMAN DAUM: Thank you.

1 We are going to address the issue of how to
2 communicate information based on your beliefs today when we
3 finish addressing question two. So I'm glad you raised that
4 point, and we'll come back to it.

5 Dr. Markovitz.

6 DR. MARKOVITZ: Yeah. I don't have too much to
7 add, except that if we could make question two a yes or no, I'd
8 have to say no. I'm not very impressed with any of the other
9 efficacy of this vaccine beyond what was asked in question one.

10 And I'd like to echo the comments just very
11 quickly of several of my colleagues. Actually Dr. Goldberg, who
12 I know is a statistician, has correctly pointed out, I think,
13 that just having a good P value doesn't make something really
14 clinically useful and worth marketing.

15 And then I'd like to also agree with Ms. Fisher in
16 terms of the possibility that by marketing this for otitis media
17 we'll squander the good name of the vaccine and hence do much
18 more harm than good for both the public and, frankly, for the
19 company, too

20 And then lastly, I'd like to agree with Drs.
21 Parsonnet and Katz that some rewording would be very much in
22 order when it comes to the indication, and that might solve the
23 problem.

24 Thanks.

25 CHAIRMAN DAUM: Thank you.

1 Dr. Parsonnet.

2 DR. PARSONNET: Yeah. I don't have very much to
3 add to the things that have already been presented, that these
4 particular secondary outcomes have not been as well demonstrated,
5 but I think one of the things that I think from a philosophical
6 perspective it's important to think about is, again, that this is
7 a vaccine. We don't typically have vaccines against such a
8 common resident of our normal flora. This is something that
9 people carry a lot and very frequently, and I don't think that
10 it's possible to really predict what the long-term consequences
11 of trying to address that with a vaccine is going to be in terms
12 of the disease outcomes that might be associated with it that may
13 be replaced by other pneumococci, may be replaced by other
14 organisms, may have effects that we just don't really know.

15 So I would encourage since we are changing the
16 human ecology here, that people really look at it very carefully
17 and address this after marketing.

18 CHAIRMAN DAUM: Thank you.

19 And Ms. Fisher.

20 MS. FISHER: Well, a five to 21 percent reduction
21 of these secondary otitis media outcomes is not strong evidence
22 for proof of efficacy for this condition, and I do think that
23 more data needs to be generated regarding the possible future
24 increases of otitis media due to serotypes not covered in Prevnar
25 as a result of mass use of Prevnar.

1 CHAIRMAN DAUM: Thanks a lot.

2 Dr. Midthun, you wanted to ask the committee to
3 address an additional issue informally. Could you tell us what
4 it is?

5 DR. MIDTHUN: Yes. I'd really appreciate input
6 from the committee members on how they would suggest
7 communicating this information to the prescriber because I've
8 heard a lot of concerns about how this might be done, and we're
9 really appreciate input on that.

10 The other thing I would like to speak to, Dr.
11 Daum, is you made a comment that you had concerns about how
12 perhaps some of this information might be used for marketing
13 purposes, and I just wanted to come back to say that information
14 that is included in the package insert can be used for marketing,
15 promotional labeling, but that is something that is reviewed by
16 FDA and has to be approved by us, but that is something that is
17 done.

18 CHAIRMAN DAUM: Okay. So I would be happy to have
19 comment on this question from people who -- we don't need to hear
20 from every single person, but people who want to address this
21 question, fine.

22 Maybe, Ms. Fisher, I'll bet you have a comment on
23 this. Would you like to start?

24 MS. FISHER: Well, I think my comments probably
25 have covered that territory.

1 CHAIRMAN DAUM: Okay.

2 MS. FISHER: I think we have to be extremely
3 careful about how this vaccine is marketed because I do think it
4 will result -- it could possibly result -- in the compromising of
5 trust in the labeling that the FDA puts on the vaccine.

6 CHAIRMAN DAUM: Anybody else?

7 Dr. Parsonnet, we'll just go around. Welcome to
8 pass or comment, as you wish.

9 DR. PARSONNET: No, I don't have anything to add.
10 I think, again, being less specific about the indications, about
11 what specific things that Strep. pneumoniae causes or saying to a
12 lesser extent this affects otitis media, either of those or some
13 other alternative of that would be fine.

14 DR. MARKOVITZ: Yeah, I'd agree with dr.
15 Parsonnet.

16 DR. GOLDBERG: I would agree with the comments
17 that were just made.

18 DR. DIAZ: The same.

19 DR. FAGGETT: Yeah, I kind of agree with previous
20 comments. I think some wording like the vaccine with its proven
21 efficacy against invasive disease is also useful against otitis
22 media. I think something like that would be a possibility.

23 DR. OVERTURF: It would seem to me that there's a
24 requirement here for the manufacturers who are going to accept
25 the responsibility for disseminating this information also to

1 disseminate effective education.

2 And because this is going to be a requirement,
3 patients will come in and ask for the otitis media vaccine, and I
4 think that's going to have to be explained.

5 I think the efficacy that we have said we'd
6 demonstrate is going to have to be explained, and people are
7 going to have to have realistic interpretation of this.

8 So I think in addition to how you label the
9 vaccine, the educational component is going to be extremely
10 important here. Part of that starts with the labeling of the
11 vaccine, but it's going to require one step further as well.

12 I think also certain kinds of venues for marketing
13 of this vaccine with this indication are probably not
14 appropriate, and I don't think they should be approved, such as
15 direct marketing. I think that would be a mistake because it
16 doesn't give the practitioner the opportunity for the educational
17 piece.

18 CHAIRMAN DAUM: Mimi, comments?

19 DR. GLODE: I could see things going wrong in two
20 directions. If a parent -- again, if the educational system
21 wasn't as it should be and someone said, "I really don't care
22 about this vaccine for my child. I barely know what meningitis
23 is, but I sure want it for ear infections," that's a disservice
24 to the country and to the children in the country.

25 Similarly, if it's marketed in any way as an

1 otitis vaccine and then loses credibility because it doesn't
2 prevent otitis in the majority of children, that's a disservice.

3 If in any way it inhibits one single parent from getting it to
4 prevent meningitis and serious invasive infection. So I'm
5 worried about that.

6 DR. SCHWARTZ: I would like to recommend that as
7 part of post marketing, if it's at all possible, when any company
8 does studies that involves tympanocentesis, to have a central
9 repository for pneumococcal isolates to have serotyping done
10 because that's not usually done for studies of acute otitis
11 media; only identification of the organism and not serotyping.

12 But the only way we're going to know is by having
13 those investigators who are adept at and perform usually for
14 study purposes tympanocentesis to have such a central repository
15 to keep tracks on what is happening with the serotyping of the
16 isolates that we get from the middle ear.

17 DR. HAMILTON: I think one of the difficulties
18 with the claim is that it's not in gray, but it's totally in
19 black and white, protective against otitis media caused by S.
20 pneumoniae. If that could be accompanied at all points by a
21 statement that describes the amount of otitis media that's caused
22 by S. pneumoniae against the vaccine would be effective. For
23 instance, all pneumococcal isolates, vaccine related pneumococcal
24 -- this is too complex for a label, but I think the public could
25 understand a five percent reduction in otitis media because that

1 is what is caused in the studies by capsular serotypes,
2 represented in the vaccine.

3 DR. SNIDER: Dixie Snider.

4 The scientist part of me says that it is
5 appropriate to communicate to physicians certainly that the
6 vaccine has demonstrated efficacy in the range of, well, 57
7 percent efficacy against the serotypes that are included in the
8 vaccine, and that overall reduction of six to seven percent in
9 the incidence of acute otitis media have been observed in two
10 trials or something to that effect.

11 I guess there's another part of me that is a
12 public health policy type who wonders if going beyond that is
13 really wise, and I have concerns that others have expressed
14 certainly about direct marketing, but about really promoting this
15 even to physicians who are overwhelmed, and certainly to parents
16 to give the impression that it's some sort of panacea for acute
17 otitis media.

18 So I'm very much in favor of communicating
19 accurately the scientific information to physicians that wouldn't
20 object to communicating it to parents along the lines that Dr.
21 Hamilton just mentioned. But I have some real concerns about
22 going much further with this information.

23 CHAIRMAN DAUM: Dr. Katz.

24 DR. KATZ: I'm surprised Dr. Snider didn't say
25 what I was going to say, which is that there are three groups who

1 spread most of the information about vaccines in this country.
2 One is the Committee on Infectious Diseases of the American
3 Academy of Pediatrics. One is the Advisory Committee on
4 Immunization Practices of the Centers for Disease Control, of
5 which Dr. Snider is the Executive Secretary or something like
6 that. And the third is the Committee of the American Academy of
7 Family Physicians.

8 I think that they are very knowledgeable, very
9 judicious individuals, the members. I feel quite confident that
10 they will not alter their recommendation statements to say that
11 this is a vaccine for otitis media.

12 In fact, I hope that in their next statements
13 about the vaccine, they may say there may be a fringe benefit of
14 this vaccine which we recommend universally for children to
15 prevent invasive disease, that maybe it reduces otitis media by
16 six or seven percent, but that its primary justification for use
17 is to prevent invasive disease and not to be fooled by thinking
18 of it as an otitis media vaccine.

19 So I am not worried about the educational aspects
20 because I think the groups, the responsible groups who promulgate
21 vaccine recommendations and to which 55,000 pediatricians and a
22 100-plus thousand family physicians listen very carefully, will
23 not push this as an otitis vaccine.

24 CHAIRMAN DAUM: Thank you, Dr. Katz.

25 Dr. Stephens.

1 DR. STEPHENS: Being last this time, I --

2 CHAIRMAN DAUM: I'm last.

3 (Laughter)

4 DR. STEPHENS: Almost last, that's right.

5 I agree with almost every comment. I think it is
6 a mistake on the part of the company and the FDA to market this
7 as an otitis vaccine, otitis media prevention vaccine.

8 I think that the package insert should try to deal
9 with this issue in a way, separate from a clear indication that
10 this prevents otitis media.

11 Whether that be the epidemiology section or
12 another section, I appreciate the comment that you don't like to
13 do that, but I think in this instance it might be worthwhile
14 reconsidering.

15 CHAIRMAN DAUM: And as the last person, I would
16 just like to emphasize and elaborate slightly on some of the
17 points that have been made.

18 In addition to the groups Dr. Katz mentioned as
19 formulating policy and reaching consumers and providers, there
20 is, of course, a fourth group and that is the media. And I think
21 that what this vaccine, that road was already started down when
22 it was first licensed. There are a number of media contacts by
23 myself, and I'm sure many others at this table, about the new
24 vaccine that has just been licensed that prevents otitis media.

25 I think we are coming full circle now and visiting

1 it with actual data, data that have been published and are
2 already available in the medical literature, by and large.

3 I am very worried that the notion go forward from
4 this meeting that we have established today that this vaccine
5 prevents otitis media to an important clinical degree. I do
6 believe that the vote for efficacy is correct, but the overall
7 impact on otitis media, particularly at the individual consumer
8 level, is going to be very small.

9 We are already immunizing every child in the
10 United States, at least with intent to treat in statements of
11 committees. I just don't think that there is a reason why a
12 child should come forward and say, "I want this vaccine because
13 it is the otitis media vaccine."

14 And I would urge the company and urge the agency.
15 I don't completely understand the process by which things are
16 added to labels or given indications, but I would almost not push
17 for an indication for this and I certainly wouldn't push for a
18 marketing and promotional blitz based on what we have heard
19 today.

20 I think this is important information. I agree
21 with Dr. Katz and others. This is information to build on,
22 understand otitis media biology better, but to be very careful
23 about how it is represented to the public.

24 I don't think that we have an otitis media
25 prevention vaccine yet.

1 I think we are done with the otitis media portion
2 of today's meeting. I would like to propose that we take a 15-
3 minute break, but before we do that let's have the open public
4 hearing on acute otitis media, could we?

5 Is there anyone in the audience that wishes to
6 speak to the committee about acute otitis media?

7 (No response.)

8 CHAIRMAN DAUM: That being the case, we will take
9 a 15-minute break and reconvene at exactly 2:45.

10 (Whereupon, the foregoing matter went off the
11 record at 2:37 p.m. and went back on the record at
12 2:48 p.m.)

13 CHAIRMAN DAUM: Okay. We would like to call
14 everyone to order, please.

15 The next item on the agenda today is a committee
16 update with respect to the GSK, Lyme disease vaccine, LYMERix,
17 followed by an open public hearing in which we are aware of nine
18 individuals or organizations that wish to be represented there.

19 In the interest of expediting the sequence and
20 allowing everyone to be heard, I'd like to ask the nine
21 individuals, who are on our agenda as scheduled to speak, to come
22 down into this area on the side, if they would, so that they can
23 come up to the microphone at the time we announce them.

24 They are currently Karen Vanderhoof-Forschner,
25 Norman Latov -- I hope that I'm not butchering anyone's name. I

1 apologize if I am -- Mark Geier, David Geier, Stephen Sheller,
2 Lonnie Skall -- Lonnie Skall has canceled -- Kathy Shepanski, Pat
3 Smith, and Jenny Marra.

4 So thank you very much to those individuals for
5 accommodating us.

6 I would like to now call on Patricia Rohan, who is
7 already at the microphone -- thank you -- for a committee update
8 on the GSK Lyme disease vaccine.

9 Dr. Rohan.

10 DR. ROHAN: Good afternoon and as Dr. Daum
11 mentioned, I would like to briefly update the committee on the
12 status of LYMERix, Lyme disease vaccine. You may be aware that
13 LYMERix was voluntarily withdrawn from sale earlier this year.
14 The sponsor, GlaxoSmithKline, halted distribution and made their
15 announcement in February 2002, citing poor sales as the reason
16 for this decision.

17 GlaxoSmithKline further recommended that no
18 additional vaccinations be administered, particularly for those
19 considering initiation of the three-dose vaccination series.

20 Clinical trial vaccination was ended. The
21 information was disseminated in a series of letters to doctors,
22 to investigators, and to distributors. There was a mechanism
23 provided for refund for returned vaccine.

24 I'd like to turn now, for a moment, to update you
25 on the status of the Phase IV safety study for LYMERix. This is

1 based on an interim report, not an interim analysis.

2 As you may recall, may or may not recall, the
3 overall goal was to detect rare, but significant adverse events
4 that are associated with product use that may not be recognized
5 in studies of the sizes typical for pre-licensure studies.

6 In the original plan, there were 25,000 adults who
7 would be aged and gender matched to 75,000 unexposed controls
8 accrued over a two year period at the Harvard Pilgrim Health Care
9 HMO.

10 Events are identified using ICD-9 billing codes
11 and include both ambulatory and in-patient claims data.

12 Outcomes are confirmed by blinded review. Record
13 review is completed by the appropriate sub-specialist and
14 established diagnostic criteria are used where applicable.

15 The incidence of predefined adverse events in the
16 exposed cohort are compared to the incidence in the unexposed
17 cohort.

18 The primary endpoint for the study is new onset
19 inflammatory arthropathy, and other endpoints include selected
20 neurologic disorders, Lyme disease, rheumatoid arthritis,
21 allergic events, hospitalization, and death.

22 Due to low accrual rate, additional HMO sites were
23 added late in 2001. These include the Tufts HMO System in New
24 England and Health Partners located in the upper Midwest.

25 In addition, the accrual period was extended to

1 three years.

2 This is a recap of the accrual at various time
3 points. The initial planned accrual of 25,000 vaccinees. After
4 nearly two years, 2,568 vaccinees, just a little over ten percent
5 of what had been anticipated, and with the additional activities
6 that I have just mentioned, there are now 7,643 vaccinees with
7 unexposed matched controls.

8 This is a breakdown of those 7,643 vaccinees and
9 their controls by site. So you can see that the Harvard Pilgrim
10 Health Care System has continued to accrue over a thousand
11 subjects in a little over the last year, year-and-a-half. The
12 Tufts System and Health Partners are each now contributing close
13 to 2,000 vaccinees.

14 Now for those subjects accrued to date, we have
15 the ICD-9 codes, the events that have occurred since the time of
16 their first LYMERix vaccine onward. There are 847
17 musculoskeletal events that have been reported in the vaccinees
18 and 2,063 in the unexposed.

19 There are various levels of review that these
20 events then go through. The first level by registered nurse
21 takes out many events, primarily trauma and injury, which is the
22 bulk of musculoskeletal events, and then goes to a second level
23 of review by a rheumatology fellow.

24 After that level, possible inflammatory
25 arthropathy is then sent on to a rheumatologist, who uses an

1 adjudication form to determine new onset inflammatory
2 arthropathy.

3 As you can see, there are seven new onset
4 inflammatory arthropathy cases in vaccinees and 15 in the
5 unexposed as of the eighth quarterly report, which was submitted
6 to us early this year.

7 I also wanted to point out that the other numbers
8 that you see on the table, except for the bottom row, these are
9 events not people. The bottom row is how many people with those
10 events. So it is hard to make a direct comparison at this point.

11

12 In conclusion, GlaxoSmithKline has committed to
13 full safety follow-up for all ongoing adults and pediatric
14 clinical trials. They will also complete the Phase IV post-
15 marketing study with full four year post-vaccination follow-up.
16 That is slated to be completed in the year 2006.

17 In the meantime, we will continue to monitor IND
18 and VAERS reports for safety issues.

19 Thank you for your attention.

20 CHAIRMAN DAUM: Thank you.

21 Are there committee questions for Dr. Rohan?

22 Clarification issues?

23 (No response.)

24 CHAIRMAN DAUM: Dr. Rohan, we thank you very
25 kindly.

1 We will now turn to the open public hearing
2 portion of this session. There are now, as I understand things,
3 eight individuals who have requested time to speak. I'd like to
4 ask them each to limit their comments to five minutes. We will
5 time them and provide input for you by this little traffic light
6 device sitting on top of the projector which will turn green when
7 you start, orange after you have spoken for four minutes, and red
8 after you have spoken for five minutes.

9 And I thank you very much for cooperating. We
10 look forward to hearing your comments. In advance, collectively,
11 we thank you very much for taking the time to come today.

12 Ms. Karen Vanderhoof-Forschner. I hope I'm
13 pronouncing your name correctly.

14 MS. KAREN VANDERHOOF-FORSCHNER: Yes, that's fine.

15 CHAIRMAN DAUM: I apologize if I'm not.

16 MS. KAREN VANDERHOOF-FORSCHNER: Thank you.

17 Okay. I'm Karen Vanderhoof-Forschner, President
18 and Chairman of the Board of Directors of the Lyme Disease
19 Foundation, or LDF, established in 1988.

20 The LDF is the only nonprofit meeting federal
21 standards as a national nonprofit. We represent millions of
22 people across the country and have a database of 85,000
23 supporters, that includes family researchers, business people,
24 and government employees.

25 We have held 16 international scientific

1 conferences; have state and federal public policy programs;
2 coordinate a network of task forces and support groups; and have
3 funded research programs that resulted in a 130 publications.

4 All members of my family, including my pets, are
5 fully current in our vaccinations. Every year, I voluntarily
6 take the flu vaccine and I have recently taken the pneumonia
7 vaccine.

8 I am keenly aware that this committee takes
9 seriously its duty to weigh the risks and benefits of each
10 vaccine based on scientific data and the public need.

11 Today I am here in a continuing role to keep you
12 informed of additional science relating to the safety and
13 efficacy of the Lyme vaccine. I have given you a packet today,
14 like this one, because I have no doubt that you will see the
15 LYMERix vaccine back in the marketplace with the current or a
16 different manufacturer for adults and pediatrics.

17 In this packet you will see, on the top, a patent
18 for a safer Lyme vaccine. This is the United States version of
19 the safer vaccine as compared to the current OspA vaccine filed
20 in March of 2000, ten months before this committee held its
21 special Lyme disease vaccines last January.

22 I have discussed these patents with the Advisory
23 Committee members who felt that their recommendations would have
24 been significantly different if they were aware of this and other
25 material that I had given your committee last November.

1 Also in this packet is a 1997 memo to the Lyme
2 Disease Foundation from SmithKline Beecham guaranteeing us that
3 anyone who tested positive for Lyme disease would be excluded
4 from the trial, and nobody would be included in the trial unless
5 they had had their test run beforehand. This was not true at
6 that time according to FDA documents and corporate documents.

7 Why will the public and scientists not necessarily
8 believe the VAERS data that has been presented here today?
9 Probably because science indicating opposite scientific
10 conclusions are not presented at the same time or at other
11 governmental forums, yet have been presented.

12 Because of the personnel from the CDC, which is
13 Ned Hayes and Dave Dennis, have a perceived conflict of interest
14 because they sat on SmithKline Beecham's private data safety
15 monitoring committee and were at the same time in charge of the
16 CDC's working advisory committee, working group on the ACIP's
17 recommendations for use of the vaccine, people will wonder if
18 this data is tainted.

19 Indeed, in this packet, you will notice five of
20 the nine people on the ACIP working group had conflicts of
21 interest.

22 One was an employee of one of the Lyme disease
23 vaccine manufacturers, and the other was a private consultant to
24 a second Lyme vaccine manufacturer.

25 And at least one of the CDC individuals in this

1 that was a consultant to SmithKline Beecham was also involved in
2 the VAERS analysis, leading to a public perception of a conflict
3 of interest.

4 After my presentation, you will hear Lyme disease
5 adverse event data from the LYMERix vaccine by Dr. Mark Geier, a
6 world renowned VAERS data analysis expert. He will be followed
7 by Dr. Norman Latov, a world renowned expert in peripheral
8 neuropathy from Cornell, New York. And then, David Geier,
9 regarding VAERS data analysis.

10 At a future date, we will be here to present
11 research that directly questions the validity of the Western blot
12 data used to determine which vaccinees did or did not get
13 protection from the Lyme vaccine.

14 Thank you very much.

15 CHAIRMAN DAUM: Thank you very much, Ms.
16 Vanderhoof-Forschner.

17 I show next Dr. Norman Latov; is that correct
18 sequence? Okay.

19 Thank you, Dr. Latov, and welcome.

20 DR. LATOV: I'd like to bring to the committee's
21 attention the occurrence of neurological sequelae following Lyme
22 vaccination.

23 In the past several months, we have seen several
24 patient who developed neurological impairment after the vaccine.

25 Four patients had a demyelinating peripheral neuropathy

1 documented by EMG and nerve conduction studies. Three had
2 cognitive impairment with leukoencephalopathy and multiple white
3 matter lesions in their MRI's. One had both neuropathy and
4 leukoencephalopathy.

5 The syndromes are strikingly similar to those seen
6 in patients with chronic Lyme disease who have had active
7 infection in the past and treated.

8 In most of the patients the symptoms were presumed
9 to be due to arthritis. Prior to a neurological evaluation the
10 correct diagnosis was initially missed. So I think there are
11 more patients such as these, but they really have not been
12 evaluated properly.

13 By sequence analysis, the OspA protein in the
14 LYMERix vaccine has three regions of homology, each consisting of
15 six amino acids corresponding to brain cDNA sequences in the
16 GENBANK database.

17 In addition, a human genomic database search
18 revealed 16 additional regions of homology of six amino acids or
19 more corresponding to genomic sequences. The observation
20 suggests that the LYMERix vaccine may have induced an autoimmune
21 reaction to a cross-reactive neural protein in the central or
22 peripheral nervous system, resulting in neurological disease.

23 A similar autoimmune reactivity induced by
24 infection might be responsible, in part, for the neurological
25 manifestation of chronic Lyme disease.

1 Patients administered the LYMERix vaccine and
2 their physicians need to be informed as to the possible
3 development of neurological sequelae and be properly evaluated if
4 the symptoms are present. It would also be important to examine
5 patients vaccinated with the vaccine, who develop neurological
6 disease, to determine whether they have T or B cell reactivity to
7 the cross-reactive epitopes.

8 In addition, there is a need for studies to
9 determine how to best treat these patients as they might have
10 both an autoimmune disease and ongoing infection in some cases.

11 Thank you.

12 CHAIRMAN DAUM: Thank you very much, Dr. Latov.

13 DR. KATZ: Could we have a question?

14 CHAIRMAN DAUM: We don't usually do that, but we
15 have time for one quick question sure.

16 DR. KATZ: Can you tell us did these patients
17 developed their symptoms after the second dose, the third dose,
18 or how long afterwards? Can you give us any time sequences at
19 all?

20 DR. LATOV: Three of the patients developed
21 symptoms acutely after the third vaccine which is a year after
22 the first, with a severe flu-like syndrome, followed by weakness,
23 paraesthesia, et cetera.

24 A couple developed after the second dose, never
25 received a third dose. One patient started noticing mild

1 symptoms after the first dose and then progressed thereafter.

2 So it is variable, but the ones with the most
3 striking onset were after the third dose.

4 DR. SAMUEL KATZ: Thank you, very much.

5 DR. LATOV: Yeah.

6 CHAIRMAN DAUM: Thank you, Dr. Latov.

7 Dr. Mark Geier. Again I hope I am saying names
8 correctly.

9 DR. MARK GEIER: I'm Dr. Mark Geier of the Genetic
10 Centers of America.

11 I have spent the last 15 or 20 years working on
12 adverse events in vaccines, and I would like to present a little
13 data from a paper that we have recently had accepted for
14 publication in a peer-reviewed journal.

15 Basically, we studied in the VAERS database. We
16 compared the adverse reactions to those receiving TD vaccine,
17 which is a vaccine that has been found to be causally associated
18 with peripheral neuropathies by the National Academy of Sciences.

19 And we also compared adverse reactions to LYMERix with the MMR
20 vaccine, with the Rubella vaccine having been found, again by the
21 National Academy of Sciences, to cause acute and chronic
22 arthritis.

23 Next slide, please.

24 We did this to control for various things that
25 need to be controlled for in the study of the VAERS. We found

1 that when you compared TD vaccine to Lyme vaccine, there was a
2 tremendously significant, and clinically significant, increase in
3 the rate of total reactions, ER visits, life threatening
4 reactions, hospitalizations, and disabilities, and an increase in
5 death, but it wasn't large enough to be statistically
6 significant.

7 Next slide.

8 When we looked at the severe adverse reactions
9 comparing with TD and LYMERix, we found that there was a
10 statistical increase in arthritis; chronic arthritis as defined
11 by arthritis still around one year later since VAERS follows up
12 at a year; neuropathy, chronic neuropathy; convulsions which were
13 not significant; thrombocytopenia; lymphadenopathy; hair loss;
14 and the whole list that is up here. Because of time, I will just
15 show it you.

16 But basically, arthritis and neurological
17 disorders were clearly compared to a vaccine that everybody
18 admits causes some neurological disorders.

19 When we compared LYMERix to the rubella vaccine,
20 looking specifically at arthritis, both chronic and acute, we
21 found a very large increase of arthritis of both kinds compared
22 to the rubella. And remember, rubella is a vaccine that again is
23 widely accepted as causing in itself chronic and permanent
24 arthritis.

25 So we found that it is very remarkable that this

1 vaccine caused significantly more than a vaccine that we know
2 causes arthritis.

3 We looked at a paper, this paper that is listed
4 here. These authors looked at and found similar numbers to what
5 we found, but they concluded that the LYMERix was generally well
6 tolerated and that there were no, or very few, unexpected
7 reactions. It all depends on what you expect.

8 I mean, here is a vaccine that causes tremendously
9 higher rates of neurological reactions and chronic and acute
10 arthritis than vaccines that are admitted to cause those things.

11 And yet these were considered to be generally well tolerated.

12 Our data and our analysis of our data does not
13 show them to be well tolerated at all, but rather very poorly
14 tolerated.

15 The question to ask is how could they find that
16 they were generally well-tolerated. I think that is just a point
17 of view. I mean, they didn't cause anything that hadn't been
18 seen in the studies, I guess.

19 But I think that our recommendation for current
20 LYMERix vaccine is that either it shouldn't be reintroduced or if
21 it is reintroduced it should be recommended only in cases where
22 there is a very high rate of Lyme in the area and then only with
23 informed consent. That is, the patients and the doctors have to
24 be aware that there are high rates of adverse reactions, some of
25 them very severe.

1 In addition, they have to be aware that there is
2 treatment for Lyme. Lyme, although it is a bad disease, responds
3 very well to antibiotics if they are given in a timely fashion
4 and given in the correct amounts.

5 Our better recommendation is that we wait and
6 introduce a better vaccine that doesn't have so many adverse
7 reactions.

8 As a final statement, I would like to point out
9 that I am strongly pro-vaccine. I just am interested in the
10 improvement and in full disclosure of vaccine problems.

11 Thank you.

12 CHAIRMAN DAUM: We thank you.

13 David Geier, is our next speaker.

14 MR. DAVID GEIER: My name is David Geier and I'm
15 President of Medcon, which is a company that analyzes adverse
16 reactions to vaccines.

17 I don't have a conflict of interest in this.

18 What I am going to present to you briefly here is
19 about epidemiology of the Vaccine Adverse Event Reporting System,
20 or VAERS database. This is in light of what my dad just
21 presented before.

22 As what you know, CDC has maintained VAERS since
23 1990. Adverse reactions are required to be reported to this
24 database as commanded by U.S. law despite claims by other people.

25

1 Additionally, the CDC requires written telephonic
2 communication of these reactions. The CDC additionally follows
3 up these reactions to determine whether patients recovered from
4 their reactions or not.

5 This is what we classify as chronic reaction,
6 those patients who haven't recovered at one year following
7 vaccination from their adverse reaction.

8 Additionally, the VAERS working group analyzes and
9 publishes epidemiological studies based on VAERS. And Mark Geier
10 and myself have published more than 20 articles in peer-reviewed
11 medical journals analyzing VAERS for the types of adverse
12 reactions he described following Lyme vaccine.

13 Additionally, VAERS working group reported how
14 useful VAERS is.

15 Next slide.

16 VAERS database includes important information. It
17 is listed up there. Of particular interests are the co-starts.
18 These list the adverse reactions that were reported following
19 vaccinations.

20 Additionally, it gives information about which
21 vaccine was attributed to the adverse reaction that was reported
22 and what year.

23 What we have done that is new is that we have used
24 Microsoft Access, a relational database, to assemble the whole
25 VAERS so we can analyze it at one time with one search. So we

1 can analyze any of the fields that are found in VAERS.

2 Additionally, we used biological surveillance
3 summaries compiled by the CDC to calculate the incidence rates
4 of adverse reactions.

5 When doing that the question arises what does that
6 mean because VAERS is complicated by under-reporting and
7 erroneous reporting. So we have used vaccine control groups in
8 order to alleviate this.

9 A vaccine control group is a vaccine administered
10 to a similar age population as the vaccine under study.

11 So our hypothesis is that an unbiased search of
12 VAERS database should yield non-statistically significant
13 differences in the incident rates of adverse reactions
14 administered to a similar age population because the inherent
15 limitations in VAERS should apply equally to both vaccines as
16 well as the biological surveillance summaries. Their limitations
17 should apply equally to each vaccine.

18 Some of the terms that you saw in the slides
19 previously presented on VAERS: we use relative risk. That is
20 the vaccine under study by the control vaccine.

21 Trivial risk is just subtracting one from the
22 relative risk.

23 Percent association is dividing the relative risk
24 by the relative risk plus one.

25 The overall importance of these statistical kind

1 of calculations is that we believe that if you have an adverse
2 reaction, following a vaccine, in comparison to a control group
3 with the percent association greater than or equal to 67 percent
4 or relative risk greater than or equal to two or trivial risk
5 greater than or equal to one, and that additionally these
6 criteria are listed, that it's medically plausible for a
7 component of the vaccine to cause the injury alleged; that the
8 association between the vaccine and the alleged injuries was
9 reported in the peer-reviewed literature; and the vaccinee
10 suffered an injury which is medically accepted as a possible
11 reaction; and that the injury occurred within a medically
12 accepted time period; and the alternate causes were considered
13 but otherwise limited; then more likely than not you can say the
14 vaccine caused the alleged injury.

15 And as with the case with Lyme, we believe that
16 each of these criteria has now been met.

17 Thanks.

18 CHAIRMAN DAUM: Well, thank you very much.

19 Stephen Sheller, please.

20 MR. BROOKS: Thank you, my name is Albert Brooks.

21 I'm an associate of Mr. Sheller. Mr. Sheller has become
22 unavailable and asked me to appear in his stead.

23 Chairman Daum, members of the committee, I want to
24 thank you for the opportunity to speak here today.

25 I must express a bit of puzzlement at why we're

1 here. It is so late in the afternoon at this time and this place
2 given no questions being posed to the Advisory Committee and
3 given the FDA's presentation.

4 But I do want to echo what has been said earlier
5 and point out that this vaccine, from what I can see -- and we
6 have been contacted. We are attorneys in Philadelphia -- we have
7 been contacted by well over 500 people now who have experienced
8 arthritis, general Lyme disease-like symptoms, and very, very
9 serious neurological conditions, such as those described by Dr.
10 Latov, including one patient with acute transverse myelitis who
11 is now on a trach tube and a feeding tube and will in all
12 likelihood be dead soon after vaccination with LYMERix, and that
13 occurred within a week of her second vaccination.

14 This vaccine is really a cautionary tale about
15 what happens when qualified recommendations for approval are
16 made.

17 In 1998, there were a number of safety concerns
18 that were expressed by the committee, albeit with a
19 recommendation for approval.

20 In January of 2001, many of those concerns were
21 revisited and the committee stated, by and large, that many of
22 those safety questions had not yet been resolved, given two years
23 of marketing.

24 The committee did make several recommendations
25 about what should be done, many involving the dissemination of

1 information to the public and to doctors about the ongoing safety
2 questions.

3 In that past year, as far as I can tell, none of
4 that has been done. Information has still not gotten to the
5 public. And there is more information that has come out since
6 then.

7 We have the information from Dr. Latov. We have
8 the development in the VAERS reporting system. And we also know
9 that the FDA is looking at the VAERS Reports, albeit in a very
10 limited way, specifically with arthritis.

11 They have, as of November when they presented an
12 abstract to the Rheumatology Convention, gathered records on 31
13 people who had full sets of records and arthritis complaints.
14 Fourteen of those had physician-diagnosed onset of arthritis and
15 that is a very strict definition.

16 Seven of those 14 could not otherwise be explained
17 by preexisting conditions, family history, predisposition to
18 autoimmune-related conditions and these are of quite a bit of
19 concern to us.

20 Most importantly we have the vaccine being
21 withdrawn from the market, suddenly, and at the very beginning of
22 what is believed to be the tick season in most of the Lyme
23 endemic areas, which raises substantial questions about the
24 safety profile of this vaccine.

25 I think it is strains credibility for the

1 manufacturer to maintain that it is being withdrawn because of
2 poor sales due to lack of demand, especially in light of the
3 CDC's recent analysis that the incidence of Lyme disease is
4 higher than ever.

5 The reason there are poor sales -- and I think
6 that poor uptake into the Phase IV study demonstrates that there
7 have been poor sales -- is because this vaccine is not a good
8 vaccine and it is hurting people.

9 And many people are reporting adverse reactions.
10 The numbers reported to VAERS are remarkable, and they are only
11 the tip of the iceberg.

12 I have talked to people who have gone for months
13 after vaccination not realizing that arthritis is possibly
14 related. Therefore, they never associated their arthritis with
15 the vaccine.

16 I have talked to people who have said they are 40
17 and they feel like they are going on 80. People who say they
18 felt like they were just getting old; that's a 35 year-old. I
19 have talked to a client, who was a vice president of a major
20 manufacturing company of clothing, a multi-million dollar salary
21 per year, who is no longer able to work; would hold meetings in
22 the afternoon and the next morning forget that the meetings
23 occurred. She has now a lupus-like condition. I have talked to
24 several people like that.

25 It is not enough for the vaccine to have been

1 voluntarily withdrawn and the Phase IV study is not enough. We
2 are calling on the FDA to actively solicit information from
3 doctors and the public regarding arthritis and the more
4 generalized Lyme disease conditions, as well as neurological
5 symptoms, to really build a meaningful database.

6 It's not acceptable that this vaccine can come on
7 the market for two years, be withdrawn, and leave injured people
8 in its wake with really no answers.

9 The public health demands an answer. People who
10 have been injured demand an answer. The treatment of people who
11 have been injured and the treating physician's ability to treat
12 those people demand an answer.

13 That is what we are requesting at this point. And
14 we certainly hope that the disappearance of the vaccine from the
15 market will not also bring with it a disappearance of an
16 investigation of these conditions.

17 Thank you.

18 CHAIRMAN DAUM: Thank you very much.

19 Kathy Shepanski.

20 MS. SHEPANSKI: I'm Kathy Shepanski and I am a
21 LYMERix victim. I received --

22 CHAIRMAN DAUM: Ms. Shepanski, excuse me. Can you
23 speak right into the mic?

24 MS. KATHY SHEPANSKI: Oh, I'm sorry.

25 CHAIRMAN DAUM: Thank you. We want to be able to

1 hear you.

2 MS. KATHY SHEPANSKI: All right. I'm a LYMERix
3 victim. I received one shot in May of 1999, May 4th. By May 6,
4 I was starting to feel like I had the flu and that was the only
5 reaction that anyone told me that I would get.

6 I became very ill. I did not receive the second
7 shot because they were deciding whether they were going to put me
8 in the hospital on the day of my second shot.

9 I have been to many doctors that they don't even
10 want to hear what you have to say.

11 Finally, I have gotten to a doctor that has
12 diagnosed me with Epstein-Barr and rheumatoid arthritis. That's
13 where I am.

14 I was perfectly healthy before LYMERix shot and
15 now I'm not.

16 Thank you.

17 CHAIRMAN DAUM: Thank you very much, Ms.
18 Shepanski. We hope you recover as quickly as possible.

19 Is Pat Smith here, please?

20 MS. SMITH: Thank you, Mr. Chairman and members of
21 the committee.

22 My name is Pat Smith. I'm President of the Lyme
23 Disease Association, an all volunteer association with five
24 nationwide affiliates. It consists of patients and families of
25 patients.

1 The LDA has provided funding for research from
2 coast to coast, some published in peer-reviewed journals
3 including JAMA.

4 Along with our Greenwich affiliate, we were
5 recently honored at a luncheon by Columbia University for
6 partnering with them in the establishment of an endowed chronic
7 Lyme disease research center at Columbia.

8 We also co-sponsored a fully accredited medical
9 conference for physicians with Columbia.

10 Working with legislators, we have developed a bill
11 in Congress, H.R. 1254, which will provide \$125 million for Lyme
12 disease research, prevention, and physician education.

13 The association provided testimony to this
14 committee in January of 2001 seeking a moratorium on the vaccine,
15 but we felt that no action was taken by the FDA. And to that end,
16 in January 2002, the LDA had a private meeting with the FDA's
17 Center for Biologics Evaluation and Research, CBER. We brought
18 along several experts to discuss the vaccine issue with FDA
19 officials, including Karen Midthun, Susan Ellenberg, Peter
20 Beckerman, Norman Baylor, Miles Braun, and Robert Ball.

21 It is my understanding that the committee has not
22 received an update on that meeting, and I would like to present a
23 quick update.

24 We presented Dr. Donald Marks, M.D., Ph.D., former
25 Lab Director of Connaught, 14 years of clinical research and

1 regulatory affairs experience in the pharmaceutical industry,
2 including Director of Clinical Research in charge of the Lyme
3 disease vaccine program at Aventis Pasteur; presented to the FDA
4 and he was the leader of a competitive effort to manufacture a
5 virtually identical vaccine.

6 Currently, his focus is diagnosis of adverse
7 events from medications, vaccines, biologicals and medical
8 devices. The LYMERix associated cases he reviewed included:
9 arthralgias and arthritis, as well as complicated neurological
10 problems, and include adverse events that are long lasting.

11 A summary of Dr. Marks' presentation follows.

12 Why more adverse events were seen after the
13 vaccine reached the market? People receiving LYMERix after
14 product launch lived in Lyme endemic areas. Many people may have
15 had prior exposure and clinical or subclinical infection. In
16 these cases, LYMERix could be triggering or reactivating the
17 damage caused by old and presumably cured Lyme disease.

18 Pattern of symptoms experienced after LYMERix
19 mimic patterns of prior infections in many individuals. In these
20 patients, LYMERix-related symptoms seem to respond to antibiotics
21 as did the initial infection, bolstering the theory of disease
22 reactivation.

23 Issues which confuse the vaccine picture. As
24 proof of safety, the company inoculated arthritis-prone mice with
25 OspA. But since the mice did not possess the HLA marker known to

1 interact with OspA in humans, this rendered the experiment
2 meaningless.

3 The company masked serious causally-related
4 adverse events behind qualifiers, such as, quote, and which may
5 have no causal relationship with the vaccine, unquote, and,
6 quote, cannot be distinguished from the natural history of the
7 underlying disease, unquote.

8 The company says that the possibility of severe
9 rheumatological neurologic autoimmune adverse events is inherent
10 in Lyme disease, attempting to shift the blame onto the patient
11 and their illness, but does not inform physicians that the same
12 adverse events can be separately caused by the vaccine, in
13 addition to the symptoms of an underlying disease.

14 As a result of these actions, general
15 practitioners in the U.S. were kept in the dark about the life-
16 threatening side effects of LYMERix. Some basic problems: non-
17 specific hyperactivation of the immune system, often evidenced
18 through swollen hands or arthritis is an adverse event associated
19 with LYMERix. This may be due to the presence of adjuvant.

20 This hyperactivation creates "dirty" Western blots
21 in which multiple Lyme disease bands appear whether the
22 individual has Lyme disease or not. The dirty banding makes it
23 impossible for physicians to differentiate between LYMERix
24 vaccination, new infection, or reactivation.

25 The net result is that cases of Lyme disease will

1 go undiagnosed and untreated. Adverse reactions to LYMERix will
2 be misdiagnosed as Lyme disease and people will be unnecessarily
3 treated with antibiotics.

4 The vaccine manufacturer provides no warning to
5 these possibilities.

6 The intention of FDA regulations is to provide a
7 vaccine that is safe and effective. The intention of prescribing
8 regulations is to provide sufficient information to prescribing
9 physicians to enable safe and effective use of the vaccine. In
10 both regards, SKB's actions appear to be contrary to FDA
11 regulation and intentions and contrary to accepted standards
12 within the vaccine industry.

13 The cases he examined, four of four neurologicals
14 that he felt were related; 15 of seven rheumatologicals.

15 And just in conclusion, I would just say that I do
16 have a copy of the remainder of my talk and I would ask that the
17 committee does not drop this. We do not want this vaccine to be
18 re-marketed or a similar vaccine without studies being finished.

19 Thank you.

20 CHAIRMAN DAUM: Thank you very much, Ms. Marra or
21 Ms. Smith. Excuse me. I get confused.

22 Ms. Marra is the next and last scheduled speaker.

23 Ms. Marra.

24 MS. JENNY MARRA: My name is Jenny Marra and I'm a
25 Hospice nurse from New Jersey and I am a vaccine victim.

1 Some may remember me from January 31st meeting of
2 2001. I was here with a lot of different people that I have met
3 that were also hurt by this vaccine.

4 I don't have the gumption; I don't have the health
5 that I had then.

6 I want to put a face on these numbers that you are
7 looking at. My life has been destroyed, completely. I have an
8 elbow as big as a knee.

9 The symptoms came on a week after the first
10 injection. The doctors, I have seen 17 of them. Three know
11 about LYMERix and the problems it is causing. The others don't.

12
13 I have been diagnosed with fibromyalgia and
14 depression. My husband is the same way. We both have
15 fibromyalgia and depression at the same time. According to him,
16 this is possible.

17 I don't understand. I am hearing from the VAERS
18 investigation. I did the telephone investigation from the FDA.
19 I don't understand the numbers, that they're not finding a
20 connection.

21 When I know personally 133 people that have had
22 this vaccine and that are hurt and out of them 121 of them are
23 just like me, and we can't get help.

24 So if you can't find this connection, we're never
25 going to have doctors to be able to find -- I'm not even looking

1 for a cure. I just want treatment. I need -- I need help. I am
2 in pain and I need help.

3 And I want to know if you people care. Do you?

4 You are the FDA. At times you could trust what
5 you put on the market. I tell you what. I wouldn't touch
6 anything that you put on the market anymore, not from what I've
7 learned since taking this vaccine.

8 And I'm a nurse. I'm a nurse. I'm 43 years old
9 and I'm going on 80 and I'm not alone. There's thousands of
10 people just like me. Why can't you find the connection? I don't
11 understand.

12 I'm not allowed to ask questions, am I?

13 CHAIRMAN DAUM: You're welcome to ask them. And
14 we'll --

15 MS. JENNY MARRA: Can you answer me?

16 CHAIRMAN DAUM: I don't know.

17 MS. JENNY MARRA: Yeah, I did not think so.

18 I am in touch with several people in the FDA that
19 are -- you have non-medical people doing these investigations on
20 the phone. Have you once physically examined any of us?

21 We have doctors. They have no idea what's going
22 on with us. All of us. I mean, I know 121 people just like me,
23 with the same symptoms, the same problems.

24 You know, I have memory loss. I can't even use my
25 right hand right now because I drove here yesterday from New

1 Jersey. You know, there's so many people like me. You can't,
2 you know, you can't -- for one, I keep hearing people talk about
3 them putting it back on the market, God forbid. You know, enough
4 people have been hurt.

5 I also heard that SmithKline is following up on
6 the people that were in the studies. I know 11 people that were
7 in those studies and they have been trying and trying to get
8 SmithKline to help them, as they promised, and they are doing
9 nothing. They are shutting them out. They are shutting the
10 doors. It's over. It's over.

11 I just ask -- you know, I don't even know what I'm
12 asking, except for help. I need help. I can't live on steroids.

13 It is the only thing that does give us some relief when it
14 flares up.

15 But I can barely walk mornings. Today I'm lucky.

16 I only have loss use in my hand. Half the time it's my arms. I
17 have memory loss. My husband is worse than I am. And I have a
18 son that I can't play with anymore.

19 CHAIRMAN DAUM: Thank you, Ms. Marra, for sharing
20 your touching story with us. We wish you a speedy recovery, as
21 well.

22 I think we want to reassure you that the committee
23 remains concerned about the safety and the efficacy of all the
24 vaccines we discuss here and will continue to do so.

25 Is there anyone else that would like to address

1 the committee at this time as part of the open public hearing?

2 (No response.)

3 CHAIRMAN DAUM: Ms. Fisher, did you want to make a
4 comment before we close?

5 MS. FISHER: Yes. I had wanted to ask a question
6 to the FDA, but didn't get my hand up in time when you were
7 looking around.

8 I just, you know, I think it is very important for
9 us to take seriously patterns. And that's what Ms. Marra was
10 trying to communicate. We see this often.

11 Certainly at the National Vaccine Information
12 Center, we see many patterns with the reactions that are being
13 reported. I certainly hope that we will follow up on those
14 patterns.

15 But I would like to ask the FDA: now that LYMERix
16 has been voluntarily withdrawn from the market by the
17 manufacturer, what is the process for LYMERix to be reintroduced
18 in the U.S.?

19 Specifically, can this vaccine be reintroduced and
20 used in children, without supporting data first being presented
21 to this committee for a vote on safety and efficacy?

22 CHAIRMAN DAUM: Okay. Thank you.

23 Let's ask someone from the agency to respond.

24 Thank you, Dr. Midthun.

25 DR. MIDTHUN: This vaccine has never had an

1 indication for a pediatric use, and so if that scenario were to
2 ensue, clearly that indication would have to be sought.

3 As you know, we routinely bring these types of
4 things to the Advisory Committee for your input.

5 CHAIRMAN DAUM: Thank you, Dr. Midthun.

6 And again thanks to the people who took the time,
7 and effort, and energy to come to address up today. We assure
8 you we will take your comments seriously and under advisement.

9 With that, I declare the meeting adjourned.

10 (Whereupon, at 3:35 p.m., the meeting was
11 concluded.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1
2
3
4