

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE  
OPEN SESSION  
Meeting #32

Friday, May 10, 2002

8:10 a.m.

Hilton Hotel  
Gaithersburg, Maryland

## PARTICIPANTS

Daniel R. Salomon, M.D., Acting Chair  
Gail Dapolito, Executive Secretary

## MEMBERS

Katherine A. High, M.D.  
Richard C. Mulligan, Ph.D.  
Mahendra S. Rao, M.D., Ph.D.  
Alice J. Wolfson, J.D. (Consumer  
Representative)

## TEMPORARY VOTING MEMBERS

Martin Dym, M.D.  
Jon W. Gordon, M.D., Ph.D.  
Thomas F. Murray, Ph.D.  
Terence Flotte, M.D.  
Eric T. Juengst, Ph.D.  
R. Jude Samulski, Ph.D.

## GUESTS/GUEST SPEAKERS

Valder Arruda, M.D., Ph.D.  
Linda Couto, Ph.D.  
Mark Kay, M.D.  
Stephen M. Rose, Ph.D.

## FDA PARTICIPANTS

Jay P. Siegel, M.D.  
Philip D. Noguchi, M.D.  
Daniel Takefman, Ph.D.  
Anne Pilaro, Ph.D.

## C O N T E N T S

	PAGE
Welcome/Administrative Remarks Dr. Daniel Salomon, Acting Chair	4
Introduction of Committee	5
Conflict of Interest Statement Gail Dapolito, Executive Secretary	8
FDA Introduction Potential for Inadvertent Germline Transmission of Gene Transfer Vectors: FDA Approach for Patient Follow Up Daniel Takefman, Ph.D.	13
Guest Presentations AAV Vector Biology, Jude Samulski, Ph.D.	23
Questions and Answers	46
Germline Transmission by Gene Transfer Vectors: Assessing the Risk Jon Gordon, M.D., Ph.D.	61
Questions and Answers	84
A Phase I Trial of AAV-Mediated Liver-Directed Gene Therapy for Hemophilia B Mark Kay, M.D., Ph.D.	98
Safety Studies to Support Intrahepatic Delivery of AAV, Linda Couto, Ph.D.	116
Assessing the Risk of Germline Transmission of AAV in a Rabbit Model Valder Arruda, M.D.	130
Questions and Answers	144
Open Public Hearing Mr. Steven Humes National Hemophilia Foundation	177
Dr James Johnson, Patient	184
Dr. Kenneth Chahine, Avigen	190
Committee Discussion of Questions	197

## 1 P R O C E E D I N G S

## 2 Opening Remarks

3 DR. SALOMON: Good morning, everybody.  
4 Welcome to day two of the Biological Response  
5 Modifiers Advisory Committee Meeting No. 32. I  
6 guess we should call it 32B. We have got a title.  
7 I have been complaining and I finally got what I  
8 wanted a title for these meetings. This one, this  
9 is good - Vector Pellucida 2002. Not my title,  
10 but, you know, you can't criticize it, I got what I  
11 wanted. Thank you.

12 So, welcome everybody. Today we have  
13 changed the scenery around the table quite a bit.  
14 So, to get reoriented, I think we should go back  
15 around again this time and introduce ourselves, so  
16 that both the audience, as well as each other, has  
17 a little sense of who we are and what we are doing.

18 Just if you can introduce yourself, we  
19 will just go around the table and give a few  
20 sentences on where you are from and what you do,  
21 what kind of expertise you bring.

22 In front of you is a button on the thing.  
23 It says speaker. If you push it, it turns red.  
24 Talk, and then when you are done, turn it off.  
25 Otherwise, there is a funny feedback. So if I am

1 ever looking at you, gesturing, it means to turn it  
2 off. It is one of my big duties.

3 Introduction of Committee

4 DR. DYM: Martin Dym, Georgetown  
5 University. I worked on the testis and  
6 specifically on spermatogonia, which are the male  
7 germline stem cells.

8 DR. FLOTTE: I am Terry Flotte from the  
9 University of Florida. We have been working on AAV  
10 biology, AAV vectors and AAV gene therapy.

11 DR. JUENGST: I am Eric Juengst. I am in  
12 the Department of Bioethics at Case Western Reserve  
13 University and recently rotated off the RAC is  
14 where my last connection with these issues.

15 DR. MURRAY: I am Tom Murray. I am from  
16 the Hastings Center, Bioethics, the world's first  
17 bioethics research institute, and my work has been  
18 in a variety of issues, but quite a lot in  
19 genetics, parents, and children.

20 MS. WOLFSON: I am Alice Wolfson. I am  
21 the Consumer Advocate. In this incarnation, I am a  
22 policyholder's lawyer representing policyholders  
23 against their insurance companies when they don't  
24 pay what they are supposed to pay.

25 In my previous incarnation, however, I am,

1 and was, a women's health activist and a founder of  
2 the National Women's Health Network.

3 DR. RAO: My name is Mahendra Rao. I am  
4 in the Intramural Program at the National Institute  
5 on Aging. I am also a member of the BRMAC. I work  
6 on stem cells, most parts of the body, I guess.

7 DR. SALOMON: Jude, we missed you the  
8 first time around.

9 DR. SAMULSKI: I am Jude Samulski from the  
10 University of North Carolina, and work in the area  
11 of AAV vectors.

12 DR. SALOMON: I am Dan Salomon. I have  
13 the pleasure of chairing the committee today. I am  
14 from the Scripps Research Institute in La Jolla,  
15 California. I work on cell transplantation,  
16 particularly islet cell transplantation and tissue  
17 engineering and therapeutic gene delivery.

18 MS. DAPOLITO: Gail Dapolito, Center for  
19 Biologics. I am the Executive Secretary of the  
20 committee.

21 DR. GORDON: Jon Gordon from Mount Sinai  
22 School of Medicine. I make a lot of transgenic  
23 mouse models of disease and gene therapy for  
24 disease. I was on the RAC. I am actually the  
25 first person to say the word "transgenic," if that

1 means anything.

2 DR. SALOMON: It means a lot.

3 DR. PILARO: I am Anne Pilaro. I am an  
4 expert toxicologist in the Division of Clinical  
5 Trials at CBER. I regulate a lot of the gene  
6 therapy protocols, in fact, I think I have 167  
7 active right now.

8 DR. TAKEFMAN: Dan Takefman. I am a gene  
9 therapy product reviewer with the Division of  
10 Cellular and Gene Therapies, CBER.

11 DR. NOGUCHI: Phil Noguchi. I am director  
12 of the Division of Cell and Gene Therapy at CBER.

13 DR. SALOMON: Welcome. We will be joined  
14 a little bit later by my colleague to the right,  
15 Richard Mulligan from Harvard Medical School.

16 This is interesting for two reasons. One  
17 is that this is kind of a revisit to a very  
18 important area that the BRMAC dealt with, not the  
19 last time, but I guess at least two times ago,  
20 where we initially talked about how to address  
21 potential regulatory issues specifically with this  
22 Avigen trial, and then more generally with how to  
23 deal with the potential of infection germline in  
24 this case with semen.

25 We got into the whole discussion about

1 semen versus infecting the motile sperm and what  
2 was the evidence, if any, that you could really  
3 infect the germline, the spermatogonia, or infect  
4 the sperm themselves, and very much tried to deal  
5 with some of the practical issues of what you would  
6 demand of any company of a sponsor in doing this  
7 kind of research, and to do it in such a way that  
8 you wouldn't put an unnecessary hold that could  
9 therefore interrupt a very important trial unless  
10 there was awfully good evidence.

11           It is also very interesting in that it is  
12 an interesting theme for the two days. In some way  
13 I am sorry that some of you weren't here yesterday  
14 where there we were really talking about another  
15 kind of germline transfer issue, the injection of  
16 ooplasm into oocytes for infertile women, but it is  
17 an interesting thing now to go on to the idea of  
18 potentially doing something like this through  
19 therapeutic gene delivery.

20           We have to read the conflict of interest.  
21 Gail.

22                           Conflict of Interest Statement

23           MS. DAPOLITO: I would just like to read  
24 for the public record, the conflict of interest  
25 statement for today's meeting.



1           Pursuant to the authority granted under  
2 the Committee charter, the Director of FDA Center  
3 for Biologics Evaluation and Research has appointed  
4 Drs. Terence Flotte, Jon Gordon, Eric Juengst,  
5 Thomas Murray, Daniel Salomon, and Jude Samulski as  
6 temporary voting members for the discussions  
7 regarding issues related to germline transmission  
8 of gene therapy vectors.

9           Dr. Salomon serves as the Acting Chair for  
10 today's session.

11           To determine if any conflicts of interest  
12 existed, the Agency reviewed the submitted agenda  
13 and all financial interests reported by the meeting  
14 participants. As a result of this review, the  
15 following disclosures are being made:

16           In accordance with 18 U.S.C. 208, Drs.  
17 Terence Flotte, Jonathan Gordon, Daniel Salomon,  
18 and Jude Samulski were granted waivers permitting  
19 them to participate fully in the committee  
20 discussions. Dr. Richard Mulligan was granted a  
21 limited waiver for this discussion which permits  
22 him to participate in the committee discussion  
23 without a vote. Dr. Katherine High recused herself  
24 from this committee meeting.

25           In regards to FDA's invited guests, the

1 Agency has determined that services of these guests  
2 are essential. The following interests are being  
3 made public to allow meeting participants to  
4 objectively evaluate any presentation and/or  
5 comments made by the guests related to the  
6 discussions of issues of germline transmission of  
7 gene therapy vectors.

8           Dr. Valder Arruda is employed by the  
9 University of Pennsylvania. He is involved in the  
10 studies of adeno-associated virus vectors. Dr.  
11 Stephen Rose is employed by the Office of  
12 Biotechnology Activities, NIH.

13           In the event that the discussions involve  
14 other products or firms not already on the agenda,  
15 for which FDA's participants have a financial  
16 interest, the participants are aware of the need to  
17 exclude themselves from such involvement, and their  
18 exclusion will be noted for the public record.

19           With respect to all other meeting  
20 participants, we ask in the interest of fairness  
21 that you state your name, affiliation, and address  
22 any current or previous financial involvement with  
23 any firm whose product you wish to comment upon.

24           Copies of these waivers addressed in this  
25 announcement are available by written request under

1 the Freedom of Information Act.

2 As a final note, as a courtesy to the  
3 committee discussants and your neighbors in the  
4 audience, we ask that cell phones and pagers be put  
5 in silent mode.

6 Thanks.

7 DR. SALOMON: Thank you, Gail.

8 What we will do here is begin with an FDA  
9 introduction from Dan Takefman, will kind of walk  
10 us through some of the key issues that the FDA  
11 wants to answer. Remember that part of the dynamic  
12 here is that we are an FDA Advisory Committee.

13 There will be times when we all, certainly  
14 myself as a scientist, get really interested in  
15 some scientific question, but at some point you  
16 will have to forgive me if we steer away from that  
17 since, if we are not really answering the FDA's  
18 question, then, we are not doing what we are  
19 supposed to be doing here.

20 In the meantime, though, obviously, to the  
21 extent that any of these scientific issues are  
22 relevant to answering the questions, you know, you  
23 obviously are here and your expertise is greatly  
24 welcomed.

25 I guess the other thing, as long as I am



1 Daniel Takefman, Ph.D.

2 DR. TAKEFMAN: Thank you. I would like to  
3 welcome the committee and speakers, and thank  
4 everyone for participating in today's meeting.

5 [Slide.

6 The topic for today is the discussion of  
7 potential for inadvertent germline transmission of  
8 gene transfer vectors, and as Dan said, this has  
9 been a topic of previous discussions and public  
10 meetings. Today, we will be discussing the finding  
11 of vector sequences in patient semen and to discuss  
12 FDA's current approach for patient follow up.

13 [Slide.

14 Concerns regarding inadvertent germline  
15 transmission, or IGLT, are twofold.  
16 Societal/ethical concerns are based on previous  
17 public discussions and publications in which  
18 deliberate germline alteration has been deemed  
19 unacceptable.

20 Additionally, there are potential adverse  
21 biological effects, such as genetic disorders,  
22 birth defects, and lethality to developing fetus,  
23 just to list a few which are also of concern.

24 [Slide.

25 What is the likelihood that IGLT would be

1 deleterious? Well, retroviruses have been used as  
2 tools to investigate the role of certain genes  
3 which are important in development. I refer to, in  
4 this slide, data involving retroviral insertion to  
5 the germline of mice and as a specific example, a  
6 retrovirus was used to infect a murine blastocyst.  
7 In this case, this infection resulted in a mouse  
8 strain with a lethal embryonic mutation, which was  
9 induced by proviral insertion into the alpha-1  
10 collagen gene. This mutation was recessive, so  
11 that the phenotypic effect required homozygosity.

12 [Slide.

13 So data exist suggesting that in the case  
14 of retroviruses, deliberate insertion into the  
15 germline may be deleterious, but what about data  
16 from preclinical animal studies regarding the  
17 ability of gene transfer vectors to transmit to the  
18 germline?

19 Well, the FDA does require biodistribution  
20 studies with gene transfer vectors in relevant  
21 animal models. These biodistribution studies,  
22 performed in support of clinical trials, have shown  
23 evidence of vector dissemination to gonadal tissue.

24 However, in most studies, vector sequences  
25 have not been detected in semen samples, and the

1 point I need to make in regards to these  
2 preclinical studies is that they are not always  
3 predictive of human experience.

4 A case in point is today's topic in which  
5 vector sequences were found in semen from clinical  
6 trial subjects, however, initial preclinical  
7 studies, such as those done in dogs, demonstrated  
8 no detectable vector in semen.

9 Again, certainly in today's case, animal  
10 studies are not always predictive.

11 [Slide.

12 I would like to give an update on the kind  
13 of current active gene transfer INDs we currently  
14 have in file just to give you an idea of what is  
15 being used in the clinic.

16 You can see here in regards to retroviral  
17 vectors, they are predominantly being used in ex  
18 vivo types of gene transfer studies, while  
19 adenoviral vectors and plasmids are often being  
20 used in direct in vivo type of administrations.

21 You will notice here with AAV vectors,  
22 compared to other systems, FDA has seen relatively  
23 few gene transfer INDs. Of the few we have, they  
24 are primarily in vivo, localized injection type of  
25 administrations.

1           [Slide.

2           I would like to go over some of the  
3 factors that FDA considers important for assessing  
4 risks of inadvertent germline transmission of gene  
5 transfer vectors.

6           Certainly, integration potential of the  
7 vectors is important to consider. Of the current  
8 vectors being used in the clinic, FDA is  
9 considering both retroviral and AAV vectors as  
10 vectors with potential to integrate. Certainly  
11 with retroviruses, as well as lentiviral vectors,  
12 they are known to have efficient abilities to  
13 integrate and host genomes.

14          In terms of AAV vectors, this system is  
15 not as clearly worked out as in other systems, such  
16 as retroviruses. FDA is currently considering AAV  
17 vectors as having a low, but potential to integrate  
18 in vivo, and I specifically refer here to a couple  
19 of papers from Nakai's lab in which he showed low  
20 levels of integration in mouse livers.

21          [Slide.

22          The risk of inadvertent germline  
23 transmission is also likely highly dependent upon  
24 route of administration. An ex vivo gene transfer  
25 would likely represent a minimal risk in terms of



1 IGLT, while at the other end of the spectrum, a  
2 systemic injection would represent a relatively  
3 higher risk in terms of transfer to the germline  
4 via hematogenous spread.

5 [Slide.

6 As Dr. Salomon mentioned, IGLT has been a  
7 topic of discussion, and I would like to go over  
8 some of the previous public discussions in order to  
9 put today's meeting in a little perspective.

10 Beginning with the March 1999 RAC meeting,  
11 here, there was a focused discussion on preclinical  
12 data which demonstrated gonadal distribution. It  
13 was the consensus from this meeting that despite  
14 this preclinical data, the probability of  
15 inadvertent germline transmission occurring during  
16 a gene transfer clinical trial was low.

17 However, further discussion became  
18 necessary at the November 2000 BRMAC meeting. At  
19 this meeting, we heard data from a trial which  
20 involved I.V. administration of a gammaretroviral  
21 vector which contained the factor VIII gene for  
22 treatment of hemophilia A.

23 I should point out this was the first  
24 trial under IND which involved I.V. administration  
25 of a gammaretroviral vector. Data was presented in

1 which 1 out 12 subjects treated had vector  
2 sequences transiently present in semen.

3 In the one patient, vector sequences were  
4 detected at only one time point by DNA-PCR.

5 [Slide.

6 Then, at a recent meeting of the RAC, a  
7 trial was presented, which will also be presented  
8 today, which involved an AAV vector, which contains  
9 the factor IX gene for the treatment of hemophilia  
10 B. This is the first trial under IND which  
11 involved administration of an AAV vector into the  
12 hepatic artery.

13 Data was presented in which vector  
14 sequences were found in semen of the first two  
15 patients treated. The first patient had positive  
16 PCR signal at multiple time points for up to 10  
17 weeks post administration, and the implication here  
18 is that all patients treated in this trial may test  
19 positive for vector sequences in semen samples.

20 [Slide.

21 So to summarize some of the consensus from  
22 these public discussions, there was a consensus  
23 from the RAC meeting on preclinical data that the  
24 probability of inadvertent germline transmission is  
25 low and that the use of a fertile subject

1 population was acceptable.

2           From the BRMAC meeting, the committee  
3 agreed with FDA's approach to institute a clinical  
4 hold when vector sequences are detected in semen  
5 samples from study subjects.

6           There was a consensus from both the RAC  
7 and the BRMAC that there is a need to determine if  
8 vector is associated with sperm cells. Using  
9 fractionation methods, such as density separation,  
10 potential contaminating transduced white blood  
11 cells can be removed from sperm cell fractions.  
12 You are going to hear more later on from Avigen on  
13 their fractionation assays.

14           [Slide.

15           I would like to turn now to FDA's approach  
16 for patient follow up, which has been modified in  
17 response to these public discussions and from data  
18 regarding this current trial.

19           Prior to initiation of the trial, of  
20 course, if during preclinical animal studies,  
21 vector is found in gonadal tissue, this finding and  
22 the potential for germline alterations should be  
23 included in informed consent documents.

24           [Slide.

25           As for FDA's current approach for patient

1 follow up, if semen from clinical trial subjects  
2 tests positive for vector sequences, the clinical  
3 trial will be allowed to continue, however, FDA  
4 will request timely follow-up testing of  
5 fractionated semen. As has been in the case in the  
6 past, barrier contraception is requested until  
7 three consecutive samples test negative.

8 [Slide.

9 Now, if the motile sperm fraction tests  
10 positive for vector sequences, FDA will institute a  
11 clinical hold and subject enrollment will be  
12 stopped until it is determined that the signal from  
13 the motile sperm fraction is transient, and  
14 specifically, we are asking for serial fractionated  
15 samples to test negative three times over three  
16 consecutive monthly intervals.

17 [Slide.

18 I would like to turn now to some of the  
19 concerns that FDA has. Specifically, the finding  
20 of vector sequences in semen may become more  
21 common. Certainly with subject from trials  
22 involving systemic or intrahepatic administration  
23 of AAV, such as in this trial, every patient  
24 treated might have vector sequences found in semen  
25 samples.

1           Additionally, we have new vector classes  
2 on the horizon, such as lentiviral vectors, which  
3 we know have a high potential to integrate, and  
4 there is also new production technologies which  
5 allow for higher titer viruses to be produced and  
6 new clinical applications of gene delivery systems  
7 designed to increase transduction efficiency, all  
8 of which may make the detection of vector sequences  
9 in subject semen more prevalent in future clinical  
10 trials.

11           [Slide.

12           Of particular concern, the fact that  
13 patient follow up is difficult with certain  
14 populations. Obviously, there are technical  
15 limitations in the ability to monitor women and  
16 certain men who are unable to repeatedly supply  
17 adequate samples. There is technical limitations  
18 to monitor these subject populations for evidence  
19 of germline alterations.

20           The specific concern will be re-presented  
21 in the form of a question to the committee for  
22 discussion in the afternoon session.

23           [Slide.

24           To summarize, FDA's primary concern of  
25 inadvertent germline transmission of gene transfer

1 vectors is with systemic administration of  
2 integrating vectors.

3 A clinical hold is instituted only if  
4 vector sequences are detected in motile sperm  
5 fractions, and the inability to monitor certain  
6 patient populations is a concern and warrants  
7 further discussion.

8 I will end here and just remind everyone  
9 that there is a number of background talks and  
10 still data on the clinical trial and preclinical  
11 studies to be presented, so I would request that we  
12 limit the majority of discussion of patient follow  
13 up until the afternoon session, but I will be happy  
14 to answer a few questions at this time for  
15 clarification.

16 DR. SALOMON: Thank you, Dan.

17 Are there any questions from the committee  
18 to the FDA regarding the overall umbrella charge  
19 that we have for today? Okay.

20 The next are two presentations. It is a  
21 pleasure to start with Jude Samulski from the  
22 University of North Carolina to talk to us about  
23 the biology of AAV vectors.

24 Guest Presentations

25 AAV Vector Biology

1 Jude Samulski, Ph.D.

2 DR. SAMULSKI: It is a pleasure to be  
3 here. I want to thank Daniel for asking me to come  
4 up. He requested that I give some type of overview  
5 of AAV biology and try to focus a little bit on our  
6 understanding of the potential for integration and  
7 mechanisms.

8 I think what I am going to do is offer you  
9 an opinion of a consensus of what we think is  
10 happening in the field, point you in the direction  
11 of probably papers that are relevant, that start to  
12 show trends that are happening, but more than  
13 likely I am going to end up with the conclusion  
14 that Daniel has already described, is that AAV is  
15 somewhere on that curve as a vector that can  
16 integrate, the efficiency is not well established,  
17 but the potential is there.

18 I will start off by introducing you to the  
19 life cycle of this virus. In the laboratory, an  
20 AAV particle can have a lytic component or a latent  
21 component, so we refer to it as a biphasic life  
22 cycle.

23 It has been established that it is  
24 dependent on a helper virus in order to go through  
25 a productive lytic cycle, and in this setting, the

1 virus goes in, reproduces, and progeny comes back  
2 out.

3           What was established in the laboratory in  
4 the early seventies was that if you took AAV  
5 particles and put them in cells in the absence of  
6 the helper, you could see this persistence, what  
7 was referred to as "latency," and in this setting,  
8 it was determined that the virus was establishing  
9 an integration event in the chromosome, and in this  
10 integration event, it appeared to be targeting,  
11 meaning it was going to a specific locus in the  
12 human genome.

13           This was all done in vitro and tissue  
14 culture cells, and to complete the biological life  
15 cycle, if you take these cells and now superinfect  
16 them with adenovirus, AAV has the ability to come  
17 back out of the chromosome and reenter its lytic  
18 component.

19           So in the laboratory, it was established  
20 the mechanism in which we could argue how AAV,  
21 which was found in nature in clinical isolates of  
22 adenovirus, how these two would co-persist, but we  
23 could also explain a question of what is the  
24 consequences of AAV infecting the cell in the  
25 absence of its helper. Is that genetic suicide?



1 That answer was no, the virus has a mechanism of  
2 persistence.

3 I should argue that there is absolutely  
4 zero data of AAV integration in humans. This is  
5 all established in vitro, and it is inferred that  
6 this mechanism can take place.

7 I should also mention that the early  
8 studies of AAV showing up in clinical isolates, it  
9 has only been isolated in adenovirus, although  
10 herpes can supply the same helper function. There  
11 has never been a clinical isolate of herpes that  
12 has had a contamination of AAV.

13 So what you should be asking yourself is  
14 that we can mimic a paradigm in tissue culture and  
15 substitute other viruses, but what appears to be  
16 out there in nature is this co-relationship. This  
17 was established in vitro, and it is presumed that  
18 this can also happen in vivo.

19 The genome is fairly simple. It is about  
20 5,000 base pairs, and what is of importance today  
21 is paying a little bit of attention to what is  
22 referred to as the Rep genes and the inverted  
23 terminal repeats of the virus, which are the  
24 origins of replication, the packaging signal, and  
25 what appear to be the break points that join

1 recombination events with the chromosome.

2           Of the Rep genes that are made, it has  
3 been shown that it is the large Rep proteins, Rep  
4 78 and 68, that appear to be responsible for the  
5 integration events. I just want to point out that  
6 in AAV, these are identical proteins. They only  
7 differ by a splice variate, and in the absence of  
8 adenovirus, this is the dominant protein that you  
9 see in the presence of adenovirus. This comes on  
10 first and then it switches over to Rep 68.

11           They all have enzymatically identical  
12 activities. They bind to the AAV terminal repeat  
13 and what is called a Rep binding element. They  
14 have a site-specific, strand-specific endonuclease  
15 activity where they can nick this molecule, and  
16 they have helicase activity which allows it to  
17 unravel to DNA.

18           So we see a relationship with the Rep  
19 proteins were the key element on the virus, which  
20 is the origin of replication, showing that it has a  
21 binding site, a nicking site, and enzymatic  
22 activities to allow this virus to replicate.

23           So the first evidence of AAV integrating  
24 site specifically was generated in Ken Burns' lab  
25 in 1996, and in this study, what they did was

1 pulled out some junctions, sequenced the junctions,  
2 and went back and used those sequences as probes.

3           This is just a representative example from  
4 our lab that shows that if you look at your  
5 chromosome 19 locus in a control cell, it is about  
6 a 2.6 kilobase fragment, but after you integrate  
7 and establish independent clones, you can find  
8 variance that show evidence that the chromosome  
9 sequence now has a rearrangement suggestive of an  
10 insertion, and some of these are multiple fragments  
11 showing that there is amplification and  
12 rearrangement.

13           If you take a blot like this and strip off  
14 the chromosome 19 probe and then come back with the  
15 viral probe, you can see there is co-segregation of  
16 these viral sequences with these chromosome 19  
17 rearranged, so this was the data that said there  
18 was a preferred site of integration, a  
19 rearrangement of chromosome 19 and a  
20 co-localization of these sequences with chromosome  
21 19 sequences.

22           Ken Burns and others looked in detail to  
23 bring to try to understand why was this virus going  
24 to this specific locus, and from that study came  
25 the following information.

1           There is an identical Rep binding site and  
2 a nicking site located on human chromosome 19, so  
3 what we had was a mechanism that is virtually of  
4 viral origin sitting on chromosome 19, that gave a  
5 putative reason for why this site is preferred as  
6 an integration locus over any other sequence in the  
7 human genome.

8           What I should point out is that further  
9 studies have shown that not only is the Rep binding  
10 required, the spacing between this binding site to  
11 the nicking site and the nicking site itself, so if  
12 you take these sequences and count them up, there  
13 are over 15 base pairs.

14           It is argued that a sequence over 15  
15 nucleotides is only represented one time in the  
16 human genome. This is probably why this virus is  
17 only targeting this locus. This element is present  
18 in about 200,000 copies in the human genome, which  
19 would argue that the Rep protein is sitting on lots  
20 of spots on the human chromosome, but it is only  
21 when it is this context that it can initiate the  
22 event to promote the integration step.

23           So we have a model and a mechanism that is  
24 being supported both in vitro and in vivo.

25           A group in Italy went on to show that the

1 site has an open chromatin confirmation and that it  
2 is not a closed site, so it is not a site that is  
3 unaccessible. All of these things are beginning to  
4 support the type of DNA structure that AAV needs to  
5 see in order to go into the chromosome.

6           A number of labs, including our own, have  
7 gone after looking at these integration events, and  
8 most of you are pretty well aware, that if you look  
9 at retroviral integration event, it is a fair  
10 precise cut and paste mechanism in which it cuts  
11 the chromosome, integrates its genome, and there is  
12 like a 3 to 5 nucleotide duplication on either  
13 side.

14           When you looked at these AAV proviral  
15 structures, what we saw was there were a lot of  
16 tandem repeats, amplification events, and all of  
17 these things were supporting a type of integration  
18 that was completely different than the  
19 well-characterized retrovirus integration.

20           This has been consistent both in cell  
21 lines, as well as episomal integration events, as  
22 well as in vitro systems, so there is a mechanism  
23 for integration that is not consistent with a cut  
24 and paste. It is referred to as a non-homologous  
25 amplification mechanism.

1           Our lab and others went on to look at the  
2 break points between the viral terminal repeat,  
3 which I showed you has this origin activity, and  
4 this hairpin structure, and the junctions between  
5 that and chromosome 19.

6           What you can see was there was very little  
7 fidelity and conserving the integrity of the  
8 terminal repeat. You would get break points that  
9 were scattered throughout these hairpins, and these  
10 are just positioned here on the sequence to give  
11 you an impression that there is no fixed break  
12 point between the viral sequence and the chromosome  
13 19. They cluster around this hairpin element, but  
14 other than that, you can virtually find break  
15 points throughout these sequences.

16           If you look at that from a biological  
17 point of view, it again suggests that AAV may have  
18 a problem in retaining its integrity as a virus if  
19 it's indiscriminately breaking these hairpins and  
20 going into the chromosome, but this virus has a  
21 phenomenal ability of carrying out a step code gene  
22 correction.

23           There is technically two copies of every  
24 sequence in the hairpin, and since there is two  
25 hairpins, there is the total of four copies on the

1 virus, so between all of these copies, the virus  
2 will gene convert back and forth and regenerate  
3 these sequences with fair efficiency, so you always  
4 get a wild-type virus coming back out even though  
5 what is integrated in the chromosome may be  
6 somewhat fragmented.

7           Because the virus also integrates in what  
8 appears to be head-to-tail concatemers, it is  
9 preserving the integrity of these hairpins  
10 internally, and again allowing it to use it as a  
11 template to amplify and come back out of the  
12 chromosome.

13           So to get to the mechanism, Matt Weitzman  
14 in Roland Owens' lab did an experiment in the early  
15 nineties that said that they could show that the  
16 Rep protein of AAV could form a complex between the  
17 terminal repeat of the virus and this  
18 pre-integration site.

19           Again, this made logical sense because  
20 there was the same Rep binding element on both of  
21 these sequences. This is just an illustration from  
22 Sam Young's data showing the Rep protein bound to  
23 the terminal repeats of an AAV vector. It has an  
24 extremely high affinity for the sequence and a Rep  
25 complex binding to the same element on chromosome

1 19. It was data like this and other that began to  
2 propose a model that the virus express its Rep  
3 protein, it binds to this element on chromosome 19.

4           In vitro, Rob Cotton showed that this is  
5 sufficient to start a synchronized single-stranded  
6 DNA replication. So now you have this region of  
7 chromosome 19 serving as an origin. Since the Rep  
8 protein is terminally attached to this chromosomal  
9 sequence, and you can reinitiate, we feel that  
10 there is a number of initiation events that are  
11 taking place on this region of chromosome 19.

12           It should be understood that there is an  
13 enzyme called Fen-1 which is a host enzyme, that  
14 actually repairs this type of repeated initiation  
15 event, however, if you have a hairpin or a protein  
16 attached to this, it doesn't have the ability to  
17 correct these sequences.

18           So what happens is you see recombination  
19 events taking place to resolve these molecules. It  
20 has been suggested that the AAV genome, which has  
21 Rep, allows for Rep-Rep tethering mechanism, as  
22 Weitzman showed, and at this point it is all host  
23 enzymes that are involved in inserting this  
24 sequence into the host genome, and this type of  
25 tandem repeat, head-to-tail type of format.



1           This is data that was provided to me by  
2 Regina Hildabraun. It is not published. It is  
3 coming out in a journal Virology. She has  
4 developed a real-time PCR assay to look at the  
5 efficiency of AAV viruses to go to chromosome 19.  
6 It is a PCR assay that look at the terminal repeat  
7 and a locus on chromosome 19.

8           What I think is important to see here is  
9 that she can score integration events taking place  
10 over the first 72 hours or so, but the most  
11 important thing is that the wild-type virus, which  
12 she is seeing an integration event for about 1,000  
13 particles, so it is suggest about 0.1 percent of  
14 all the AAV virus is capable of carrying out  
15 integration.

16           This is completely different than like the  
17 retroviruses where it is 100 percent integration.

18           As Daniel said, there is a propensity for  
19 the virus to integrate. The efficiency is what  
20 needs to be look at in this setting.

21           This is a paper that was published by  
22 Ernst Winocour. I think this is of importance  
23 because what I am going to suggest to you is this  
24 is another parvovirus called minute virus in mice.  
25 It's an autonomous parvovirus. Nowhere is its life

1 cycle does it establish latency. It has no  
2 mechanism. There has never been any data  
3 supporting it.

4           But what Ernst was able to do was show  
5 that these viruses also have terminal repeats, they  
6 also have Rep-like proteins, and that he could take  
7 an episome substrate and show that this virus could  
8 also integrate into a target sequence if the Rep  
9 protein on this minute virus was present and if the  
10 subsequent sequences were available.

11           So what I think this is suggesting is that  
12 the parvoviruses have proteins that are involved in  
13 replication that are able to carry out nicking and  
14 helicase activity on substrates. In the case of  
15 minute virus of mice, there is no target in the  
16 genome.

17           In the case of AAV, there is an origin  
18 identical to AAV sitting on chromosome 19. So the  
19 question may be, does AAV really set up a latency  
20 or is this an interaction between Rep proteins and  
21 target sequences, and I percent begins to suggest  
22 that it is not a very efficient mechanism.

23           I am going to shift gears and now talk to  
24 you about vectors because I think this is where  
25 most of the interest is. In the laboratory, a

1 number of people generate vectors by different  
2 procedures.

3           In our lab, we use plasmids to start to  
4 make the vector, so now we only retain the terminal  
5 repeats. The gene of interest is in the middle.  
6 You have a helper plasmid carrying the Rep and  
7 capture genes, and another plasmid carrying the  
8 essential sequences from adenovirus to activate all  
9 of these steps.

10           What happens when all of these are in the  
11 cell, you produce a single virus particle, which is  
12 an AAV particle carrying the foreign gene of  
13 interest. If you take these viruses and put them  
14 in tissue culture cells, and put them under  
15 selection, what you see is if you go to the  
16 chromosome 19 region and look at individual clones  
17 that had the vector integrated in the human genome,  
18 you don't see a significant rearrangement under  
19 chromosome 19 sequence.

20           So unlike wild type where it appeared that  
21 70 to 90 percent of the integrations were targeting  
22 this locus, the vectors have lost this ability to  
23 go to chromosome 19. It has been shown by a number  
24 of labs that if you add Rep back to this reaction,  
25 these vectors will go to chromosome 19 and

1 integrate.

2           So it is fairly well established now that  
3 AAV vectors have no targeting capacity and that  
4 what they do have is the capacity to integrate into  
5 the chromosome under these selected conditions.

6           This is an approach that Charley Yang took  
7 in the lab about seven years ago, in which he made  
8 AAV vectors that were carrying a plasmid origin and  
9 ampicillin sequence, as well as a selectable  
10 mechanism to look at selection in eukaryotic cells.

11           He made this into a virus, allowed it to  
12 integrate into the chromosome, and he used enzymes  
13 that were cut outside of the viral DNA, closed this  
14 up into a circle, and pulled out these so-called  
15 cellular junctions, and when he characterized  
16 these, he came up with the following results.

17           The break points of the terminal repeat  
18 and the chromosome were almost identical to what we  
19 saw with wild type. They clustered around the  
20 hairpin structure, but there was no defined break  
21 point in any of these vectors.

22           When we looked at the location that they  
23 were going into, they appeared to be random on  
24 chromosome 17, 7, 1. We had two examples of it  
25 integrating on chromosome 2. But what we were

1 seeing was that all of the characteristics of  
2 integration were identical to wild type. It is  
3 just that their targeting ability was lost.  
4 Instead of going to 19, it was random.

5           If you look at the vectors, they were  
6 again consistent with this head-to-tail mechanism  
7 and amplification event or rearrangement event. I  
8 should mention that David Russell has just  
9 published a little paper in Nature Medicine that  
10 has shown another clustering of these things pulled  
11 out of HeLa cells, and we have generated the exact  
12 same information. There is breakage and  
13 duplication and some type of random repeats that  
14 are being generated.

15           So I want to point out because I think we  
16 get misled a lot when we think about AAV's  
17 integration and that it is something special. This  
18 ability to form concatemers is something that was  
19 documented a number of years ago by Schimke's lab.  
20 In fact, if you look at any transgenic animal that  
21 has ever been generated, it is always generated in  
22 a head-to-tail concatemer formation.

23           If you look at virtually any cell line  
24 that is established by plasmids to give stability,  
25 it is typically a head-to-tail concatemer, that is

1 going into the chromosome. So what we see is that  
2 AAV is probably using host enzymes to generate  
3 these concatemers that eventually go into the  
4 chromosome.

5           As I mentioned to you, without the Rep  
6 protein, there is no targeting capability. This  
7 integration appears to be random. The insertion  
8 that takes place at the integration site is not a  
9 cut and paste mechanism, it's a deletion,  
10 amplification, rearrangement, illegitimate type of  
11 recombination.

12           This is just our data showing all of the  
13 break points that we have generated both with  
14 vectors with wild type AAV as far as the junctions  
15 that are generated between the terminal repeats and  
16 the chromosome, and you can see that again there  
17 are preferred clustering sites, but there is no  
18 distinct break point that takes place between AAV  
19 molecule and the chromosomal DNA sequence.

20           We concluded from this study that when AAV  
21 vectors go into cells, it is cellular recombination  
22 pathways that are responsible for the integration  
23 of that, and that there is no viral participation  
24 in this enzymatic step, it is all carried by  
25 cellular recombination.

1           If you look at the data that has been  
2 generated, it falls under the category of an  
3 illegitimate, non-homologous recombination. This  
4 would be true if you put in plasmid DNA,  
5 oligonucleotides, any piece of DNA that ends up  
6 going into the chromosome. It is following a  
7 pathway that supported cellular enzymes carrying  
8 out the integration step.

9           I want to just summarize this and then I  
10 am going to switch to the last third of the talk,  
11 which is going to just talk about information  
12 generated with vectors in animals.

13           Right now, AAV vectors do not target  
14 chromosome 19. They are identical to wild type  
15 with respect to the terminal repeat break points.  
16 They are essentially identical at this level. The  
17 head-to-tail orientation of vector proviruses, you  
18 can find tail-to-tail and head-to-head, but this is  
19 pretty much the dominant species you will see.

20           They rearrange to chromosome integration  
21 site. There is not a cut and paste mechanism.  
22 There is always some type of deletion,  
23 amplification, and rearrangement that takes place  
24 at the integration locus.

25           So by all these criteria, AAV fits the

1 conditions of an insertional mutagen. It has the  
2 ability to go into the chromosome, and the critical  
3 question is at what frequency does it carry out  
4 this insertion event.

5           This is where I think we began to  
6 accumulate data in the field that drifted us away  
7 from all that information that was derived in  
8 vitro, and you should understand that the data was  
9 derived in vitro was under selected conditions with  
10 a gene, such as G418 or neomycin, so that you are  
11 only looking at the integration events.

12           In vivo, the first data that began to  
13 suggest that this may not be consistent with what  
14 was happening in vitro was actually carried out in  
15 Terry Flotte's lab where they were looking at  
16 adeno-associated viruses in monkeys after  
17 administration for airway gene delivery.

18           When they characterized this, they saw  
19 that the virus was persisting for a period of time  
20 and the virus could be rescued completing all of  
21 those steps that we talked about in the life cycle,  
22 but it was showing up as an episome. There was  
23 very little data suggesting that this type of  
24 persistence was taking place as an integration  
25 event.



1           This is a paper that I would like to  
2   direct people to, because I think buried in this  
3   paper is some really important information. This  
4   was a study carried out in Jim Wilson's lab where  
5   what he virtually did was an in vivo selection like  
6   what we do with in vitro selection with G418, in an  
7   animal model that had a disease for the liver, so  
8   the AAV vector was transducing a gene and to  
9   deliver, that he could put a selective pressure on.

10           This selective pressure meant that if this  
11   liver was to survive, the virus had to integrate.  
12   After it integrated, you could see nodules begin to  
13   grow of liver cells. He characterized those  
14   nodules. He showed they had integration events in  
15   them. They were similar to what I have just  
16   described for in vitro.

17           They were tandem repeats, rearrangements,  
18   and an illegitimate recombination mechanism, but if  
19   you go into the paper and dig at the multiplicity  
20   of virus that he was putting into the liver, 10<sup>12</sup>  
21   particles per liver, he was only getting about 0.1  
22   percent of the liver cells showing an integration  
23   event.

24           So I think what Daniel was referring to is  
25   where does AAV fit on this curve of an obligated

1 integration event versus the potential to  
2 integrate, and this study, under selective  
3 pressure, there was a frequency that was derived,  
4 which I think may be telling to the type of numbers  
5 that may happen in the absence of selection.

6 I point to these last two papers only  
7 because it has been characterized in extensive  
8 detail in muscle, and I bring up Phil Johnson's  
9 study because he now has an abstract that is going  
10 to be presented as ASGT, where he is showing that a  
11 majority of what I think he calls 98.5 percent of  
12 all the vectors that are in skeletal muscle are  
13 persisting in episomal form.

14 He does a real-time PCR assay. I am not  
15 going to try to describe his data, it is written in  
16 an abstract form, but I think it is something that  
17 the field in general will want to look at and see  
18 if this will be something that can be used for  
19 other target tissues.

20 But it is consistent with the theme. What  
21 I did not talk about here today was any of the data  
22 that Mark and Kathy have generated, because I know  
23 they are going to speak later and they can tell you  
24 specifically what has been derived in their hands,  
25 but I think the theme is we see what these vectors,

1 they have the propensity to set up a persistence,  
2 the data that has been generated in liver, muscle,  
3 lung, and brain is that episomal forms that are  
4 predominantly seen, but there is always the  
5 potential and evidence for integration.

6           This is the last paper that I am going to  
7 point you to, and I am going to just mention this  
8 because I think this is going to give us a starting  
9 place to begin to understand AAV integration in  
10 whole animal.

11           Terry Flotte and his lab have generated  
12 some data showing that the DNA-dependent protein  
13 kinase, the gene that has mutated in SCID mice,  
14 seems to have an impact on the molecular phase of  
15 AAV genomes.

16           Again, I am going to paraphrase what  
17 Terry's data says, and he can speak to it in more  
18 detail because he has got new data that is a little  
19 bit more extensive. It appears that if you knock  
20 out this protein kinase, which is involved in  
21 immunoglobulin rearrangement as one example of its  
22 role in the human cell, the virus appears to  
23 integrate more efficiently into the chromosome.

24           This is an enzyme that plays a role in  
25 end-to-end joining, and it seems that if you lose

1 the ability of these host enzymes to form the  
2 so-called concatemer structure that we all  
3 characterize, you can see an increase in  
4 integration event takes place.

5           So it appears that if you are defective in  
6 one pathway, AAV will just follow another host  
7 mechanism for persistence, which is an integration  
8 mechanism.

9           Again, if there are any specific  
10 questions, I will ask you to direct them to Terry  
11 where he can give you the details of what is going  
12 on, but what this data tells me is that we probably  
13 we will be able to identify these so-called  
14 cellular recombination pathways that are  
15 influencing AAV vectors when they go into so-called  
16 non-dividing tissue.

17           I am going to conclude by trying to  
18 reemphasize the following points. Wild type and  
19 AAV vector integration is not very efficient, and  
20 this fairly well documented in vitro. It is  
21 something that seems to be a theme that is  
22 recurring in vivo.

23           If you look at the ability of the virus to  
24 target chromosome 19, it is absolutely dependent on  
25 a viral protein called Rep. The mechanism is now

1 well understood because they are identical binding  
2 sites to facilitate this targeting.

3           AAV vectors, which do not have Rep  
4 protein, do not have the ability to go to  
5 chromosome 19 into the site-specific manner. If  
6 you look at the proviral structure of wild type AAV  
7 and vector DNA, they are essentially identical at  
8 all levels.

9           The break points and the terminal repeats,  
10 the amplification, the concatemerization, and the  
11 rearrangement under chromosome sequence is  
12 identical whether it's on chromosome 19 or randomly  
13 inserted throughout the genome.

14           Finally, with the limited number of  
15 studies that are being done, it appears that in  
16 non-dividing cells in vivo, the AAV vectors exist  
17 predominantly in an episomal form, and again, I  
18 will conclude.

19           Daniel basically summarized the AAV field  
20 by saying it has the propensity to integrate into  
21 the chromosome, where it fits on that rheostat as  
22 being very efficient or not efficient, I think it  
23 is going to be dependent on more studies in vivo in  
24 which we can continue to accumulate data.

25           But as of today, what we keep seeing is

1 some propensity for this episomal form, but the  
2 risk is still there, and I will stop there and take  
3 questions.

4 DR. SALOMON: Thank you very much. Very  
5 interesting.

6 Q&A

7 I have a couple of questions that kind of  
8 occurred to me in the setting of thinking about  
9 this thing riskwise. You have been very straight  
10 about it. What is interesting is a lot of times  
11 when it is introduced for the first time, people  
12 talk about OAB, it's a parvovirus, it has been in  
13 humans for a really long time, and it has been  
14 extremely safe in the sense that it is not  
15 associated with any known disease entity, and the  
16 implication is many times that therefore, AAV gene  
17 therapy as a vector is going to be similarly safe.

18 However, I think what you very clearly  
19 point out in all the molecular biology that has  
20 been done with the vector is that an AAV vector  
21 really isn't anything like a wild-type AAV in the  
22 sense that now what you have got mainly is  
23 episomes, it is not integrating in chromosome 19,  
24 so there is a lot of assurance that one might take  
25 from the first part of the data that it is probably

1 not reasonable to carry forward into thinking about  
2 AAV vectors.

3 DR. SAMULSKI: Right. I will give  
4 opinions on both sides. I think if you look at the  
5 biology of the virus, it falls in the biological  
6 features, so that we don't see significant immune  
7 response generated from AAV infections. You don't  
8 see that with wild type.

9 You don't see the virus taking over the  
10 host cell as a lytic virus does, so there is  
11 consistency in that aspect of saying AAV is more  
12 like its features of being non-pathogenic, but I  
13 think you only need to hear what Phil and them  
14 mentioned at the RAC probably every time AAV is  
15 discussed, you know, this is not normal. You are  
16 putting in 10<sup>12</sup> viruses into a focal injection,  
17 hundreds of particles, lots of genomes. This is  
18 something that doesn't happen in nature, and so it  
19 shouldn't be considered as the viral life cycle,  
20 because in that setting, we can't reproduce the  
21 viral life cycle. We are not getting a systemic  
22 infection that is disseminating and maybe setting  
23 up latency.

24 We are inducing an artificial way of  
25 getting persistence. So I think you are right on

1 the money there. I think what will go back and  
2 forth between these systems is how much does the  
3 vector mimic wild type. As far as integration they  
4 are identical, it is just one is on 19, the other  
5 one is random.

6           So there is some ability to go back and  
7 forth as to what is happening.

8           DR. SALOMON: So the second question I had  
9 was I don't know a lot about chromosome 19, so I  
10 apologize for what I am certain are stupid  
11 questions to the geneticists here, but is it clever  
12 that the virus chose this area in chromosome 19, is  
13 that a safe area to integrate in that?

14           I guess the follow-up question here would  
15 be maybe one thing to think about, has anyone  
16 thought about it, is if you add the Rep gene back  
17 and let it integrate into a place that we know is  
18 safe instead of having all this episomal DNA that  
19 we have no idea what it is doing.

20           DR. SAMULSKI: Your question is something  
21 that you would discuss at a cocktail hour, why does  
22 AAV go to 19. We could say mechanistically, there  
23 is a viral origin sitting on 19. Did the virus  
24 pick it up from 19 and retrofit it into its life  
25 cycle or is that a remnant, some integration event



1 that took place who knows when.

2           It is only conserved in monkeys and  
3 humans, so it is a sequence that is not found, so  
4 there may be some selective pressure for why that  
5 took place. Is it a safe site? In tissue culture,  
6 we are in HeLa cells, there are 19 chromosomes, 3  
7 copies in 19, we can get latency all the time. In  
8 vivo, there hasn't been the kind of studies you  
9 would want to see, and if AAV integrates in 19, is  
10 that going to be an adverse event.

11           I would argue 19 in liver cells may not be  
12 essential, but 19 in another tissue like neuronal  
13 cells may be essential, but to get back to your  
14 question, which I think is more directed to what is  
15 on that locus, there is no gene located at that  
16 region.

17           Michael Linden has argued that there is a  
18 transcript that can go through this region that is  
19 related to a muscle transcript, but from our and  
20 other studies, there has never been an integration  
21 event that has disrupted that gene or the potential  
22 for the gene, but again, there are all tissue  
23 culture cells, so I think it is an interesting  
24 biology.

25           When we first saw this, what is clustered

1 on chromosome 19 were a lot of genes we would have  
2 liked to have seen it go into, the receptor for  
3 polio virus, a receptor for a lot of other viruses,  
4 and we thought, oh, maybe, AAV will integrate, give  
5 the host cell a mechanism of protection from  
6 another infections agent, and there would be a  
7 reason for why it targets, but this locus is not by  
8 those type of genes, although it would have been a  
9 nice story. So it is an unknown.

10 DR. SALOMON: I had one last question, and  
11 that is when it integrates and then almost sort of  
12 kind of does its version of concatemerization in  
13 that area -- that is not quite exactly what  
14 happens, but -- what does it do to the promotor  
15 regions in the ITR, is the payload gene still  
16 promoted, or does it destroy the promoter region,  
17 so you basically have dead genes there?

18 DR. SAMULSKI: AAV is not like the  
19 retrovirus where it has a promoter, a strong  
20 promoter in the LTR. It has promoter-like  
21 activity, but all the cassettes have the promoter  
22 built in between the terminal repeats, and so the  
23 gene remains intact, the break points seem to be in  
24 this buffering area in the terminal repeats.

25 So, again, all of these things are skewed.

1 They are put under selection so you insert the  
2 genes that go in intact, and they rescue them out.  
3 We can only see the products that E. coli will  
4 tolerate, so you have to realize that head-to-head  
5 and tail-to-tail formations are not very stable in  
6 E. coli, so we are getting a biased opinion every  
7 time we pull these out.

8           The PCR reaction is extremely biased  
9 because that is Mother Nature's best primer, it's  
10 an 80 percent GC hairpin structure. If you try to  
11 prime through that region, you will generate  
12 deletions, so we even think a lot of our data  
13 showing break points is an artifact of pulling out  
14 junctions.

15           The only data that begins to support that  
16 if you have a real controlled Rep expression, you  
17 don't see as much amplification rearrangement. The  
18 group in Italy put the Rep gene on the regulatable  
19 promoter, and they actually dosed in the amount of  
20 Rep, and what they was the integrations were more  
21 well behaved.

22           So I would say that we have not been able  
23 to mimic what probably the virus does very well,  
24 but we can score all the downstream events. It  
25 goes in a chromosome, it looks like this, and so

1 forth.

2           So I would be hesitant about taking my  
3 opinion about this field and turning it into this  
4 is the fact of all it all happened.

5           For the vectors where there is no Rep, and  
6 you do see the integration, it is cellular  
7 mechanisms that are putting it into the chromosome.

8           DR. SALOMON: Dr. Rao and then Dr.  
9 Mulligan.

10           DR. RAO: Is there any evidence of  
11 mobilization of the integrated thing, wild-type  
12 infection?

13           DR. SAMULSKI: That is a good point.  
14 There is the risk of mobilization if you get an  
15 added infection and a wild-type AAV infection, so  
16 you need a two-hit kinetics to move the vector out  
17 of the chromosome.

18           In the laboratory, if you do those  
19 experiments, wild-type dominates the product that  
20 comes out, because there are more elements that  
21 ensure packaging, and they are not in the vectors,  
22 but you do mobilize it if you get a two-hit  
23 kinetic.

24           DR. RAO: Is there a rough percentage on  
25 that? I know wild-type predominates, but --

1 DR. SAMULSKI: Wild-type plate  
2 90-something percent of all the virus that comes  
3 out, and if you cycle it, it is the only virus that  
4 you see. The vector doesn't compete very well in  
5 that setting, but the risk is there, in an in vivo  
6 setting.

7 DR. MULLIGAN: In the in vivo case, the  
8 integration question is complicated by all the free  
9 copies, and I think it is important that people  
10 that are not experts here get a sense of if you had  
11 very efficient integration in the sense that you  
12 had one copy for large number of cells, but then  
13 you had hundreds of unintegrated copies, that would  
14 confuse your interpretation, so can you  
15 characterize for people how you get at the issue,  
16 that is, if you just look at the sum of  
17 unintegrated copies, and that is a large number,  
18 and then the sum of integrated copies, and that is  
19 a small number, then, one conclusion is that you  
20 have mainly unintegrated gene transfer, but in  
21 principle, on a cell-by-cell basis, you could have  
22 very efficient integration, while on top of it you  
23 could have a large amount of unintegrated copies.

24 Now, in vitro, I know that is not the case  
25 because you can actually directly assess that, but

1 how have the various tests actually ruled out that  
2 that is not the case?

3 DR. SAMULSKI: I think that is a good and  
4 hard question. I think Mark has generated data  
5 that begins to look at that where he has put virus  
6 in hepatocytes, and he will probably discuss this,  
7 and then did a partial hepatectomy to let the liver  
8 cells grow, and tried to score how many of those  
9 regenerated liver cells still carry a copy  
10 suggesting that that fraction had integration, and  
11 the ones that lost it were primarily episomal.

12 I will let him describe that, but I don't  
13 think there is any good way to assess that  
14 question.

15 DR. MULLIGAN: I would think that now that  
16 there is these, in human cells, outlaw PCR  
17 approaches, the question is can you actually  
18 directly calculate the total absolute number of  
19 integrations independent of how much total DNA is  
20 there?

21 DR. SAMULSKI: I don't know how I would do  
22 that. I think this is what Phil Johnson is doing  
23 in his abstract. He is looking at ALU real-time  
24 PCR going across genomes and stuff like that.

25 DR. MULLIGAN. Has anyone looked, like

1 Ernest Whittaker, like his system if you have an  
2 adeno-infection or HIV infection, and you all of a  
3 sudden do an AAV infection, is the propensity for  
4 integration of AAV into, say, HIV, a higher  
5 integration because it's unintegrated initially  
6 than it would be to go in the chromosome?

7 DR. SAMULSKI: I think that is another  
8 good question, that is, if you are in a cell that  
9 has substrates, what is the fate of AAV to those  
10 substrates, will it go into them, or a more  
11 preferred event. I don't think anyone has an  
12 answer to that, but it's a good question. It is  
13 something that has got to begin to be looked at.

14 I think I would like to just emphasize  
15 that AAV in the early days was put in the bone  
16 marrow stem cells with a lot of efficiency, and  
17 then it was shown that as you tried to amplify  
18 these cells, they weren't very good and I think it  
19 was speaking directly to the fact that it wasn't  
20 integrating and therefore, you could transduce them  
21 and get positive cells, but once they are asked to  
22 divide, you lost that.

23 So I think why AAV has been such a niche  
24 virus for the so-called non-dividing cells is  
25 because it can set up this persistence. I think

1 the integration frequency is probably going to be  
2 determined by do non-dividing cells carry out  
3 illegitimate recombination, at what rate compared  
4 to a dividing cell. That is going to be an  
5 important number that is going to influence the  
6 outcome in these type of studies.

7 DR. GORDON: I have a couple of very quick  
8 questions that are just simple factual answers.

9 Where in the life cycle of AAV does the  
10 uncoating of the genome take place? That is one.  
11 The second question is you said that when you add  
12 Rep back to the vectors, then, you get chromosome  
13 19 integration again. How is it added back, as a  
14 gene or as a protein?

15 DR. SAMULSKI: The answer to the first  
16 question is the parvovirus are argued to go into  
17 the nucleus and uncoat to release their DNA into  
18 the nucleus. There is probably a capsid component  
19 still associated with the virus that is sitting on  
20 those terminal repeats that either prevents it  
21 from, you know, being naked DNA, but at the same  
22 time may recruit other factors to the origin.

23 As far as the second question that you had  
24 -- I forgot it already --

25 DR. GORDON: Adding Rep back.



1 DR. SAMULSKI: That's my senior moment  
2 there.

3 Rep protein has been added both as  
4 plasmids, as physical protein injectate, and as  
5 inducible protein in the cell line, and all of  
6 those will take vectors and allow it to go to  
7 chromosome 19.

8 The last thing I will mention is that both  
9 the Italian group and our lab have generated a  
10 mouse that carries the chromosome 19 locus, and in  
11 our case, it is sitting on the X chromosome. When  
12 we put wild-type virus into that, it goes to that  
13 chromosome 19 locus even though it's on the X  
14 chromosome, again suggesting it's the cis elements  
15 that are driving where it goes, and not that it  
16 happened to be on 19 in humans, and stuff like  
17 that.

18 DR. DYM: I think you alluded to my  
19 question, but i am going to ask it anyways. Can  
20 you clarify or comment on the ability of the AAV to  
21 get into dividing cells versus non-dividing cells,  
22 and, of course, in the testis, the spermatogonia  
23 are very actively dividing, the sperm are not.

24 DR. SAMULSKI: I think there is no  
25 difference between AAV going into dividing or

1 non-dividing cells. If the receptor is present, it  
2 will bind, and then I think the mechanism for  
3 internalization is clathrin-coated pits, endosome  
4 release, and traffic.

5           If you can carry out those steps, it is  
6 indistinguishable whether it's a dividing cell or  
7 non-dividing cell. In the very early days, it was  
8 suggested that AAV preferred dividing cells, but  
9 that was in vitro looking at selection and  
10 therefore you were biasing the system.

11           I think once people went in vivo, they  
12 realized that all of that was probably misleading a  
13 little bit.

14           DR. MULLIGAN: You didn't mention about  
15 other AAV serotypes, so in principle, the  
16 efficiency of the intervention would depend upon  
17 just the virus titer.

18           Do you have any sense that AAV-1, for  
19 instance, which in muscle is much, much more  
20 efficient, would potentially be better at infecting  
21 germ cells?

22           DR. SAMULSKI: I think Richard's point is  
23 a really interesting one because we and others have  
24 seen that the other serotypes have better propisms,  
25 are more efficient. The question is what are their

1 integration mechanisms.

2           The only one that we have data on is Type  
3 4. Type 4, which is camana monkeys, will target  
4 monkey cells and integrate, will target human cells  
5 and integrate in the chromosome 19, so the  
6 wild-type virus will capitulate exactly what the  
7 human virus is.

8           The other four, 1, 3, and 5, it is  
9 unknown, but they are so homologous, about 80 to 90  
10 percent homologous, they all bind to the terminal  
11 repeats, they all can package each other's DNA.  
12 Chances are they will do the same type of  
13 integration.

14           There are differences in these terminal  
15 repeats if you look at them. Type 5 is different  
16 than Type 2, and if that is a substrate, that may  
17 be more prone for recombination enzymes, you may  
18 see an integration frequency that is different.

19           DR. MULLIGAN: I just meant the capsid,  
20 looking at risk for germline infection, if it  
21 happens just proportionately, it much better  
22 infects that cell and even though integration is  
23 very efficient, then you get more efficiency.

24           DR. SAMULSKI: I misunderstood. I think  
25 if the virus has a more efficient tropism in those

1 kind of cells, chances are the integration  
2 frequency is going to be higher. That is kind of a  
3 given.

4 DR. SALOMON: Sort of a follow-up question  
5 here is -- and you may have answered this, and I  
6 apologize if you did -- if you have a cell that is  
7 actively dividing or is activated, let's say, so it  
8 has a lot of open chromatin structures, it is more  
9 likely to integrate in that setting than in, let's  
10 say, a stable cell that is not activated?

11 Obviously, where I am going is in, you  
12 know, if you had an injury or inflammation, or  
13 something, are those areas in which the rules might  
14 be different?

15 DR. SAMULSKI: Sure. I think that is  
16 exactly what the data are supporting. This virus  
17 looks for open chromatin contacts. Events that  
18 were scored appeared to be in genes, promoter  
19 regions in the gene. I think they are all because  
20 of the same reason, these were open chromatin. If  
21 it's condensed chromatin, there is probably no  
22 mechanism, because again it's a cellular event and  
23 it is going to be acting on cellular regions of the  
24 DNA, better accessible.

25 DR. SALOMON: That was great. Thank you.

1 DR. SAMULSKI: Thank you.

2 DR. SALOMON: Very useful.

3 The second presentation is on germline  
4 transmission by gene transfer vectors and some  
5 thoughts on assessing the risk from John Gordon,  
6 Mount Sinai School of Medicine.

7 Germline Transmission by Gene Transfer Vectors

8 Assessing the Risk

9 Jon Gordon, M.D., Ph.D.

10 DR. GORDON: I was asked to talk a little  
11 bit about not necessarily what we are doing to  
12 address this problem in my own lab, but just to  
13 talk about what I think are the points of  
14 susceptibility for germline integration of vectors  
15 into various gametogenic cells and to review the  
16 literature on it, so that is what I will do.

17 I am not an embryologist by profession,  
18 and I don't wear the lot on spermatogenesis either,  
19 but we have a spermatogonium expert in the audience  
20 in case I make a mistake, so that will be good.

21 The ontogeny of gametes in relation to  
22 their susceptibility to gene insertion. Primordial  
23 germ cells are the cells that ultimately arise to  
24 both eggs and sperm, and these arise in the yolk  
25 sac or the epiblast in the mouse at about three

1 weeks' gestation in the human.

2           There aren't a very great number of those.  
3 They then migrate by ameboid movement through the  
4 dorsal mesentery to the genital ridge. During this  
5 migration process, they also multiply. These cells  
6 are quite easily identified because they stain very  
7 strongly for alkaline phosphatase.

8           They arrive to the genital ridges that may  
9 be the end of five weeks' gestation in the human.  
10 During this period, the cells are unprotected, that  
11 is, they are not within the capsule of a gonad, and  
12 they are mitotically active, allowing infection by  
13 agents that require mitotic activity. We will  
14 return to this point of what agents may require it.

15           Fetal gene therapy must take this risk  
16 into account, and the RAC had a sort of mock fetal  
17 gene therapy protocol presented one time, and this  
18 issue has to be raised.

19           Now, female gametes, which are of a little  
20 bit less interest today, but they are important, of  
21 course, they become oogonia, and they divide by  
22 mitosis until about 5 months or a little longer to  
23 generate several million oogonial cells. At this  
24 point, many begin to die, while others become  
25 primary oocytes.

1           Primary oocytes enter meiosis, a complete  
2 crossing over, and then they stop. The chromatids  
3 remain associated, but crossing over is completely.  
4 Then, they are surrounded by follicle cells in what  
5 are called primordial follicles.

6           Once they are in the primordial follicle,  
7 they become relatively inaccessible because you  
8 have to get through the layer of follicle cells,  
9 which is a single cell layer basically at this  
10 point, in order to reach the egg, which is sitting  
11 at the end of crossing over in the so-called  
12 dictateate [ph] stage.

13           They sit in this stage until the follicle  
14 begins to develop towards ovulation, and there is  
15 some hypothesis that this long term association of  
16 the chromatids has something to do with chromosome  
17 nondisjunction in older eggs.

18           Now, at puberty, the follicle develops in  
19 response to FSH from the pituitary. Numerous  
20 follicle cells surrounding the oocyte are within  
21 the follicle wall, and they begin to produce  
22 glycoprotein "egg shell," the zona pellucida.

23           So, as the egg is developing, then, the  
24 number of follicle cells that sit between the egg  
25 and the outside world increase, the wall of the

1 follicle becomes a consolidated structure, and the  
2 zona pellucida is laid down. This is a glycoprotein  
3 human egg shell, mammalian egg shell, very hard to  
4 penetrate.

5           As the follicle matures, meiosis resumes,  
6 and one resumes, and as the first polar body is  
7 released, the chromosomes then move to a metaphase  
8 of the second meiotic division, and that is how  
9 they are found after ovulation.

10           To enter the egg, genes must past through  
11 the follicle wall, they have to get through or  
12 between the follicle cells around the egg, and then  
13 they have to get through the zona.

14           We would regard the egg as a non-meiotic  
15 cell at this point.

16           At ovulation, the egg is in metaphase II  
17 and is surrounded by the zona and the granulosa  
18 cell layer. Some of the cells are ovulated with  
19 the egg.

20           Although immunoglobulin molecules will  
21 pass through the zona, there is no evidence that  
22 naked DNA or viruses will do so. There have been  
23 experiments at least with retroviruses that have no  
24 viruses that I am aware of where very high amounts  
25 have been put onto zona intact eggs, and then lacZ



1 staining look for later in cleavage, for example,  
2 without seeing anything.

3           After fertilization, MII is completed with  
4 release of the second polar body formation and  
5 formation of the female pronucleus.

6           Now, micromanipulation to assist  
7 reproduction can assist genetic material in by  
8 passing the zona. I just would like to make the  
9 point here of two contrasting papers in the  
10 literature, one by an Italian group in I believe  
11 now the late eighties, in which they asserted that  
12 if you performed in vitro fertilization with  
13 plasmid DNA sitting in the medium, about 30 percent  
14 of the mice born were positive for transgene  
15 sequences.

16           The plasmid they happened to use in this  
17 case was a commercially available SV40-based vector  
18 and to prove that they had integration in these  
19 mice, they cloned the material back out of the  
20 mouse genome and sequenced the vector material that  
21 was in the mouse genome.

22           The published sequences contain nothing  
23 junctional, they were all internal sequences to a  
24 commercially published sequence. They also did a  
25 so-called MB01/DPN1 digest to show that the

1 material was in mammalian cells and was therefore  
2 digestible with I believe it's MBO1, if I don't  
3 them in backwards order, and the only problem with  
4 this southern blot showing disappearance of this  
5 band was that the southern blot did not include the  
6 molecular weight size that the band was originally  
7 in.

8           It stopped before you could get that high  
9 up on the gel, which wasn't very high, I might add,  
10 about 4.3 kb.

11           So, needless to say, there were a few  
12 weaknesses in this publication. Nonetheless, it  
13 made the cover of Cell and was accompanied by a  
14 very exuberant editorial saying that this had  
15 something to do with evolution, plasmids jumping  
16 into gametes out there in the ocean where fish have  
17 ex vivo fertilization, for example, and multiple  
18 labs tried to repeat this work and 2,300 mice were  
19 produced in a number of labs, we tried it too,  
20 could not reproduce this work even using the  
21 identical reagents, and no one makes transgenic  
22 mice this way even though it is a heck of a lot  
23 easier than microinjection.

24           However, if you do another experiment, and  
25 that is, mix plasmid DNA with sperm, as was done

1 before but now inject the sperm into the egg, so  
2 now you are bypassing the zona with a microneedle,  
3 and the sperm and DNA around it go into the egg, a  
4 significant percentage of the mice are transgenic,  
5 and that is a reproducible result.

6           So, in humans, if we think about  
7 micromanipulation, and this is something I have  
8 been asserting in an editorial that I have in  
9 press, we have to think about the fact that the  
10 environment had better be clean, because we can get  
11 DNA in by that method.

12           My opinion of what occurs here is that the  
13 pronucleus forms quickly after the sperm is  
14 injected, DNA gets entrapped into it, and it is  
15 pretty much the same as microinjecting DNA into a  
16 pronucleus.

17           Now, another interesting point is there is  
18 there papers indicating that retroviruses and  
19 lentiviruses will infect MII oocytes, which are not  
20 meiotic reactive, but which do not have a nuclear  
21 membrane. The chromosomes are sitting at a  
22 metaphase of the second meiotic division to produce  
23 transgenic cattle, monkeys, and mice.

24           I think these papers are very interesting,  
25 but there is one slight problem with the assertion

1 that it is the non-meiotic MII oocyte that is the  
2 target, and that is, of course, that if you soak  
3 MII oocytes in the vector, and then fertilize them,  
4 there are still going to be vector around after  
5 fertilization, and it is not really possible to  
6 completely clean them and then fertilize them to  
7 show that you had no vector around at  
8 fertilization, so it is possible in my view that  
9 fertilization occurred and then these vectors went  
10 in.

11           But, nonetheless, you can get MII oocytes  
12 transduced with retroviruses and in mice, now  
13 lentiviruses from David Baltimore's lab, and again  
14 this raises an issue in clinical in vitro  
15 fertilization where the zona is opened not  
16 infrequently, either for injecting sperm, for  
17 biopsying embryos, and so on.

18           Now, male gametes. Now, in the male, the  
19 primordial germ cell step is the same. They get to  
20 the genital ridges as before, but then they become  
21 dormant where they are contained within sex cords.  
22 They sex cords are like the future seminiferous  
23 tubules of the testis, they remain this way.

24           The sex cords have a membranous barrier  
25 between them and the outside world, but this is

1 much less protected structure than it becomes after  
2 puberty. The cells are mitotically inactive and  
3 relatively unprotected.

4           At puberty, these PGC's become  
5 spermatogonia and begin dividing. Type A  
6 spermatogonia are renewable stem cells that produce  
7 more Type A spermatogonia, but they can also  
8 produce Type B spermatogonia, and those are  
9 committed to meiosis.

10           It has been shown, mainly by Ralph  
11 Brimster's lab, that spermatogonia can be  
12 transduced with retroviruses and lentiviruses, I  
13 believe are correct now. This is one in vitro and  
14 it is not clear how efficiently one could  
15 accomplish this in an intact testis with intact  
16 spermatogenesis. Perhaps our colleague in the  
17 audience, an expert on spermatogonia, can speak to  
18 that, but it clearly is biologically possible to  
19 transduce them even though it is not very easy.

20           Generally, they are put back into a testis  
21 that doesn't have its own spermatogenesis, so that  
22 you can sort of have a natural selection for those  
23 cells exposed to the vectors in the outside world,  
24 and you can get transgenic mice that way.

25           Now, when meiosis beings and the

1 spermatogonia are formed also, the testis becomes  
2 organized the seminiferous tubules. Pre-meiotic  
3 cells are at the tubule periphery where agents can  
4 get to them, but they will have to get through the  
5 tubule wall, but theoretically, they could be  
6 reached from a hematogenous spread to the  
7 seminiferous tubule.

8           However, Sertoli cells, situated within  
9 the seminiferous tubules, form tight junctions that  
10 sequester meiotic cells behind what is called the  
11 "blood testis barrier," so actually not a barrier  
12 between the blood and meiotic cells, it is between  
13 the Sertoli cells and the meiotic cells.

14           Sperm move toward the lumen of the tubule  
15 as they complete meiosis and morphological  
16 transformation. Now, this barrier is needed, of  
17 course, because it doesn't occur because these  
18 meiosis-specific proteins don't appear until after  
19 puberty, and therefore they are potential  
20 immunogens, so this has to be an immunologically  
21 privileged site, and that is the rationale for  
22 having the blood testis barrier.

23           Meiotic cells are difficult to access  
24 except retrograde through sex ducts. You can  
25 inject vectors into the epididymis, for example,

1 and find them in the testis. So someone is  
2 undergoing, for example, prostate gene therapy, it  
3 is not at all impossible that one could get vectors  
4 moving retrograde back up and thereby get to the  
5 cells that are behind the blood testis barrier.

6           Male gametes. Now, sperm maturation or  
7 spermiogenesis, is characterized by a loss of most  
8 cytoplasm, replacement of the histones by much  
9 tighter binding protamines, and near complete  
10 cessation of gene expression. I say "near" because  
11 there are a few post-meiotically expressed genes.

12           Again, what you have to realize is that  
13 the idea of sexual reproduction is to give all  
14 gametes an equal chance of getting to the egg, and  
15 if you have postmeiotic gene expression could have  
16 allelic variance which would give sperm an  
17 advantage theoretically, and so the organism does  
18 everything possible to prevent that.

19           As meiosis begins, actually, once Type B  
20 spermatogonia become committed, these cytoplasmic  
21 bridges remain between the cells. These are very  
22 large and they allow even mRNA size molecules to  
23 pass from one cell to another, so allelic  
24 variations between spermatogenic cells, those  
25 differences are minimized in terms of their

1 potential impact on spermatogenesis, and then late  
2 in spermiogenesis, there are a few genes active,  
3 but mainly there are the chromatin is very tightly  
4 condensed and very difficult to access.

5 I should point out parenthetically there  
6 that there have been papers from Anderson's lab way  
7 back when, showing that retroviruses like open  
8 chromatin in preference -- or DNA hypersensitive  
9 chromatin -- in preference to highly condensed  
10 chromatin.

11 The nucleus then becomes surrounded by  
12 what I would call the giant lysosome, the acrosome,  
13 contains lytic enzymes for presumably digesting  
14 your way through the zona in fertilization, and it  
15 is difficult to access DNA in the sperm head.

16 Now, again, I would say that there are  
17 some papers saying that this has been done  
18 successfully. There is a paper from France saying  
19 that pig sperm can be transduced with adenovirus.  
20 This paper found lacZ expression in cleaving  
21 embryos after exposing sperm to adenovirus, and  
22 then found piglets that had mRNA-derived by RT-PCR  
23 that had mRNA derived from adenovirus in multiple  
24 tissues of these piglets.

25 Now, I would just analyze this paper a



1 little bit for your benefit, if I might. The lacZ  
2 vector used in that paper was a vector that was  
3 received from another laboratory and which had a  
4 nuclear localization signal. So the lacZ should  
5 have been in the nucleus of these embryo cells, and  
6 indeed, when we have used such things on embryos,  
7 we see the nucleus stain.

8           However, the pig embryo is loaded with  
9 lipids, and they are basically black. You can't  
10 see the nucleus in a pig embryo, and if you want to  
11 inject a pronucleus in a pig to make transgenic  
12 pigs, you have to centrifuge the embryo to get the  
13 lipid out of the way, so you can even see the  
14 structures.

15           So, in the photograph showing lacZ  
16 staining of these embryos, there were black embryos  
17 that were exposed to the vector, and there were  
18 slightly less black embryos that were not exposed  
19 to the vector, and the nucleus was not visible in  
20 either case.

21           The staining for lacZ was done for 15 days  
22 in this experiment, and I would assert to you from  
23 my own work with lacZ staining that you could stain  
24 your teeth if you did it for 15 days.

25           The staining was on the zona. There is no

1 reason why there should be staining on the zona,  
2 but we have used lacZ staining on embryos with  
3 adenovectors on zona-free embryos just exposing the  
4 embryo, we never seen staining, not on zona-free,  
5 but, for example, injecting it under the zona, we  
6 never see zona staining.

7           These people found RT-PCR-positive tissues  
8 in all three germ layers of the piglets born, that  
9 is, ectoderm, mesoderm, and endodermal derivatives.  
10 Now, this vector was replication-defective. The  
11 only possible way to be in all three germ layers is  
12 if it integrated and got replicated.

13           However, their southern blots were  
14 negative. To me, that is a very incongruous  
15 result, so I don't believe the result, let me just  
16 give you my own opinion there.

17           We tried this in mice and could not repeat  
18 it, at least in mice. However, I think this paper  
19 and the other paper with the sperm-mediated plasmid  
20 transfer speaks to one of the sort of difficult  
21 problems for the FDA, I believe. These are  
22 published data and it is very difficult to say, oh,  
23 well, that's great, but it is not a good paper, so  
24 we will just ignore it. It is very difficult to  
25 ignore it when people say they are doing these

1 kinds of things successfully, then, one has to step  
2 in and address it.

3           Male gametes continued. Now, the mature  
4 sperm on route to release can be exposed to vectors  
5 via fluid from the seminal vesicle, prostate, and  
6 in the urethra, a small amount of urine, as well,  
7 although maybe you are uncomfortable to see or hear  
8 that, it's true.

9           Virus found in the ejaculate could be from  
10 any of these four sources or from the sperm  
11 themselves if somehow it got there, and I should  
12 say that one could imagine all also that the cells  
13 that line the sex ducts could be received vector  
14 from the bloodstream and then pass it on  
15 theoretically to sperm although I think that is  
16 very unlikely.

17           As vectors diversify, though, we can't  
18 completely rule that out. Reports of successful  
19 transduction of mature sperm are difficult to  
20 repeat, and I have already discussed that.

21           Male gametes continued. When sperm bind  
22 to the zona, they undergo the acrosome reaction.  
23 The acrosome reaction is fusion of the outer  
24 acrosome membrane. You remember the acrosome is  
25 the giant lysosome. The best way to think of this,

1 as I have told my family, it seems to work on them,  
2 if a fist put in a pillow, a soft pillow, and that  
3 put into a garbage bag.

4           Now, the soft pillow is the acrosome, and  
5 the fist is the nucleus, so the nuclear membrane is  
6 coming in contact with the inner acrosomal  
7 membrane. Then, you have the feathers, which is  
8 the acrosomal contents, then, the outer acrosomal  
9 membrane, the other side of the pillow, and then  
10 that is right underneath the plasma membrane, the  
11 plastic bag.

12           Well, if you slash open the plastic bag  
13 and the outer side of the pillow, and sew those  
14 seams together, you will release all the feathers  
15 to the outside. The acrosome reaction occurs, and  
16 the bottom line of that is a lot of the sperm  
17 plasma membrane is lost.

18           So even passive association of genetic  
19 material with the membrane, a lot of it can be  
20 lost. However, often the entire sperm is  
21 incorporated into the egg and the plasma membrane  
22 and components associated with the tail may still  
23 be there, so it is possible to passively get it in,  
24 I think.

25           Now, shortly after fertilization, sperm

1 head decondenses to form the male pronucleus. DNA  
2 replication begins. Genetic material that enters  
3 the egg with sperm, as I pointed out, from these  
4 microinjection of sperm experiments, you can have a  
5 relatively highly frequent integration.

6           Now, the early embryo, I wanted to mention  
7 it because of my allusions to IVF, the early embryo  
8 cleaves within the protective zone until  
9 implantation, when hatching occurs. Now, hatching  
10 and implementation virtually occur concomitantly  
11 under normal circumstances, so the embryo is  
12 difficult to access even though it has to get out  
13 of the zona.

14           However, micromanipulation can open the  
15 zona and expose the embryo to gene transfer agents  
16 for more extended periods. Take, for example, the  
17 many thousands of IVF cycles that go on every year  
18 where the zona is open to theoretically assist  
19 hatching. In my opinion, assisted hatching is of  
20 debatable effectiveness, but there have been some  
21 papers that embryos from older women implant more  
22 frequently if you open the zona, and what happens  
23 there is you may open the zone at the four-cell  
24 stage, put it in the uterus and it sits there until  
25 the blastocyst stage and then implants, and so now

1 you have the naked cells of the zona opened embryo  
2 sitting there where agents that may be in there  
3 from the woman being infected with something, from  
4 the lab technician who had gene therapy, from  
5 whatever source, have a much greater time period in  
6 which they could get to the embryo.

7           The embryo is quite easily transduced by a  
8 variety of agents, retroviruses being the first one  
9 done by Yenish in the early seventies, recombinant  
10 retroviruses in the mid-eighties, controversy  
11 whether adenoviruses integrate. Our own lab did  
12 one where we did early embryos with adenovirus, and  
13 what we found was adenovirus was very toxic, so if  
14 you put enough in to be sure of getting  
15 transduction, the embryos were all killed. If you  
16 put in so little that none of the embryos were  
17 killed, you had no transduction, but if you have  
18 sort of an intermediate level, then, very rarely  
19 you can see PCR-positive tail biopsies in offspring  
20 that is clearly a mosaic integration.

21           So it is possible to infect embryos, and  
22 as IVF becomes more and more interested in zona  
23 opening, let me give you another example,  
24 pre-implantation genetic diagnosis. You may have  
25 heard the speech of Frances Collins at the ASGT

1 meeting in California where he went on about  
2 pre-implantation genetic diagnosis and result of  
3 finding out things from the genome project, for  
4 example.

5           Well, pre-implantation genetic diagnosis  
6 requires first injection of the sperm because if  
7 you do regular IVF, there is hundreds of sperm that  
8 are still around and many bound to the zona. When  
9 you then biopsy the embryo for PCR, if one of those  
10 other sperm gets into your PCR reaction, you are  
11 looking for one molecule here, that is, or two  
12 molecules, to genotype the embryo, an extraneous  
13 sperm is unacceptable, so you have to do ICSI, that  
14 is, intra-cytoplasmic sperm injection.

15           Well, that opens the zona, and as I  
16 pointed out before, it is very easy to make  
17 transgenic mice if you do ICSI with DNA in the  
18 medium.

19           Then, you go back later and open the zona  
20 again, but this time a much bigger hole, so that  
21 you can take a cell off to do genetic diagnosis,  
22 and so I think from the point of view of germline  
23 transmission, it is much more risky thing to do  
24 than just tell the women to get pregnant. She will  
25 have a 75 percent chance then of having a baby that

1 hasn't have genetic disease in the case of  
2 recessive genetic disease. She has a 100 percent  
3 change of getting pregnant, of course, while in  
4 pre-implantation genetic diagnosis, her chances are  
5 only 20 percent. It is going to cost her nothing  
6 to get pregnant, while in pre-implantation genetic  
7 diagnosis, it costs about \$15,000 to get pregnant.  
8 Then, she has no risk of all these other things,  
9 which, of course, in pre-implantation genetic  
10 diagnosis, she has.

11 I might also add that she has to be  
12 superovulated for pre-implantation genetic  
13 diagnosis. There have been deaths from  
14 hyperstimulation syndrome. There have been  
15 problems with surgical retrieval of oocytes. I was  
16 a little angry with Frances for always saying that  
17 instead of saying how about just doing prenatal  
18 diagnosis and doing an abortion in the quarter of  
19 cases where it is necessary.

20 I just thought I would give you a few  
21 pictures here. There is spermatogenesis in a  
22 normal testis. Actually, it is a seminiferous  
23 tubule that we injected with adenovirus vector, and  
24 the periphery of the less mature sperm cells. As  
25 you see, you move towards the periphery, the sperm



1 heads become condensed and you can see tails, and  
2 so on.

3           Then, they are released into the lumen of  
4 the tubule and then may go out. I said there is  
5 minimal cytoplasm on sperm, but a normal variant in  
6 sperm is a so-called cytoplasmic droplet, which  
7 kind of like hangs behind the mid-piece of the  
8 sperm, so there can be a significant amount of  
9 cytoplasm in ejaculated sperm.

10           Here is a developing egg. I was pointing  
11 out to you the barriers of penetration of this  
12 structure for its virovector. Here is the DA  
13 nucleus. You can't see the incipient zona  
14 pellucida, but there is a very white band around as  
15 it is beginning to form, many follicle cells  
16 around, and then the follicle wall. So it is  
17 difficult to get there.

18           This is some experiments we did when  
19 injecting adenovirus vector into the ovary at  
20 unbelievable concentrations against any for lacZ.  
21 You can see that this vector didn't want to get  
22 into the follicle. The eggs didn't make it through  
23 frozen section, so we have done  
24 immunohistochemistry to show that the follicle is  
25 not penetrated.

1           Here is injection directly into the  
2 seminiferous tubule. My contention is that we  
3 should do provocative experiments that tell us  
4 whether or not it is biologically possible to  
5 transduce these cells, because in the future, gene  
6 therapy will be promulgated, vectors will  
7 diversify, their tropisms will change, their  
8 structures will change, the methods of  
9 administrations will change, and the number of  
10 people treated will grow, so we need to know can  
11 these things actually get in, not we need to design  
12 experiments not to show ourselves as they probably  
13 won't happen. We need to do experiments to tell us  
14 whether or not it can happen, so that we can write  
15 the proper consent forms.

16           When we do adenovirus vectors into  
17 seminiferous tubules directly in a procedure we  
18 call seminiferous tubule cannulation, we see a lot  
19 of staining for lacZ, this is immunohistochemical,  
20 in the periphery, and it looks as if Sertoli cells  
21 are the transduced cells.

22           This is a Sertoli cell. It is sort of  
23 anchored to the periphery of the tubule and extends  
24 its way in. The Sertoli cell surrounds the  
25 spermatogenic cell and sort of helps it complete

1 spermatogenesis, and, by the way, also concentrates  
2 androgens to very high levels in this region of the  
3 testis.

4           We are doing this test to ask ourselves  
5 can we transduce these intermediate cells that are  
6 behind the blood testis area by injecting vector  
7 directly into an intact seminiferous tubule. We  
8 believe that this suggests no, but we think we need  
9 to go to nucleic acid hybridization to really know  
10 because especially like for AAV, which has a  
11 delayed expression, we need to know where the  
12 genetic material actually is.

13           This is just a view of the acrosome  
14 reaction. This is the acrosome. With those  
15 enzymes for getting through the zona pellucida, the  
16 main one is a proteolytic enzyme acrosome, and I  
17 hate to say this, but there is a paper from Japan  
18 where acrosome was knocked out and the mice were  
19 completely fertile. It has never been repeated,  
20 but everybody believes it. That is rather a shock,  
21 I must say.

22           You can see how much of the plasmid memory  
23 can be lost in the acrosome reaction.

24           That is the summary them of where  
25 gametogenesis is more or less susceptible to being

1 genetically transduced.

2 DR. SALOMON: Thank you very much, Jon.

3 That was excellent.

4 Q&A

5 It is interesting that yesterday, we were  
6 talking about a procedure that came very close to  
7 what you just described, so what they are doing it  
8 taking infertile oocytes from the presumed patient  
9 or from the infertile mother, and taking normal  
10 donor oocytes and injecting the sperm -- it's ICSI  
11 -- but also ooplasm from the normal oocyte donor.

12 One of the issues that we discussed in  
13 detail was the potential of chromosomal DNA  
14 fragments being injected with the ICSI, and you  
15 have now given additional evidence. We were  
16 concerned of recombination potential, the gene  
17 delivery.

18 DR. GORDON: Well, let me just say that I  
19 wrote an editorial to Fertility and Sterility,  
20 which is in press, but I haven't received galleys  
21 yet, and therefore, there is some concerns about it  
22 being released to the committee and then, of  
23 course, to the public yet.

24 But I list all these procedures of  
25 micromanipulation and their potential risks for

1 inadvertent germline Transmission. I makes some  
2 suggestions about what might be done to sort of do  
3 quality control in IVF labs. That would at least  
4 address this issue proactively.

5 I mean should we multiplex PCR media in  
6 which we do micromanipulation just to make sure  
7 there is not DNA in there, or should we discuss  
8 whether or not practitioners of this forms of IVF,  
9 we should at least know that they haven't had 1015  
10 retroviruses put into them the day before for gene  
11 therapy for something, which could happen down the  
12 road.

13 I think we should at least begin to study  
14 this because there are tens of thousands of cycles  
15 done.

16 Now, in terms of the papers of ooplasm  
17 transfer, I have a written editorial published, in  
18 which I say that the use of germline gene  
19 manipulation -- unfortunately, these people did  
20 this mitochondrial DNA analysis on newborns who had  
21 received ooplasmic transfer, and they found the DNA  
22 of the donor cytoplasm in the newborn's bloodstream  
23 -- they called this the first germline gene  
24 transfer.

25 Well, of course, these new mitochondrial

1 DNAs were not transmitted through the germline yet,  
2 so it was a little bit of a loose use of the term,  
3 and remember that if it is mitochondria, you can  
4 always get rid of it if you just allow the person  
5 to be a male who has received all of that, because  
6 sperm mitochondria are not transmitted to the next  
7 generation.

8           There was a very interesting paper where  
9 sperm mitochondria were injected into an egg and  
10 destroyed and then liver mitochondria were injected  
11 and weren't destroyed, so it seems like the egg  
12 knows how to find sperm mitochondria, distinguish  
13 them from others and destroy them.

14           So that type of gene transfer if not  
15 germline in my opinion, and although these people  
16 wanted notoriety for using that phrase, I am not  
17 sure they got the one they were looking for, but in  
18 any case, that is very easy to thwart. All you have  
19 to do is make sure that it's only male reproduction  
20 after that.

21           DR. SALOMON: This is very interesting but  
22 we are going to have to stop, because that, we  
23 discussed yesterday. Too bad you weren't here.

24           I have one quick question and then we will  
25 start from the panel. In terms of interpreting

1 experiments where you say we looked at gene  
2 transfer with adenoviral vectors, they were all  
3 adeno that you showed us this time, no AAV, right?

4           It got into the Sertoli cells, for  
5 example, it didn't get into the spermatogonia, and  
6 from what I looked at, those were spermatogonia,  
7 not the more mature spermatids, right, because you  
8 were showing right at the edge there --

9           DR. GORDON: Some maturing, yes, it looked  
10 like there might have been spermatogonia. That  
11 slide does not rule out. That slide shows that we  
12 can certainly get a ton of vector there, which I  
13 believe is important. I think provocative tests  
14 need to be done, not bloodstream injections where  
15 we will never find the cells that got exposed.

16           DR. SALOMON: The specific question I had  
17 is at some point, you point out very well that the  
18 DNA in the developing sperm condenses and  
19 transcription diminishes dramatically to almost  
20 stopping, and I certainly have no expertise in  
21 exactly when in the cycle that is happening, but it  
22 would seem to me that particularly, experiments  
23 done with mature sperm in which you tried to do  
24 something that required transcription as the  
25 measure of whether you got gene delivered would be

1 a failure because there is no transcription going  
2 on, so even if you got gene in, to just take sperm,  
3 incubate it with AAV vector or adenovector or any  
4 vector, and then show this is not lacZ positive  
5 wouldn't mean anything.

6 Did I miss something along the line?

7 DR. GORDON: Well, I am not so sure how  
8 much transcription is needed to get that to occur.  
9 I mean you are more a vectorologist than myself,  
10 but it would seem to me that if you get a vector  
11 into the head of the sperm, that the sperm could  
12 then fertilize the egg, and then it would  
13 decondense into a pronucleus and development would  
14 begin, and any vectors that were in there could  
15 then act as if they had just infected a dividing  
16 cell line.

17 So, if you could get the sperm to carry it  
18 in, you wouldn't have to transduce the sperm,  
19 integrate it into the sperm head, but you could  
20 certainly get viruses into the embryo by that  
21 method theoretically.

22 DR. SALOMON: Right. So if you want to  
23 test it, you would have to test it several steps  
24 down the line, that you have delivered whatever you  
25 carried in, got transcription again, make the



1 beta-galactoside gene, then, you do the colored  
2 substrate. I am just trying to understand. From  
3 what you are saying, if you took just mature sperm  
4 and incubated them with a vector, and that might  
5 even occur in the -- there is probably a lot of  
6 transcription going on in the spermatogonia,  
7 though, right?

8 DR. GORDON: Yes.

9 DR. SALOMON: That must be a metabolically  
10 active cell.

11 DR. GORDON: Yes.

12 DR. SALOMON: So this would probably not  
13 be a criticism of studies done on the first things  
14 you showed.

15 DR. GORDON: Well, here is what I did. I  
16 exposed sperm to adenovirus vectors, made sure that  
17 they got exposed to is, 10, 100 virions per cell,  
18 and then I did in vitro fertilization with those  
19 same sperm.

20 Then, the embryos that those sperm  
21 conceived were evaluated for expression. The other  
22 thing we did was we allowed fetuses to be produced  
23 or newborns and we evaluated them by PCR.

24 Now, my opinion is there were a lot of  
25 experiments that preceded those in which animals

1 were injected in their brain with adenovirus and  
2 then bred. Well, you know, there is 300 million  
3 sperm in a mouse ejaculate, and you are looking at  
4 10 of them when you look at 10 pups. So that is  
5 statistically not satisfying.

6 But if you have an in vitro system where  
7 every cell is exposed and then you have a way of  
8 assessing whether it got in, I think that you are  
9 doing much more to really answer the question.

10 DR. FLOTTE: I had sort of a natural  
11 history question. I was wondering if you had any  
12 thoughts about human endogenous retrovirus  
13 sequences in our genome and what is the most likely  
14 access that those originally had to the human germ  
15 line.

16 Then, a follow-up question, do you think  
17 there is any significance to the fact that we don't  
18 find human endogenous AAV sequences in the genome?

19 DR. GORDON: The first question. Well,  
20 there is a tiny little sort of moment of  
21 accessibility I think at hatching of the embryo in  
22 vivo. The embryo has to hatch out and then  
23 implant, and it is naked. That could be a point  
24 where a person who had a lot of viremia or a lot of  
25 virus in interstitial uterine fluid that you could

1 get one in.

2 I must say that in mice, retrovirus-like  
3 sequences are also found endogenously in the  
4 genome. That, to me, would be a logical place to  
5 think of it occurring. It is very hard to imagine  
6 it occurring. You could also think of a viremic  
7 male having it get into a spermatogonia.

8 I mean now that it has been shown that you  
9 can get it into spermatogonia, at least in vitro,  
10 it might be much less probable in vitro, but if you  
11 have 30 million centuries to work on it, you know,  
12 you may see it. So this is exactly the point, of  
13 course, about provocative testing, too.

14 So that is my view. Now, what is the  
15 significance of not finding a virus, I mean I  
16 really can't say anything about that. It could be  
17 a combination of factors - I haven't looked enough,  
18 the virus has too low an integration frequency,  
19 there is not a biological setting in which there is  
20 good access of a virus at a susceptible point, you  
21 know, ontogeny, such as uterine fluid at a time of  
22 implantation.

23 So it would only be speculation on my  
24 part, I don't know.

25 DR. SALOMON: Dr. Dym and then Dr. Rao.

1           DR. DYM: I had a couple of questions, but  
2 first I will thank you also for a lucid  
3 presentation. I will just comment briefly that  
4 there are a number of people who are using in vivo  
5 approaches, as I think you know, to get viruses  
6 into the spermatogonia through the seminiferous  
7 tubular lumens. Brimster is one and there was a  
8 paper by Blanchard & Vokalhyde in Biology of  
9 Reproduction in 1997.

10           Again, they showed that it only went into  
11 the Sertoli cells, but Brimster and a number of  
12 others, actually, five or six labs, in monkeys and  
13 in rodents and in cattle, are using this  
14 seminiferous tubule injection or ret-A testis  
15 injection. It is in vivo, but it is not practical.  
16 I mean you can't put it in that way normally.

17           But this leads me to my second question  
18 having to do with barriers. You mentioned  
19 barriers. I do believe there are barriers from  
20 your work and from other people's work, and that is  
21 why probably virus in a muscle or systemic virus  
22 may not get into the spermatogonia, but this is in  
23 normal animals or maybe in normal people, but the  
24 barriers actually break down when there is a  
25 diseased person or a diseased animal.

1           I am just wondering if you know anything  
2 about that and if, when the barriers break down.  
3 Actually, another thought came to mind. For  
4 example, in AIDS patients, the barriers are broken  
5 down and the virus, which is circulating in the  
6 blood, let's say, from a man who has gotten  
7 infected via needle, the virus is in the blood, and  
8 then eventually it breaks down and gets into the  
9 closed lumen or semen compartments, whether it is  
10 testis or epididymis, but it does get across the  
11 barrier, so viruses do get across in diseased  
12 conditions.

13           Some of these patients you are talking  
14 about might have a breakdown of the barrier.

15           DR. GORDON: I am glad you actually  
16 mentioned that because I think it is worth some  
17 comment. First of all, I think viruses might be  
18 able to break the barrier and then go through. I  
19 mean viruses can hurt cells, and if you flood cells  
20 with them, you might get a weakening of a barrier  
21 by the very action of the virus.

22           Then, there are disease states. Disease  
23 states are exposed internal portion of the  
24 seminiferous tubules to the outside, I think  
25 intuitively are not likely to be so flagrant as to

1 raise the risk significantly just because I think  
2 that would have a big impact on spermatogenesis,  
3 too, but I did want to say that there are ways --  
4 well, the FDA speaker was point out that localized  
5 injection is less risky than perhaps systemic  
6 injection, but I think one exception should be  
7 taken to that, and that is injections into things  
8 like the prostate, which by no means is an inactive  
9 area of research, so I do agree that while these  
10 barriers exist, one cannot predict from that  
11 intuition that in all of the settings of gene  
12 therapy, where a vector's ability to cross barriers  
13 may vary, or a vector's ability to violate the  
14 barrier and get in on their own may vary, where  
15 disease states may vary.

16           So biologically, these barriers exist, but  
17 I think it is quite true that you can by no means  
18 be guaranteed that they are going to protect you  
19 completely, and provocative testing is needed.

20           DR. RAO: You give a very nice summary, at  
21 least for me, in terms of understanding that there  
22 is great protection of the male and female gametes.

23           So, let's say you do, in fact, a patient  
24 with adeno-associated virus at some titer, 10<sup>11</sup>,  
25 and now see adeno-associated virus in ejaculate.

1 What would you speculate as which cell was infected  
2 and does it have to actually be an integration  
3 event that you are seeing this one year later?

4 DR. GORDON: No, I don't think it has to  
5 be an integration. A year later is really a long  
6 time. But weeks later, as what happened in this  
7 case that probably prompted this discussion, could  
8 be in anything, could be seen in the fluid  
9 component, could be in other cells, there is always  
10 a few white cells perhaps, could be in the debris  
11 that would slough off from endothelium, not at all  
12 necessarily in sperm, and even if it came out with  
13 sperm, that doesn't mean it is in them. It could  
14 be just on them, and washing them could take care  
15 of it, or IVF could take care of it.

16 I think it is reasonable if a sperm  
17 fraction in infractionated semen is positive to  
18 step back and say, well, now, a red flag has been  
19 risen. If you find it in whole semen it really  
20 could be from any variety of sources.

21 DR. DYM: Just one more comment maybe in  
22 relation to what you said. You know, those of us  
23 who work in the testis, and there are many of us  
24 working on spermatogonia who are actually trying to  
25 infect and transduce the spermatogonia and the germ

1 cells, we never think of doing it in the sperm, we  
2 always think of doing it in the spermatogonia as  
3 the only permanent way.

4 I think that maybe addresses some point  
5 that you made. That would be permanent, you know,  
6 generation after generation after generation. It's  
7 an eternal cell, it's an immortal cell, the  
8 spermatogonia. The sperm dies.

9 DR. RAO: The reason I asked the question  
10 was one needs to evaluate, when you are looking at  
11 any kind of risk, as to where the virus particle is  
12 present, and that is an important thing that we  
13 need to clarify if you are going to say that you  
14 detected in the sperm or in the ejaculate where is  
15 it really going to be present.

16 From what we heard, it is unlikely to be  
17 present in the sperm per se, at least in the sperm  
18 DNA, and given what we have heard about integration  
19 events, maybe it is unlikely to be present in the  
20 spermatogonia, but we need to know it. It is best  
21 to ask the expert directly.

22 DR. GORDON: Well, I just would say that  
23 if you found it in semen a year later, I would be a  
24 little more worried that it got into is  
25 spermatogonium because, as he said, that is an



1 immortal cell. Spermatogenesis proceeds in waves,  
2 and if you get it into any cell that is not the  
3 Type A spermatogonium, you may have its appearance,  
4 but then it will disappear.

5           That is why people are trying to do  
6 spermatogonia, but I must add that there are a  
7 number of papers in the literature, none of which I  
8 believe, but there is man of them saying that you  
9 can get DNA into mature sperm by a variety of  
10 methods - opening the epididymis and giving it an  
11 electrical shock with your biorad electroparator,  
12 people will say that works. I mean you should see  
13 those data, they are so pathetic, but nonetheless,  
14 they are published, so what can you say, the data  
15 are published.

16           DR. SALOMON: I would like to call this  
17 session to the break. We will see everybody back  
18 in 10 minutes.

19           [Recess.]

20           DR. SALOMON: We will go ahead and get  
21 started.

22           This portion of the session, we are going  
23 to have a series of presentations from Avigen and  
24 then from the University of Pennsylvania.

25           The next two speakers will provide us some

1 specific information on the AAV vector from Avigen.

2 The first speaker is Mark Kay. Welcome.

3 A Phase I Trial of AAV-Mediated Liver-Directed

4 Gene Therapy for Hemophilia B

5 Mark Kay, M.D., Ph.D.

6 DR. KAY: Thank you.

7 What I would like to do is summarize our  
8 Phase I trial of AAV-mediated liver-directed gene  
9 therapy for hemophilia B, which is a collaborative  
10 effort between many investigators at Stanford, the  
11 Children's Hospital, Philadelphia, and Avigen.

12 [Slide.

13 Today's focus are issues pertaining to the  
14 inadvertent germline transmission of AAV vector and  
15 what I would like to do is summarize data related  
16 the clinical trial to date.

17 [Slide.

18 There has been some discussion about  
19 integration of AAV in the liver, and although Jude  
20 suggested that I was going to show data about  
21 integration, I actually have those slides, but not  
22 in this particular talk, so let me just summarize  
23 where things are and give some explanation.

24 We know that, in general, if you inject  
25 reasonable high doses of AAV into mice that you can

1 get something in the neighborhood of 50 percent of  
2 hepatocytes that are stably modified with AAV. In  
3 some situations, it might be slightly higher or  
4 lower.

5           Now, it turns out that if you give these  
6 regular doses of AAV into mice, the vector genomes  
7 actually get into almost 100 percent of the  
8 hepatocyte nuclei, but over time, most of those  
9 single stranded genomes are lost and here is only a  
10 small proportion of cells that remain with stably  
11 transduced vector genomes

12           Now, the proportion of integrated genomes  
13 is actually small. Generally, it is actually less  
14 than 5 percent. I think the definitive evidence  
15 that AAV integrated in liver was a study done in  
16 collaboration with Linda Couto and Hikiyuki [ph]  
17 Nikai, where they actually were able to clone out  
18 integration junctions, so basically within the  
19 vector, they put bacterial origins of replication  
20 and then were able to take genomic DNA, put them  
21 back in the bacteria, and clone out the covalent  
22 linkage of the vector where it integrated into the  
23 genome.

24           Now, this was a very useful technology,  
25 but it does not quantify how much integration

1 actually occurred. So we have recently published  
2 on studies where we have injected AAV into animals  
3 and we wait for a period of time until there is  
4 stable transduction, and then what we actually do  
5 is a hepatectomy.

6           Now liver cells will equally regenerate,  
7 such that each cell divides once or twice, and as a  
8 result, DNA genomes that are not associated with  
9 centromeres or telimeres are lost, and we have  
10 positive and negative controls for this, and what  
11 we find is that in most situations, the amount of  
12 integrated genomes, of the stable genomes is very  
13 small, it is usually less than 5 or 10 percent of  
14 the double-stranded vector DNA.

15           Now, gene expression from the integrated  
16 forms, which again is small, and the episomal  
17 forms, parallels the proportion of vector DNA in  
18 each state, so if you do a partial hepatectomy and  
19 you look at the amount of vector genomes before and  
20 after, you get around 90 to 95 percent reduction  
21 both in gene expression and in number of genomes,  
22 again indicating that most of the expression comes  
23 from the episomal forms.

24           There is no detectable increase in the  
25 proportion of integrated genomes over time, and