

1 Blood Products Advisory Committee.

2           The American Red Cross collects more than  
3 6 million units of blood from volunteers each year  
4 in the United States. The donated blood is also  
5 fractionated into plasma derivatives. I am Don  
6 Fipps, Vice President, Quality Assurance Blood and  
7 Plasma Operations in Biomedical Headquarters, and I  
8 am responsible for the quality of blood and plasma  
9 distributed by the American Red Cross.

10           The American Red Cross agrees that there  
11 should be standards for recovered plasma. We  
12 recommend that the FDA use the standards of whole  
13 blood collections for that of recovered plasma. We  
14 believe that these standards can and should be  
15 different from that of source plasma.

16           Source plasma and recovered plasma are  
17 collected from two different sources of donors  
18 using different collection and frequency standards.  
19 As these processes are different, so should the  
20 standards associated with the resulting products to  
21 ensure a safe material for further manufacturing  
22 into licensed plasma derived products.

23           We believe the current high standards for  
24 whole blood collection, testing, and processing  
25 results in very safe transfusionable blood and

1 blood products. Recovered plasma benefits from  
2 these high levels of safety requirements. The  
3 product comes from a volunteer donor as frequently  
4 as once every 56 days.

5           The donor is qualified through a health  
6 history and mini-exam. Within the ARC, the  
7 confidential unit exclusion is also used as an  
8 additional check on the motive of the donor.  
9 Safety testing currently includes testing for HIV-1  
10 by both nucleic acid testing and antibody HIV-2  
11 antibody, hepatitis C by both nucleic acid testing  
12 and antibody.

13           Only whole blood donors are also tested  
14 for antibody to hepatitis B core, the antigen to  
15 hepatitis B and for other retroviruses, HTLV-I and  
16 II antibody. Additionally, all whole blood donors  
17 are also currently tested at each donation for  
18 syphilis and unexpected red cell antibody.

19           We believe that the current processes used  
20 in the industry to attract, medically screen  
21 donors, and using very sensitive tests makes  
22 recovered plasma a very safe product. Accepting  
23 donations from volunteers provides a level of  
24 assurance that donors will not provide anything  
25 other than accurate answers to the health history

1 questions.

2           As stewards of the gracious donation of  
3 volunteer whole blood from our donors, we use the  
4 plasma recovered from manufacturing to make  
5 critical and life-saving plasma-derived products.  
6 The American Red Cross currently manufactures  
7 recovered plasma through contracts with Baxter  
8 BioScience and ZLB Bioplasma, AG, into albumin,  
9 immune globulin and antihemophilic factor.

10           Specifications for starting materials  
11 among all the manufacturers of plasma derivatives  
12 are highly variable nationally and worldwide. For  
13 example, recovered plasma, the Red Cross processes  
14 with Baxter BioScience follows a specification in  
15 which only plasma frozen within 24 hours after  
16 collection may be used, whereas, ZLB Bioplasma, AG,  
17 has a different specification that allows for  
18 plasma to be frozen greater than 24 hours but less  
19 than 120 hours after collection.

20           The Red Cross supplies intermediate  
21 products, Fraction IV-1 paste, to Bayer, and again  
22 we have detailed and extensive requirements for  
23 that starting material, as well.

24           Storage temperatures for recovered plasma  
25 are also varied in that, for plasma from which

1 antihemophilic factor will be produced, the storage  
2 temperatures must be maintained at minus 20 degrees  
3 or colder versus a specification as warm as minus 5  
4 degrees Centigrade when plasma is intended for  
5 albumin and immune globulin.

6           Temperature variations also occur between  
7 what is expected in the U.S. at minus 18 degrees,  
8 and what is accepted in Europe at minus 20 degrees.  
9 The age of the recovered plasma used by the  
10 manufacturer is set by the specifications for the  
11 product being manufactured by the manufacturer.

12           Through existing standards of whole blood  
13 collection, testing and processing, and  
14 specifications from plasma derivative  
15 manufacturers, which are different between each  
16 manufacturer and product, we believe that there is  
17 no need for further regulatory guidance at this  
18 time.

19           If action is deemed necessary, the  
20 American Red Cross proposes that recovered plasma  
21 use, as an appropriate standard, that of whole  
22 blood collections.

23           I would like to thank the FDA for the  
24 opportunity to present our statement to the Blood  
25 Products Advisory Committee. The American Red

1 Cross is willing to work with the government and  
2 industry on recovered plasma.

3 I would like to thank you for allowing me  
4 to do this today. There are a couple of issues  
5 that I would like to define for the American Red  
6 Cross. The American Red Cross does have a national  
7 donor deferral registry where we collect all of our  
8 deferred donors in, however, that is not shared  
9 with other blood agencies in the United States or  
10 with PPTA at this time.

11 Also, there was a question about  
12 concurrent plasma. When the American Red Cross  
13 implements concurrent plasma collections in our  
14 system, we estimate that we will collect an  
15 additional 50,000 units annually from that.

16 So, those are a couple of questions that I  
17 would like to clarify. That is the end of my  
18 statement.

19 DR. NELSON: Thank you.

20 DR. HOLLINGER: Not necessarily a question  
21 here, but this is always very confusing to me, and  
22 I guess for the record, I will bring it up.

23 That is, we have organizations that are  
24 seemingly speaking for each other, but then we see  
25 different questions raised. The AABB apparently

1 supposedly speaks, initially, gave the impression  
2 that it was speaking for the American Red Cross and  
3 America's Blood Centers. Then, we see America's  
4 Blood Centers have an issue they talk about, and  
5 the American Red Cross then has an issue, which is  
6 different from what we just heard from the AABB.

7 I think this is very confusing. We see it  
8 almost every meeting that comes here. I think that  
9 is confusing to have those different viewpoints  
10 when one seems to be speaking for the same  
11 organization.

12 I understand the American Red Cross is  
13 part of the AABB, is that correct?

14 MR. FIPPS: That is correct.

15 DR. HOLLINGER: America's Blood Centers  
16 are, too, and then at the same time, you have  
17 different proposals basically. You don't feel that  
18 there should be any new regulations, whereas, that  
19 is not what I heard from the other presentations.

20 MR. FIPPS: Well, we just represent a  
21 portion of the AABB, so we don't represent a  
22 majority vote in that organization. We agree with  
23 a lot of what Kay stated in her statement about the  
24 need to make other plasma products available for us  
25 to turn into recovered plasma for further

1 manufacturing. We don't disagree with that one in  
2 the least.

3 We agree with their quality standards and  
4 their regulations as far as the AABB goes. The  
5 position of the Red Cross at this time is we don't-  
6 -because we sell our recovered plasma to these  
7 manufacturers, we didn't think that their  
8 particularly additional regulatory guidance needs  
9 to come out now. We will continue to work with  
10 industry to develop, on a voluntary basis, the  
11 standards, and we are working with them on that.  
12 But I appreciate your statement.

13 DR. FITZGERALD: Don, I just had one.  
14 From the time of making the recovered plasma until  
15 you ship it to the manufacturer, do you have any  
16 idea how long it is in storage at your facility  
17 before you ship it?

18 MR. FIPPS: Well, in our storage it is not  
19 very long. It could be within one of our regions  
20 for a couple of days, and then we ship it through a  
21 third party, to Baxter, within a week. Primarily,  
22 that is most of our products.

23 The stuff going to Europe takes longer  
24 because it has to be containerized before it is  
25 sent overseas on a ship to Switzerland.

1 DR. FITZGERALD: But less than a month?

2 MR. FIPPS: It could extend longer than  
3 that. I don't think I have an average off the top  
4 of my head how long that is. It is not a year, but  
5 it is usually within six months.

6 DR. FITZGERALD: In the second paragraph,  
7 you say, "We recommend FDA use the standards of the  
8 whole blood collections," but then you say you  
9 don't want more regulation.

10 Are you saying that if you do have  
11 regulation, you would like it to be--

12 MR. FIPPS: Absolutely. We think it is  
13 sufficient at this time. If the decision is that  
14 regulations are needed, then, we propose that of  
15 whole blood be used for recovered plasma instead of  
16 overlaying source plasma requirements for that of  
17 recovered plasma, because there are different  
18 sources of material and different frequencies.

19 So, that is our position on that.

20 DR. CHAMBERLAND: I guess I have to admit  
21 to a certain level of confusion, as well, on a  
22 different issue, and I am not sure if you are  
23 perhaps the right person to address it.

24 What you have outlined here, the various  
25 agreements that you have with these various



1 manufacturers, there is a lot of variability, and I  
2 guess my understanding with that, FDA has  
3 regulatory requirements related to processing for  
4 the source plasma industry.

5 Not to use this in a negative term, but in  
6 point of fact and reality, do those represent a  
7 certain kind of a minimum level of standards, and  
8 then the source plasma folks, with their agreements  
9 with various manufacturers, do they have to do  
10 something different depending on what the ultimate  
11 product is going to be? Is this heterogeneity  
12 present in the source plasma industry, as well?

13 DR. WHITAKER: Would you repeat your  
14 question, please?

15 DR. CHAMBERLAND: I was just noting with  
16 interest that the Red Cross outlines, they have  
17 variable requirements related to various processing  
18 steps, time to be frozen, et cetera, depending on  
19 the individual agreement with the manufacturer and  
20 I guess the ultimate product at the end, and I was  
21 curious, in the source plasma industry, do you face  
22 these requirements, as well.

23 I guess I had maybe an oversimplified view  
24 that the current FDA requirements for source plasma  
25 were kind of uniform, and it didn't matter based on

1 the plasma-derived product at the end, that they  
2 weren't different.

3 This suggests that there is actually a lot  
4 of different requirements and that maybe FDA's  
5 requirements sort of are some sort of kind of  
6 minimum requirement, but that you might be required  
7 to meet different specifications depending on who  
8 you are selling to and what the ultimate end  
9 product is.

10 DR. WHITAKER: Every customer has its own  
11 set of criteria, so sometimes those criteria are  
12 met by the FDA source plasma regulations, and  
13 sometimes there are requirements for additional  
14 temperature and storage characteristics.

15 DR. CHAMBERLAND: They go above and  
16 beyond.

17 DR. WHITAKER: Right.

18 MR. FIPPS: As I understand it, these  
19 requirements are all built into their plasma master  
20 file for each of these products that the  
21 manufacturers have to maintain and keep.

22 MR. BULT: My name is Jan Bult. I am the  
23 president of PPTA. I would like to add to Dr.  
24 Whitaker. In this case, source plasma has to be  
25 frozen within 24 hours anyway, so I think that

1 answers the question.

2 DR. BIANCO: Celso Bianco, America's Blood  
3 Centers.

4 Those differences are not critical  
5 differences about infectious disease testing or  
6 things, but what they are is, for instance, for the  
7 manufacturer, those people that manufacture solvent  
8 detergent treated plasma want plasma that was  
9 frozen within 8 hours. That is the FFP standard,  
10 or frozen within 15 hours.

11 Other manufacturers will accept plasma  
12 that is frozen within 24 hours, and many will want  
13 plasma that was removed from the red cell that  
14 actually is a new European standard being discussed  
15 that was removed from the red cells within 72  
16 hours. Those are the variations that you see, or  
17 how it is shipped, what kind of units will go into  
18 a container, and how long it will be stored before  
19 it gets to the manufacturer, and things like that.

20 DR. NELSON: Other questions or comments?

21 If not, it is lunch break. There is also  
22 some other testimony. I thought that if went  
23 through that, we wouldn't have lunch.

24 Come back at 2:30, please.

25 [Whereupon, at 1:25 p.m., the proceedings

1 were recessed, to be resumed at 2:30 p.m.]

2

- - -

A F T E R N O O N P R O C E E D I N G S

[2:30 p.m.]

**Open Public Hearing**

DR. NELSON: Blood Centers of America.

Laura McDonald.

MS. McDONALD: Thank you and good  
afternoon.

My name is Laura McDonald and I am the  
director of Scientific Programs for Blood Centers  
of America.

The statement today represents Blood  
Centers of America and its subsidiary, hameraica,  
and the 30 blood collection organizations in the  
United States that we provide services to.

These organizations conduct over 3.7  
million whole blood and apheresis procedures each  
year. They produce 525,000 liters of recovered  
plasma annually, from which almost 20 million grams  
of therapeutic proteins are derived. Many of these  
blood collection organizations also distribute the  
therapeutic derivatives that are manufactured from  
the plasma.

The purpose of this statement today is to  
make certain points about the potential to license  
or to have standards for recovered plasma, and to

1 encourage that any rulemaking take into  
2 consideration the practicalities of how blood is  
3 collected and processed by community blood centers.

4           Specifically, we believe that plasma  
5 collected concurrently with apheresis procedures  
6 should be more easily directed for further  
7 manufacture into therapeutic proteins.

8           Blood centers currently collect blood  
9 using two diverse technologies. The first is the  
10 use of the plastic bag with integrated satellite  
11 pouches which permit the sterile separation of  
12 blood components in high speed centrifuges.

13           The second is the use of cell separators  
14 which permit the separation of blood components in  
15 the centrifugal field while still connected to the  
16 donor. Known as apheresis technology, this became  
17 prevalent in the early seventies for the production  
18 of platelets and is rapidly expanding today, with  
19 multiple component capacity from individual donors.

20           Historically, plasma derived from whole  
21 blood not required for transfusion has been sold to  
22 pharmaceutical companies that can separate the  
23 therapeutic proteins from the plasma. These  
24 transactions occur under the short supply agreement  
25 mechanism, which permits the shipment of unlicensed

1 products for further manufacture.

2 As apheresis technology improved and  
3 additional products could be produced concurrently  
4 with target products, the question of how  
5 concurrent plasma could be used was raised.

6 Traditionally, the FDA has ruled that  
7 plasma derived as a concurrent product from an  
8 apheresis procedure must be used as a transfusion  
9 product if it is drawn under the whole blood rules.  
10 Only if source plasma rules are used in the  
11 selection of the donor and a source plasma license  
12 is in place can the concurrent plasma be used for  
13 further manufacture.

14 The centers affiliated with us conducted  
15 over 350,000 apheresis procedures in 2001. This  
16 represents an incredible potential to produce  
17 concurrent plasma for further manufacture.

18 The current situation with dual  
19 requirements force the operator to make an  
20 either/or decision and since the primary purpose of  
21 the apheresis procedure is to produce a transfusion  
22 product, it is seldom that a concurrent plasma is  
23 even collected for further manufacture.

24 Our donors have made it clear they wish us  
25 to create the maximum therapeutic benefit from

1 their donation and given the therapeutic value that  
2 proteins derived from plasma can provide, it is  
3 unfortunate we can't easily divert plasma derived  
4 from apheresis procedures for further manufacture.

5 This is doubly unfortunate given the  
6 periodic market shortages of plasma proteins, such  
7 as IVIG.

8 We would like to strongly encourage the  
9 Blood Products Advisory Committee and the FDA to  
10 consider recovered plasma and concurrent plasma as  
11 products with identical properties when considering  
12 standards or licensure, and would further encourage  
13 that blood centers be allowed to process concurrent  
14 plasma under the whole blood rules and divert this  
15 for further manufacture when not necessary for  
16 transfusion.

17 Thank you.

18 DR. NELSON: Thank you very much.

19 Questions?

20 Thank you.

21 Next is Carolyn Jones for AdvaMed.

22 MS. JONES: Good afternoon. Thank you for  
23 the opportunity to speak on behalf of AdvaMed, the  
24 Advanced Medical Technology Association.

25 AdvaMed represents more than 800



1 innovators and manufacturers of medical devices,  
2 diagnostic products, and medical information  
3 systems. Our members produce nearly 90 percent of  
4 the health care technology products consumed  
5 annually in the United States, and 50 percent of  
6 the products purchased around the world.

7           Some of our members manufacture products  
8 that contribute to the national effort to improve  
9 the safety and availability of blood and blood  
10 products in the U.S.

11           As the committee considers standards for  
12 recovered plasma, AdvaMed would like to take this  
13 opportunity to propose to the committee a means of  
14 meeting the increasing demand for plasma without  
15 compromising donor or product safety.

16           Today, licensed facilities collecting  
17 whole blood and preparing fresh frozen plasma may,  
18 at any time, relabel the product "recovered plasma"  
19 and ship for further manufacturing use. No  
20 separate license is required.

21           Facilities license to collect FFP as a by-  
22 product of red blood cells or platelets collected  
23 by apheresis, however, do not have this option.  
24 Currently, a separate license is required to ship  
25 for further manufacturing use, plasma collected as

1 a by-product of cytapheresis. The plasma by-  
2 products are treated the same as plasmapheresis  
3 products, that is, source plasma, despite the fact  
4 that these products are not collected by pheresis  
5 as stipulated in 21 CFR 640.60.

6 The products are further distinguished  
7 from plasmapheresis products in that due to  
8 restrictions on the frequency of red cell and  
9 platelet donations, the products are collected from  
10 infrequent donors.

11 As you are well aware, the agency, the  
12 blood community, and industry are looking for ways  
13 to address the continuing blood shortage problems  
14 in the U.S. Increasingly, blood centers are moving  
15 towards apheresis as one means of addressing the  
16 country's blood supply problem.

17 Current FDA policy requiring an  
18 establishment to obtain a source plasma license in  
19 order to ship the plasma by-products of  
20 cytapheresis for further manufacturing use  
21 represents a substantial barrier to volunteer donor  
22 centers that are already licensed for apheresis  
23 collections.

24 We propose that FDA allow plasma by-  
25 products of infrequent cytapheresis procedures,

1 that is, red cell or platelet apheresis  
2 collections, to be labeled as recovered plasma.

3 Because these products are not collected  
4 by plasmapheresis, a formal change to the  
5 regulation is not required.

6 The short supply provisions of 21 CFR  
7 601.22, which are applicable to plasma by-products  
8 of whole blood collection, can be applied to plasma  
9 by-products of infrequent cytapheresis procedures.

10 This would reduce the burden on the blood  
11 community and on FDA reviewers, and would increase  
12 the availability of plasma products for  
13 fractionation into therapeutic derivatives. The  
14 policy change should permit fractionaters  
15 simultaneously to amend contractual agreements to  
16 permit this change in source material definition  
17 and labeling.

18 We ask that the committee seriously  
19 consider this proposal and recommend this policy  
20 change to FDA.

21 Thank you for your consideration.

22 DR. NELSON: Thank you.

23 Are there questions or comments? Jay.

24 DR. EPSTEIN: Just one comment, Carolyn,  
25 thank you.

1 I just want to clarify to the committee  
2 that we don't have a legal opinion within FDA  
3 whether we would or would not have to change the  
4 regulation, because what is being presented here is  
5 an interpretation of existing regulation.

6 So, I would just suggest that the proposal  
7 that FDA allow plasma by-products of infrequent  
8 cytapheresis procedures to be labeled as recovered  
9 plasma or otherwise sold in a similar way is the  
10 essence of it, and that the mechanism is a  
11 separable issue which we don't have to really  
12 resolve today.

13 DR. NELSON: Thank you.

14 DR. HOLLINGER: Can I ask Jay another  
15 question? It could be labeled as recovered plasma,  
16 but it could also be labeled as fresh frozen  
17 plasma, as well. The reason is, is because that is  
18 what is usually ordered. I mean from a physician's  
19 standpoint, if they want something, they order as  
20 fresh frozen plasma, not as recovered plasma, and  
21 so that issue is going to be very important I think  
22 in our deliberations here.

23 DR. EPSTEIN: Recovered plasma is not a  
24 product suitable for transfusion. Fresh frozen  
25 plasma, which meets standards in the regulations,

1 is a component suitable for transfusion. Centers  
2 that prepare a fresh frozen plasma product may not  
3 relabel it and sell it as recovered plasma until it  
4 reaches its expiration date. It can only become  
5 recovered plasma after expiration.

6 DR. HOLLINGER: And that is a legal  
7 statement in doing that, when you say it could not  
8 be changed to a recovered plasma, is that a legal  
9 issue?

10 DR. EPSTEIN: Well, the issue being raised  
11 here is how much flexibility exists for  
12 interpretation of the existing regulations. The  
13 problem is that the regulations speak about  
14 recovered plasma as a product of the whole blood  
15 collection, and then they speak about  
16 plasmapheresis as the source material for source  
17 plasma when, and only when intended solely for  
18 further manufacturing use.

19 The problem is that we now have a practice  
20 of generating transfusable components by apheresis,  
21 and it is a question what is the legal status of  
22 the plasma, but the agency has not previously  
23 recognized the plasma as a by-product of generating  
24 a transfusable component to be recovered plasma.

25 The way we have looked at the regulations

1 is that that is a whole blood donation, and  
2 therefore, you are making only transfusable  
3 components. But what this reflects is a mind-set  
4 that goes back several decades, which was to  
5 discourage deliberate collection of a product  
6 intended solely for further manufacturing on the  
7 pretense of collecting products for transfusion.

8 That is why the regs distinguished it by  
9 intent. The idea was that if someone donate to make  
10 transfusion products, that is what they are for,  
11 and it is only under very restricted circumstances  
12 that anything else would be done with them.

13 What we are really being asked is to erase  
14 that distinction and say that products that meet a  
15 certain quality standard, for example, based on how  
16 rapidly they were frozen and what temperature they  
17 were stored and how long they were stored are  
18 equally suitable for further use to manufacture  
19 injectables or non-injectables, and that it should  
20 no longer matter what the intent was at the time of  
21 collection. It should only matter what process was  
22 followed to make that plasma. That is the essence  
23 of what is going on.

24 DR. NELSON: The infrequent blood donor  
25 who donates the red cells or other components of

1 that donation could be transfused and now it's just  
2 not permissible that any component or any portion  
3 of it be used for further manufacture, right? So,  
4 it is not violating really the agreement with the  
5 donor. I mean you still would transfuse the red  
6 cells.

7 DR. EPSTEIN: Okay. I mean that is one of  
8 the arguments is that there is not pretense if you  
9 are, in fact, collecting the blood component for  
10 transfusion, then, that is what you do with it, and  
11 then the fact that there is the surplus plasma is  
12 not problematic. I mean that is one possible  
13 position that could be taken.

14 But the situation has arisen because of  
15 the fact that we now can generate deliberately a  
16 surplus plasma product, and the question is should  
17 we allow the collection industry to do this.

18 DR. FITZGERALD: If a rule change were  
19 made that allowed you to convert fresh frozen  
20 plasma to recovered plasma at any point in its  
21 lifetime, that would resolve one issue, but it  
22 wouldn't resolve what seems to be more of an  
23 ethical issue within the agency of intent, even  
24 though you can draw a unit of whole blood, make the  
25 decision immediately after collection to make that

1 plasma recovered plasma, and there is no issue.

2 DR. EPSTEIN: I think you have got it  
3 right. There is the practical question should we  
4 simply allow FFP that was generated with the full  
5 intention of being FFP, to be at any point in its  
6 storage life, converted to recovered plasma through  
7 relabeling and/or should we allow deliberate  
8 collection of a plasma product for further  
9 manufacturing use concomitant with collection of  
10 components for transfusion, thereby erasing the  
11 issue of intent.

12 I think that those are sort of the  
13 fundamental issue in this decision.

14 DR. NELSON: I fail to see the ethical  
15 issue. I think if you are giving to patients who  
16 need it, you know, and not feeding it to the hogs  
17 or something like that, the person who donated the  
18 unit is helping somebody.

19 DR. EPSTEIN: Well, you are presuming the  
20 only reason that the donation happened was to make  
21 a concomitant transfusion component, but I can tell  
22 you that in other parts of the world, what happens  
23 is that there is donation under false pretense.  
24 The goal is to make the plasma because it is  
25 profitable to sell it.



1 DR. NELSON: I understand that, that is  
2 true.

3 DR. EPSTEIN: But we haven't had that  
4 problem because we distinguished, in the 1970s, the  
5 product is based on the intent of collection. So,  
6 what is at issue is are we going to cause the  
7 problem that we never had in this country by  
8 allowing it now, or do we think that the system we  
9 have of altruistic donation to make transfusion  
10 products is not going to become such a bonanza in  
11 surplus plasma as to create pressures on use of the  
12 donors.

13 I think that is the ethical side of the  
14 issue. I don't know better than you whether that  
15 is material in our system or not, but there is a  
16 reason that we separated the product streams.

17 DR. NELSON: The recent situation in  
18 China, I guess, is an example of a problem, a real  
19 ethical problem.

20 DR. SCHMIDT: I caucused with John  
21 Finlayson, and this concept of plasma in short  
22 supply, and in shuffling it off to the  
23 manufacturers, it predates FDA, and to my  
24 recollection, we were using this in 1954, plasma  
25 was in short supply and NIH let the manufacturers

1 decide, and it is obviously time for a change.  
2 That is 48 years that I am aware of it.

3 DR. CUNNINGHAM-RUNDLES: Can I just ask  
4 because I don't know, volunteer donors who give the  
5 red cells, fine, the red cells are given, but what  
6 happens to the plasma if it is not used then, it is  
7 thrown away? If it is not used for FFP in that  
8 year's window, it is discarded?

9 DR. SIMON: I think that we were told that  
10 the FDA allows it to be relabeled at the end of the  
11 year, but we were also told that manufacturers,  
12 that the blood centers are unable to find  
13 manufacturers who will take it at that time.

14 DR. FITZGERALD: Or it can be provided to  
15 a manufacturer for a non-injectable. Recovered  
16 plasma is also used for non-injectable products  
17 like controls and antibodies, and that kind of  
18 thing, but some is thrown away.

19 DR. BIANCO: I think I can help a little  
20 bit. It is either/or in paper, but what the blood  
21 centers do, they manage the process. You know that  
22 about 20 percent of your collections, that plasma  
23 is going to be needed for transfusion. So, what  
24 blood centers do, they try to focus those on their  
25 needs, and they focus, since the plasma has to be

1 removed from the red cells within 8 hours of the  
2 insertion of the needle, so they will manage  
3 actually the time that they arrive in the  
4 component's lab from the drive step that runs  
5 closest to the blood center that is going to  
6 process it, and those are the units that will  
7 become fresh frozen plasma.

8           When they fulfill the needs, from then on,  
9 they are going to put the product as recovered  
10 plasma. So, the amount that is wasted as fresh  
11 frozen plasma is limited. It will be some units of  
12 plasma Type A or something like that, that is in  
13 larger supply, and you are always looking for  
14 plasma of Type AB that is what you really need.

15           So, the process is managed. The waste is  
16 not going to be the issue. It is that from the  
17 point of view of current good manufacturing  
18 practices, as we work today, not like Dr. Paul  
19 Schmidt, I was born in '54, Dr. Schmidt, but I was  
20 not in blood banking.

21           At that time, it made sense, and as Dr.  
22 Epstein presented it very well, it made sense  
23 ethically. There was a lot of discussion of what  
24 is ethical in terms of a blood donor, how do you  
25 collect, what do you collect. The entire country

1 was on paid donations as a source of whole blood.

2           New York had 100 blood banks on the Bowery  
3 that was providing blood to the hospitals, and at  
4 the same time, 25 percent of the patients that  
5 received multiple transfusions had overt hepatitis.  
6 That is what caused the changes of the system in  
7 the seventies.

8           Today, what we are looking is from a  
9 different perspective, is the quality of the  
10 product, and the quality of the product is the  
11 same. So, it doesn't make sense now, because of  
12 the issues of intent. I understand the concerns  
13 the Dr. Epstein, could we just go in a crazy market  
14 pressure or something. No, the reimbursement for  
15 the recovered plasma is very small. It is not the  
16 major source of revenue of blood centers, and this  
17 is not going to change substantially.

18           The thing is that it hurts us to see that  
19 product that is so valuable not being used for a  
20 very good purpose.

21           DR. HOLLINGER: Celso, before you leave,  
22 in general, what percentage of the revenues in a  
23 blood center, and I will ask that also of the  
24 American Red Cross and any others about it, what  
25 percentage of the revenues come from recovered

1 plasma at this point, and has it been increasing  
2 over the time?

3 DR. BIANCO: I can give you a percent off  
4 just my head, that it is maybe 4 to 5 percent of  
5 the revenue. I can tell you what the reimbursement  
6 is. The reimbursement is on the order today, and  
7 that increased in the last year, year and a half  
8 with more requirements with introduction of NAT and  
9 all those things, is around in the 80s for a liter  
10 of plasma that will require from four to five  
11 donations depending on the value of plasma that is  
12 obtained.

13 So, it is in the order of maybe less than  
14 \$20 a unit.

15 MR. FIPPS: You had asked about our  
16 revenues or percent. We, for the most part, our  
17 recovered plasma, we make into our own products and  
18 then resell them, so it is not necessarily the same  
19 analogy, but out of \$2 billion annual revenues in  
20 the Red Cross, plasma sales or derivative sales  
21 represent \$360 million of that.

22 I can't do the math right now in my head,  
23 but anyway--what's that--18 percent, okay, but that  
24 is as finished products, as plasma-derived  
25 products.

1 DR. ALLEN: Mr. Chairman, I would like to  
2 just ask a general question, if there is somebody  
3 in the audience or on the committee or from the FDA  
4 staff that could provide information.

5 It seems to me that I have heard from  
6 other sources at other times that sometimes the  
7 plasma industry requirements for recovered plasma,  
8 you know, we have already heard today that they  
9 differ somewhat, I just wonder are there other  
10 issues between the requirements of the plasma  
11 manufacturers and the recovered plasma providers  
12 that are going to get in the way or that would be  
13 impacted by possible recommendations.

14 I want to make sure that we have got all  
15 the information on the table.

16 DR. NELSON: Does anybody want to answer?

17 DR. BIANCO: From our point of view, we  
18 would like to see it licensed like all the other  
19 products that we distribute, and considering the  
20 short supply agreements that we have signed with  
21 many manufacturers, not many, some, because the  
22 number of manufacturers that today utilize  
23 recovered plasma is limited, most use source  
24 plasma, the specifications are not going to change.

25 That is their intent in general is to get

1 as much of fresh frozen early plasma as possible,  
2 that increases the yields. They can speak more,  
3 but my sense is that when the driver as factor VIII  
4 production or manufacture, then, it was more  
5 important the immediate 8 hour or 15-hour  
6 collection, today, the driving force appears to be  
7 IVIG that is a little bit different, because there  
8 is less time pressure.

9 DR. EPSTEIN: I think there is another  
10 large impact, which may be a bit subtle.  
11 Currently, if you are a registered unlicensed  
12 establishment because you operate intrastate, you  
13 still can sell recovered plasma to a fractionator,  
14 however, if recovered plasma becomes a licensed  
15 product, then, establishments that are currently  
16 registered, but want to sell recovered plasma,  
17 would have to hold licenses for recovered plasma.

18 Now, there are about 2,500 of those  
19 establishments. Now, they don't contribute a large  
20 fraction of the components for transfusion. I mean  
21 all together, they probably contribute no more than  
22 10 percent of all the products, the 90 percent  
23 coming from the current licensees, but I don't know  
24 what proportion of recovered plasma they currently  
25 contribute.

1 I only know that is the recovered plasma  
2 is licensed, they will have to be licensed.

3 DR. SIMON: Well, their only  
4 representative here I guess is AABB. Do you know  
5 how the hospitals feel--these are typically  
6 hospital blood banks I think.

7 DR. BUSCH: I don't know about that.

8 MS. GREGORY: I really don't have any  
9 information on how they would feel.

10 DR. BUSCH: Your question about other  
11 implications, I think a licensed FFP would sort of  
12 define the standards required for safe plasma  
13 derived from a recovered source. There has been  
14 this allusion to this discussion over the last few  
15 years between the recovered and source industry  
16 about uniform standards.

17 We have seen enormous progress on the  
18 source plasma side with inventory hold and  
19 registered applicant donor discrimination, and  
20 obviously drug testing and a national registry,  
21 these are appropriate and have proven to be very  
22 effective safeguards that have I think brought  
23 source plasma donations into the same safety level  
24 that recovered plasma has had for a long time  
25 because of volunteer sector sourcing.



1           The GAO report several years ago concluded  
2 that there is really equivalence now with all of  
3 the enhanced safety standard that the source  
4 industry has brought forward.

5           But, nonetheless, there is an effort by  
6 the source industry to impose some of these  
7 standards on the recovered side, and many of these  
8 things just don't make sense. I mean if you only  
9 use repeat donor plasma for recovered plasma, that  
10 says you have to use all your first-time donor for  
11 FFP, so you are transfusing unprocessed, you know,  
12 non-virally inactivated product from the less safe  
13 whole blood collection pool, the first time donors,  
14 in order to meet the recovered plasma requirement  
15 that it be from repeat donors.

16           That doesn't make any sense. The whole  
17 issues of drug testing, et cetera, to me don't make  
18 sense, and if FDA, if this was a licensed product  
19 with clear public regulatory guidance on what is an  
20 appropriate standard, then, this behind-the-scenes,  
21 you know, debate and negotiation that is going on,  
22 that I think should be taking place in this forum,  
23 would be hopefully superseded by clear regulatory  
24 authority.

25           MR. BULT: My name is Jan Bult. I am the

1 president of PPTA.

2 This issue about standard setting in the  
3 industry has been discussed many times, and I have  
4 the feeling that at this moment, we are talking  
5 about two different issues. I think the issue at  
6 stake is the question of FDA, whether there should  
7 be a system that allows you to make use and to get  
8 more plasma, and have an efficient use of  
9 resources. That is one issue.

10 The second issue is even in this sector  
11 like PPTA, has a set of voluntary standards that  
12 includes, for source plasma, the standards that  
13 were explained by Dr. Whitaker. We, as an industry,  
14 feel it is extremely important that we have a  
15 single set of standards and that we do not use dual  
16 quality in our fractionation.

17 For that reason, our board of directors has  
18 made a decision to develop a standard for recovered  
19 plasma that indeed includes drug screening. The  
20 target date for implementation is January 2004, but  
21 I want to remind you it is a voluntary program.  
22 That means every supplier, every fractionator is  
23 free to participate in the program.

24 It is also open for public comment, and as  
25 you have heard several times today, we are

1 negotiating what we can do, and we have made a lot  
2 of progress, but there are some issues that still  
3 need some further discussion.

4 I just would like to reiterate it's a  
5 voluntary program. Nobody is forced or obliged to  
6 do so. That would be a clear violation of the  
7 legal framework in which we have to operate, and it  
8 is up to the individual fractionater to determine  
9 the criteria that they deem necessary for the  
10 manufacture of the products.

11 DR. NELSON: We are getting pretty late.  
12 Steve, a short comment.

13 DR. KLEINMAN: Just a comment, just for  
14 perspective of the committee. You know, it is  
15 useful to look at the NAT testing paradigm because  
16 since fractionated products come from two sources,  
17 and recovered plasma comes from whole blood donors,  
18 I think everybody should recognize that because of  
19 safety concerns in the source plasma industry, the  
20 whole blood industry has implemented a series of  
21 tests, some of which would have been implemented  
22 anyway, like HCV and HIV NAT perhaps, but others of  
23 which, like parvovirus B19 and HAV NAT, which we  
24 now in discussion, probably have no value for blood  
25 donors and probably no value for the final

1 products, although that is debatable on the  
2 fractionated products, but basically, what I am  
3 trying to say is that what the source plasma  
4 industry does sets a standard out there some way,  
5 and it actually influences what the whole blood  
6 industry does, and in a sense, while it may not be  
7 regulatory, we have to recognize that in the  
8 current environment, in a way that has trumped FDA  
9 regulation in a variety of fashions here.

10           The reality is people who collect whole  
11 blood are having to do things that don't make sense  
12 in the whole blood sector, and do make sense in the  
13 source plasma sector, and it may be because they  
14 want to be competitive in the market for their  
15 fractionated product, so there is a lot of complex  
16 forces, and I guess I just offer this by way of  
17 perspective.

18           The last person that wanted to speak was  
19 Sue Stramer who has moved temporarily to Chiron,  
20 but she said she is going to be moving back to the  
21 American Red Cross after this talk.

22           DR. STRAMER: I have moved nowhere except  
23 out of my seat fortunately.

24           I am going to change gears a little bit  
25 and the focus of this morning's and early

1 afternoon's discussion has been on recovered  
2 plasma, but I am going to discuss kind of a new  
3 topic - infrequent volunteer source plasma.

4 So, whether we are discussing recovered or  
5 infrequent volunteer source plasma, both are plasma  
6 donations, at least in the context that I will be  
7 discussing from volunteer donors and consistency  
8 between recovered and infrequent volunteer source  
9 plasma testing standards should exist.

10 [Slide.]

11 First, I want to define what is IVSP.  
12 Starting with source plasma, it is the fluid  
13 portion of human blood collected by plasmapheresis  
14 and intended as source material for further  
15 manufacturing use.

16 Volunteer donor is a person who does not  
17 receive monetary payment for a blood donation.

18 Infrequent plasma may be collected from  
19 healthy, non-immunized individuals who donate every  
20 four weeks or less frequently. All other  
21 collection requirements are the same as donors of  
22 whole blood other than donation frequency or  
23 minimum weight.

24 [Slide.]

25 The main difference between frequent, that

1 is, collections that shall not occur less than two  
2 days apart or more frequently than twice in a  
3 seven-day period, and infrequent, is that  
4 manufacturers must perform physical examinations  
5 and tests for total serum or total plasma protein  
6 on frequent donors.

7           Frequent donors may also be part of an  
8 immunization program.

9           [Slide.]

10           On February 27th, 2002, the Chiron  
11 Procleix HIV-1, HCV NAT assay was licensed with the  
12 following intended use - as a test for HIV and/or  
13 HCV in human plasma from donations of whole blood  
14 and blood components for transfusion.

15           So, donations from IVSP donors qualified  
16 as part of the clinical trial, but not included in  
17 the licensed PI, but were not included in the  
18 licensed package insert. The reasons are unknown,  
19 but I will discuss further.

20           Now, IVSP donations represented  
21 approximately 0.3 percent of the collections in the  
22 manufacturer's pivotal trial, as well as  
23 collections in the Red Cross' IND for pools of 16  
24 using, at that time, the unlicensed test since  
25 September 8th, 1999, which represents over 21

1 million total donations.

2 [Slide.]

3 Infrequent volunteer source plasma is also  
4 not specifically mentioned in either FDA draft  
5 guidance document on NAT, and there are two  
6 documents that I have referenced here. Without  
7 inclusion in the licensed NAT package insert,  
8 testing under IND will continue because we have no  
9 mechanism to test these donations.

10 [Slide.]

11 Now, other options that exist under the  
12 current regulations, one would assume would be that  
13 we would have to identify these samples from whole  
14 blood donations versus those from infrequent  
15 volunteer source plasma donations and segregate  
16 those during processing.

17 So, once they are segregated, potentially,  
18 then, we would have to submit them to a different  
19 pooling algorithm and send them to the manufacturer  
20 who is licensed for source plasma NAT, which is  
21 National Genetics.

22 So, for a very small percent of our  
23 collections, we would have to implement two very  
24 unique processes. So, our comments to the draft  
25 guidance were provided to FDA.

1 [Slide.]

2 Why would an IVSP be included in the  
3 package insert? There are three potential reasons  
4 or three potential issues why they haven't been.

5 One, the manufacturer, Gen-Probe, and the  
6 FDA were unaware that these donations were included  
7 in the clinical trial and the IND data, so that no  
8 claim could be provided.

9 A second potential reason is questions  
10 regarding the method of sample collection, that is,  
11 is the process for IVSP different than routine  
12 whole blood collections, and thirdly, are the  
13 demographics of these donations different than  
14 whole blood donors, and therefore, no claim could  
15 be provided.

16 In the few minutes that I have, I am going  
17 to review those three issues.

18 [Slide.]

19 Firstly, the collection process. Samples  
20 from both whole blood and IVSP donors are collected  
21 as whole blood samples, and they are not diluted in  
22 anticoagulant, and they are obtained directly from  
23 the donor into a plasma preparation tube, which is  
24 an EDTA spray-coated plastic tube qualified for  
25 NAT.



1           Our plasma is collected using seven  
2 different qualified apheresis instruments. We  
3 collect plasmapheresis, plateletpheresis,  
4 aresoapheresis [ph], and we collect either FFP or  
5 IVSP.

6           All samples are obtained prior to the  
7 start of the collection, and again, no  
8 anticoagulant or saline dilutes the test samples,  
9 so basically, the test samples from whole blood and  
10 pheresis donors are identical.

11           [Slide.]

12           I have just here listed, which I won't go  
13 through, but the seven licensed methods that we use  
14 for collection.

15           [Slide.]

16           To go through the specific data for the  
17 IVSP collections, the period covered for NAT on  
18 pools of 16 includes from 9-8-99 through the end of  
19 the year 2001, which includes greater than 16  
20 million allogenic donations. In that period of  
21 time, there were 67 HCV and 5 HIV seronegative  
22 yield donations identified.

23           None of those were from infrequent  
24 volunteer source plasma donors, but what were from  
25 IVSP donations were 50,669 donations from 10,673

1 donors which included anywhere from 1 donation per  
2 source plasma donor to 28 separate donations. One  
3 donor, in fact, donated once monthly during this  
4 time period.

5 [Slide.]

6 The collections occurred at 15  
7 geographically distinct sites, and the inclusion  
8 criteria for the analysis included collections from  
9 any region in which greater than 100 IVSP  
10 collections were obtained.

11 Seven reagent lots of product were used,  
12 and data were analyzed for all collection from  
13 which NAT and serology were complete, and FDA  
14 licensed methods were, of course, used for HIV and  
15 HCV.

16 [Slide.]

17 This slide shows you the 15 regions that  
18 were included in the analysis, obviously,  
19 widespread through the United States and varying  
20 numbers of source plasma collections.

21 [Slide.]

22 This graph shows you the frequency of  
23 donations per donor. So, the column closest to me  
24 represents the number of donors only donating once  
25 per this period of time versus donors on the far

1 left that would have donated up to 28 times.

2 [Slide.]

3 As I mentioned, the NAT testing is  
4 performed in pools of 16, so this slide shows you  
5 the number of IVSP donations that were contained in  
6 pools of 16, anywhere from one donation, that were  
7 contained in 26,191 pools to 11 IVSP donations  
8 contained in only one pool during this time.

9 [Slide.]

10 Of the 50,669 IVSP donations, 50,586  
11 donations were tested, and 35,951 pools containing  
12 between 1 and 11 IVSP donations; 83 were tested  
13 individually, that is, never pooled. Of 35,951  
14 pools tested containing IVSP's, 99.3 percent were  
15 NAT nonreactive, and 0.7 percent, or 244, were NAT-  
16 reactive. That included 336 IVSP donations, and  
17 the remainder, whole blood samples.

18 Of the 83 IVSP donations screened by NAT  
19 individually, 76 were NAT and serology nonreactive,  
20 and 7 additional samples were NAT nonreactive, but  
21 were repeat reactive by serological testing.

22 [Slide.]

23 To go through the data in composite, of  
24 the 50,669 IVSP donations, 336, those were the ones  
25 that were tested in pools, resolved to 2 NAT

1 reactive samples, neither of which were  
2 discriminatory HIV or HCV NAT reactive, and neither  
3 were HIV or HCV seroreactive.

4           So, the NAT positivity in this study out  
5 of IVSP was zero percent. To compare that with  
6 what we obtained in whole blood, the frequency is  
7 0.004 percent. There is a zero point missing on  
8 this slide, but the bottom line is there was no  
9 significant difference between these two.

10           Next point. Of the 50,669 IVSPs, they  
11 contained 15 or 0.03 percent anti-HIV reactive  
12 samples, none of which confirmed. So, again, that  
13 is the HIV positivity rate for antibody of zero  
14 percent, which again is statistically non-different  
15 from whole blood donors at 0.003 percent.

16           For HCV, of the 50,669 IVSPs, there were 8  
17 anti-HCV repeat reactive samples, 2 confirmed.  
18 Now, both of these had weak RIBA banding patterns  
19 suggestive of resolved infection. So, the anti-HCV  
20 positivity of IVSP in this case was 0.004 percent  
21 versus whole blood at 0.18 percent, and those two  
22 were significantly different.

23           So, bottom line is marker rates were  
24 comparable or lower when you consider NAT and  
25 serology from IVSP donations than from whole blood

1 donations.

2 [Slide.]

3 This slide just shows the breakout by pool  
4 testing the 336 reactive pools, of which resolved  
5 to 2 individual donations, neither of which were  
6 NAT reactive. This shows the composite for all of  
7 the serological data. There were the 15 HIV  
8 antibody reactives, and the 8 HCV antibody  
9 reactives, again only 2 being RIBA confirmed  
10 positive, both of which were NAT negative.

11 [Slide.]

12 To look at the population distributions of  
13 the IVSP donations tested, the population means for  
14 the NAT nonreactive pools was 0.225 versus whole  
15 blood, which is what is in the package insert or  
16 actually whole blood with a mixture of some low  
17 percentage of IVSP of 0.21. These are not  
18 significantly different.

19 The same thing for the NAT nonreactive  
20 individual donation samples tested, a population  
21 mean of IVSP of 0.225 versus the whole blood, what  
22 is in the package insert at 0.17. Again, not  
23 statistically different.

24 [Slide.]

25 This slide shows you the distribution of

1 NAT nonreactivity or the S to CO values for NAT  
2 nonreactive pools and samples for IVSP donations,  
3 and again not significantly different than whole  
4 blood.

5 [Slide.]

6 In conclusion, donation samples from IVSP  
7 donors are collected by the same processes as  
8 routine whole blood donations. Donations from IVSP  
9 donors have similar or lower marker rates than  
10 those from whole blood donors.

11 IVSP donations have been qualified as part  
12 of the IND process for HIV-1, HCV NAT. These data  
13 have not been excluded from the Chiron Procleix  
14 package insert data, however, they did require a  
15 separate data analysis since they are not included  
16 in the current package inserts.

17 [Slide.]

18 Since data for IVSP and whole blood  
19 donations are comparable, and both have been  
20 qualified, both should be included in the intended  
21 use statement of the Chiron Procleix HIV-1/HCV  
22 assay.

23 The American Red Cross has provided these  
24 data to Gen-Probe, that is, the manufacturer or  
25 license holder on May 13th, 2002, for FDA

1 submission as a BLA supplement labeled transmittal  
2 to their current package insert.

3 Thank you.

4 DR. NELSON: Thank you.

5 Questions? Jay.

6 DR. EPSTEIN: Susan, how does this relate  
7 to the topic at hand?

8 DR. STRAMER: Actually, it doesn't. It  
9 really doesn't deal with recovered plasma per se,  
10 but if we are talking about standards, and  
11 certainly testing is a standard and we are talking  
12 about all the collections from volunteer donors, be  
13 they recovered plasma or be they infrequent  
14 volunteer source, they should all be tested using  
15 the same testing algorithms, and not have to be  
16 separated in our operational processes.

17 DR. EPSTEIN: Are you then arguing that  
18 since you have data on unpaid source plasma donors  
19 collected under whole blood standards for  
20 infrequent apheresis, that therefore, the license  
21 for the NAT tests should extend to all source  
22 plasma donors?

23 DR. STRAMER: No, not to all source plasma  
24 donors, to those source plasma donors that have  
25 been qualified as part of the clinical trial for

1 the assay, which include volunteer source plasma  
2 donors.

3 DR. EPSTEIN: I don't know that we needed  
4 to do this in front of the committee because you  
5 are basically asking the FDA whether your data  
6 validated an extension of label, and we are not  
7 going to decide that here today.

8 DR. STRAMER: I understand that, but it  
9 was a request from Chiron and Gen-Probe to make  
10 these data public, so since we were the keepers of  
11 the data, I honored their request.

12 DR. EPSTEIN: All right. We hear what the  
13 implicit request to the FDA is, and I think we will  
14 discuss it at another time and place.

15 DR. STRAMER: Okay. Thank you.

16 DR. NELSON: I think we will move to the  
17 questions for the committee.

18 DR. HOLLINGER: Could I just ask Dr.  
19 Fitzpatrick just a minute, we never heard anything  
20 about the military. Is this an issue for the  
21 military at all?

22 DR. FITZGERALD: Not really. Our donor  
23 centers collect and prepared recovered plasma with  
24 short supply agreements just like other centers, so  
25 we function under the same constraints as the



1 industry is functioning, and we will go along with  
2 the regulation if it appears or continue to work  
3 under the short supply agreement, but we don't  
4 supply large amounts of recovered plasma.

5 DR. NELSON: I guess we are looking for  
6 the questions. If you can't find them, we could  
7 just read them theoretically.

8 MS. CALLAGHAN: I think we finally  
9 arrived.

10 **Open Committee Discussion**

11 **Questions for the Committee**

12 MS. CALLAGHAN: The first question to the  
13 committee. Should FDA develop specific product  
14 standards for recovered plasma?

15 DR. SIMON: I think the way the  
16 presentations went, it might not have been apparent  
17 but I really do think there is a consensus from  
18 industry that standards would be appropriate. I  
19 think there is a difference on what exactly they  
20 should be, but I do believe that substituting for  
21 the short supply agreements and eliminating that  
22 ambiguity and the difficulty with standardization  
23 that exists, and substituting some standards for  
24 things like storage conditions and dating periods  
25 would be a step forward.

1 I think we recognize it's an international  
2 industry. A lot of things are governed by the  
3 manufacturer, and there may be requirements in  
4 excess of what we said or what is set by FDA, but I  
5 think it would be appropriate to move ahead with  
6 standards.

7 It sounds like that comes out of most of  
8 the presentations. Then, this would allow, under  
9 the third question, it would allow the FDA to go  
10 ahead and consider some of these specific examples  
11 like the apheresis and include them as it develops  
12 those standards.

13 So, I would certainly favor a yes vote on  
14 No. 1.

15 DR. FITZGERALD: In going back to Jay's  
16 first comments and the fact that one major player  
17 that collects almost 50 percent said they didn't  
18 want regulation, I am not sure I agree totally, but  
19 I think industry appeared to be asking for the  
20 flexibility to use FFP as recovered plasma as one  
21 issue. That would take a change in a rule to do  
22 that, so that piece of regulation would be a piece  
23 that would be required.

24 When we look at all the other items  
25 impacted by the general statement, should there be

1 specific standards for recovered plasma, I think it  
2 gets complicated, and we have a lot of unanswered  
3 questions.

4           We have the major proponent of source  
5 plasma is paid donors, the major proponent of  
6 recovered plasma is volunteer donors, two  
7 distinctly different donor populations. The  
8 voluntary standards that have been established by  
9 PPTA have been put into effect to assure the safety  
10 of their donor population, which is a different  
11 donor population, and to assuage some of the  
12 concern and perception of the public that those  
13 paid donors are less desirable than volunteer  
14 donors.

15           So, I am not sure the voluntary  
16 application of all the standards that PPTA is  
17 advocating for their donors would be applicable or  
18 should be applied to volunteer whole blood donors.

19           The other questions that we have is you  
20 usually regulate something because there is an  
21 issue of safety or efficacy of the product on the  
22 other end. I didn't hear any data or information  
23 to imply that there is a problem with safety or  
24 efficacy of the concentrates that are being  
25 manufactured from either source plasma or recovered

1 plasma.

2           The recall information seemed to imply  
3 that there were implications of increased recalls  
4 because of postdonor information, but when we asked  
5 specific questions about the numbers of units, the  
6 percentage of units, and if there was a change,  
7 that information wasn't available, and that data is  
8 very hard to interpret and is incomplete.

9           Storage conditions do seem to vary between  
10 suppliers and could be an issue on the safety and  
11 efficacy of the end product, but we don't know the  
12 answer to that question.

13           Records was brought up, but records brings  
14 up another implication, because whenever FDA or  
15 anyone has set standards including AABB regarding  
16 retention or records, usually, there is a clause in  
17 there that says records should be retained until  
18 the expiration of the product or a period past the  
19 expiration of the in-date product.

20           Recovered plasma would be used to prepare  
21 an injectable in-dated product. So, now you have  
22 to give a requirement for the donor centers as to  
23 how long after the infusion of the concentrate that  
24 was prepared from the plasma should be kept. That  
25 might be less time than indefinite probably, but

1 that needs to be arrived at also if you are going  
2 to talk about record retention.

3           So, given all those indications, and the  
4 fact that there isn't an overwhelming indication to  
5 me from the industry that there is a problem with  
6 the end product, it seems like the indications are  
7 standardization of storage and a rule changed maybe  
8 to allow changing the labeling of FFP to recovered  
9 plasma at any point.

10           I take into consideration Jay's comments  
11 about other countries where donors were recruited  
12 under perhaps false pretenses to get plasma. We  
13 have never had the problem in this country of  
14 having excess red cells. If we got to that point,  
15 I guess we could address that, but it would be a  
16 pleasure to get to that point.

17           So, I don't see that there is an initial  
18 problem with recruiting thousands of volunteer  
19 donors for the sake of getting another unit of  
20 recovered plasma that you can sell for 20 to \$25,  
21 which will come nowhere near covering the cost of  
22 the red cells.

23           I understand the ethical implication and  
24 what was done years ago because of other  
25 considerations. I am no sure it impacts where we

1 are right now.

2           If we consider regulation, I would suggest  
3 to the FDA the regulation that at first be limited  
4 to allow relabeling of FFP, perhaps address storage  
5 conditions, but that the other items, especially  
6 with the need for hepatitis B antibody in the end  
7 product, that that would require a lot more data  
8 and information to make any determination on the  
9 other questions.

10           DR. NELSON: The limited changes that you  
11 recommended would require a yes, that there would  
12 be then specific standards or no? There would be a  
13 change in the current regulations.

14           DR. FITZGERALD: To me, relabeling isn't  
15 changing --well, I guess it is, changing of product  
16 standard. It would be yes to the first.

17           DR. DiMICHELE: I would just like to add  
18 to what has been said already. I guess I would say  
19 yes upfront. I think I agree, there appears to be  
20 a need. I agree with what Dr. Fitzpatrick said,  
21 that there truly aren't any safety or efficacy  
22 issues that have been identified today.

23           I would also agree with you that I think  
24 standards should be instituted where standards are  
25 needed, and I think you iterated very well what

1 those problem areas were, but I do think, in my  
2 opinion, that standards are required because it  
3 appears to me that there is a precious resource out  
4 there that is going to waste because of certain  
5 ambiguities and lack of standardization, and I  
6 think that in itself creates a mandate for  
7 standards that would resolve that issue.

8           However, I just would like to say that I  
9 think, you know, I am a little embarrassed as a  
10 hematologist who has been working in this field for  
11 a while, that I had to learn a whole lot about a  
12 field that I thought I knew a lot about because I  
13 am a hemophilia treater and I give these plasma-  
14 derived products all the time, and it is amazing  
15 what one doesn't know.

16           I believe there is an issue of disclosure  
17 here and I believe that the disclosure, I hope that  
18 standardization, if the FDA does develop standards,  
19 includes standardization of disclosure, disclosure  
20 to the patient, who is coming in as a volunteer,  
21 and from whom there is a paid product, a product  
22 that is being sold. This person is donating a  
23 commodity. I think that person needs to know.

24           I think that there needs to be disclosure  
25 from the plasma fractionation industry as to what

1 exactly is in plasma-derived products, what  
2 components, what mixes, and I think that some of  
3 these issues, there needs to be--you know we  
4 understand this voluntary versus paid, but I am not  
5 sure that we have understood the full implications  
6 of this, and I think there needs to be better  
7 disclosure even to the physicians using this  
8 product.

9 I also, if I can just be a patient  
10 advocate for a moment, I believe that I can speak,  
11 and maybe Charlotte can actually speak for her  
12 population, but I will speak on behalf of any of  
13 those patients who are using fractionation  
14 products, that regardless of what happens, that  
15 patients and the medical system cannot bear an  
16 increase in the cost of fractionated products,  
17 whether they be IVIG or clotting factor  
18 concentrates.

19 This has maxed out and those of us who are  
20 dealing with this on the front lines would like to  
21 just make that point.

22 DR. NELSON: Thank you.

23 Jim.

24 DR. ALLEN: I concur with the comments  
25 that have been made. I personally would come down



1 on the side of that it is time to move towards the  
2 development of standards. I was going to raise  
3 also the point that Donna just raised at the end,  
4 and that is, I know that cost is not a primary  
5 consideration. It has not really been addressed  
6 here in terms of what the impact on collectors  
7 might be of standards and licenses with the  
8 exception of Jay's statement about the very small  
9 intrastate collectors.

10 I think the implication was that it would  
11 be extremely costly to them to have to go through  
12 the licensure and regulatory process, which they  
13 don't currently.

14 With that aside, I think that there is a  
15 cogent argument for moving ahead and addressing  
16 some of the issues that have been laid before us  
17 today.

18 DR. NELSON: Any other comments?

19 Do you want to vote on this? I guess it  
20 is yes or no.

21 DR. SMALLWOOD: Voting will take place by  
22 roll call. There are 14 eligible voters. So, as I  
23 call your name, would you please indicate your  
24 preference by yes, no, or abstaining.

25 Question No. 1 stated as read. Should FDA

1 develop specific product standards for recovered  
2 plasma?  
3 Dr. Allen?  
4 DR. ALLEN: Yes.  
5 DR. SMALLWOOD: Dr. Chamberland.  
6 DR. CHAMBERLAND: Yes.  
7 DR. SMALLWOOD: Dr. Cunningham-Rundles.  
8 DR. CUNNINGHAM-RUNDLES: Yes.  
9 DR. SMALLWOOD: Dr. DiMichele.  
10 DR. DiMICHELE: Yes.  
11 DR. SMALLWOOD: Dr. Fitzpatrick.  
12 DR. FITZGERALD: Yes.  
13 DR. SMALLWOOD: Dr. Klein.  
14 [No response.]  
15 DR. SMALLWOOD: He is absent, I think.  
16 Dr. Lew.  
17 DR. LEW: Yes.  
18 DR. SMALLWOOD: Dr. McGee.  
19 DR. McGEE: Yes.  
20 DR. SMALLWOOD: Mr. Rice.  
21 MR. RICE: Yes.  
22 DR. SMALLWOOD: Dr. Schmidt.  
23 DR. SCHMIDT: Yes.  
24 DR. SMALLWOOD: Dr. Fallat.  
25 DR. FALLAT: Yes.

1 DR. SMALLWOOD: Dr. Harvath.  
2 DR. HARVATH: Yes.  
3 DR. SMALLWOOD: Dr. Hollinger.  
4 DR. HOLLINGER: Yes.  
5 DR. SMALLWOOD: Dr. Nelson.  
6 DR. NELSON: Yes.  
7 DR. SMALLWOOD: Dr. Stuver.  
8 DR. STUVER: Yes.  
9 DR. SMALLWOOD: Dr. Simon, how would you  
10 have voted if you could?  
11 DR. SIMON: Yes.  
12 DR. SMALLWOOD: It takes a little longer  
13 to add up these, but I think that they are  
14 unanimous. Unanimous yes votes.  
15 DR. NELSON: The second question.  
16 MS. CALLAGHAN: Yes, unfortunately, you  
17 are not getting away that easy.  
18 If yes, should the standards for recovered  
19 plasma include:  
20 (a) Negative screening tests for anti-  
21 core and anti-HTLV I/II?  
22 DR. SIMON: I think it is difficult  
23 because if the other components are collected, and  
24 I presume those tests would be required although  
25 plasma could be pulled out, but I am of the

1 opinion, scientifically and medically, to answer no  
2 to that, I don't believe that that contributes to  
3 the safety or efficacy of the final product.

4 We have talked about the need for the  
5 hepatitis antibody in plasma preparations. The  
6 HTLV I/II, I believe is pretty well substantiated  
7 not to be transmitted by plasma. So, I think we  
8 should answer no to that question.

9 DR. NELSON: Except that remember that red  
10 cells are being collected.

11 DR. SIMON: You still would have to have  
12 it for these other components. They would have to  
13 have it for all those other components.

14 DR. CHAMBERLAND: Also, my understanding  
15 is, if I heard correctly, I believe it was from the  
16 ABC statement, was that currently, if the screening  
17 test came up with a positive anti-core, blood  
18 collectors were presently sending those, the  
19 recovered plasma, for manufacture, and discarding  
20 obviously the other components.

21 The other half of that, though, is would  
22 the person be deferred from further donation in  
23 accordance with the current FDA guidance since they  
24 would not qualify, if you will, as a whole blood  
25 donor.

1 DR. BIANCO: That is correct. So, it is  
2 only for the donations. It will be one or actually  
3 two donations.

4 DR. EPSTEIN: I just want to comment. FDA  
5 has been of a divided mind over this, between anti-  
6 core and HTLV. In the case of anti-core, as has  
7 been explained by Dr. Finlayson and others, we do  
8 permit, indeed encourage, continued use of the  
9 anti-core positive unit in the fractionation pool,  
10 and so we allow the use of the unit collected from  
11 the donor, who would become deferred from whole  
12 blood collection, to be sold and fractionated.

13 However, for HTLV, we took the opposite  
14 tack, which is that we discouraged use of the  
15 marker-positive plasma. It is not in the  
16 regulations, it is in the guidance, and the reason  
17 was that we were concerned that if we allowed the  
18 product streams to go two ways under different  
19 standards, we might increase the error of use of  
20 the transfusable component, which is the point that  
21 Dr. Nelson I believe was trying to make.

22 So, you know, the FDA, I guess sits on the  
23 fence because we have done it one way in one  
24 setting and the other way in the other setting, but  
25 the underlying issue of concern is the

1 inappropriate use of the transfusable component.

2 We agree with Dr. Simon that we are not  
3 actually worried about the derivative as the end  
4 product because we do know that the derivatives  
5 don't transmit HTLV. So, it is really a question  
6 about how worried are we about things getting mixed  
7 up at the blood center is really what that question  
8 comes down to.

9 In other words, we know we exclude the  
10 unit for use for transfusion, should we be  
11 permissive about continued use for fractionation.

12 DR. NELSON: And given the fact that  
13 medical error, et cetera, is a substantial, perhaps  
14 one of the major contributions to significant  
15 errors. I think this is really an issue, yes.

16 DR. ALLEN: It is an important issue. On  
17 the other hand, the person has already donated the  
18 unit of blood, and it was the lab test, not the  
19 donor history screening, that disqualified the  
20 transfusable units.

21 In many instances, I assume that by the  
22 time the lab testing is completed, that the  
23 separation of the components has already occurred,  
24 and they are all in the system, and you have got to  
25 identify and recover and make a determination of

1 all of those components anyhow.

2 I think, depending on the system that it's  
3 in place in a given blood bank, one could make an  
4 argument that if there is not a safety i.e., an  
5 infection risk to allowing that unit to go into the  
6 recoverable plasma process, that that could be as  
7 easily done as discarding the unit.

8 They have to have a process for  
9 identifying the ultimate disposition of each of the  
10 components that were created anyhow.

11 I certainly wouldn't want the donor coming  
12 back in a second time. I think there could be an  
13 argument that as long as it is already in the  
14 system, it could be handled safely one way as the  
15 other.

16 DR. NELSON: Are you ready to vote on  
17 this?

18 DR. LEW: If I could just add one comment  
19 to that, though, it seems like we shouldn't be  
20 finding a lot of patients, I suspect, who are going  
21 to come up positive for the antibody, so that is a  
22 rare event. So, we are not going to lose a whole  
23 lot.

24 Even though it is a rare event that they  
25 might make a mistake, that would be a very bad

1 mistake.

2 DR. FITZGERALD: This is actually one of  
3 the most problematic tests we do. It has a high  
4 false positive rate, and you confirm the initial  
5 positive by putting the donor on surveillance.  
6 They come back in the second time, and are deferred  
7 after the second positive result.

8 So, the core antibody test is problematic  
9 in and of itself. On the next three questions, I  
10 would be inclined to abstain, because I don't think  
11 we have data to make a definitive recommendation to  
12 FDA one way or the other, and I would assume--

13 DR. NELSON: Are you talking about the  
14 core or the anti-HTLV?

15 DR. FITZGERALD: Core.

16 DR. NELSON: I think you were talking  
17 about the HTLV.

18 DR. FITZGERALD: I am sorry, I thought you  
19 were talking about core. I would assume that now  
20 that you have the recommendation to set standards,  
21 you would work on a guidance document and producing  
22 standards for comment, and we would have the  
23 opportunity to look at more data and do those sorts  
24 of things.

25 DR. NELSON: Do you want to vote on this,



1 and you can abstain if you think that there is not  
2 sufficient data. The guidance document, whatever  
3 the regulations or standards the FDA eventually  
4 comes up with may be different than what we say  
5 here, but they have asked us to comment or to vote  
6 on this.

7 DR. CHAMBERLAND: I just have a request  
8 for one further clarification from Jay just to make  
9 sure I understand it. Currently, what is allowed  
10 is, in the instance of recovered plasma, would be  
11 to allow a positive core, recovered plasma go  
12 forward for further manufacture, but a positive  
13 anti-HTLV, no, the guidance would suggest that it  
14 not go forward.

15 So, that is the current system. Do you  
16 have evidence or data that you can bring for us to  
17 consider in a more quantitative way, this important  
18 concern about mix-ups occurring vis-a-vis  
19 inappropriate release and whatever, because if I  
20 understand it correctly if we vote yes, then, we  
21 are making a change from the current practice. If  
22 we vote no, then, the current practice would not  
23 change or at least that would be our  
24 recommendation.

25 DR. NELSON: At the same time, we would

1 put this recovered plasma standards with regard to  
2 this issue similar to the current source plasma.

3 No, if we say yes, it will be the same as  
4 the current practice.

5 DR. SMALLWOOD: No, if you say no. No  
6 will be the same as the current source plasma.

7 DR. CHAMBERLAND: No would be the same.

8 DR. NELSON: Right, that's what I meant.

9 DR. FITZGERALD: No would be a change  
10 because you are saying that you would allow a  
11 positive anti-HTLV to go forward or a positive core  
12 unit to go forward. If you vote yes, you are  
13 making a change because you are saying a negative  
14 HTLV--either way, you are making a change.

15 DR. CHAMBERLAND: It is because you  
16 coupled these in one question when you consider  
17 them currently separately.

18 DR. EPSTEIN: This is correct, but the  
19 reason we framed it this way is what we are really  
20 asking is whether it should conform to the current  
21 source plasma standard. That is what we are really  
22 saying. You don't screen source plasma either for  
23 anti-core or for HTLV, so what we are saying is if  
24 you have done it on a whole blood donor, should we  
25 care.

1           So, the question really is should we  
2 harmonize the standard with source plasma.

3           DR. CHAMBERLAND: If you agree that you  
4 should harmonize, then the answer is--

5           DR. EPSTEIN: The answer would be no. The  
6 answer would be no because you do not have a  
7 requirement to the negative test. 2(a), the answer  
8 would be no.

9           But let's come back to the question. What  
10 do we know about errors?

11           MS. O'CALLAGHAN: Based on the BPD data,  
12 there are very few, if any, deviations related to  
13 the inappropriate release of units that tested  
14 positive for HTLV I. We see very few reports of  
15 those. That is just not something that has  
16 occurred.

17           DR. HOLLINGER: How about for anti-HBC?

18           MS. O'CALLAGHAN: For core? Well, because  
19 we have allowed to be released, the recovered  
20 plasma, we wouldn't see that as a deviation because  
21 it's okay to do that. It's not considered a  
22 deviation.

23           DR. NELSON: Unless the red cells were--

24           MS. O'CALLAGHAN: That is what I was going  
25 to say, that for recovered plasma, we haven't seen

1 that. For red cells, again, even that is very few,  
2 a handful maybe.

3 DR. HOLLINGER: And fresh frozen plasma  
4 would never be made from this anyway, is that  
5 correct?

6 MS. O'CALLAGHAN: That's right.

7 DR. NELSON: Okay. Let's vote.

8 DR. SMALLWOOD: A vote is being taken on  
9 Question 2(a) as stated. Should the standards for  
10 recovered plasma include:

11 (a) Negative screening tests results for  
12 anti-HBC and anti-HTLV I/II?

13 Dr. Allen.

14 DR. ALLEN: Qualified no.

15 DR. SMALLWOOD: Dr. Chamberland.

16 DR. CHAMBERLAND: No.

17 DR. SMALLWOOD: Dr. Cunningham-Rundles.

18 DR. CUNNINGHAM-RUNDLES: Not enough  
19 information. I am going to abstain.

20 DR. SMALLWOOD: Dr. DiMichele.

21 DR. DiMICHELE: I would agree with Dr.  
22 Cunningham-Rundles. I have to abstain. Same  
23 reason.

24 DR. SMALLWOOD: Dr. Fitzpatrick.

25 DR. FITZGERALD: Abstain.

1 DR. SMALLWOOD: Dr. Lew.  
2 DR. LEW: Abstain.  
3 DR. SMALLWOOD: Dr. McGee.  
4 DR. MCGEE: Abstain.  
5 DR. SMALLWOOD: Mr. Rice.  
6 MR. RICE: Abstain.  
7 DR. SMALLWOOD: Dr. Schmidt.  
8 DR. SCHMIDT: Abstain.  
9 DR. SMALLWOOD: Dr. Stuver.  
10 DR. STUVER: No.  
11 DR. SMALLWOOD: Dr. Fallat.  
12 DR. FALLAT: Abstain.  
13 DR. SMALLWOOD: Dr. Harvath.  
14 DR. HARVATH: No.  
15 DR. SMALLWOOD: Dr. Hollinger.  
16 DR. HOLLINGER: Abstain.  
17 DR. SMALLWOOD: Dr. Nelson.  
18 DR. NELSON: No.  
19 DR. SMALLWOOD: Dr. Simon, your opinion.  
20 DR. SIMON: No.  
21 DR. SMALLWOOD: I believe I counted 5 no  
22 votes and 9 abstentions.  
23 DR. NELSON: Okay. 2(b).  
24 MS. CALLAGHAN: Should the standards for  
25 recovered plasma include:

1 (b) Specifications for allowable storage  
2 conditions and dating periods?

3 DR. SIMON: I would think everything has  
4 directed us to a yes on this. This would  
5 presumably be the reason for doing it. This would  
6 allow them to allow fresh frozen to be converted,  
7 for example, before a year, and so on, and so  
8 forth.

9 DR. NELSON: Do you want to vote?

10 DR. SMALLWOOD: Vote on Question 2(b). I  
11 can make this easy. If everyone is in agreement, I  
12 can call it unanimous.

13 DR. NELSON: Can you do a show of hands?

14 DR. SMALLWOOD: I will do it the right  
15 way. I will call each name.

16 That is the question I asked. Are there  
17 any opposing votes? Are there any abstentions?  
18 There is a unanimous yes by all voting members.  
19 Dr. Simon, you agree. Thank you.

20 DR. NELSON: 2(c).

21 MS. CALLAGHAN: Should the standards for  
22 recovered plasma include:

23 (c) Labeling requirements similar to  
24 source plasma to distinguish appropriate use for  
25 manufacturing of injectables versus non-injectables

1 based on the preparation and storage conditions?

2 DR. SIMON: I would have probably not  
3 thought so until I heard Dr. Fitzpatrick's  
4 comments. I didn't realize it was common within  
5 the blood centers to label things for non-  
6 injectable use, and I would think if that is going  
7 on, that we would need labeling requirements  
8 because we certainly wouldn't want anything that  
9 was unsuitable for injectable to be able to be so  
10 labeled.

11 So, I would think here also it would be  
12 something we would want, so it would be yes.

13 DR. NELSON: Vote.

14 [Vote.]

15 DR. SMALLWOOD: I just want it to be clear  
16 for the record. I am supposed to call the roll,  
17 however, if we have unanimous votes. All right.

18 Are there any opposing votes at all?

19 [No response.]

20 DR. SMALLWOOD: Any abstentions?

21 [No response.]

22 DR. SMALLWOOD: Then, it is a unanimous  
23 yes for No. 2(c).

24 Dr. Simon.

25 DR. SIMON: Yes.

1 DR. SMALLWOOD: Thank you.

2 DR. NELSON: Question 3.

3 MS. CALLAGHAN: The last question, and you  
4 are not getting away this easy on this one.

5 Do committee members have additional  
6 suggestions regard product standards for recovered  
7 plasma?

8 DR. NELSON: This one is yes, no and  
9 maybe.

10 DR. ALLEN: I think we have already heard  
11 a number of suggestions, and I have written down a  
12 few things, and I would just like to offer four  
13 brief statements, and then we could see what we  
14 want to do with this.

15 I would recommend that:

16 1. The issue of "donation intent" not be  
17 a fundamental principle in the standards.

18 2. Concurrent plasma collection, or  
19 whatever term is used, during apheresis procedures  
20 be allowed.

21 3. Relabeling fresh frozen plasma for use  
22 as recovered plasma at any time prior to the  
23 outdating be allowed.

24 4. The impact on small intrastate blood  
25 collectors of standards and licensure for recovered



1 plasma be studied and appropriate accommodations  
2 for these collectors be considered as part of the  
3 proposed standards.

4 DR. FITZGERALD: I just wanted to get  
5 again on the record retention, it is going to be  
6 problematic, so you are going to have to address  
7 whether you have to retain the donor records for  
8 the life of the manufactured product, as well as  
9 the plasma product.

10 DR. SIMON: I think on that one, though,  
11 they have addressed it for source plasma, if I am  
12 correct. It's 10 plus 1. I think source plasma  
13 has a 10-year.

14 MS. CALLAGHAN: Plus 6 months.

15 DR. SIMON: Plus 6 months. So, it has  
16 been addressed for source plasma, so I assume they  
17 could address it the same way for this new plasma.

18 DR. HOLLINGER: Jim, I guess I would agree  
19 with most of the things you mentioned about things  
20 to take into account. I am not sure that I would  
21 want to see it to be a different regulation for the  
22 group that are just doing intrastate processing  
23 even though--I mean there may be some hardships  
24 here, but I don't see how you can have a different  
25 standard.

1 DR. ALLEN: I basically agree with you. I  
2 think the issue ought to be addressed, however, and  
3 that some of them ought to be brought into the  
4 process and asked for comments and impact. I agree  
5 that to the extent that you can avoid any  
6 difference at all, it ought to be done, but  
7 accommodations might be considered at least.

8 DR. DiMICHELE: The only other question I  
9 would have is whether if more recovered plasma is  
10 used in the fractionation industry, and the ratios  
11 of source to whatever we are going to call the  
12 recovered plasma change, I think there has been  
13 some debate or certainly some old data, but not any  
14 new data, that standardization, for instance, if it  
15 is going to be used for factor VIII, what are the  
16 factor VIII levels in recovered plasma versus  
17 source plasma.

18 I am not sure. You know, I just don't  
19 know about this, but I am just bringing forward  
20 that maybe there needs to be, for whatever that  
21 plasma is going to be used, that there needs to be  
22 good standards, so that understand if there is a  
23 mix and a change in the mix, what that means  
24 relative to the particular product that physician  
25 or patients may have an interest in.

1 DR. FALLAT: I would second what you just  
2 said, and since I think I heard from industry that  
3 they do treat the source plasma differently and  
4 process it, they don't lump it together, is that  
5 right? It's the derivatives that are derived from  
6 one or the other.

7 I think it becomes an important issue to  
8 know what the standards are of that derived product  
9 when it comes from two different sources.

10 DR. NELSON: Presumably, this change, if  
11 the FDA adopted any of these regulations, they may  
12 not be in the future separate, but it would be of  
13 some advantage probably to keep them separate just  
14 because of the way they are collected and processed  
15 are different and were a problem to develop  
16 relating to that difference in processing, storage  
17 handling, or population, it might be easier to  
18 identify what the problem was if they were kept  
19 separate as they are now.

20 DR. SIMON: I believe the majority of the  
21 recovered plasma product in the United States is  
22 American Red Cross, so at least that portion is  
23 clearly identified, and is all from volunteer  
24 donors. So, we have experience with that being in  
25 use.

1           At least with factor VIII, it is measured  
2 by the end product in terms of the factor VIII  
3 assay. Now, with things like IVIG, where there is  
4 no standardization, then--I shouldn't say no, but  
5 where there isn't this type of standardization in  
6 terms of antibody levels to each of the various  
7 organisms, there could be some differences, and it  
8 would be interesting to study that.

9           DR. DiMICHELE: I would just like to add,  
10 though, that factor VIII is not factor VIII, is not  
11 factor VIII, and I think that there may be  
12 differences with processing differences in terms of  
13 the final biochemical product.

14           I just think that, you know, if there is  
15 going to be a really substantial difference in the  
16 plasma, in the fractionation mix, that we have to  
17 understand what that looks like.

18           I guess I would just encourage the FDA to  
19 request that.

20           DR. HOLLINGER: It seems to me that the  
21 most important thing that I have heard here so far  
22 has to do with storage. I mean that is really the  
23 critical thing, and it sounds like the Red Cross is  
24 doing a lot of that, I mean with their short supply  
25 agreements, that there are some really finite time

1 periods in which they have to freeze samples down,  
2 I think that is really critical.

3           If you are going to call it fresh frozen  
4 plasma, that is done very nicely, and then the  
5 question is how much further out should one go  
6 before allowing this to be used as recovered  
7 plasma, I think there needs to be a real finite  
8 level whether it is three days, four days, five  
9 days, or what.

10           DR. NELSON: I think the FDA probably  
11 should look at some of the short supply agreements  
12 or whatever, and take some of the requirements and  
13 criteria that seem to be applicable or useful if  
14 they are going to make it into standards, and there  
15 need to be some standards.

16           I think we could just vote yes, that there  
17 need to be some standards.

18           DR. SCHMIDT: Come up with a new name for  
19 recovered plasma.

20           MS. CALLAGHAN: Any suggestions?

21           DR. NELSON: Jay, is this discussion  
22 sufficient?

23           DR. EPSTEIN: I appreciate the patience  
24 and endurance of the committee, and I think we have  
25 had the discussion we need.

1 MS. CALLAGHAN: Thank you.

2 DR. NELSON: Let's move on to the final  
3 item.

4 We are now going to discuss the Uniform  
5 Donor History Questionnaire.

6 Alan.

7 **II. Uniform Donor History Questionnaire**

8 **Introduction and Background**

9 DR. WILLIAMS: Just to establish some  
10 context, what we are going to discuss in this  
11 session is basically reviewing the product of a  
12 task force that has been looking at the donor  
13 screening instruments and has produced its final  
14 report in addition to providing cognitive studies  
15 really for the first time on a questionnaire that  
16 is used over 13 million times a year for donors of  
17 whole blood and blood components.

18 A really very important issue and I think  
19 some very impressive progress in tightening up the  
20 donor qualification procedure.

21 Because some members of the committee are  
22 new, I want to very briefly give a little bit of  
23 introduction and then introduce the topic.

24 [Slide.]

25 Why is accurate donor qualification

1 important? Obviously, the first reason is to  
2 maximize blood safety with respect to known agents  
3 where laboratory screens are in place, these  
4 screens are very sensitive, they there are still  
5 very rare errors associated with window periods,  
6 associated with infections, testing errors, and  
7 produce release errors.

8           Also important, however, are unknown  
9 threats to the blood supply when there is no  
10 laboratory screening test available. In some  
11 cases, donor questioning may be our only protection  
12 for the blood supply in deferring donors who may be  
13 carrying a transmissible agent.

14           The second reason is to minimize donor  
15 loss due to inappropriate deferral. There is a  
16 tendency to add questions every time we are  
17 concerned about something that might threaten blood  
18 safety, and as we all are aware, sometimes these  
19 questions are nonspecific to the point that we are  
20 losing donors that we shouldn't be losing simply  
21 due to inaccuracy in the screening process, the  
22 questionnaire process.

23           There is a lot of operational impact  
24 associate with donor qualification. If you get an  
25 incorrect answer, and this becomes known later,

1 there is what is known as postdonation information  
2 that, at the highest level, could result in product  
3 recalls, which have major impact.

4 Fourth, and often isn't mentioned, is the  
5 fact to minimize staff exposure to infectious  
6 donations, these bloods are drawn, processed in the  
7 laboratory, and it is simply better not to have the  
8 unit of blood drawn at all if there is a risk.

9 [Slide.]

10 There are various stages of donor  
11 qualification. The first is exclusion of risk  
12 populations. Protections that have been in place  
13 for some time are the exclusion of prisoners and  
14 the requirement for special labeling for paid  
15 donors of whole blood.

16 There are self-deferral where the  
17 potential donor sees educational information prior  
18 to donation, and simply concludes that they are not  
19 appropriate for a donation and doesn't appear.  
20 Similarly, that same process can happen at the  
21 blood site before the interview is actually done  
22 with a staff member.

23 There can be deferral by staff during the  
24 interview process. This is really the focus of  
25 today's discussion, however, some of these prior



1 factors are really much larger in magnitude than  
2 the actual deferral due to staff interview.

3 Then, there is postdonation information  
4 already commented on.

5 [Slide.]

6 Current donor qualification. There are  
7 certainly successes. We know by comparison with  
8 general population studies that blood donors coming  
9 in for the first time have lower prevalence levels  
10 than the general population, and this is certainly  
11 an impact of the education and screening process.

12 We know there are some failures. When a  
13 donor is found positive for an infectious marker,  
14 particularly HIV or HCV, often by interview, we can  
15 identify that this donor had a risk factor that  
16 should have prevented donation, and there are  
17 certainly hurdles to providing an accurate donor  
18 qualification - limitations in having donors read  
19 materials and apply that information to their own  
20 situation, concerns about validity assessments both  
21 for the criteria used for the deferral process,  
22 whether they are scientifically accurate, and also  
23 the methodology of the screening process, whether  
24 that is optimized to the greatest extent.

25 Behavior science has made great progress,

1 but it is still certainly considered a softer  
2 science than, for instance, the development of  
3 laboratory tests, and this has had an impact on the  
4 donor qualification process, as well, in that it is  
5 not regulated as tightly as it infectious disease  
6 testing, the science isn't quite as well defined,  
7 and the financial drivers that are there for the  
8 laboratory tests simply don't exist for the donor  
9 screening process, so the progress has been a  
10 little slower.

11 [Slide.]

12 There have been some major research  
13 advances particularly associated with defining AIDS  
14 risk factors in the general population. One of  
15 these includes the use of a computer self-assisted  
16 questionnaire with audio components.

17 This is probably the future of donor  
18 screening, but it is not quite there yet. There  
19 are some sites that are using some very preliminary  
20 version of this type of screening, and as  
21 mentioned, there are now available some cognitive  
22 studies of the donor screening questionnaire.

23 This was first done at the Red Cross  
24 through the use of focus group studies by Dr.  
25 Orton, et al., and most recently through the

1 National Center for Health Statistics, which we  
2 will be speaking about their studies today.

3 [Slide.]

4 I wanted to mention briefly that there is  
5 a draft guidance in the field right now for  
6 comment. It is entitled "Streamlining the Donor  
7 Interview Process: Recommendations for Self-  
8 Administered Questionnaires."

9 One particular component of this, which  
10 think you will hear discussed a little bit in the  
11 other presentations, is that this draft guidance  
12 contains FDA current thinking that self-  
13 administered questionnaire processes should not be  
14 used for brand-new blood donors at a blood center  
15 with the exception of audio, computer self-  
16 administered interview.

17 This is for a couple of reasons. Number  
18 one, the studies mentioned earlier by Joe Catana  
19 and Turner, and others, have shown that an audio  
20 component is important to getting individuals to  
21 recognize the content of the question.

22 There are also concerns about literacy,  
23 not the basic levels of whether someone reads or  
24 not, but somewhat different levels of functional  
25 illiteracy and scientific illiteracy. I think it

1 is a fair assumption that not every donor, reading  
2 every question, understands the full content of  
3 that question.

4 Also included in the guidance are a  
5 recommendation for secondary measures at the blood  
6 collection centers to assure donor understanding,  
7 provision of adequate instruction assistance and  
8 quality assurance assessment related to the  
9 qualification process, that new or modified  
10 questions which come along should, in fact, be  
11 highlighted in some way or else administered by  
12 staff interviews, so that repeat donors who have  
13 seen this questionnaire many times have new  
14 questions pointed to them, so that they can look at  
15 them with special attention.

16 There are special preventions in the  
17 guidance for audio, visual, and CASI technology as  
18 it grows and it harmonizes with the new final  
19 guidance for deferrals related to potential variant  
20 CJD exposure.

21 [Slide.]

22 The draft guidance was announced in the  
23 Federal Register in April and comments are due June  
24 21st, 2002, and we look forward to receiving those  
25 comments.

1 [Slide.]

2 With respect to today's topic, the Uniform  
3 Donor History Task Force has been organized by the  
4 American Association of Blood Banks, but contains  
5 members from numerous industry and agency  
6 representatives.

7 Within the FDA, Judy Ciaraldi has really  
8 been I think the primary representative from the  
9 regulatory side. Robin Biswas and John Lee  
10 participated early on in the task force  
11 discussions, and Sharyn Orton and I were also  
12 members of the task force until we joined FDA and  
13 when we became liaisons to the task force.

14 [Slide.]

15 The subject was discussed just about a  
16 year ago at the Blood Products Advisory Committee,  
17 and this was kind of an interim discussion, no  
18 questions, related to the approach that was being  
19 taken by the task force and the way that the FDA  
20 would review the product of the task force.

21 The committee made comments about the  
22 cognitive studies proposed, the questions  
23 proposed for elimination, the transfer of some  
24 questions out of the questionnaire itself to the  
25 written educational information.

1           The committee also commented on some  
2 elements of the redesigned questionnaire, and the  
3 questions were somewhat varied, but very helpful,  
4 but overall, the support for the UDHQ Task Force  
5 effort was quite strong.

6           Importantly, the committee strongly  
7 discussed and recognized the need for funding  
8 related to this program, and fortunately, the  
9 National Heart, Lung, and Blood Institute  
10 generously provided some funding, so that the  
11 National Center for Health Statistics could  
12 participate in the cognitive studies. I think this  
13 really helped provide definition to this whole  
14 project.

15           [Slide.]

16           The speakers for this subject, next will  
17 be Dr. Joy Fridey, who is in fact the chairman of  
18 the task force and the senior vice president for  
19 Medical Affairs at the Blood Bank of San  
20 Bernardino.

21           Following Joy will be cognitive studies  
22 presented by Dr. Paul Beatty at the National Center  
23 for Health Statistics. FDA's own Judy Ciaraldi  
24 will be providing an FDA perspective on the review  
25 of the document submitted by the task force or the

1 current status and some of the thoughts, and then  
2 finally, I will come back with a couple of  
3 questions for the committee, which I will just  
4 introduce right now.

5 1. Does the committee believe that the  
6 revised Uniform Donor History Questionnaire  
7 proposed by the task force is suitable to screen  
8 donors of allogeneic whole blood and blood  
9 components for transfusion?

10 2. What additional comments does the  
11 committee have on: (a) The validation process of  
12 the UDHQ, and (b) the specific content of the UDHQ  
13 questions.

14 As you consider these questions, I just  
15 want to present very clearly that these questions  
16 presented are designed for the whole blood and  
17 blood component donors, and not the source plasma  
18 donors. As you will hear from PPTA, that is a  
19 somewhat different process. It overlaps quite a  
20 bit with the current proposed questions, but will  
21 differ a little bit, so we are primarily talking  
22 about whole blood donation with respect to these  
23 questions.

24 Thank you.

25 DR. NELSON: Thank you, Alan.

1 Joy Fridey.

2 **Overview of AABB Task Force UDHQ Project**

3 DR. FRIDEY: I would like to thank Dr.  
4 Smallwood, Dr. Epstein, CBER, and the Blood  
5 Products Advisory Committee for the opportunity to  
6 be here today.

7 Almost exactly one year ago today, it was  
8 June 14th, I stood before this committee to present  
9 a proposal for modifying the Blood Donor Screening  
10 Questionnaire, and, in fact, by that time we  
11 already had a working draft of the revised  
12 questions that were submitted to the BPAC.

13 At that time, you provided insight and  
14 ultimately endorsed our approach, and today, I am  
15 here to give you a final report on the work that  
16 has been done over the past year and to ask for  
17 your input on the new donor screening materials.

18 [Slide.]

19 Briefly, I will give you an introduction  
20 and background of why we launched this project at  
21 all, what our redesign goals were, the task force  
22 members and resources, I think it is important for  
23 you to know who these people were, who are making  
24 these kinds of decisions; the new documents, there  
25 is not just one, there are actually several that we



1 have submitted to the FDA for review and that you  
2 all have copies of; and then the efforts that we  
3 undertook to communicate with the various  
4 stakeholders and obtain their buy-in.

5 [Slide.]

6 This has truly been an exceptional  
7 project. The FDA came to the AABB, recognizing  
8 that there were problems with the questionnaire,  
9 and asked the AABB to head up a project to redesign  
10 the questionnaires.

11 There has been extensive collaboration by  
12 numerous stakeholders. We basically pulled in  
13 everyone that we thought should be at the table.  
14 There has been a tremendous commitment on the part  
15 of the task force. This has been a two-year  
16 project. People have stayed with it, stayed  
17 involved.

18 We have used a groundbreaking approach to  
19 redesigning and designing the blood donor screening  
20 questionnaire. It is not groundbreaking from a  
21 survey design perspective because this is what is  
22 done all the time, and we have simply taken those  
23 principles and applied them to the donor screening  
24 context, and we believe that we have obtained  
25 support and buy-in from the constituents.

1 [Slide.]

2 Dr. Williams has already discussed the  
3 importance of screening blood donors through  
4 questioning. The first nationwide questionnaire  
5 was advocated by the American Association of Blood  
6 Banks in 1953.

7 Since that time, many questions have been  
8 added, and by the early nineties, it literally was  
9 a mishmash of non-chronological questions, quite  
10 confusing to donors, and the Blood Centers of  
11 California developed a model questionnaire that had  
12 been simplified and questions put in more  
13 appropriate order.

14 This was picked up by the AABB, which then  
15 requested FDA input and approval, and it became  
16 known as the AABB Uniform Donor History  
17 Questionnaire, and the BPAC members have copies of  
18 that very interesting document, which hopefully  
19 will go the way of the dinosaurs in their packet.

20 [Slide.]

21 Now, some evidence that there have been  
22 problems with the questionnaire, we find in the FDA  
23 blood product deviation reports. In 2001, nearly  
24 80 percent of deviation reports related to errors  
25 in the donor qualification process. Also, the

1 American Association of Blood Banks surveyed many  
2 blood centers around the country in 2000 to find  
3 out what they were doing in terms of screening.

4 Now, everyone was complying with the AABB  
5 and FDA guidelines, but there was considerable  
6 variation in the format, methods of administration,  
7 and the education materials that were used.

8 [Slide.]

9 Currently, the problems that donors, blood  
10 centers, the FDA, all of us agree on, is that the  
11 questionnaire is very long, extremely complex--and  
12 I will talk about that in second--uses medical and  
13 scientific jargon, which frankly, most people can't  
14 relate to or understand, it uses non-chronological  
15 time frames, repeatedly questions donors about  
16 events that could never have been repeated if they  
17 had once already said no to them, and there has not  
18 been an abbreviated version for frequent donors  
19 with the exception of one blood center in the  
20 Midwest.

21 [Slide.]

22 The questionnaire has more than 70  
23 informational items. Some of them are a single  
24 item question, but half of the questions are either  
25 compound questions or contain multiple items. Now,

1 this does not include the demographic information.  
2 That is another information set that we ask of  
3 donors.

4 [Slide.]

5 This is an example of compound, multi-item  
6 question. It is one of the worst ones. "In the  
7 past 12 months, have you had a tattoo applied," et  
8 cetera. You can read it for yourself. A donor has  
9 to sit down and wade through this and come up with  
10 an appropriate answer.

11 [Slide.]

12 Another complex question, "Female Donors:  
13 In the past 12 months --", et cetera. You can read  
14 it. This is a very complicated question, and not  
15 every question is this bad, but this is just to  
16 give you a flavor of what donors are dealing with.

17 [Slide.]

18 From a scientific perspective, however,  
19 the most fundamental problems are there has not  
20 been input from survey design experts in the  
21 designing questions. Questions do not even follow  
22 the basic rules of survey design. There are too  
23 many items in them, and they are too complicated,  
24 and there, by and large, has not been any kind of  
25 evaluation for comprehension and usability.

1 [Slide.]

2 So, we have to ask, how accurate and  
3 complete is the information provided by donors, and  
4 is there a safety issue. Now, I am not at all  
5 dismissing the questions we have been asking for  
6 years in terms of their ability to provide  
7 safeguards.

8 What I am saying is that I think that we  
9 can do it better and enhance at least safety and we  
10 have to ask do the complexity and length serve as  
11 disincentives to donors. This could raise supply  
12 issues.

13 [Slide.]

14 As a result, the project was launched at  
15 the initiation of the FDA in June of 2000, two  
16 years ago, and off we went.

17 [Slide.]

18 Now, a couple of months after that, in  
19 October of 2000, there was a joint AABB and FDA  
20 workshop to help provide suggestions on how the  
21 task force might attack this project. These were  
22 recurring themes of that conference.

23 One, there had to be a balance between  
24 safety and availability, something I have already  
25 alluded to. The questionnaire and the questions

1 had to be simplified. There needed to be a renewed  
2 emphasis on donor education because this is such a  
3 complicated process.

4 The actual mechanics of drawing a unit of  
5 blood are not complicated, but the screening  
6 process has. Validation or at least evaluation for  
7 comprehension of any questions that are asked of  
8 donors, an abbreviated version for repeat donors,  
9 and the need for blood centers to move towards CAI,  
10 computer assisted interviewing. Software right now  
11 is out there, it is undergoing refinement. A few  
12 blood centers have used it, but by and large, the  
13 majority of blood center will continue for the next  
14 few years at least to use the manual approach that  
15 is in place.

16 [Slide.]

17 So, we have five overall goals, and these  
18 are what they are.

19 1. To simplify the wording and questions  
20 mainly to improve donor comprehension, but also to  
21 enable self-administration by the donor.

22 2. To evaluate changes using accepted and  
23 appropriate research methodologies, which I will  
24 discuss in more detail.

25 [Slide.]

1           3. To reformat the questionnaire, make it  
2 easier for the donors to follow and answer to.

3           4. Develop an abbreviated questionnaire  
4 for frequent donors, and define what a frequent  
5 donor is.

6           5. To standardize the donor educational  
7 materials.

8           [Slide.]

9           Our objectives in selecting the task force  
10 members were twofold:

11           1. We wanted to represent, we wanted to  
12 throw out a wide net and represent as many  
13 constituents as possible from government, from  
14 industry, which would be blood centers, plasma  
15 centers, and the public, which would be blood  
16 donors and recipients.

17           2. Obtain the appropriate methodological  
18 expertise. We felt this was crucial to deliver a  
19 product that was scientifically sound.

20           [Slide.]

21           I am not going to read all of these, but  
22 we clearly had included the FDA and the CDC, the  
23 Department of Defense, the industry organizations -  
24 AABB, America's Blood Centers, which they are  
25 independent of the Red Cross and collect about half

1 the nation's blood, Plasma Protein Therapeutics  
2 Association.

3 We also had two research survey design  
4 specialists, one, in fact, who was a BPAC member  
5 last year, and the other one was from the National  
6 Center for Health Statistics, Paul Beatty. We will  
7 hear from him today.

8 There also was someone to represent the  
9 consumer, a public member. This professor is an  
10 ethicist. We had a statistician, and our neighbors  
11 to the north, who struggle with the same kinds of  
12 issues that we do, also were represented.

13 [Slide.].

14 This was work that was done predominantly  
15 on a volunteer basis. We did it through literally  
16 dozens of conference calls, hundreds of e-mails,  
17 three, face-to-face meetings. The members who  
18 participated volunteered their time and their  
19 talents. There were several pro bono projects that  
20 were done. Jerome Holland Laboratories sponsored  
21 the focus groups, Dr. Sharyn Orton did those.

22 We needed some data tabulated. John  
23 Boyle, the former BPAC member, his company  
24 tabulated those data, and the AABB provided  
25 administrative support and funded travel for the



1 members. We didn't go to Tahiti or some place like  
2 that, we came here to this area.

3 NHLBI provided funding for the NCHS  
4 Cognitive Evaluations. This was through Dr. George  
5 Nemo's efforts and also Dr. Barbara Alving. But I  
6 want to make a strong point here, that funding was  
7 not available for any other aspects of this project  
8 from government agencies or other entities.

9 [Slide.]

10 Now, I am going to focus on the new  
11 screening materials. You heard about these last  
12 year, but I want to tell you what we have done and  
13 where we are now with them - the full-length  
14 questionnaire, the abbreviated questionnaire for  
15 frequent donors, the pre-screening educational  
16 materials, and the user brochures.

17 [Slide.]

18 The full-length questionnaire is a  
19 questionnaire for first time and infrequent donors.  
20 It contains all of the FDA-recommended items and  
21 AABB-required items.

22 [Slide.]

23 The goals of revision are to simplify and  
24 to re-format.

25 [Slide.]

1           We basically took a very simple approach  
2 to simplifying. Taking into consideration patient  
3 safety and donor safety, we asked two questions:  
4 what is the target information of the question that  
5 we are evaluating and working on, and what is the  
6 simplest way that a question can be stated?

7           [Slide.]

8           We wanted to avoid rarified phraseology.  
9 We wanted to break down most of the compound  
10 questions and multi-item questions. We wanted to  
11 find a better way to get at the medications that  
12 the donors are taking, specifically those that are  
13 FDA-deferrable medications, and focus on the most  
14 germane of health conditions.

15          [Slide.]

16          Our thinking was that if we have better  
17 donor comprehension that there will be more  
18 relevant information and accurate information  
19 provided by the donor, there will be fewer errors  
20 and better information capture, and hopefully,  
21 improved safety.

22          [Slide.]

23          This is probably the most important slide  
24 of the entire handout because it shows the very  
25 iterative approach that we used.

1 First, we took the full-length Uniform  
2 Donor History Questionnaire, the AABB one which the  
3 committee members have. We divvied it up into  
4 major sections - donor safety issues, patient  
5 safety issues, infectious diseases, and a survey  
6 design expert, Dr. Boyle, worked with the  
7 subcommittees who looked at each question and asked  
8 those two fundamental things, what is the point of  
9 asking this question, what is the target  
10 information, what is the simplest way we can ask  
11 it.

12 When this was done, the entire task force  
13 reviewed that material and made some further  
14 adjustments. At this point in time, we had a  
15 working draft and felt that it was important for  
16 the FDA to see what we were up to and to provide us  
17 with input.

18 So, we sent a letter to CBER in May of  
19 last year, which contained the suggested revisions.  
20 At the same time, the focus group evaluation  
21 started, the task force refined the questions  
22 further based on that input. Then, cognitive  
23 evaluation was done by the NCHES.

24 We looked at that information, it was a  
25 40-some page document that we considered when we

1 were making our final revisions, and finally came  
2 up with final wording and questions.

3           Along about September, CBER provided us  
4 with a very detailed and helpful letter, which  
5 expressed concerns and insights and suggestions for  
6 our proposed draft of the questions, and we  
7 integrated those comments in our final products.

8           [Slide.]

9           Just a few words about the focus groups.  
10 The focus group methodology was based on a  
11 methodology that was used for a published peer  
12 review article that appeared in Transfusion,  
13 written by Drs. Orton and Virvos in 2000, but there  
14 were four groups convened specifically for task  
15 force research purposes. There was a nice  
16 demographic mix.

17           The participants were eligible non-donors.  
18 These are people who had never donated blood  
19 before, but would qualify to donate blood, virgins,  
20 if you will. They were presented with the  
21 questions that had been reworked and asked for  
22 feedback and alternative wording.

23           [Slide.]

24           The National Center for Health Statistics  
25 then performed cognitive evaluations. I am going