

1 sequential unit operations having sequential effect.
2 So if we get 2 logs reduction here we can add it to 4
3 logs reduction there.

4 The one thing that I was a little bothered
5 by was the mass balance unit operation analysis using
6 Western blot and logs. If the fraction of use has
7 less than 10 percent of the contaminant, then you
8 really can't compare that logarithmically to what you
9 recovered in the precipitate, let's say, that you're
10 not going to use or the other fraction if you have
11 only one percent in your useful fraction, you'd better
12 not be able to detect any loss from the discarded
13 fraction because a 2 log in the useful fraction and 3
14 log in the other fraction doesn't add up again to
15 where you started with. So mass balance in logarithms
16 works in one direction but it doesn't perhaps work
17 validly in the other.

18 DR. VEY: I guess I was the one who showed
19 mass balance. First of all, it was the confirmation
20 dependent immunoassay, not the Western blot. But I
21 agree, mass balance does not mean that if you have one
22 percent in the supernatant fraction that this one can
23 be then further analyzed and if this disappears, that
24 the mass balance that I showed for the first step is
25 coping for the mass balance of a second step behind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that.

2 But you can see that when you do the mass
3 balance that the major flow of the spike is into one
4 direction and you don't lose it along the way. It's
5 just for reassurance that you really know where the
6 majority of the spike is going. If you didn't do this
7 kind of mass balance, you would raise the question so
8 where is it then. You only compare input and output.
9 But I agree.

10 CHAIRMAN BOLTON: Yes, Colonel
11 Fitzpatrick?

12 COLONEL FITZPATRICK: Two questions on the
13 bioassays. On the primate study, first this looks like
14 a really good opportunity to answer some questions
15 about transfusion transmission. I wasn't clear, has
16 the primate study begun, how long will it last and how
17 many transfusions are you planning to extend this out
18 toward?

19 DR. KREIL: Well, the primate study has
20 been an issue that, as I said a couple of years ago,
21 there were some logistic hurdles to come across which
22 took us some while. So, we're now into roughly 22
23 months after inoculation of the animals. The positive
24 controls, if you will, that is the ones that have been
25 inoculated with brain material from variant or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 classical CJD patients at a dilution of 1 to 10, they
2 have already come down with disease, so we know the
3 model works.

4 You were asking how long we intend to
5 carry out the transfusions. We're basically intending
6 to do that as long as the animals live. And then also
7 we intend to keep these animals as long as the animals
8 live, because there is a well known correlation
9 between infectivity titers and incubation period
10 animals so that the lower the infectivity, the longer
11 you have to wait for the animal to come down.

12 As we expect plasma or buffy coat
13 specifically to, if any bear either a low infectivity
14 levels, we would have to carry the study out probably
15 until the natural lifespan of the animals.

16 COLONEL FITZPATRICK: The second question
17 is on the --

18 DR. VEY: Could I add something? So we
19 are sitting up or we have set up infectivity bioassay
20 in transgenic mice which don't have the species
21 barrier versus, or a much lower species barrier
22 towards variant CJD prions. And so we expect also
23 data within the coming one or two years on petitioning
24 and trituration in infectivity bioassays using highly
25 susceptible transgenic mice.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KAGAN: And then is that a whole blood
2 study?

3 DR. VEY: Pardon me?

4 DR. KAGAN: Is that whole blood
5 transfusions in the mice?

6 DR. VEY: It's the petitioning studies I
7 was showing.

8 CHAIRMAN BOLTON: I just have a question
9 relevant to that study also. Can you comment on why
10 you choose to inoculate the source animal
11 intracranially as opposed to a peripheral inoculation?
12 It seems to me that if you're trying to model the
13 contamination of blood in a new variant case, that
14 intracranial inoculation is not the best way to do it.

15 DR. KREIL: Well, what we tried to do in
16 that study is we had, obviously, to first have a donor
17 animal which we know would develop the disease so that
18 we could then take from this donor animal blood that
19 we would transfuse into a naive recipient, the body.

20 CHAIRMAN BOLTON: Well, I must say I'm
21 still surprised that you had so little confidence that
22 it would be transmitted if you inoculated either
23 intraperitoneally or intravenously or even fed orally.
24 I mean, these diseases are transmissible almost
25 without question. And I would have little doubt that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 squirrel monkeys would be infected.

2 I realize that you're looking at a cost
3 situation here where you might not wanted to take the
4 risk. But is such a study contemplated?

5 DR. KREIL: Well, to investigate oral
6 exposure?

7 CHAIRMAN BOLTON: That would be good, yes.

8 DR. KREIL: I know that currently in
9 Europe there is two further studies ongoing in
10 primates and one of which at least addresses oral
11 transmission of prion diseases as well.

12 CHAIRMAN BOLTON: Ermias?

13 DR. BELAY: Would you be able to tell us
14 how many animals were out to be inoculated for
15 sporadic figured in also for the variant study?

16 DR. KREIL: The study in total is 80
17 animals. The positive control groups for sporadic as
18 well as variant CJD have been inoculated in dilutions
19 of 100 fold. It's been four animals per group. And
20 I just couldn't really tell you how many groups we
21 diluted it down. I think it was four dilutions down.

22 Then the bodies of the animals where we
23 tried to transfuse and transmit if that is possible at
24 all; that we have done for the animals which have been
25 inoculation with a dilution 1 to 10, and then one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 animal in that group transfuses into one animal in the
2 recipient group. So there is four in the recipient
3 group and four in the donors group.

4 And then there is four intracranial
5 inoculation of human variant CJD or classical CJD
6 buffy or plasma, also groups of four animals each.

7 DR. BELAY: A total of 17?

8 DR. KREIL: It's a total of eight, 80.

9 DR. BELAY: Eight-zero. Thanks.

10 CHAIRMAN BOLTON: Well, I'll make one more
11 comment. I want to really commend you on looking at
12 the question of the nature of the spike. I think that
13 that is probably the most important aspect of these
14 studies, and for two reasons. Obviously, it was
15 something that we had seen years ago and feared might
16 be true, and that is that the purified preparations,
17 while they're very useful for analyzing the nature of
18 the agent, they are possibly the worst thing to use in
19 modeling this kind of natural contamination. And I'm
20 very pleased to see that you looked at these different
21 alternatives.

22 And the second part of that is that the
23 reason that you're even investigating that is that
24 there isn't really a source, a practical source of the
25 natural contaminated product. Because these agents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really are almost undetectable in blood, even in the
2 model system. So I want to bring that out, because as
3 scientists we tend to focus on the little details of
4 the science and whether or not you use IC inoculation
5 or what have you, but an important fundamental fact is
6 that the contamination of blood in this case is
7 theoretical still in the human case and is still
8 extremely low in the cases where it's known.

9 Additional questions or comments from the
10 Committee?

11 DR. NELSON: Yes.

12 CHAIRMAN BOLTON: One more. Dr. Nelson.

13 DR. NELSON: Yes, I'm interested in the
14 route intracranial versus let's say a transfusion,
15 intravenous. And I know there's a great difference
16 between the infectious inoculate by those routes, and
17 I guess it must be a couple of laws.

18 I remember the Brown paper in *Transfusion*
19 looking at this. But, you know, there are people that
20 get a 100 units of blood, but I'm not sure that's
21 equivalent. I think that the risk may not be
22 additive, and it may be very difficult to quantitate
23 what the differences are in these units. But there
24 are people that get very large numbers of blood
25 transfusion, but I'm not sure that that puts them at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that much of an increased risk. I wonder if you'd
2 comment on that?

3 DR. VEY: So, first of all, the route of
4 infection, IV intravenous versus IC is something like
5 one log less efficient for IV inoculation. So you
6 need ten times more of a contaminate on infectious
7 agent to be infectious. That's all based on data of
8 the TSE animal model in mice, GSS. So whether this
9 applies for larger animals or humans which have more
10 transfusions probably, it's not known yet. It could
11 be even higher infectious doses necessary. That's not
12 known at the moment, but the best guess so far is one
13 log less efficient.

14 With regard to multiple doses, there
15 epidemiology comes into play as well. I mean, it
16 would be definitely adverse if a donor who was not yet
17 diagnosed with CJD would donate 100 times and that
18 would be donated to the same person so the risk
19 increases. But since the epidemiology says that at
20 the most 1 in 10,000 people is infected, so this risk
21 is very, very low.

22 CHAIRMAN BOLTON: Other questions or
23 comments? Yes.

24 DR. HOLLINGER: I don't think we should
25 ever lose sight of the fact that at least at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 present we're dealing with a theoretical risk, that
2 there's never been any documented transmission of
3 variant CJD to our knowledge yet, at least in Britain
4 or in other places where this epidemic is so high. So
5 we should always keep that in mind in the background.
6 It is maybe a theoretical risk.

7 I guess my question to the group up there
8 would be are you confident enough in the processing
9 that is being done with your spiked products that any
10 of you would be willing to take a Factor VIII
11 preparation that was spiked with one log of purified
12 PrP^{Sc} and take a Factor VIII preparation after that
13 spiking process?

14 CHAIRMAN BOLTON: It sounds like an unfair
15 question.

16 DR. HOLLINGER: Well, it's really, I mean
17 it's not an unfair question, if that's what someone
18 said. In essence because it is the issue if that is
19 the question; that the confidence interval is such
20 that if we're looking at something that's less than
21 log or maybe perhaps not even there, one wants to know
22 if the process is sufficient enough that one would be
23 not concerned with any transmission of disease if it
24 were present.

25 MR. HEALEY: With all due respect, I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'd have some larger problems if I knowingly were
2 willing to take a product that had been intentionally
3 infected with something and then put through a process
4 for clearance. I think with that said, it's fair to
5 say from a personal perspective I take great assurance
6 in the data that's been presented here, and I trust
7 the safety of the products. And if I were a product
8 user, I'd rest assured that everything's being done
9 and that the data support the safety of the products
10 going forward, yes.

11 CHAIRMAN BOLTON: Colonel Fitzpatrick, one
12 more question.

13 COLONEL FITZPATRICK: The ion exchange
14 inclusion chromatography looks to be much more
15 efficient and we know that not all fractionaters use
16 that. Do you have an idea of what percentage of the
17 industry uses ion exchange exclusion chromatography?

18 MR. HEALEY: I'm sorry, I didn't hear the
19 question.

20 COLONEL FITZPATRICK: On ion exchange
21 exclusion chromatography in the process, about how
22 many fractionaters or what percentage of the industry
23 uses that method?

24 DR. KREIL: Well, while I cannot comment
25 on how many of us in the industry are using this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specific type, I think would I like to say as a
2 comment to that is that while this one step may look
3 efficient, there were also other steps which were
4 efficient. And I think the major message was really
5 the lines that are printed in bold for the different
6 products. Because through the manufacturing
7 processes, regardless now of whether they contain an
8 ion exchange or its affinity chromatography, or it's
9 one of these PEG-type precipitations, there is steps
10 in every one of these individual manufacturing
11 processes which finally provide the product with a
12 safety margin. So it is not fair to say that there is
13 only single steps which do that. There is steps
14 within really every manufacturing processes, and that
15 has been the information that I've provided in the
16 bold line in summary.

17 CHAIRMAN BOLTON: Just when I thought we
18 were safe. Dr. Petteway?

19 DR. PETTEWAY: Yes, just to make a comment
20 so everyone's clear. Actually the most efficient
21 processes, which is fortunate, are the precipitation
22 processes which actually everybody has. So regardless
23 of how they were investigated, where they were
24 investigated, it's the nature of the prion itself
25 that's lending to this removal by precipitation and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they are the most efficient, and everyone has those.

2 CHAIRMAN BOLTON: Dr. McCullough?

3 DR. McCULLOUGH: It's a very nice
4 presentation. I think the group is to be applauded for
5 doing this.

6 Just one small point, I'm not sure you
7 intended it this way, but in comparing the one log
8 reduction that would be achieved by the deferral
9 policies with the logs of inactivation or depletion
10 that you get in your process, it's not really
11 additive.

12 I mean, the log reduction of risk has to
13 do with 90 percent of the donors being eliminated, but
14 that doesn't refer to reducing the infectivity of a
15 particular donor by one log. So that really doesn't
16 add into the extent of depletion that you're
17 describing. A small point, and I don't know that you
18 meant to do that anyway.

19 DR. KREIL: I was just trying to set that
20 into perspective. Because basically both of the
21 measures result in a reduction of risk. It's
22 different, you know, mechanisms of actions, if you
23 will. First you select for the right donors and then
24 if you had a wrong donor in, you're taking care of
25 that by the manufacturing procedures. So I'm not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 saying that this is the same mechanism of action. It
2 is both toward reduction of risk, and therefore I
3 wanted to put in perspective what manufacturing
4 processes really can achieve.

5 CHAIRMAN BOLTON: Okay. Let us conclude
6 this part of the meeting.

7 I have 10:28, let's round it to 10:30,
8 which puts us 15 minutes behind schedule. But we'll
9 go ahead and take a 15 minute break with the hope that
10 we'll get back on schedule at some point later on.

11 So let's meet back here at 10:45. And
12 have a nice break. Thank you.

13 (Whereupon, at 10:29 a.m. a recess until
14 10:50 a.m.)

15 CHAIRMAN BOLTON: Okay. We're going to
16 begin the next session of the meeting. This will be
17 Topic 1: Effectiveness of measures taken to protect
18 humans from food-borne exposure to the BSE agent in
19 countries with BSE: Implications for variant CJD risk
20 and blood safety. And this will begin with Dr. David
21 Asher who will provide the introduction, background
22 and charge and the questions to the Committee.

23 Dr. Asher?

24 DR. ASHER: Okay. Thank you, Dr. Bolton.

25 I'm David Asher from CBER's Office of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Blood. And I'll introduce the decisional issue of the
2 day, which is a consideration of the effectiveness of
3 measures taken to protect humans from food-borne
4 exposure to BSE agent and implications for risk of
5 variant CJD disease in blood safety.

6 We seek advice from the Committees on
7 whether food chain controls to prevent human exposure
8 to BSE as implemented in the United Kingdom since 1996
9 provide a sufficient bases to obviate need to defer
10 blood in plasma donors based on their subsequent
11 travel or residence in a BSE country.

12 Let me add that we are not soliciting
13 advice on importation of ruminant products into the
14 USA from BSE countries. Most of those products are
15 regulated by the USDA and we endorse their very strict
16 control of such products.

17 We also are not discussing the topic of
18 food safety in the USA, an issue that was discussed at
19 some length by FDA Center for Food Safety and Applied
20 Nutrition and USDA's Food Safety and Inspection
21 Service in the TSE Advisory Committee meeting last
22 October.

23 Before proceeding, let me try to place
24 today's activity in context. For those of you new to
25 the business of reaching precautionary decisions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concerning uncertain but presumably small risks, in
2 this case the risk that blood or blood products might
3 transmit the new variant CJD disease, when a
4 theoretical risk has been identified for a life
5 sustaining product that cannot be replaced there are
6 choices to make. To accept the risk and to continue
7 to use the product as is with disclosure, of course,
8 or to attempt to reduce risk while maintaining as much
9 benefit as possible. And there are several ways to do
10 that.

11 One can limit the sources of raw materials
12 to the safest possible, for example by deferring some
13 blood donors, or use manufacturing processes that
14 reduce the risk, for example, some of the steps in
15 fractionation of plasma described earlier this
16 morning, or one can restrict the use of the product.

17 For transmission of CJD by blood and blood
18 products the risk is theoretical. We, of course, are
19 aware of no anecdotal or epidemiological evidence for
20 human infection with any TSE, including variant CJD
21 via blood. However, studies have found TSE agents in
22 blood of some experimentally infected animals, even
23 during the incubation period, so there is a
24 theoretical risk.

25 Encouraged by the Institute of Medicine,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the FDA long ago concluded that it was prudent to
2 maintain an aggressive precautionary policy to ensure
3 that blood and blood products in the USA remain as
4 safe as possible. And that police has included
5 recommending precautionary deferrals of some donors
6 thought to be at increased risk of incubating TSEs.

7 In 1987 the FDA recommended deferral of
8 recipients of human cadaveric pituitary growth hormone
9 as blood donors.

10 In 1996 precautionary withdrawal of blood
11 components and plasma derivatives from donors with CJD
12 are at increased risk of getting CJD, that is
13 iatrogenic or familial CJD and deferral of such
14 donors.

15 In 1998 and 1999 faced with shortages of
16 import in plasma derivatives and reassured by
17 accumulating epidemiological evidence that exposure to
18 blood products have not been identified as a risk
19 factor for CJD, the FDA revised its guidance and no
20 longer recommended withdrawal from the market of
21 plasma derivatives from donors at increased risk of
22 most forms of CJD. But because variant CJD was so new
23 and so different in some respects from other better
24 known forms of CJD, we retained the recommendation to
25 withdraw derivatives prepared from any plasma pool to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 which a donor subsequently recognized to have variant
2 CJD had contributed; something that fortunately has
3 not happened.

4 And donors at increased risk for variant
5 CJD, that is donors with a history of substantial
6 potential exposure to the BSE agent, remain a special
7 concern.

8 In November 1999 following discussion by
9 the TSE Advisory Committee, the FDA recommended
10 precautionary deferral of blood donors who had spent
11 6 months or more in the UK between 1980, the estimated
12 start of the BSE epidemic, and the end of 1996, a time
13 when we were assured that the UK had fully implemented
14 a variety of measures to control BSE and prevent human
15 exposure to the BSE agent, the topic of today's
16 discussion. That policy was estimated to reduce
17 exposure risk as blood donor days spent in the UK by
18 about 87 percent while deferring some 2.2 percent of
19 blood donors.

20 Finally, following several discussions by
21 the Advisory Committee, the FDA proposed the revised
22 guidance published last week and summarized by Dr.
23 Scott this morning. The policies articulated there
24 are estimated to eliminate some 91 percent of total
25 risk adjusted time exposure to BSE agent by donors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 while deferring about 5 percent of otherwise suitable
2 donors, a substantial but probably sustainable loss.

3 In that guidance the FDA once again
4 recognizes the probable effectiveness of measures that
5 the UK implemented after 1996 to reduce opportunities
6 for food-borne exposure to the BSE agent and does not
7 recommend considering time spent in the UK after 1996
8 in deciding the suitability of blood and plasma
9 donors.

10 From the outset, both the FDA and the TSE
11 Advisory Committee have acknowledged that in order to
12 maintain an adequate supply of blood some theoretical
13 risk must be accepted. For example, it is conceivable
14 that some rare unlucky person might visit a BSE
15 country for a very short time, consume a contaminated
16 product and become infected with the BSE agent.

17 But had blood programs attempted to defer
18 all donors who visited the UK for any length of time,
19 however short, the estimated loss would have been 24
20 percent of donors. Had programs attempted to defer
21 anyone who had ever spent anytime in any BSE country
22 after 1979, then the predicted overall loss of donors
23 would reach 35 percent, even higher in some cities
24 because as a group committed blood donors travel a
25 great deal. The cost to eliminate the theoretical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk completely would be unsustainable.

2 So one risk that seemed acceptable was
3 that of a short stay in the UK during the period of
4 highest risk for exposure to the BSE agent. Another
5 risk deemed acceptable was a stay of any duration
6 after implementation by the UK of measures to protect
7 humans against exposure, today's topic.

8 That position was taken despite the fact
9 that far more cases of BSE have been reported in the
10 UK than in any other BSE country, almost 700 last
11 year, and that may be seen as paradoxical. But since
12 other European BSE countries, countries identified by
13 USDA as having BSE or substantial BSE risk in native
14 cattle, since those countries introduced measures to
15 protect human food much later than did the UK, if at
16 all, but significant risk in those countries must be
17 considered to pose an unknown but significant risk
18 that was not mitigated after 1996. And, therefore,
19 time spent there from 1980 until the present should be
20 considered in determining suitability of blood donors.

21 We intend to reconsider frequently our
22 recommendations to defer donors to spent time in
23 various BSE countries as more information becomes
24 available about the estimated numbers of people who
25 might have been infected with the BSE agent and about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the potential of human blood to transmit variant CJD.
2 We also expect to consider the effectiveness of
3 measures taken by various countries to keep the BSE
4 agent out of their food supply in deciding when the
5 risk of human exposure has been sufficiently mitigated
6 to warrant a change in blood donor deferral policy.

7 Our current policy acknowledges that by
8 the end of 1996 efforts in the UK were sufficient to
9 reduce the risk of further human exposures to a level
10 presumably less than the risk in other BSE countries
11 that, while recognizing smaller numbers of animals
12 with BSE, have not fully implemented similar effective
13 measures to protect the food supply. That FDA policy
14 has not been universally endorsed and some blood
15 programs recently elected to defer donors who spent
16 three months or more in the UK from 1980 through the
17 present time.

18 We continue to believe that current UK
19 measures to protect human food from contamination with
20 the BSE agent have markedly reduced opportunities for
21 human exposure, and that the small additional
22 reduction in theoretical risk afforded by deferring
23 donors who spent time in the UK after 1996 does not
24 justify the probable substantial loss of otherwise
25 suitable donors. However, we appreciate ongoing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concern about the effectiveness of UK measures to
2 protect human food and we believe it to be in the
3 public interest to review those measures.

4 Several specific measures are generally
5 considered likely to reduce markedly the opportunities
6 for food borne exposures to the BSE agent. Effective
7 programs to control BSE in ruminants, age-based
8 slaughter schemes, separation of potentially high risk
9 materials from edible meat products and control of
10 imported meat products. We ask the Committees to
11 consider those measures and any others you consider
12 important in your discussions today.

13 First, control of BSE in ruminants, cattle
14 and sheep is particularly important. If TSEs are
15 eliminated from ruminants, then humans will be at no
16 further risk from exposure to their meat products. To
17 be considered protective of humans eating meat
18 products, any program to control BSE in ruminants
19 should include: An effective, active national
20 surveillance system including examination of brains
21 from animals at increased risk of BSE; feed bans
22 adequate to prevent the intentional feeding of most
23 mammalian proteins to ruminants as well as the
24 accidental feeding of prohibited proteins; immediate
25 removal of ruminants with provisional diagnoses of BSE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and safe destruction of their carcasses, and;
2 preventive culling of ruminants at increased risk of
3 BSE.

4 A second kind of protective measure, age-
5 based slaughter, attempts to reduce risk by limiting
6 the human consumption of meat products to those
7 prepared from young animals slaughtered before the
8 appearance of substantial amounts of BSE agent in
9 tissues. An example of that is the United Kingdom's
10 over-30-month scheme

11 A third kind of measure attempts to reduce
12 the contamination of muscle, which is conceded to be
13 a very low risk tissue in laboratory studies of TSEs
14 with high risk materials like brain, spinal cord,
15 dorsal root and trigeminal ganglia, intestines and
16 lymphoid tissues.

17 Summarized here in slaughterhouse order
18 are three examples. Prohibition of slaughtering
19 techniques likely to embolize brain into low risk
20 tissues; removal of specified risk materials from
21 carcasses at the time of slaughter with careful
22 segregation and sanitary disposal, and; the
23 prohibition of special meat recovery systems likely to
24 contaminate muscle meat with high risk materials.

25 Of course, since we should protect humans

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 against all potential food borne exposures to the BSE
2 agent, it goes without saying that imports must be
3 controlled by at least the same strict standards as
4 our domestic meat products. In that regard, one reason
5 why variant CJD cases were first recognized outside
6 the UK and France was probably the substantial export
7 to France of meat products from the UK during the
8 years when BSE was common and before the
9 implementation of protective measures.

10 Let me add here that we know that it is
11 not realistic to expect perfect compliance with all
12 protected measures. We are aware that in the UK some
13 cows born after the fed ban have gotten BSE, that
14 animals at increase BSE risk have been sold for meat
15 and that carcasses with risk material still in place
16 have been imported. But we continue to believe that
17 taken together, the measures implemented in the UK are
18 likely to afford humans substantial protection, the
19 same kind of substantial protection already
20 demonstrated for cattle in the UK where recognized
21 cases have BSE have plummeted since the end of 1992.

22 Those food chain protections should
23 markedly reduce opportunities for human exposure to
24 the BSE agent and their adoption is to be encouraged
25 by other BSE countries.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We're fortunate to have today three
2 authorities with us to share their experience
3 regarding these issues. First, Dr. Hester Ward,
4 epidemiologist for the UK Creutzfeldt-Jakob disease
5 Surveillance Unit in Edinburgh has graciously agreed
6 to summarize for us the latest information concerning
7 variant CJD, projections of various models concerning
8 possible numbers of people who may have been infected
9 with the BSE agent, as well as the current status of
10 investigations of potential infectivity of their
11 blood, the theoretical risk that prompts our concern.

12 Next, Dr. Peter Soul from the UK
13 Department for Environment, Food and Rural Affairs,
14 DEFRA, will discuss the actual measures implemented in
15 the UK to protect humans and animals from exposure to
16 the BSE agent.

17 Finally, Dr. Maura Ricketts will summarize
18 recent efforts of the World Health Organization and
19 other international organizations to address the need
20 for global control of BSE and variant CJD in her view
21 of the situation.

22 Following the break, there will be
23 opportunity for public comment preceding the
24 discussions of the Committees. Members will then be
25 asked to vote one question and one contingent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question.

2 Now, the charge to the Committees. Please
3 evaluate the probable effectiveness of those measures
4 taken by the UK to protect humans from food-borne
5 exposure to the BSE agent and their value in
6 mitigating risk otherwise addressed through donor
7 deferral.

8 And the questions do members of the
9 Committee agree that the combination of measures
10 implemented in the UK by the end of 1996 to protect
11 the human food chain from BSE contamination are
12 sufficient to obviate the need for donor deferrals
13 based on subsequent travel or residence in the UK.

14 If the answer to question one is yes,
15 which measures should the FDA consider to be of
16 greatest importance when it considers future revisions
17 and recommendations for determining the suitability of
18 donors who spend time in other BSE countries?

19 If the answer to question one is no, what
20 other measures, if any, would the Committee members
21 consider sufficient to obviate the need for donor
22 deferrals based on subsequent travel or residence in
23 a BSE endemic country?

24 Let me close by saying that we're very
25 grateful to both Committees today, to our guest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 speakers and to the interested public for coming to
2 help the Food and Drug Administration and the American
3 public.

4 Thank you very much.

5 CHAIRMAN BOLTON: Thank you, David.

6 I don't know, are there any questions for
7 Dr. Asher before we move to our next speaker? Seeing
8 none, our next speaker is Dr. Hester Ward from the CJD
9 Surveillance Unit in Western General Hospital in
10 Edinburgh, and she will inform on the variant CJD in
11 the UK and updated review of recent epidemiological
12 studies.

13 Dr. Ward?

14 DR. WARD: Thank you very much for
15 inviting me here today. I'm an epidemiologist at the
16 National CJD Surveillance Unit in Edinburgh in the UK.

17 What I'm going to do is spend about 30
18 minutes going through the epidemiology of variant CJD
19 within the UK. I'll describe the basic demographic
20 features, the geographic distribution within the UK,
21 some investigations into risk factors into variant
22 CJD.

23 I'll then talk a bit about some recent
24 papers that have been published trying to predict the
25 size of the variant CJD epidemic. And then I'll close

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with an update on our ongoing transfusion medicine
2 epidemiology review that we carry out within the unit
3 in association with our national Blood Transfusion
4 Service.

5 I'd just like to start just by showing the
6 frequency of CJD in percentage terms. Before 1994 the
7 most common type of CJD in the UK was classical or
8 sporadic CJD compared with genetic or iatrogenic CJD.
9 And since 1995 22 percent of our cases in the UK have
10 been variant CJD.

11 To date we have 114 cases of definite and
12 probable CJD. Eighty-nine of these are definite
13 cases, that is they have been confirmed
14 neuropathologically. Fourteen cases have died and
15 haven't consented to have a post mortem, but I have to
16 say that all probable cases that have gone on to have
17 a post mortem have been confirmed neuropathologically.
18 So we take a problem case as being a case of variant
19 CJD. There's one probable case whose awaiting post
20 mortem, and we have ten probable cases alive at this
21 time in the UK.

22 In France, there's been 3 definite cases
23 and there are two alive probable cases at present.

24 And the Republic of Ireland has had one
25 definite case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'd just like to mention what was termed
2 the Hong Kong case. The Kong Kong case actually has
3 been classified as a United Kingdom case because they
4 had the onset of symptoms while also living in the
5 United Kingdom and, in fact, had resided in the United
6 Kingdom two periods of significant time during the
7 '80s.

8 So looking at the age of variant CJD, the
9 median age onset is 26 years with a range from 12 to
10 74 years. And the median age at death is 28 years.
11 This compares with sporadic CJD where the median age
12 of onset is about 65 years. It's about 50/50 male to
13 female split. And the duration of illness is 13
14 months with a range of 6 to 39 months. This is longer
15 than sporadic CJD where the median duration of
16 illness, that is from onset to death, is 4 months.

17 All those that have been tested, that's
18 97, are methionine homozygote codon 129. Up until
19 last year our oldest case of variant CJD was in, I
20 think, it was about 54. And since then we have had a
21 74 year old.

22 Although what should be noted about the
23 age of variant CJD is that mainly the age is
24 consistent within the relatively young people. And
25 the median age hasn't changed with time. So what we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can conclude from this is that young people either
2 have a reduced incubation period, they have either had
3 increased exposure or they're more susceptible to
4 variant CJD. And although models suggests that young
5 people are more susceptible or have had an increased
6 exposure, it hasn't been proven yet.

7 I'm sorry, you can't really read that very
8 well. It's showing the age and sex-specific mortality
9 rates from variant CJD in the UK up to January 2001.
10 And basically the red triangles are males and the
11 green circles are females. And it basically shows
12 that the peak of the mortality rates is the 25 to 29
13 year age group.

14 This is showing variant CJD onsets by year
15 of definite and probable cases, the number of cases by
16 year. And you can see since 1994 the onsets have been
17 increasing. Because of the duration of the illness,
18 we'll be expecting onsets in 2000 and 2001 to be
19 picked up in the future.

20 And this variant CJD deaths by year,
21 definite and probable cases. 104 deaths to date. A
22 slightly variable pattern in 28 deaths in 2000, and
23 we've 20 deaths in 2001. I don't think at this stage
24 you can say anything significant about the reduction
25 there. We'll just have to see how many we have next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 year or this year.

2 This is a figure carried out by the Public
3 Health Laboratory Service Statistics Unit by Nick
4 Andrews which shows the observed and expected
5 quarterly incidence of variant CJD onsets. And the
6 solid line is an underlying trend by quarter, by year.
7 And the dotted line is the upper 95 percent confidence
8 interval, and that's a lower 95 percent confidence
9 interval.

10 And, obviously, this is carried out every
11 quarter. And this shows that there's a statistically
12 significant increasing incidence of variant CJD onsets
13 at the rate of about 22 percent per year, and a
14 doubling every 3.5 years. And this similar analysis
15 is being carried out for deaths, and it shows a
16 similar increasing incidence of 27 percent. So that's
17 quite useful information to have, and it's recently
18 been repeated. This was up to the end of September
19 2001. It's being repeated up to the end of December,
20 and it shows a similar result.

21 I'd like now to move on to the
22 geographical distribution of variant CJD within United
23 Kingdom. This is a picture of Great Britain,
24 Scotland, Northern Ireland, Wales and England. And
25 these dots, targets are cases of variant CJD. Remember

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we had 93 cases. And they're scattered throughout the
2 whole country.

3 What I'd like to go on to describe is we
4 were looking at cases of variant CJD by region in the
5 country, and the regions here are in the north and
6 going further south as you go down the slide. And
7 this is the population of those regions. And these
8 are the number of cases of variant CJD.

9 And we looked at rates, we just eye balled
10 them and saw that the rates in the northern regions
11 seemed to be greater than the rates in the southern
12 regions. So when we arbitrarily grouped the four
13 northern ones and the six southern ones, and you can
14 see that the population in the south is double that of
15 the north, but the number of cases were the same.

16 You can see that the rate per millions,
17 cumulative rate per million in the north was about
18 double that of the south. And we didn't have any
19 prior hypothesis to suggest why this may be. So in
20 true epidemiological fashion, we had looked at the
21 first 51 cases; we wanted to see if we could repeat
22 that over time, was this consistent.

23 And so we then looked at the subsequent 40
24 cases, and we got a similar result. The rate in the
25 north was double that of the south and the total rates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of that are double in the north compared with the
2 south. So what does this mean?

3 Is this ascertainment bias? Our unit is
4 based in the north of the country, so were we just
5 getting more referrals because people knew about us in
6 the north? So we looked at sporadic CJD and divided
7 it into the north and the south, and there was no
8 difference in the rates of sporadic CJD in the north
9 compared with the south.

10 And we also looked at cases that ere
11 referred to the unit as suspect variant CJD but then
12 turned out to have another illness. And, again, there
13 was no difference between the rates of those cases in
14 the north compared with the south. So we thought,
15 well, this must be a real effect then. So what could
16 it be do?

17 Could it be due to a difference in the
18 urban/rural mix of the cases and social class, or was
19 it a difference of diet or butchering practices? And
20 this is sort of ongoing research.

21 We have looked at differences in the
22 urban/rural mix and differences in the social class
23 and it doesn't seem to explain when you allow for
24 these in the analysis the increased rate in the north
25 of the country.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 To try and see if there was a difference
2 in diets, we turned to surveys that have been carried
3 out during the 1980s. The first is the Dietary and
4 Nutritional Survey of British Adults that was quite a
5 relatively small survey, about 2000 adults, where they
6 weighed what they ate for a whole week.

7 The second was the Household Food
8 Consumption and Expenditure Report, which was a larger
9 survey of 20,000 households, but it wasn't necessarily
10 so accurate. .. think people kept a diary of the food
11 that was coming into the house during a week.

12 And then what we wanted to do was look at
13 the amounts people were eating of products that were
14 likely to contain the BSE agent compared with
15 different regions and variant CJD in the country.

16 This is data from the Nutritional Survey
17 of British Adults, that's the one that has about 2,000
18 people and was fairly accurate measurements. Looking
19 at this, this is Scotland going further south, burgers
20 and kebabs seem to be eaten more in the southeast of
21 the country than further north while meat pies and
22 pastries seem to be eaten in the north of the country.
23 There wasn't a correlation with variant CJD.

24 The second study, the Household Food
25 Consumption and Expenditure we considered that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mechanically recovered meat would most likely be in
2 other meat and meat products. And it seemed that in
3 the north of the country was more of these consumed
4 than in the south. More carcass meats and poultry
5 consumed in the south than the north.

6 And when you process the cumulative
7 instance of variant CJD by this other meat and meat
8 products which we thought would have contained
9 mechanically recovered meat, there was a significant
10 correlation with variant CJD instance and the region.
11 And this is Scotland and the northern region going
12 sort of further south.

13 So what can we conclude from this? Well,
14 I think you can conclude that the results were
15 consistent. There was a post of correlation with one
16 study and not with another study. There's always
17 problems with correlation study because you can't
18 really conclude to the individual from results made in
19 a group level, because confounding factors haven't
20 been measured in these studies. So basically we're
21 seeing what happens in the future and we're going to
22 carry on looking into this.

23 Now I'd like to move on to what we've
24 termed in the UK geographically associated cases of
25 variant CJD or you could call it sometimes clusters of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 variant CJD.

2 We've got a definition for this which is
3 fairly loose, which is two or more cases of definite
4 or probable variant CJD where investigations show that
5 there's been an association because of geographical
6 proximity of residence at some time either now or in
7 the past, or another link within the same geographical
8 area. So cases of variant CJD who have attended the
9 same school or the work place, or the same functions
10 in the same area. And there are about 20 areas in the
11 UK where we have two or more geographically associated
12 cases of variant CJD. And there's a national
13 protocol, and we are investigating these in turn.

14 The Leicester cluster was one that
15 received a great deal of media interest, and I'll just
16 run through the conclusions from that investigation.

17 There were 5 cases of variant CJD that
18 lived in Leicestershire, which has a population of
19 870,000. And they lived there before 1992; 4 of them
20 lived there during their whole lives and one moved
21 away in the early '90s. The cumulative instance of
22 variant CJD at the time was 1.5 per million, and in
23 Leicestershire, it was 5.7 per million. Four of these
24 came from a small area and gave a cumulative instance
25 of 28.2 per million.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So when we looked to see whether this was
2 a statistically significant result, we used the
3 Kulldorff's methods, which is a spatial scan statistic
4 which takes into account there was no prior hypothesis
5 that we would expect a cluster in Leicester. And it
6 looks at every varying size circles whether there is
7 an increased rate of CJD within the circles compared
8 with outside. And Leicester offers the most likely
9 cluster, and there were no other significant clusters
10 in the UK.

11 So in the investigation four out of five
12 of the cases in Leicester were reported to have bought
13 meat from butchers who process whole carcass beasts.
14 Basically they split the heads, they remove the
15 brains. These were removed for commercial purposes.
16 Then they went on to dissect the rest of the animal
17 for commercial purposes also.

18 The hypothesis was that cases bought meat
19 from butchers that split heads and the theory was that
20 they contaminated if there was a BSE infected carcass,
21 once they removed the brain, it then contaminated the
22 rest of the meat as they dissected the animal. This
23 was tested in a local case control study matching each
24 case to six community controls. Basically although
25 the confidence intervals are wide so it's inpercise,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it would appear that cases were more likely to have
2 bought meat from butchers who carried out this
3 practice than not.

4 What does this study show us? Well, it's
5 interesting in itself. Does this explain other cases
6 in the UK and other countries? Up to now it's been
7 thought that mechanically recovered meat was maybe the
8 main way but the BSE agent was spread throughout the
9 food that was eaten in the UK. Maybe more traditional
10 butchering practices can explain some of the cases.

11 Also interesting was that one of the
12 butchers actually died in 1982 so we can work out
13 quite accurately the minimum incubation period which
14 is between 10 and 16 years which is quite long for a
15 minimum incubation period so it might have
16 implications for the size of the epidemic.

17 But my feeling is there were some
18 epidemiological problems with the study that we call
19 bias in the cases that were interviewed multiple times
20 where the controls were only interviewed once. There
21 was interview bias. They knew which were cases and
22 controls. The interviews with butchers weren't
23 blinded. They knew which butchers were related to
24 cases and which were related to controls.

25 Also, where did the brain go? It went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 into the food chain. Apparently it went into brain
2 pate and there was a local restaurant that might have
3 used some of this brain. One would have thought there
4 would have been cases related to this brain directly.

5 Moving on to risk factors of variant CJD
6 we are carrying out an ongoing case control study in
7 the UK looking at risk factors of sporadic and variant
8 CJD. To date there has been no evidence of increased
9 risk associated with diet, surgery, or occupation,
10 although there has been some differences seen in diet
11 between cases in controls. These aren't consistent
12 between different groups of controls and they are not
13 significant.

14 The study does have problems. It's a very
15 rare disease. There is recall bias. Cases are more
16 likely to recall meats in the controls. We have to
17 rely on surrogate witnesses. The cases themselves are
18 often demented and we can't rely on them to tell us
19 what they've eaten in the 1980s so we have to rely on
20 a relatively small number. We have experienced some
21 problems with control recruitment which we are trying
22 to rectify.

23 I'm just now going to move on to the sizes
24 of variant CJD epidemic and predictions. I would just
25 like to say this isn't my work and I'm reporting back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- summarizing other people's work.

2 In 1997 Cousens, et al., did some back
3 calculations where they predicted from 14 cases of
4 variant CJD, the size of the epidemic to be in the
5 upper limit of 80,000. Ghani, et al. in 2000, which
6 was with 55 variant cases, this is Roy Anderson's
7 group, predicted an upper limit of 136,000 of the
8 variant CJD epidemic in the UK. This was a scenario
9 analysis using over 5 million combinations of
10 parameters. Both are restricted to the MM genotype.
11 Interesting they predicted there would be less than
12 two cases of variant CJD per infection bovine.

13 Recently in science there's been a couple
14 of papers published. One by Huillard (d'Aignaux), et
15 al. This was a back calculation method where they
16 were looking at the number of affected cases based on
17 assumptions of when they were infected and the
18 incubation period.

19 Basically if you say that the incubation
20 period can be any length, then the number of cases
21 we've seen to date of variant CJD are compatible with
22 any numbers of infected people from a few hundred to
23 a millions in the UK.

24 However, if you take the worse case
25 scenario, which is there are millions of infected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people in UK, then the incubation period must be very
2 long and most people will die from other diseases such
3 as heart disease and cancer before they develop CJD.
4 You have clinical cases of a few hundred to a few
5 thousand so the upper limits sort of come down.
6 Infected individuals could be hundreds to millions but
7 the mean incubation period would be long.

8 Also they predicted that we are close to
9 the peak of the epidemic. This was based on exposure
10 cut-off in 1996 and the MM genotype. This is not
11 necessarily reassuring when you're regarding potential
12 secondary spread because obviously the people who are
13 affected could potentially still pass on CJD through
14 surgery through blood transfusion, although the
15 absolute number of clinical cases has come down.

16 The second study that was published
17 recently in science, Valleron, et al., was based on
18 the age of diagnosis which basically is the age of
19 infection plus the incubation time. They were looking
20 at the fact that the mean age hasn't increased over
21 time. They predict that there was a total number of
22 cases of 205 with an upper limit of 403 with a mean
23 incubation period of 16.7 years and a peak at the end
24 of the year 2000/2001, so we just passed the peak.

25 Interestingly they came up with a bimodal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 age distribution so in the future we'll see
2 increasingly older cases. They had an exposure cutoff
3 of 1990 which I'm not quite sure why they chose 1990.
4 And an exponential decrease in susceptibility after
5 the age of 15 which they said in the paper was
6 arbitrarily picked and I'm not sure again how this can
7 be explained.

8 Then just last week in Nature Online
9 Ferguson, et al., Roy Anderson's group, published
10 further predictions on the site of the epidemic and
11 was mainly based on -- it was predictions using BSE
12 infection of British sheep.

13 Two notable things that should be noted
14 about experimental BSE in sheep. One is that compared
15 with cattle the distributions of the prion protein is
16 increased throughout the sheep so that prion protein
17 has been found in the central nervous system, some of
18 the peripheral nervous system, the lymphoreticular
19 system including gastrointestinal track. Whereas in
20 cattle it's mainly restricted, I understand, to the
21 central nervous system.

22 Also, if BSE in sheep is like scrapie in
23 sheep there is potential for horizontal transmission,
24 i.e., transmission from mother to lamb. They
25 considered three scenarios. One was BSE in sheep was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 self-sustaining in the UK within flocks.

2 The second scenario was it was self-
3 sustaining within flocks and between flocks and that
4 gave the worse case scenario which became BSE endemic
5 in the national flock. The third scenario, which was
6 the best case scenario, was that it wasn't self-
7 sustaining within or between flocks.

8 The results were that for bovine BSE only
9 the upper 90 percent intervals for variant CJD bases
10 were between 50,000 and 100,000 so the upper limits
11 have come down from their previous study from 136,000
12 to 50 to 100,000. When they added on the ovine BSE
13 the upper confidence interval was 150,000 cases of
14 variant CJD. This was the worse case scenario where
15 BSE was endemic in the national flock.

16 They suggested that past exposure to BSE
17 in the UK, the majority was from cattle but future
18 exposure the sheep will be greater than cattle. Also
19 they predicted that you could reduce the risk by up to
20 90 percent if you reduce the age of slaughter of sheep
21 for food and increase the specified risk material
22 controls. This work is based on many assumptions some
23 of which -- a lot of which are unknown.

24 I think what I would like to say about all
25 these studies being published recently is they are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 based on a lot of assumptions and there are a lot of
2 unknowns. In fact, I think the epidemic can be a
3 small number of cases with a short incubation period
4 or large number of cases with a long incubation
5 period.

6 In fact, the incubation period is vital to
7 all of these studies and we just don't know the
8 incubation period. There are still a lot of unknowns.
9 What we need to do to try and reduce the unknowns is
10 know more about risk, about diet, and about exposure
11 which we are trying to do at the CJD surveillance
12 unit.

13 The studies are based only on the
14 methionine homozygote genotypes which account for 40
15 percent of the population. In the worse case scenario
16 you would have to -- if you say the other genotypes
17 are susceptible to variant CJD, you would have to
18 multiply the figures by 2.5, although in other TSE
19 illnesses the other genotypes have been less
20 susceptible.

21 Again, there is the question of secondary
22 spread. The upper limit of the case numbers have come
23 down but there might be a large number of infected
24 people within the UK.

25 I would just like to finish off with a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 summary of where we are with our transfusion medicine
2 and epidemiology review, the TMER study in the UK.
3 This is a joint project between the UK National Blood
4 Service and the National CJD Surveillance Unit. The
5 aim is to investigate whether there is any evidence
6 CJD, including variant CJD, may be transmitted by the
7 blood supply.

8 All definite and probable cases of variant
9 CJD are reported to the transfusion service of the
10 relevant countries, England, Wales, Scotland, and
11 Northern Ireland so we look at the residential history
12 of cases of variant CJD and inform the relevant
13 country if they have lived there.

14 If the transfusion service determines
15 whether they are a donor and traces the fate of all
16 donations and passes on the recipient details to us at
17 the CJD surveillance unit so we will then be able to
18 determine if any of these recipients develop variant
19 CJD.

20 We are also flagging the recipients with the Office of
21 National Statistics so if they die from another
22 disease, we'll know that as well.

23 The reverse TMER is looking at variant
24 cases who have received blood transfusions. We passed
25 the details to the Blood Transfusion Service and they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 determined who donated the blood that variant CJD
2 cases received and passed the detail of the donor
3 again back to us at the CJD surveillance unit. In
4 addition, these donors are flagged also.

5 The results up to April of last year we
6 had 87 cases of variant CJD at that stage. Eight
7 cases of variant CJD have been blood donors, 22
8 recipients of products from these blood donations
9 between '81 and 1999. Interestingly one case donated
10 blood within a few months of onset of variant CJD,
11 another six months before onset, and seven a year
12 before onset of CJD.

13 None of the recipients to date have
14 developed CJD and, in fact, this number is now 12.
15 Twelve have died from other causes and none have been
16 registered as blood donors.

17 The reverse TMER there were actually eight
18 cases of variant CJD who have reported they have
19 reported they had received blood transfusion but only
20 four were recorded by the Blood Transfusion Service.
21 There were 117 components that were received. One
22 case who had a liver transplant received 103 of these.
23 There were 111 donors and none had developed CJD to
24 date.

25 I would just like to conclude by thanking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and acknowledging all my colleagues at the national
2 CJD surveillance unit. Thank you.

3 CHAIRMAN BOLTON: Thank you, Dr. Ward.
4 Questions for Dr. Ward.

5 DR. NELSON: Did you say all of the cases
6 so far have had MM genotype?

7 DR. WARD: No. I think it was about 97.
8 All that have been tested are the MM which is about 97
9 out of 114.

10 DR. NELSON: So there have been no longer
11 incubation cases with a non-MM genotype at the
12 present?

13 DR. WARD: No.

14 CHAIRMAN BOLTON: Dr. Belay.

15 DR. BELAY: I'm interested about the
16 clinical manifestation of the most recent cases of
17 variant CJD. It's been reported that the clinical
18 manifestation has been different from the classic form
19 of CJD. Have you observed this in the most recent
20 cases also of variant CJD?

21 DR. WARD: Are you referring to variant
22 CJD or sporadic CJD?

23 DR. BELAY: The clinical manifestations of
24 patients with variant CJD compared with the classic
25 form of CJD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WARD: Variant CJD cases do present --
2 do have a different clinical presentation. Sporadic
3 CJD will present often with dementia and that's how
4 they present. Well, variant CJD present often with
5 psychiatric symptoms and atypical sensory symptoms.
6 They have pain in their arms and their legs which is
7 not seen in sporadic CJD. Then they go on to develop
8 dementia later.

9 DR. BELAY: So that has been consistent in
10 all the cases of variant CJD?

11 DR. WARD: Yes.

12 DR. BELAY: And, specifically, some of the
13 patients presented with psychiatric manifestations and
14 there was a delay in the onset of neurologic signs.
15 Has that been consistent over the years in all the
16 cases of variant CJD?

17 DR. WARD: Yes. There is a psychiatric
18 component to the onset of variant CJD. In the early
19 stages there were some delay in picking up the
20 diagnosis because they were often referred to
21 psychiatrists.

22 Now in the UK we are getting better at
23 psychiatrists realizing this may be an atypical
24 psychiatric disease and so then referring to a
25 neurologist and questioning whether it's variant CJD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Yes, they do often still present at onset with
2 psychiatric symptoms.

3 DR. BELAY: In the absence of neurologic
4 signs?

5 DR. WARD: It might have sensory signs as
6 well but not the frank dementia which sporadic CJD has
7 at that stage.

8 DR. BELAY: The other question I had was
9 the difference in the BNC data in the north and in the
10 south. One factor that should be considered obviously
11 the age. Should I assume that the way that you
12 presented was age adjusted?

13 DR. WARD: Once we adjust for age and sex
14 there is no difference.

15 CHAIRMAN BOLTON: Pedro and then Steve.

16 DR. PICCARDO: You said that 22 patients
17 received blood from variant CJD patients. Do you know
18 the general type of the recipients?

19 DR. WARD: I'm afraid I don't have that
20 data with me. Professor Will, who has been involved
21 in this work, he wants me to present what I presented
22 and so that's what I have.

23 DR. PICCARDO: And then to follow up on
24 that, you said that the number of the recipients died
25 already. How many years after the transfusion did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they die?

2 DR. WARD: We have got that data.
3 Interestingly I don't know the exact proportion but I
4 know quite a few died within the first year after
5 receiving the transfusion.

6 DR. PICCARDO: So actually that is not
7 valid because, I mean, the creation time is 10 years
8 and most of them died in the first year.

9 DR. WARD: Yes.

10 DR. PICCARDO: Autopsies were done?

11 DR. WARD: Post mortems weren't
12 necessarily done on these people. It depends.
13 Professor Will is in the process of writing it up and
14 that's why he doesn't want me to show all the results.

15 CHAIRMAN BOLTON: Steve and then
16 Pierluigi.

17 DR. DeARMOND: The north south difference
18 in incidence, or the portion of the population that
19 gets the disease, you have some data that correlates
20 with traditional old fashioned butcher type
21 techniques. How strong was that? Is there really a
22 difference between the north and the south in the way
23 a butcher would process?

24 DR. WARD: That's a good question we are
25 trying to answer but we haven't answered yet. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 traditional practices that were carried out in
2 Leicestershire were I am told unusual in the 1980s but
3 we just don't know. It's a very sensitive issue to
4 start asking butchers what they were doing in the
5 1980s.

6 We don't have data that says in the north
7 that was a traditional practice and in the south it
8 wasn't. We don't have that data yet. What we're
9 trying to do is through the upper areas where we have
10 geographically associated cases try to determine
11 whether those can be explained by traditional
12 butchering practices.

13 Also I'm carrying out an ongoing survey of
14 previous cases and future cases of in families where
15 relatives with CJD would have likely purchased beef.
16 The problem with that is that most bought from
17 butchers and supermarkets and you say that butchers
18 might have carried out the more traditional methods
19 but supermarkets wouldn't have. That is still ongoing
20 at present.

21 DR. DeARMOND: A second question related
22 to that is geographic factors. I was asked once to
23 speculate on why young people and why the tonsils are
24 involved and I made the mistake of speculating. The
25 question relates to the possibility that the northern

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 climates have a different incidence of certain viral
2 infections, influenza, that is different than the
3 south that would make the immune system more ready to
4 accept any protein that seems to be passing by it. Is
5 there any difference north and south in Great Britain
6 that might correlate?

7 DR. WARD: None that I know of, although
8 we don't actually know the distribution of the codon
9 129 genotype throughout the UK. That is something
10 that could explain it but that's something we don't
11 know.

12 DR. DeARMOND: But infectious diseases
13 that would generally affect young people, upper
14 respiratory infections, influenza, are they the same
15 distribution north and south?

16 DR. WARD: I'm afraid I can't answer that.

17 CHAIRMAN BOLTON: Dr. Gambetti.

18 DR. GAMBETTI: Perhaps I should ask this
19 question to Professor Ironside, but can you give us a
20 feeling of the variability in the pathology of these
21 90 cases that have been examined? More specifically,
22 whether the Leicester cluster had any feature, both
23 clinically or pathologically, that could differentiate
24 in any way than from all the others?

25 DR. WARD: Your first question I think you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should ask Professor Ironside because I can't say. I
2 know from what I've heard Professor Ironside say is
3 that variant CJD is distinct from sporadic CJD. I
4 don't know about the variability within that. I
5 wouldn't like the wants of that at this meeting.

6 The Leicester cases were very typical
7 variance variant CJD cases. To my knowledge there was
8 no difference in Europe pathology, the clinical signs,
9 the age. There was no differences between them. They
10 were just what we call typical variant CJD cases.

11 CHAIRMAN BOLTON: Dr. Boyle.

12 DR. BOYLE: Were there plasma product
13 donors among those new variant CJD?

14 DR. WARD: I'm afraid I don't know
15 actually.

16 DR. BOYLE: A second question. Has the
17 rate of classic or sporadic CJD remained the same over
18 the past five years or has it increased?

19 DR. WARD: It has increased, especially in
20 the older age groups. We think this is ascertainment
21 bias. It has increased throughout the whole of
22 Europe, particularly in the older age groups. Also in
23 the younger age groups as well but, again, we think
24 that is the result of variant CJD and it has been seen
25 throughout the whole of Europe.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BOLTON: Steve, let me ask one
2 question first. I'm particularly interested in those
3 cases where the donations were made near the onset of
4 variant CJD. Can you comment on those cases? For
5 example, do you know how long it's been since those
6 transfusions were given and are those recipients still
7 alive?

8 DR. WARD: I'm afraid I don't know the
9 answers to those questions.

10 CHAIRMAN BOLTON: Steve.

11 DR. DeARMOND: This may have already been
12 answered and my jet lag from California may have
13 gotten to me. Tell me more about the 74-year-old.
14 Has that patient passed away and has an autopsy been
15 done and where did that patient reside?

16 DR. WARD: The patient was in the north of
17 the country. Basically it was a case of dementia who
18 was being looked after by a care-of-the-elderly
19 specialist who, with all respect to the doctor
20 involved, saw that it was actually an atypical case of
21 dementia and referred the patient to a neurologist.
22 An MRI scan wasn't done but the patient died and had
23 a post mortem which confirmed variant CJD.

24 We were concerned in the unit that we
25 might be missing other cases of variant CJD in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 elderly so we are trying to -- the post mortem rate in
2 the elderly is very low in the UK. Also cases of
3 dementia in the elderly aren't referred for MRI
4 scanning which is another way of diagnosing variant
5 CJD because of the cost. The two ways that we would
6 have to diagnose this disease in a pool of people with
7 dementia are not -- we don't have much access to them.

8 However, we are trying to set up dementia
9 registers with other variou centers throughout the UK
10 whereby cases would be referred and they would be
11 looked through by specialists to see whether there
12 were atypical features that might suggest variant CJD
13 and then we would try and either get them to have an
14 MRI scan or ask the family for permission for post
15 mortem after death. It's an area where we know it
16 needs further work.

17 DR. DeARMOND: Was there abnormal protein?
18 I presumed there were no tonsils in that older person.
19 They should have been very atrophied, but was there
20 protein in the tonsillar region or around the GI
21 track?

22 DR. WARD: I'm afraid I can't remember.

23 CHAIRMAN BOLTON: First, Dr. Mitchell.

24 DR. MITCHELL: You had mentioned before
25 that there were a few recipients who had died of other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 causes and I wasn't clear whether you had talked about
2 the post mortems. Were there any post mortems and did
3 they look for variant CJD?

4 DR. WARD: The cases that died -- we've
5 learned about the cases that died through the flagging
6 mechanism whereby we received the death certificate
7 that is filled out on those cases. I don't know
8 whether -- I'm not party at this stage as to whether
9 they've had post mortems or not.

10 DR. NELSON: You mentioned that the MM
11 genotype was present in 40 percent of the population.
12 Is the distribution different by age, particularly by
13 older people? Do they have a lower frequency of MM
14 genotype?

15 DR. WARD: It's a good question. I don't
16 think we know enough about the distribution of the
17 codon 129 genotype throughout different populations,
18 throughout the world, throughout the UK, throughout
19 different age groups and it's work that we need to do
20 but we don't know that.

21 CHAIRMAN BOLTON: Suzette.

22 DR. PRIOLA: What percentage of sporadic
23 CJD patients present with MV genotype 129? Is there
24 any concern that you would miss variant CJD patient in
25 that genotype because they would present differently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pathologically?

2 DR. WARD: About 13 percent of sporadic
3 CJD have the MV genotype. There has been, as I
4 alluded to earlier, an increase in cases of sporadic
5 CJD picked up in the younger age group and that has
6 normally been a VV genotype so they are atypical for
7 sporadic CJD.

8 However, Professor Ironside has looked at
9 the ones who've had neuropathology and neuro;athology
10 has been typical for sporadic CJD. He's also looked
11 to see whether they've had prion protein within other
12 organs of the body which would be consistent with
13 variant CJD and he hasn't been able to.

14 There are ongoing studies to see whether
15 there is infectivity of the BSE pattern where they
16 have injected material into R3 mice. I think their
17 studies are ongoing but there haven't been any
18 positive results to date.

19 Although atypical cases of sporadic CJD
20 have increased since the variant CJD epidemic, it is
21 not statistically significant result and the
22 differences are the same throughout the whole of
23 Europe which we take to mean isn't sort of UK
24 specific.

25 CHAIRMAN BOLTON: Okay. Very good. Thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you very much, Dr. Ward. That was most informative.

2 DR. BELAY: Just one question.

3 CHAIRMAN BOLTON: Just one more question.

4 DR. BELAY: I know you have been answering
5 a lot of questions but I should ask this one. The MRI
6 pulvinar sign, is it pretty much established to be a
7 specific for variant CJD or has there been any
8 evaluation of the specificity of that test?

9 DR. WARD: Yes. It has to be done -- hang
10 on. Actually I've got -- these are the diagnostic
11 criteria for variant CJD that were agreed in the WHO
12 meeting we had in May of 2001. Basically for a
13 definite case of variant CJD -- let me get this right
14 -- you need to have a progressive neuropsychiatric
15 order and neuropathological diagnosis of variant CJD.

16 For probable case you need to have all of
17 I, four out of five, of II, any four out of five. You
18 have to have both of these. A positive MRI scan has
19 to be taken in the correct context because I
20 understand that you can have a positive MRI in other
21 conditions but it is very specific if you have all of
22 these and four or five out of these. I can't remember
23 but I think it's in the 90s for specificity.

24 DR. DeARMOND: Just a comment on that. We
25 have a case of sporadic CJD from north or South

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Carolina that had this reverse hockey stick sign on
2 the MRI scan but it had none of the features of
3 variant CJD. It doesn't seem to be 100 percent
4 specific, that MRI pattern.

5 DR. WARD: I'm sure my colleagues in
6 radiology would like to see that scan. That would be
7 interesting.

8 DR. DeARMOND: It will be in publication
9 fairly soon.

10 DR. WARD: Okay.

11 CHAIRMAN BOLTON: Once again, thank you,
12 Dr. Ward.

13 Our next presentation is by Dr. Peter Soul
14 from DEFRA. Perhaps he will explain to me what DEFRA.
15 And he will be speaking on BSE and human food chain
16 protective measures in the UK.

17 Dr. Soul, you have the floor.

18 For the committee, I think we will take
19 this presentation and then we'll break for lunch.
20 This presentation and its discussion and then we'll
21 break for lunch and come back for Dr. Ricketts, if
22 that's okay, Maura. Where are you?

23 Dr. Ward, while we are waiting for this
24 presentation, can I ask a favor of you? If you would
25 try to round up that information on those cases that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 donated blood closest to the incubation -- I mean,
2 closest to the onset of disease and whatever
3 information you have forward it to Dr. Asher and to
4 myself. I think the committee would be most
5 interested in whatever you have on those.

6 DR. WARD: I'm sorry I didn't bring it
7 today. We only just received it last week and it
8 hasn't really been collated but I'm sure I could
9 forward it if Professor Will allows me to.

10 CHAIRMAN BOLTON: That would be great.
11 Obviously the committee and the FDA are very
12 interested in any of that data has it develops going
13 forward. That is a crucial piece of information that
14 we would like to have.

15 Dr. Soul.

16 DR. SOUL: Thank you for the invitation to
17 address the committee today. DEFRA is described up
18 there. I won't go into it in any great detail.

19 I'm going to be talking about food chain
20 protection in the UK with respect to TSE. I'll mostly
21 be talking about BSE but I would like to touch on
22 scrapie. Of course, I can't avoid mentioning the
23 European dimension.

24 So, what are the overarching objectives
25 that we have for food chain protection? I would argue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that they are those, too, to eliminate or, at least I
2 suppose, to reduce the disease in cattle with a view
3 ultimately to irradiating it. Meanwhile, while you
4 are doing that, then taking adequate measures to
5 ensure that the good supply itself is safe and is
6 protected.

7 If we start off with eliminating the
8 disease in food animal, there's been a great deal of
9 interest, I guess, in the source of BSE. The two most
10 likely contenders are that it came from scrapie in
11 sheep or that it was spontaneous mutation in cattle.

12 Whatever the source, what we do know is
13 that it was being recycled through the food chain by
14 slaughter houses, meat and bone meal, and then back
15 into livestock feed. The first key action to take is
16 to prevent infection in the feed via the feed. I'll
17 come onto that later on.

18 We also need to take action on clinical
19 cases because let's remember that they are the
20 reservoir of the greatest weight of infectivity. We
21 need to consider maternal and horizontal transmission.
22 Just on horizontal transmission, unlike scrapie there
23 doesn't seem to be any strong evidence for horizontal
24 transmission in the case of BSE.

25 But, of course, we are very interested in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that and we are particularly interested in it in
2 respect to those cattle born after August 1996, a date
3 you've already heard quite a lot about and no doubt
4 will hear more about.

5 Those cases shouldn't be as a result of
6 feed transmission if measures are 100 percent
7 effective. They possibly be maternal transmission but
8 there may be some other source of infection so we need
9 to investigate that very thoroughly indeed.

10 How about breeding for resistance? That
11 seems to be a good bet as far as sheep are concerned,
12 but for cattle and goats, certainly at the moment
13 there doesn't seem to be anything on the horizon.
14 Surveillance, of course, is absolutely essential so
15 basically we know what's going on out there.

16 That first point then, to prevent
17 infection by feed. At the moment there is a pretty
18 comprehensive band on the feeding of PAP, processed
19 animal protein to food animals. That's all animals
20 which are kept fattened or breed for the production of
21 food and that's an EU-wide band.

22 Our national feed survey we have a pretty
23 comprehensive survey which I would argue is an
24 essential monitoring and enforcement tool to ensure
25 that there is effective compliance with the feed band.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Sorry. I seem to have gotten out of order
2 here. Back in July of 1988 we introduced the first
3 measure. We prevented the feeding of ruminant protein
4 to ruminants.

5 In the light of emerging evidence and new
6 evidence on the epidemiology and new scientific data,
7 we've had to put in place a great deal -- a very large
8 number of additional measures over and above that one
9 simple measure which on the face of it if that had
10 been 100 percent effective would have stopped the
11 disease in its tracks. So the disease cycle is really
12 much more complex than that.

13 I put up this next slide not to go through
14 it in any detail but simply just to use it as an
15 illustration of how complicated the disease cycle is
16 as we've learned over the years and how it's necessary
17 to put a very large number of control measures in
18 place.

19 This next slide I provided simply to give
20 you a chronological list of the various meat and bone
21 meal and feed related measures that have been put in
22 place over the years. You might ask why on earth
23 didn't we do all this right at the start?

24 I think the key factors that have come to
25 our attention as time as gone on are, one, the very,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very small dose that you require to cause infection.
2 Certainly I don't think that anybody thought at the
3 start that you could infect animals with such a tiny
4 amount of infectious agent.

5 The second key factor is the ease and the
6 frequency with which cross-contamination can occur in
7 the feed industry. There are so many points in the
8 livestock feed production system, in the mills, in
9 transports, on the farms, etc., where cross-
10 contamination can occur and we are jolly sure did,
11 occur prior to August 1996, at any rate.

12 Now, the second point was action on
13 clinical cases. Obviously you need to have
14 notification for the disease. You've got to know
15 what's out there and deal with it. Once the animals
16 are reported, then they are investigated, restricted,
17 sorted, carcasses destroyed, and heads sent for
18 diagnosis. The key point is that you are removing
19 that infected animal from the animal food chain and
20 from supply for human consumption as well.

21 So compulsory slaughter with compensation,
22 that was implemented from August 1988. I want to make
23 a point on this which is that you must strike the
24 right balance between providing an adequate incentive
25 for notifying disease and not introducing a strong

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disincentive to reporting disease. I think we are
2 firmly convinced that you need to provide 100 percent
3 of the market value in terms of compensation.

4 One of the measures which other people
5 have introduced is whole herd slaughter. I think we
6 are strongly opposed to that because our view would be
7 that whole herd slaughter provides such a strong
8 disincentive that you are likely to drive the disease
9 underground.

10 We require isolation of clinical cases
11 during carving and we destroyed a percent
12 fundamentally to try and minimize any risk of natural
13 or horizontal spread.

14 We have precautionary controls on milk.
15 Not that there's any evidence that the disease is
16 transmittable through the milk but as a human health
17 safeguard that's in place. Milk can only be feed to
18 the animal's own calf.

19 Total destruction and incineration of the
20 carcass is an important measure to remove the
21 infective agent from the environment and heads are
22 sent for diagnosis in order to confirm the disease.
23 You can't confirm on clinical grounds, only on
24 laboratory tests.

25 I mentioned maternal transmission.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Although the trials that have been done on maternal
2 transmission are equivocal and can be interpreted in
3 different ways. There may be maternal transmission at
4 the low level up to a maximum of 10 percent.

5 I'm told that would be insufficient to
6 sustain the epidemic. Nevertheless, we do have an
7 offspring cull in place to remove the offspring born
8 after August 1996 and to destroy those and remove them
9 from the food chain. Obviously those offspring are
10 the animals most likely to be at risk of maternal
11 transmission if it occurs.

12 We have recently introduced a measure to
13 test the offspring as well. We test the brains of
14 animals over 30 months of age to see if there is any
15 evidence of the infectious agent in them.

16 Passive surveillance, which is reporting
17 of the disease, it's essential that you've got an
18 adequate level of awareness out there amongst all the
19 various groups that are involved in order to ensure
20 that you are getting a good level of notification.

21 I think we are pretty confident, in the UK
22 at any rate, we have achieved that. I think we are
23 reassured by the negative rate which has been
24 increasing over the years and is now up to 30 percent
25 for 2001.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In other words, you're getting lots of
2 suspect animals reported even though quite a large
3 proportion of those haven't actually got the disease
4 so that's what you want to achieve with passive
5 surveillance. I have already talked about incentives
6 and disincentives and how important that is in passive
7 surveillance.

8 On active surveillance, we are targeting
9 -- the whole of Europe is targeting various high risk
10 subpopulations such as cattle that die on the farm,
11 fallen stock, casualty cattle, what's known as special
12 emergency slaughter animals. Those are animals which
13 are slaughtered on the farm but can still go to a
14 slaughter house or an abattoir to produce meat for
15 human consumption.

16 Ante mortem rejects. In other words, an
17 animal that gets to the slaughter house without
18 showing clinical signs but develops them on the way,
19 if you like. As a result of the stress the signs may
20 become more apparent, will be picked up at the
21 slaughter house, and rejected for sale for human
22 consumption.

23 I've mentioned the 1996 cohort. That is
24 the group of animals that were born between the first
25 of August 1996 and the end of July 1997. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obviously a very important group of animals for us
2 because they are the animals which we believe should
3 not have been exposed to infection following the
4 reinforced feed band which was firmly established by
5 the beginning of August 1996. It's very important
6 that we look very closely at that group of animals to
7 provide the evidence that they haven't acquired
8 disease.

9 We are also doing surveillance on sheep
10 and goats. You are aware that there is a great deal
11 of concern that BSE may have passed to sheep and goats
12 so there is quite a high level of surveillance now
13 going on in those populations to look for TSEs.

14 Moving on then to the second overarching
15 objective which we must have which is to prevent
16 contamination of the food supply. Clinical cases
17 cannot enter the food chain that are diagnosed on the
18 farm and destroyed as I have described.

19 Ante mortem inspection, again we want to
20 ensure that the animals aren't getting through into
21 the food chain in the very early stages of the
22 infection -- sorry, early stages of clinical disease
23 so we would aim to pick that up at the ante mortem
24 inspection carried out by a veterinary surgeon.

25 The SRM controls are designed -- specified

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk material controls are designed to remove from the
2 food chain those tissues most likely to harbor
3 infectivity and I'll come onto that. MRM is
4 mechanically recovered meat, mention the over-30-month
5 rule and TSE testing.

6 A bullet point I should have put up there
7 that I omitted because it's not particularly relevant
8 in the UK which is the band that we now have on
9 pithing. The reason that it's not particularly
10 relevant in the UK is because of our over-30-month
11 rule. Certainly in older animals there's a good case
12 to be made for banning pithing.

13 Just listed there the SRMs as they are at
14 the moment in both cattle and sheep and goats. These
15 you recall are the tissues. Certainly at the time
16 when the controls are first introduced, from what we
17 knew about the disease in sheep, were believed to be
18 most likely to harbor infectivity.

19 The first controls were specified bovine
20 offal controls which were banned from human food in
21 November 1999. And from September 1990 they were also
22 excluded from all animal feed. The intention there
23 was to reduce the risk of cross-contamination into
24 ruminant feed. You will recall that we already had a
25 ruminant feed ban in place.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The SRMs have to be removed, stained,
2 stored separately, dispatched under controls, and
3 rendered -- have to undergo a high-pressure rendering
4 process and destroyed by incineration or burial in a
5 licensed landfill site.

6 Mechanically recovered meat. I think you
7 probably refer to that here as mechanically separated
8 meat. It's different, again, from advanced meat
9 recovery systems. The first ban for MRM was on
10 ruminant vertical column in December '95. Currently
11 there's a ban on the use of all ruminant bones in the
12 production of MRM. That is related to the single
13 finding of infectivity in bone marrow.

14 I would argue, and I think it has been
15 mentioned earlier, that MRM was probably a major risk
16 factor early on because of the risk of small pieces of
17 spinal cord getting into that product, but also the
18 spinal cord that gets spread onto the vertical column
19 when it's split. During the splitting process there
20 will be some spinal cord left behind and if that thing
21 goes into MRM production, it's going to get into the
22 product.

23 Perhaps I should also mention the head
24 meat is also probably a major risk factor. It was in
25 the early days. Again, we introduced it ban on head

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meat. Not just because of the brains and the nerves
2 in the head, but also because during the process of
3 removal of the head meat and transporting the heads,
4 then there was a good chance that central nervous
5 tissue would get onto the head meat and be in that
6 product when it was used for human consumption.

7 So moving onto the over-30-month rule.
8 This, of course, has been successful in removing a
9 very large number of preclinical cases from human
10 food. There's a very low risk from UTM over 30 months
11 cattle. The calculations that were carried out
12 suggested that there was probably less than half an
13 animal in 2001 that was within 12 months of developing
14 clinical disease going into the food chain last year,
15 2001.

16 Of course, it's been carried out at
17 enormous expense. Well over 5 million cattle have now
18 been removed through the OTMS, the over-30-month
19 scheme. The same rule applies to imported meat and
20 I've put up a query there. Take time to review it.
21 We certainly need to review it at some stage and
22 decide whether it needs to continue.

23 Our Food Standards Agency looked at this
24 very carefully and said that now is the time when we
25 might start thinking about reviewing it based on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information from the data that we were getting from
2 the surveillance of the 1996/97 cohort.

3 You remember I mentioned that as being a
4 very important group of animals first to look at to
5 satisfy ourselves that the August '96 ban had been
6 truly effective.

7 I thought I should just mention TSE
8 testing because so much of this is now going on
9 throughout the European union. It will have some
10 benefits in detecting some preclinical cases. In
11 other words, some animals which are going for human
12 consumption but haven't yet developed any clinical
13 signs. That will only be animals that are pretty
14 close. Probably about within three months of
15 developing clinical disease.

16 It's a valuable surveillance tool for
17 those countries which are still -- those countries in
18 Europe which are still using over-30-month animals for
19 human consumption but which with a very few exceptions
20 we're not doing in the UK so it has less value for us
21 in the UK. Certainly less value in terms of consumer
22 reassurance which I think is quite a strong motivating
23 factor behind its use in other member states in
24 Europe.

25 Can I just quickly run through what we see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as being the major factors in regulatory control. I
2 know this has already been mentioned but education and
3 training is so very important so that you've got that
4 level of awareness amongst all the key stakeholders
5 that are involved. We think we really have a very
6 good record of that in the UK. Partly, of course,
7 that is because BSE is such a high profile disease in
8 the UK, whereas in those countries where it's not a
9 high profile disease and where the incidence is very
10 low, then it's very, very much more difficult to get
11 a satisfactory level of reporting of suspicion of
12 disease so you do need really effective education and
13 training campaigns.

14 The legislation, of course, you must have
15 a sound statutory basis for regulatory control. It
16 must be clear and readily understood and, above all,
17 enforceable. Very importantly, it must be supported
18 by stakeholders.

19 We had a pretty embarrassing set of
20 circumstances in the UK when we banned the sale of
21 beef on the bone which wasn't supported by consumers
22 generally speaking. After some difficulty we were
23 able to repeal that particular piece of legislation.
24 We didn't believe that it was necessary in terms of
25 the risk involved, the risk to human health.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Monitoring, of course, to demonstrate
2 effective control and to give you the data you need to
3 elucidate the epidemiology and to provide the
4 assurances you need that regulatory control is
5 effective.

6 Enforcement obviously to ensure compliance
7 with your controls. We certainly found that in the
8 case of SRM controls that we needed to adopt a very,
9 very rigorous approach to enforcement. In effect, it
10 was a zero tolerance approach in slaughter houses.
11 You must have an effective level of audit to provide
12 the assurance you need that you have achieved
13 effective control.

14 Another point I perhaps should have put up
15 is the maintenance of good records. Just on audit and
16 enforcement, the slaughter houses receive very
17 frequent visits by the State Veterinary Service to
18 check on the level of compliance with the SRM controls
19 and with the enforcement effort that is put in by the
20 Meat Hygiene Service in the slaughter houses.

21 After a pretty shaky start in early 1995,
22 which is where, as I mentioned, we came in with really
23 a very rigorous level of enforcement. Then we got up
24 to a very, very high levels of compliance and we have
25 maintained those subsequently. There should be copies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of that in the pack. It doesn't show up very well on
2 here, does it?

3 The control of the epidemic, how are we
4 doing? I think quite useful indicators for that are
5 around about 40 percent reduction in the number of
6 confirmed clinical cases in 2001 compared with 2000.
7 The negative rate which I mentioned is increasing.
8 It's now up to around 30 percent. In other words, 30
9 percent of the suspect cases that are notified to us
10 prove not to have BSE.

11 And for the post August 1996 cases, then
12 the negative rate is 98 percent. We are still getting
13 quite a number of suspect cattle reported to us born
14 after August '96 but the vast majority of those are
15 negative.

16 The average age of clinical cases is also
17 increasing. I think that is indicative of a waning
18 epidemic, if you like. BARBs is "born after the
19 reinforced ban." The reinforced ban was what happened
20 in August 1996. it's not what the Europeans call it
21 which is "born after the real ban." There will of
22 course be more and we are carrying out very thorough
23 investigations to try and find out where the diseases
24 come from.

25 I mean, the immediate assumption would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's maternal transmission but in the majority of
2 those cases, maternal transmission would seem to be
3 very, very unlikely because the dams are still alive
4 in some cases, or were still alive for a long time
5 after the case was born. We think that if maternal
6 transmission does occur, then the most likely risk
7 period is close to carving.

8 So that is a pretty typical epidemic
9 curve. Confirmed cases by clinical onset. You can't
10 see it on there but it peaked in 1992, 1993. I've
11 shown on there when the first feed ban was introduced.

12 So you may ask why did it carry on like
13 that afterwards? Well, it indicates that these
14 animals were already infected before the feed ban was
15 introduced and due to long incubation period we
16 continue to get clinical cases.

17 If you look at this one, which is plotted
18 by month of birth, then it's much clearer that after
19 the feed ban, that shows just how timely and effective
20 the feed ban was because without that, then this curve
21 would have gone off exponentially, I would guess.

22 If you are wondering about the cyclical
23 pattern, that is a consequence of our traditional
24 carving season in the UK. I conclude on that and say
25 that we have imposed some very, very strict and, to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 some extent, draconian measures in the UK in order to
2 achieve the necessary control of BSE.

3 We have certainly done that, not without
4 enormous cost but I hope now that we are very well on
5 the way to getting rid of this awful disease and find
6 out means of protecting the food chain.

7 CHAIRMAN BOLTON: Thank you, Dr. Soul.

8 Peter, I was going to call on you if you
9 didn't raise your hand so I'm glad you did.

10 DR. LURIE: I'm touched. I want to
11 anticipate the discussion this afternoon and then ask
12 a question for you, Dr. Soul. Really a series, I
13 suppose.

14 As far as I can see this, and I'm sure
15 other people on the committee might enlighten me,
16 there are basically two questions or two elements to
17 the question before us today. One is what is the
18 degree of compliance with the UK ban. The second is
19 what is the impact of extending the upper limit of the
20 UK travel ban from 1996 to 2000 upon the rate of donor
21 deferral. I think those are basically the questions.
22 It's relatively simple, I think.

23 Now, I don't so far see any data about the
24 second of those questions. I certainly would not have
25 expected it in this presentation. If FDA could give

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some thought to some sort of estimate over lunchtime
2 over what that extension from '96 to 2000 might
3 actually mean, we really can't make any kind of
4 rational decision without that information, even an
5 estimate.

6 Getting back to the first part then is a
7 matter of compliance with the British ban. I think
8 probably all of us would agree with your assertion
9 that the existent bans, however late they might have
10 come into effect, and many people, of course, believe
11 they were not as expeditious as they might have been,
12 now presently if properly enforced would act as you
13 assert, probably to prevent almost all transmission.

14 The question really then is compliance.
15 In your presentation I see really only one slide that
16 gets to that question and that, to me, is the nub of
17 what's before us here. I don't know if you have this
18 with you but I need to be more enlightened in any way
19 you possibly can about the matter of compliance.

20 The data that you present, for example,
21 are these inspections or are they reinspections? What
22 does it mean to be compliant? Does 100 compliance
23 mean 100 percent compliance with everything that was
24 inspected? What exactly did they inspect?

25 I'm left with many, many questions about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what these data mean. I don't know if you have them
2 right before you but anything you can tell us that
3 expands an effect on that slide will be very helpful.

4 DR. SOUL: Yes. That was specifically
5 about compliance with the SRM controls.

6 DR. LURIE: Only the SRM part, right? Not
7 OTM, is that correct?

8 DR. SOUL: That slide was just on SRMs,
9 yes.

10 DR. LURIE: So there's nothing in your
11 presentation that goes to the OTM compliance
12 whatsoever. Is that right?

13 DR. SOUL: That's correct, yes. I didn't
14 really address that.

15 DR. LURIE: Do you have data on it?

16 DR. SOUL: Not really, no. On SRM
17 compliance what happens there is the State Veterinary
18 Service visits slaughter houses once a month and
19 checks on all the rules surrounding SRM in a slaughter
20 house. That is everything to do with removal,
21 staining, storage, separation, dispatch, and so on and
22 so forth.

23 Also the way at which that is enforced by
24 the Meat Hygiene Service who have a full-time presence
25 in the slaughter houses. If you like, there are two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tiers of enforcement there.

2 There's the on-the-ground enforcement
3 authority and then there's an level of audit coming in
4 every month to check that they are doing the job. As
5 I say, after a somewhat shaky start, that got up to
6 about 98 percent compliance and has stuck at around
7 that level ever since.

8 The key aspect of it, of course, the most
9 important aspect of it, is removal of the spinal cord.
10 From memory there has only been one failure of that
11 since that early difficult period. After about
12 December of '95 there has been only one finding of
13 spinal cord at those audit inspections.

14 Now, those results are all reported in
15 what is called the BSE Bulletin which is a joint
16 publication by the Food Standards Agency and my
17 department. That is on the Food Standards Agency
18 website. The details on those inspections, what they
19 look for and so on, is there and available.

20 DR. LURIE: When you say there is at
21 present 98 percent compliance, that means that of the
22 monthly audits, not the underground part because
23 that's what you present here. Of the monthly audits,
24 98 percent are compliant with all the dimensions of
25 things that you look for.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. SOUL: That's correct. Sorry. What
2 was the other point? I've lost it.

3 DR. LURIE: No. That's it.

4 CHAIRMAN BOLTON: Steve, then Dr.
5 Mitchell, then Dean.

6 DR. DeARMOND: I would like to follow up
7 on Peter's question because auditing and inspecting
8 compliance seems to be very complicated because 1,000
9 cattle could be slaughtered in a day and over every
10 day I don't know how many go through your slaughter
11 houses but it's enormous numbers.

12 How does one determine through a
13 compliance audit of the quality control of that?
14 There's many rooms and many places for mistakes to be
15 made with that massive number of animals being
16 slaughtered each day.

17 DR. SOUL: Yes. Don't forget that each of
18 those animals receives an individual inspection by a
19 qualified meat inspector. One of the things that they
20 have to do is to check that the spinal cord, for
21 example, has been totally removed from the carcass
22 before the carcass is health marked.

23 Then there is actually a secondary check
24 after the initial inspection check because this is
25 part of the sort of rigorous level of enforcement that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we had to put in place in order to achieve this
2 extremely high level of compliance.

3 When the auditors go in, they have got
4 several chillers full of beef sides and they go and
5 look at them all again so this is a triple check, if
6 you like, to ensure that that spinal cord has all been
7 removed. Out of all the thousands and thousands and
8 thousands of carcasses that have been inspected in
9 that audit, there's only been this one failure since
10 the end of 1995.

11 We are confident that in that particular
12 respect, in respect to the SRM controls. It was very
13 difficult initially and it was very painful but having
14 got there, we have managed to maintain it.

15 Now, I could compare that with other
16 member states who are going through the learning
17 phase, if you like, now and we have found quite a
18 number of failures on imported meat coming in where
19 spinal cord is still being present. I think they are
20 going through that difficult period that we went
21 through back in '95 but fortunately we got there.

22 DR. DeARMOND: The other question related
23 to that is how big of a problem -- how many individual
24 cattle ranchers, or whatever you call them, over there
25 do their own slaughtering and then they don't get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 inspected? Does the individual small group get
2 inspected also and how many of those are there
3 compared to your big slaughter houses?

4 DR. SOUL: It's illegal to sell meat that
5 hasn't been produced in a licensed slaughter house.
6 Only a very small number of farmers would kill cattle
7 for their own family's consumption.

8 CHAIRMAN BOLTON: I just want to clarify
9 this point. When you say there is one instance post
10 '95 of spinal cord not being removed, that's one
11 carcass or one inspection?

12 DR. SOUL: One carcass.

13 CHAIRMAN BOLTON: One carcass. Okay.

14 Dr. Mitchell.

15 DR. MITCHELL: Yes. Our question is about
16 the protection since 1996. Presumably the only source
17 of variant CJD is from the food supply, then I think
18 that this slide that you have up here is very, very
19 important and are looking at the current amount of
20 infected cattle.

21 I look and I see there that it only goes
22 up until 1996 or '97 and I'm presuming that there
23 hasn't been any other BSE confirmed since then but I
24 wanted to find out if that was true.

25 DR. SOUL: I'm sorry?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MITCHELL: This only goes up to 1996
2 or '97. If you were to bring it up to 2002 would you
3 see other cattle that are born after '97 that would be
4 infected with BSE?

5 DR. SOUL: Well, there haven't been any
6 confirmed cases born after 1997. I showed you that
7 there have been seven cases born after August 1996.
8 It just doesn't show on here. The scale is just too
9 small to show it. There are seven confirmed cases so
10 far born after August 1996.

11 CHAIRMAN BOLTON: You have to keep in mind
12 that the minimum incubation time after '97 is
13 something like 36 months or something, right? I think
14 that's right. About three years so you're looking at
15 a starting point from there.

16 There were some incubation times below 30
17 months in animals that were born much earlier but they
18 were in the height of the epidemic and probably
19 received a much higher dose, exposure. At this point
20 from -- well, you might expect to see some cases born
21 in '97 or possibly '98 but those born in '99/2000 have
22 not reached the sufficient length of incubation time
23 to be seen yet.

24 DR. NELSON: Yes. I think we're concerned
25 about the human exposure which certainly is related to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the cattle epidemic. My sense is that if a cow were
2 slaughtered, that the likelihood that it would be
3 consumed within a year or so is probably pretty high.
4 Certainly that would be true for milk.

5 I mean, they have the thing expires after
6 a month from now and goes sour or what have you. Are
7 there any data on how long meat from an infected cow -
8 - I mean, it could be frozen somewhere and it could be
9 present maybe years later.

10 Are there any systematic data on the human
11 consumption of meat after it's been -- after cattle
12 have been slaughtered and it's converted into human
13 food? I'm sure those data are very difficult and
14 probably inaccurate but there may be some estimates.

15 DR. SOUL: No. I agree with you that beef
16 can be in the store for some years before it is
17 consumed. I'm certainly not aware of any data that
18 regards infectivity surviving in frozen beef. The
19 work just hasn't been done to my knowledge.

20 CHAIRMAN BOLTON: Dr. Cliver.

21 DR. CLIVER: Yes. A question that's been
22 on my mind for a very long time might be based on the
23 curves that we're seeing here. The UK, it seems to
24 me, is in a unique situation to be able to give us
25 some baseline data eventually on the sporadic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incidence of BSE by analogy to the sporadic incidence
2 of CJD.

3 One might say why not look at Australia or
4 New Zealand or even the United States, but clearly the
5 level of surveillance is nowhere near what is going on
6 now in the UK.

7 To what extent could present observations
8 be brought to address that question? Is there a
9 background level below which this cannot go with the
10 present level of observation?

11 DR. SOUL: I don't really know how you
12 would set about addressing that question really. We
13 certainly looked to try and see if there are different
14 strains of BSE in the current epidemic and the
15 evidence to date suggest that it would appear to be a
16 single strain.

17 Whether a sporadic case would show some
18 strain differences, then you might pick that up
19 through that work. I don't know. It seems to me that
20 certainly with the number of cases we've got we
21 couldn't begin to test the theory of sporadic BSE
22 occurring.

23 DR. CLIVER: Well, I'm just thinking in
24 terms of people -- we had a figure that we dealt with
25 on the CJD incidents. Mind you, people tend to die

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 natural deaths or unprovoked deaths much more
2 frequently or commonly than cattle do.

3 Having said that, we have animals living
4 out their life span as producers in dairies and so on
5 and maybe were never at risk. Nothing that we could
6 ever identify would say that they were at risk of
7 coming down with BSE. Yet, at some point one per
8 year, one per million cattle of age or whatever are
9 still going to show that BSE is out there.

10 Nothing at that point that one could do in
11 a preventive way is going to preclude that. We will
12 never know what that number is for the United States
13 but just possibly it may be a derivable statistic in
14 the case of the UK.

15 CHAIRMAN BOLTON: I would disagree with
16 that actually. I think that for many theoretical
17 reasons the best way to do that experiment to
18 determine what that background rate of spontaneous
19 generation, if you will, if BSE would be to do it in
20 Australia or New Zealand with increased surveillance
21 of the type that you have now in the UK because you
22 have no endemic scrapie and you have no other, at
23 least, known endemic transmissible spongiform
24 encephalopathy in that location and you have plenty of
25 cattle.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Whereas here you would always have the
2 concern of residual infectious BSE residing somewhere
3 that you would never -- you are looking at probably an
4 incidence rate of one per million animals per year.
5 It's a very -- you're looking at a few cases over a
6 decade maybe against a background of 1,200 BSE cases
7 last year alone in the UK.

8 DR. CLIVER: David, I can't argue that for
9 a moment. The point is that in the grand scheme of
10 public health and food safety and so on, there are
11 only so many resources available. Tell the
12 Australians that they need to be testing for something
13 that might be occurring at a one in a million level at
14 their expense.

15 Now, if NIH wants to fund a study there on
16 a nationwide basis, I'm sure they would be thrilled to
17 take the money. We do have more pressing concerns
18 about what people are dying of in the United States,
19 for example, and the money is not going to go into a
20 study like that. They are already testing in the UK
21 with good reason.

22 CHAIRMAN BOLTON: I understand your point.
23 My point is that you cannot interpret the data.
24 Should one try to undertake a study like that in the
25 UK, the data would essentially be uninterpretable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Yes, Dr. Gambetti.

2 DR. GAMBETTI: Just a quick question. How
3 are animals, cattle tested in the UK for BSE? The
4 same way as in continental Europe that virtually all
5 the animals over 30 months of age are tested, or is it
6 different?

7 DR. SOUL: Yes, it's different. In the UK
8 we're testing all the 96/97 cohort and we're testing
9 50,000 other over-30-months animals. We are also
10 testing all of the fallen stock over 24 months and all
11 the casualty animals over 24 months. Plus animals
12 rejected at ante mortem inspection at a slaughter
13 house and what is known as special emergency slaughter
14 animals.

15 CHAIRMAN BOLTON: Pedro and then --

16 DR. PICCARDO: In one of your slides it
17 says surveillance of sheep and goats. How stringent
18 is the surveillance in sheep?

19 DR. SOUL: We think there's an awful lot
20 of under-reporting in the UK. We only get about 500
21 reported cases a year. We did a postal survey which
22 suggest that is probably only about 10 percent of the
23 true level.

24 DR. PICCARDO: Obviously my question
25 refers to the well-known fact that if the BSE strain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 went into sheep, the sheep might get another form of
2 scrapie so I want to know if there is a very active
3 surveillance in sheep looking for this unusual thing.

4 DR. SOUL: We just started an active
5 surveillance program for sheep going through slaughter
6 houses and we are going to be testing 20,000 of those
7 this year. We are also testing a small number, about
8 60,000 of fallen sheep, sheep that die on the farm.

9 CHAIRMAN BOLTON: Dr. Chamberland.

10 DR. CHAMBERLAND: Yes. I wanted to follow
11 up on Dr. Lurie's previous comment. The committee
12 members were supplied with a large document called
13 Review of BSE Controls. I think the author would be
14 the Food Standards Agency and it's dated December
15 2000.

16 In just quickly re-reviewing this, there
17 does seem to be in this document information current
18 through December of last year that does relate to
19 compliance with some of these various control
20 measures.

21 For example, an audit that has been
22 ongoing apparently since February of 1996 to look at
23 evidence of ruminant protein and concentrated feed
24 indicated that -- I guess this is an aggregated
25 compliance rate that since that time about 99.7

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent of the fee that was tested was negative for
2 protein.

3 There also was some mention of this over-
4 30-month rule and it indicated that this rule is
5 enforced by another group within the UK called the
6 Meat Hygiene Service and at least current through
7 December of 2000 it said records of cattle rejected
8 under the rule are kept at individual abattoirs. They
9 are not centrally collated by the Meat Hygiene Service
10 and this group recommended that such a measure be
11 enacted.

12 I guess a couple questions. One is, as
13 Dr. Lurie stated, I think it will be really very
14 helpful for the committee to have some sense of
15 overall compliance or trends over time for these
16 various measures. Are such data available and could
17 these be made available to the committee?

18 I'm presuming it would have to be at some
19 future time. Would it be a group other than yourself
20 from where you come, the Department of Environmental
21 Food and Rural Affairs. I apologize. I don't know
22 how all of you are organized and how you relate to one
23 another.

24 It may be that most of the information we
25 need is here embedded in the document. Again, quickly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I could pull out a couple of what seem to be pertinent
2 facts and figures. Are compliance data something that
3 would be available that we could get?

4 DR. SOUL: Yeah. I mentioned the BSE
5 Enforcement Bulletin which is published on
6 the Food Standards Agency's website. It's
7 www.foodstandards.gov.uk. That contains all the
8 available enforcement and compliance information. We
9 were talking earlier about SRM controls, but it also
10 contains the data on the national feed survey, which
11 I mentioned.

12 You are right to say that the compliance
13 with the OTMS rule isn't centrally collated and, to
14 the best of my knowledge, is not centrally collated.
15 But if it is, that will also be published in that
16 publication. There's a wealth of compliance
17 information going back many, many years.

18 CHAIRMAN BOLTON: Blaine.

19 DR. HOLLINGER: I presume that the rise
20 and fall that occurs every year there is because of
21 the birthing rate at a particular time of year.
22 Within those particular years, though, are the
23 proportion of confirmed cases the same pretty much
24 across the board based upon the number of births that
25 occur in that particular year or are there differences

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that occur?

2 DR. SOUL: Yeah. That doesn't really
3 fluctuate across the course of a year.

4 CHAIRMAN BOLTON: Dr. Boyle.

5 DR. BOYLE: Could you please clarify is it
6 correct to say that your seven BARBs came out of a
7 census of all cattle in 1996 and then since that time
8 you do samples of 50,000 per annum?

9 DR. SOUL: The seven BARBs, four of them
10 were discovered as part of the active surveillance
11 program. I think a couple of them were casualties and
12 a couple of them were foreign stock. The other three
13 were clinical cases.

14 DR. BOYLE: What's the size -- what was
15 the size of the census in '96 from which the four
16 BARBs came from?

17 DR. SOUL: I haven't got that.

18 DR. BOYLE: I'm just trying to understand
19 whether you're going to pick up with samples of 50,000
20 the same rates that you were seeing in 1996.

21 DR. SOUL: We haven't really done enough
22 at the 1996/97 cohort yet because that didn't start
23 until the backend of last year to give you significant
24 figures on that.

25 CHAIRMAN BOLTON: Other questions? Peter.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LURIE: I just want to add a little
2 bit to what Dr. Chamberlane said. Referring to the
3 same document that she refers to, I see probably three
4 points in this document that had information relevant
5 to us. One is in paragraph 40 where it is indicated
6 99.9 percent compliance with the OTM rule, but that's
7 at present. Of course, we are rather more interested
8 in what was happening in 1996/97 than we are at
9 present. That's paragraph 40.

10 On paragraph 52 there's discussion of the
11 SRM ban, presumably the same data that you have
12 provided for us. Here it said 99.4 percent compliance
13 rate for the year ended September 2000 with no
14 information provided about data prior to 1996. As far
15 as I can see, those are the data that we have.

16 CHAIRMAN BOLTON: Steve.

17 DR. DeARMOND: We're going to be asked to
18 discuss or give an answer to some of these questions.
19 Are the combination of measures implemented in the UK
20 by the end of 1996 to protect humans adequate
21 essentially? Are they doing the job? I would like to
22 ask you do you think they are doing the job and, if
23 no, what would you add to the current measures?

24 DR. SOUL: Well, I'm quite content to eat
25 our beef so I think from a personal point of view

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then, yes, I do think the measures are now highly
2 effective and are doing a very effective job.

3 I'm obviously concerned about the seven
4 BARBs and I want to know if that's not maternal
5 transmission, and I personally don't really think it
6 is, then what is it? Is it just that there are a few
7 bits and pieces of contaminated feed still out there
8 in UK farms? Is there contamination of feed
9 ingredients that are coming into the country? I mean,
10 those are sort of issues which we want to investigate
11 very, very thoroughly to satisfy ourselves. Don't
12 forget it's all belt and braces stuff, you know. I
13 showed you that complex slide with all the different
14 measures that are in place. The truth of the matter
15 is, and somebody mentioned it, that no measure can be
16 100 percent effective. You've got to acknowledge
17 that. You've got to accept it. The raft of measures
18 is such that I am very confident that we have got a
19 very tight grip on the disease now.

20 CHAIRMAN BOLTON: I would like to ask in
21 the control measures how closely linked are monitoring
22 and enforcement and how are they linked if they are
23 linked at all?

24 DR. SOUL: Generally speaking we try and
25 have sort of two tiers, if you like. We try and have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the enforcement authority which for SRM controls, for
2 example, is the Meat Hygiene Service present in the
3 slaughter house. Then for audits we bring in an
4 outside body, a separate body, to check that is taking
5 place. It's quite complex because you asked about
6 enforcement as well. The Meat Hygiene Service are
7 also the enforcement authority in the slaughter
8 houses. For the National Feed Survey the State
9 Veterinary Service is doing the survey but the
10 enforcement authority is actually the local
11 authorities. There's not a simple picture I can give
12 you.

13 CHAIRMAN BOLTON: I am assuming that in
14 most countries that will be the case and if such
15 controls are put into place, I'm sure it would be here
16 as well, and that is that you have multiple agencies
17 involved and not necessarily always good
18 communications between them. How has the government
19 in the UK worked to try to improve the communications
20 between, for example, local agencies and the broader
21 agencies?

22 DR. SOUL: There is a coordinating body
23 and that sort of takes the lead on making sure that
24 the central messages, if you would like, are getting
25 out to the enforcement authorities. Also, in a way,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more importantly is the local link, the link between
2 the divisional State Veterinary Service who may be
3 doing the monitoring, for example, and their liaison
4 with the enforcement authority. Those local
5 arrangements are usually very good indeed.

6 CHAIRMAN BOLTON: They are good but how
7 are they maintained? I mean, it's important, I think,
8 to have an understanding of a process that keeps those
9 links going because they are in many respects links of
10 individuals to each other which are subject to the
11 vagaries of individual personal relationships.

12 DR. SOUL: I think perhaps the key to it
13 is the audit that you put in because we are all
14 subject to very intensive levels of audit now in this
15 area. I think everybody is alert to that and
16 recognizes that if they are not doing the job that
17 they are required to do, then they are going to be
18 found out. That was one of the key lessons about the
19 Phillips inquiry into BSE.

20 We're saying, look, it's not good enough
21 just to put legislation in place and then assume that
22 it's going to be enforced. You've got to enforce it,
23 on one hand, but also you've got to check that it is
24 being enforced.

25 CHAIRMAN BOLTON: Other questions? Very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 good. I sense that people are getting hungry.

2 Thank you, Dr. Soul.

3 We will break for lunch now. I have
4 12:54. This time I'm not rounding off. I paid a dear
5 price last time. We have an hour for lunch so let us
6 meet back here at 1:54. Thank you. We stand
7 temporarily adjourned.

8 (Whereupon, at 12:54 p.m. the meeting was
9 adjourned for lunch to reconvene at 1:54 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:58 p.m.)

3 CHAIRMAN BOLTON: Okay. Let us resume.

4 We are still on topic No. 1 which is the effectiveness
5 of measures taken to protect humans from food-borne
6 exposure to the BSE agent in countries with BSE,
7 implications for variant CJD risk and blood safety.
8 We will now have a presentation from Dr. Maura
9 Ricketts from the WHO. Maura will inform us on the
10 efforts and needs for global control of BSE and
11 variant CJD, a view from the WHO.

12 Maura, thank you very much for coming.

13 DR. RICKETTS: Thank you very much for the
14 invitation. I really appreciate the opportunity to
15 come to the meeting and I'm glad there's a chance for
16 WHO to share with the committee its perspective on
17 this particular subject area.

18 You have in your handouts a copy of my
19 slides. Actually, when I had a chance to read the
20 charge to the committee, I thought I should alter my
21 presentation a little bit. In fact, there are three
22 slides that have been added to my presentation that
23 are different than are in your material. I can
24 provide this to somebody by Monday when I'm back in
25 Geneva.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In addition, you'll see on this slide
2 presentation some maps that are -- well, you'll see
3 them. I won't provide those to you. I'm afraid I
4 cannot. It's my experience that the misuse of this
5 geographically based information without adding to it
6 other relevant information is such that as a person
7 from WHO I could not provide this to you directly but
8 you'll be able to see them here. I can certainly
9 provide the raw data to anybody who asked for it,
10 although I don't want to receive, you don't mind, 30
11 requests for the information. If it is wanted by the
12 committee, I am happy to provide the raw data.

13 Okay. I think that I have to start by
14 telling you a few of the things that WHO is assuming
15 when we do our analysis of the situation. First off,
16 we are assuming that BSE and variant CJD are caused by
17 the same agent. We believe that the BSE epidemic in
18 cattle was caused by BSE contaminated meat and bone
19 meal supplements in cattle feed. We think that the
20 principal source of exposure for humans is food.
21 There's not a lot of proof behind that but it seems
22 both practical and pragmatic to operate from that
23 assumption. Certainly our concerns will become human
24 to human transmission as a possible secondary route in
25 the future if the number of cases becomes of any size.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Lastly, at this point in time there is
2 actually no test that can detect the agent in food or
3 in living asymptomatic animals. That's living
4 asymptomatic animals. So this epidemic curve is now
5 familiar to you. There are a couple of points on it
6 that I want to draw to your attention immediately.

7 The first is the institution of the feed
8 ban in the United Kingdom. As has already been
9 explained to you, the feed ban is a very important
10 means of preventing the transmission of the disease,
11 possibly the most important means of preventing the
12 transmission of the disease.

13 However, its effects will not be seen for
14 some five or six years after its implementation if BSE
15 is already in the population. That is graphically
16 demonstrated by this particular picture here.
17 Secondly, that the specified Bovine's offal ban was
18 introduced a couple of years after the beginning of
19 the epidemic.

20 It was introduced, my understanding is,
21 principally for the protection of human populations.
22 The SBOs were not destroyed. They were returned
23 actually into the animal feed for a period of time.
24 Towards the end of this epidemic curve at the far end
25 the sale of meat and bone meal from the United Kingdom

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701