

DOCS 15

UNITED STATES OF AMERICA  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS

ADVISORY COMMITTEE

+ + + + +

93<sup>RD</sup> MEETING

+ + + + +

TUESDAY,

DECEMBER 17, 2002

+ + + + +

The Advisory Committee met at 8:30 a.m.  
in the Congressional Ballroom of the Bethesda  
Marriott, 5151 Pooks Hill Road, Bethesda, Maryland,  
Dr. Robert S. Daum, Chairman, presiding.

PRESENT:

- ROBERT S. DAUM. Chairman
- NANCY COX, Ph.D., Temporary Voting Member
- MICHAEL DECKER, M.D., M.P.H., Non-Voting  
Industry Representative
- PAMELA S. DIAZ, M.D., Member
- KATHRYN EDWARDS, M.D., Temporary Voting Member

This transcript has not been edited  
or corrected, but appears as received  
from the commercial transcribing  
service. Accordingly the Food and  
Drug Administration makes no  
representation as to its accuracy.

(202) 234-4433

NEAL R. GROSS  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

OPEN

(202) 234-4433

PRESENT (Continued):

THEODORE EICKHOFF, M.D., Temporary Voting  
Member

WALTER L. FAGGETT, M.D., Member

BARBARA LOE FISHER, Consumer Representative

HOLLI HAMILTON, M.D., M.P.H., Temporary Voting  
Member

BRUCE GELLIN, M.D., Temporary Voting Member

JUDITH D. GOLDBERG, Sc.D., Member

SAMUEL L. KATZ, M.D., Member

DAVID M. MARKOVITZ, M.D., Member

MARTIN MYERS, M.D., Temporary Voting Member

GARY D. OVERTURF, M.D., Member

JULIE PARSONNET, M.D., Member

DIXIE SNIDER, JR., M.D., M.P.H., Temporary  
Voting Member

MARK STEINHOFF, M.D., Temporary Voting Member

DAVID S. STEPHENS, M.D., Member

FDA REPRESENTATIVES:

KATHRYN ZON, M.D.

ROLAND LEVANDOWSKI, M.D.

KAREN MIDTHUNE, M.D.

ChrisANNA M. MINK, M.D.

DOUGLAS PRATT, M.D., M.P.H.

WASIMA RIDA, Ph.D.

SPONSOR REPRESENTATIVES:

ROBERT BELSHE, M.D.

KATHLEEN COELINGH, Ph.D.

EDWARD CONNOR, M.D.

ROBERT KOHBERGER, Ph.D.

PAUL MENDELMAN, M.D.

BRIAN MURPHY, M.D.

KRISTIN NICHOL, M.D., M.P.H.

JAMES YOUNG, Ph.D.

C O N T E N T S

	<u>PAGE</u>
Conflict of Interest Statement . . . . .	5
Plaque Presentation to Retiring Members . . . . .	8
Introductions . . . . .	17
<u>FluMist Influenza Virus Vaccine:</u>	
Overview, Dr. ChrisAnna Mink . . . . .	22
Sponsor's Presentation, Dr. James Young . . . . .	29
Clinical Update and Summary, Dr. Edward Connor . . . . .	54
FDA Presentation Dr. ChrisAnna Mink . . . . .	110
Public Comment . . . . .	170
Questions to the Committee . . . . .	171
Committee Discussion and Vote . . . . .	173

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
 1323 RHODE ISLAND AVE., N W  
 WASHINGTON, D.C. 20005-3701

P R O C E E D I N G S

(8:35 a.m.)

1  
2  
3 CHAIRMAN DAUM: Good morning and welcome  
4 to the meeting of the Vaccines and Related  
5 Biological Products Advisory Committee. Let me  
6 begin by asking everyone in this room to consider  
7 some personal noise hygiene measures at this moment  
8 and to please turn off all beepers, all cell phones  
9 or at least reduce them to a vibrating mode where no  
10 one will be disturbed by hearing them.

11 The second measure, usually the first,  
12 I'd like to turn the floor over to Dr. Sachs, Jody  
13 Sachs of the FDA, for administrative matters,  
14 including a conflict of interest statement.

15 Dr. Sachs.

16 DR. SACHS: Welcome to the 93rd meeting  
17 for the Vaccine and Related Biological Products  
18 Advisory Committee. I welcome the members, and  
19 thank you all for coming, and also I welcome the  
20 public.

21 I'd like to read a conflict of interest  
22 statement for the record.

23 The following announcement addresses the  
24 conflict of interest issue associated with the  
25 Vaccine and Related Biological Products Advisory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Committee meeting on December 17th, 2002.

2 The Director of the Center for Biologics  
3 Evaluation and Research has appointed Nancy Cox, Dr.  
4 Kathryn Edwards, Dr. Theodore Eickhoff, Dr. Bruce  
5 Gellin, Dr. Holli Hamilton, Dr. Martin Myers, and  
6 Dr. Dixie Snider and Dr. Mark Steinhoff as temporary  
7 voting members for this meeting.

8 To determine if any conflicts of  
9 interest existed, the agency reviewed the submitted  
10 agenda and all financial interests reported by the  
11 meeting participants. As a result of this review  
12 and based upon the FDA draft guidelines on  
13 disclosure and conflict of interest for special  
14 government employees participating in an FDA product  
15 specific advisory committee meeting, there were no  
16 meeting participants who required a waiver under 18  
17 USC 208.

18 Dr. Diane Griffin, Peter Palese, Richard  
19 Whitley recused themselves from participating in  
20 this meeting.

21 We would like to note for the record  
22 that Dr. Michael Decker is participating in this  
23 meeting as a nonvoting industry representative  
24 acting on behalf of regulated industry. Dr.  
25 Decker's appointment is not subject to 18 USC 208.

1 He is employed by Aventis. In the event that the  
2 discussions involve specific products or firms not  
3 on the agenda and for which FDA's participants have  
4 a financial interest, the participants are reminded  
5 of the need to exclude themselves from the  
6 discussion. Their recusal will be noted for the  
7 public record.

8 With respect to all other meeting  
9 participants, we ask in the interest of fairness  
10 that you state your name and affiliation and any  
11 current or previous financial involvement with any  
12 firm whose product you wish to comment upon.

13 The following VRBPAC members will not be  
14 present today: Dr. Diane Griffin, Dr. Audrey  
15 Manley, Dr. Peter Palese, and Dr. Richard Whitley.

16 In your members' folder on the left-hand  
17 side there's a flyer that tells you at noon there  
18 are two restaurants that are open in the hotel  
19 available for lunch. So it's a little flyer and it  
20 shows you what two restaurants you can eat lunch at  
21 quickly.

22 And with that I'm happy to turn over the  
23 meeting to Dr. Daum, our Chair.

24 CHAIRMAN DAUM: Thank you very much,  
25 Jody.

1                   The next item in our agenda is a  
2                   bittersweet one for some of us, and that is the  
3                   plaque presentation of retiring VRBPAC members, and  
4                   for this purpose we call on Dr. Kathryn Zoon.

5                   Dr. Zoon, good morning.

6                   DR. ZOON: Good morning. It's a  
7                   pleasure to be here this morning, and I think like  
8                   Dr. Daum, it's a bittersweet meeting for me as well  
9                   because it's my last VRBPAC meeting. So I just  
10                  wanted to say a few words today.

11                  It's a special pleasure for me to be  
12                  here. Our FDA Advisory Committees are one of our  
13                  most important vehicles at the FDA to provide us  
14                  with the expert advice and scientific deliberations  
15                  that help us ponder very important decisions that  
16                  affect the public health. It helps us make the  
17                  processes and our scientific analyses public in a  
18                  way that we can share this information with you and  
19                  understand the questions and also the importance of  
20                  the decisions that will be made both for now and in  
21                  the future with respect to products and policy for  
22                  the public.

23                  This particular committee, I have to  
24                  say, has had just a tremendous amount of  
25                  responsibility, and it has hung tough when it needed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 to. It has provided expert scientific advice on  
2 important issues, and I think the membership here  
3 should feel proud of its contributions both to  
4 public health and for dealing with the health and  
5 safety of the vaccine and related products that we  
6 have asked for your advice.

7 I'd like to ask Dr. Karen Midthune to  
8 please come up and join me. Karen is the Director  
9 of the Office of Vaccines, and it really for many  
10 reasons is a time when we all reflect on the  
11 contributions of this committee. In some ways it's  
12 a little ironic that we wait until you all leave,  
13 but I think you are appreciated all through the  
14 process, and certainly we officially recognize you  
15 at the end of your term.

16 And I want to say to Bob Daum and Walter  
17 Faggett and Barbara Loe Fisher -- and, Barbara, it's  
18 good to see you -- and also Diane, who I know  
19 couldn't be here, but each and every one of you  
20 during your term here has provided insight and a  
21 diverse opinion on the application and use of  
22 vaccines, and that's important. It's important for  
23 the process. It's important for moving these  
24 products forward and having them being used in the  
25 proper way to protect our children, to protect

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 adults, and I think we are all terribly thankful at  
2 the FDA for everything that this committee and  
3 particularly the members here today that are leaving  
4 us after serving such a what I would say just chock  
5 full of challenges on this committee.

6 And if I could ask each of the members  
7 who are here today to come up, Bob, Walter, and  
8 Barbara, I'd like to present you with a plaque and a  
9 letter from the Commissioner.

10 I'll start out, and, Karen, while I'm  
11 getting this technically challenging activity, would  
12 you please like to say a few words?

13 DR. MIDTHUNE: Sure. I'd just like to  
14 echo what Kathy said. We really, really do  
15 appreciate your input, and we recognize what a  
16 phenomenal time commitment it is to really read  
17 through these materials, meeting after meeting, and  
18 really come and be so prepared as you are to give us  
19 the very important advice. So I would just like to  
20 add my thanks because we're asking a lot of you, and  
21 we really appreciate it.

22 DR. ZOON: Thank you. Thank you, Karen.

23 So I'll start out with Bob Daum, and I'd  
24 like to read the letter from Linda Skladany. It  
25 says:

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 "I would like to express my deepest  
2 appreciation for your efforts and guidance during  
3 your term as a member of the Vaccines and Related  
4 Biologics Products Advisory Committee. The success  
5 of this committee's work reinforces our conviction  
6 that responsible regulation of consumer products  
7 depends greatly on the participation and advice of  
8 the entire health community.

9 "In recognition of your distinguished  
10 service to the FDA, I am pleased to present you with  
11 this enclosed certificate. Thank you."

12 Bob, congratulations, and thank you for  
13 being the Chair.

14 (Applause.)

15 DR. ZOON: Bob, I think we're supposed  
16 to get a picture.

17 I think this letter says the same. So  
18 I'm not going to read it again, but I just want to  
19 thank you so much for your participation. It has  
20 really been wonderful.

21 (Applause.)

22 DR. ZOON: With that, Robert, I turn the  
23 duties back over to you as Chair, and thank you for  
24 everything.

25 CHAIRMAN DAUM: I'd actually like to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N.W.  
WASHINGTON, D.C. 20005-3701

1 take two or three minutes and just make a couple of  
2 comments on a soap box as I won't have an  
3 opportunity to do this again any time soon.

4 Being chair of this committee is perhaps  
5 the most honored distinction of my entire  
6 professional career, and I thank everybody who has  
7 allowed me to help in this regard. It has been a  
8 way of giving back to the community from which I've  
9 taken many things during my professional career.

10 I'd like to say a special thanks to the  
11 people who make these meetings possible. I had the  
12 great pleasure of seeing Nancy Cherry this morning,  
13 who is the previous Executive Secretary to this  
14 committee. Nancy taught me almost everything I know  
15 and I think is the owner of the little bell that it  
16 has been my custom to ring when we have the  
17 committee called to order.

18 Jody Sachs has stepped into tall shoes,  
19 but has done very admirably, and I have every  
20 expectation that she will continue to provide  
21 excellent support to Dr. Stephens, the new Chairman  
22 of the committee who I'm told it's okay to say that.

23 Bill Freas has also been wonderful help,  
24 as has Denise Royster and her staff, making things  
25 work.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We've had meetings at an unnamed Hotel H  
2 and an unnamed Hotel M, which you currently sit in.  
3 I'm very grateful that we have our last meeting in  
4 Hotel M, as it offers many, many, many amenities  
5 that are much nicer than they often are in Hotel H.

6           Dr. Stephens, as the incoming Chair,  
7 you'll notice is in the hot seat today where he will  
8 comment first at the appropriate time. I can tell  
9 you, David, that you trade one disease for another.  
10 When you come up here the tension is a little bit  
11 less in terms of being the first speaker, but there  
12 are other issues that will bear upon you, you'll  
13 see.

14           To the previous Chairs, Harry Greenberg,  
15 who is here today, Harry taught me to never make the  
16 committee do a working lunch. We've got to get up.  
17 We've got to stretch our feet. We've got to go to  
18 the bathroom. Thank you, Harry, for that lesson.

19           (Laughter.)

20           CHAIRMAN DAUM: Pat Ferrieri taught me  
21 where eateries were near Hotel H that would provide  
22 relief from the cuisine offered therein.

23           (Laughter.)

24           CHAIRMAN DAUM: The FDA folks need to be  
25 respected. In my view they're heroes quite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 literally. It may sound corny to say. The doings  
2 of the agency often go on behind the scenes. The  
3 culture is an unusual one in that one can't often  
4 talk freely in public about things one would like to  
5 say.

6 But I just point to the track record  
7 that we Americans are used to in terms of having  
8 safe and effective products on the market routinely  
9 with almost never, almost never a variance, and it  
10 is from their hard work and their determined effort  
11 that this is possible.

12 I take my hat off to all of them. I  
13 feel sad that I won't be working with them on a day-  
14 to-day basis after this, but I just want them to  
15 know that they are in my admiration and esteem and  
16 will be forever.

17 The research that they do is not well  
18 known among many sectors of the public. It's  
19 extremely important. One of the things I've tried  
20 to do under my leadership of this committee is to  
21 make people more aware of the research that goes on  
22 in the agencies and the crucial importance of it in  
23 properly regulating and insuring safe and effective  
24 drugs and vaccines.

25 Our effort was cut short by September

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D.C. 20005-3701

1 11th. The good news is that a great deal of  
2 research funding poured into the agency after that  
3 and, of course, partially alleviated the problem.

4 But there's much more work to do, and,  
5 David, I hope that you will continue this effort to  
6 provide proper research funding for the agency so  
7 that this is not a concern they have to dance to  
8 every day.

9 The Vaccine Shortages Committee provided  
10 an opportunity to get some recognition for research  
11 effort at the agency in writing, and I was very  
12 pleased to help craft a paragraph in that document  
13 that called attention to this effort.

14 Finally, a couple of comments about  
15 vaccine safety and public trust. The public, of  
16 course, expects the safest vaccine supply possible.  
17 They also expect, in my opinion, protection from  
18 vaccine associated diseases, and we can't compromise  
19 either of these objectives.

20 There are many people who enter into a  
21 dialogue about vaccines, and all of them need to  
22 understand in my opinion three rules.

23 One, the vaccines currently in use for  
24 routine childhood diseases are extremely safe, but  
25 not always. The vaccines in routine use for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D C 20005-3701

1 childhood disease are extremely effective, but not  
2 always. And the risks of today's vaccine are  
3 massively outweighed by the benefits of today's  
4 vaccines.

5                   And so I would like to close with a plea  
6 to all individuals in this room and beyond who are  
7 involved in the dialogue and interaction and  
8 discourse about vaccines, from manufacturers to  
9 regulators, to public health officials, to  
10 academics, to vaccine safety activists. Please  
11 continue this dialogue. Please come onto the  
12 playing field ready to interact and play the game,  
13 but please remember these three rules, the rule  
14 about safety but not always, the rule about  
15 effectiveness but not always, and the rule about  
16 which side of the scale the risk and benefits  
17 equation comes down on.

18                   Look at the progress we've made in  
19 eradicating or nearly eradicating so many childhood  
20 diseases.

21                   For everybody's time and effort and  
22 putting up with me and my vagrancies and during this  
23 chairmanship, I'm very grateful, and thank you very  
24 much.

25                   (Applause.)



1 CHAIRMAN DAUM: And now back to everyday  
2 committee business. I'd like to begin by asking the  
3 committee members and temporary voting members and  
4 others seated at the table to identify themselves,  
5 and, David Stephens, we will begin with you.

6 DR. STEPHENS: Happy holidays. I'm  
7 David Stephens from Emory in Atlanta, and I want to  
8 thank Bob for his leadership of this committee.

9 DR. KATZ: I'm Sam Katz from Duke  
10 University, and since you gave me the microphone,  
11 I'd like as a non-government employee to make a  
12 comments. If I understood correctly, Kathy Zoon  
13 said this was her last meeting, and I think it's  
14 inappropriate that we don't have some sort of  
15 accolade, a plaque, a bouquet of flowers, and other  
16 ways of saying that she has been an incredible  
17 leader.

18 If what I read in Science magazine a  
19 week or two ago is correct, I think she has been the  
20 victim of what is very wrong direction by some of  
21 the people directing our government. I know that as  
22 members under the conflicts of interest we can't  
23 lobby; we can't do this or that, but we need to do  
24 something to preserve CBER and see that it has  
25 people like Kathy Zoon leading.

1 Thank you.

2 (Applause.)

3 CHAIRMAN DAUM: Thank you, Dr. Katz.

4 Many of us just learned about this a few  
5 moments before the meeting began, but your comments  
6 are perfectly appropriate, and I appreciate them.

7 DR. EDWARDS: Sam is always a hard act  
8 to follow.

9 (Laughter.)

10 DR. EDWARDS: I'm just Kathy Edwards  
11 from Vanderbilt.

12 DR. SNIDER: I'm Dixie Snider. I'm the  
13 Associate Director for Science at CDC. Also I  
14 suppose of relevance is that I am the Chair of the  
15 Advisory Committee on Immunization Practices at CDC  
16 and have other engagements with vaccine issues, such  
17 as smallpox, and the Technology Transfer Office at  
18 CDC comes under my purview.

19 And like Sam, I would like to take an  
20 opportunity just to make a couple of personal  
21 comments. One is to Bob and thank him for his  
22 excellent leadership of this committee and to all of  
23 the people who serve on this committee.

24 When I was a member of the committee and  
25 over, I guess, the seven years or so I've been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 either a member or a consultant, it's been a  
2 wonderful opportunity, and I particularly appreciate  
3 all of the relationships I have with the people at  
4 FDA. I think CDC and FDA have great relationships  
5 around vaccines.

6 I'm really going to miss Kathy Zoon.  
7 She's not only a great public servant, but a  
8 personal friend, and I think it's a great loss to  
9 FDA.

10 DR. HAMILTON: Holli Hamilton, NIH.

11 DR. EICKHOFF: Ted Eickhoff, University  
12 of Colorado.

13 I would like only to say that, Bob, the  
14 meetings that I have been privileged to attend have  
15 vastly benefitted by your guidance as Chair. So  
16 thank you very much.

17 And to Kathy, again, best wishes.

18 DR. COX: Nancy Cox from CDC.

19 DR. GELLIN: I'm Bruce Gellin. As of  
20 six weeks ago, I'm the director of the National  
21 Vaccine Program Office at HHS.

22 DR. STEINHOFF: I'm Mark Steinhoff from  
23 Johns Hopkins University School of Medicine and  
24 School of Public Health.

25 DR. MYERS: I'm Martin Myers from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 University of Texas at Galveston.

2 DR. OVERTURF: I'm Gary Overturf. I'm  
3 Professor of Pediatrics and Pathology at the  
4 University of New Mexico.

5 DR. DIAZ: I'm Pam Diaz, the Director of  
6 Infectious Diseases for the Chicago Department of  
7 Public Health.

8 DR. FAGGETT: I'm Walt Faggett,  
9 pediatrician here in D.C., Medical Director for  
10 Medicaid in D.C., and I, too, want to express my  
11 appreciation of Bob Daum's leadership for this past  
12 four years. It has really been a pleasure serving  
13 on the committee, and the experience here is really  
14 going to help us, I know, in D.C. as we look forward  
15 to the challenge of smallpox immunization. Some of  
16 the decisions that we make will really benefit from  
17 the exposure I've had here on this committee.

18 So, again, I'm very privileged to have  
19 served and will look forward to staying in touch.

20 Thank you.

21 DR. MARKOVITZ: I'm David Markovitz from  
22 University of Michigan.

23 DR. PARSONNET: Julie Parsonnet from  
24 Infectious Diseases at Stanford University.

25 DR. FISHER: Barbara Loe Fisher with the

1 National Vaccine Information Center.

2 And I'd just like to say it has been a  
3 great privilege to serve on this committee. I  
4 believe that we need a strong FDA, and I  
5 particularly want to thank Kathryn Zoon for making  
6 this possible for me to be on this committee.

7 DR. DECKER: Michael Decker, Aventis  
8 Pasteur and Vanderbilt University, Department of  
9 Preventive Medicine.

10 Bob, you've done a great job chairing,  
11 and I particularly have admired the way you've  
12 insured full and fair discussion

13 DR. GOLDBERG: Judith Goldberg, Director  
14 of Biostatistics at New York University School of  
15 Medicine.

16 Bob, you've been a great Chair. I've  
17 learned a lot watching you.

18 And, Kathy, you've led this group to  
19 allow free and open dialogues, and you've been  
20 absolutely responsive to every request that this  
21 committee has made, and I've seen the changes in  
22 documentation as a result of all of that. Thank you  
23 and best of luck.

24 DR. PRATT: Douglas Pratt, FDA.

25 DR. MINK: ChrisAnna Mink, FDA.

1 CHAIRMAN DAUM: While Dr. Sachs is  
2 getting ready, I'm Robert Daum. I'm Professor of  
3 Pediatrics at the University of Chicago.

4 DR. SACHS: And I'm Dr. Jody Sachs. I'm  
5 the Exec. Sec. of VRBPAC. Welcome.

6 CHAIRMAN DAUM: Thank you very much,  
7 committee members and temporary voting members and  
8 FDA colleagues.

9 We'll now turn to some noncontroversial  
10 matters for the rest of the morning, and that is  
11 consideration of FluMist influenza virus vaccine,  
12 and we'll begin with Dr. Mink, who will begin with  
13 an overview of FluMist issues.

14 Dr. Mink.

15 DR. MINK: Good morning. I'm ChrisAnna  
16 Mink from CBER. I'd like to welcome you to today's  
17 VRBPAC.

18 I'll begin with an overview of the  
19 product which is FluMist. FluMist influenza virus  
20 vaccine, trivalent A and B contains three strains of  
21 live attenuated, cold adapted, temperature sensitive  
22 influenza viruses; two Type A, H1N1 and H3N2, and  
23 one Type B. Each .05 mL dose contains ten to the  
24 seventh TCID50s of the three strains in normal  
25 allantoic fluid, which will be abbreviated NAF

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 throughout my presentation.

2 A review of the regulatory time course  
3 is shown on this slide. The original BLA was  
4 submitted to CBER on October 30th of 2000. Our  
5 first VRBPAC meeting was July 26th and 27th of 2001,  
6 after which CBER issued a complete response letter,  
7 a CRL, on August 31st, 2001, and we received the  
8 sponsor's response to our first letter on January  
9 7th, 2002.

10 Our second letter was issued to the  
11 sponsor on July 10th of 2002, and we received their  
12 response on August 26th, 2002.

13 On November 1st, 2002, the sponsor  
14 revised the age indication, which I'll discuss  
15 briefly, and that brings us to today's meeting.

16 Some changes have been requested by the  
17 sponsor on the indication side. Originally the  
18 proposed indication for the age was from 12 months  
19 to 64 years, and as I mentioned, this has now been  
20 revised to 60 months through 64 years.

21 Originally a request for an indication  
22 for travelers to areas where influenza viruses were  
23 circulating was included and this has been removed.  
24 Also in our history we have some unresolved concerns  
25 from VRBPAC 2001, and we are now returning to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 committee.

2 To briefly review 2001 VRBPAC, the  
3 efficacy vote was divided for children/adolescents  
4 and for adults. For the one to 17 years of age  
5 group, the committee at that time voted eight yeses  
6 and seven noes, with five of the seven members who  
7 were voting noes stated they would have likely voted  
8 yes if they were requesting an age starting in older  
9 children, for example, 15 to 24 months of age.

10 The expressed concerns for children and  
11 adolescents included that there were few subjects  
12 under two years of age included in the database. We  
13 had no concurrent immunization data. There was no  
14 H1N1 field efficacy, and some committees expressed  
15 concern that we are extrapolating data for children  
16 from seven to 17 years of age.

17 The efficacy for the adults included 13  
18 yes votes and two no votes for the age group of 18  
19 to 64 years of age. Expressed concerns included  
20 that there were few subjects over the age of 50.

21 There were some concerns about defining  
22 a healthy population for receipt of this vaccine.  
23 No re-vaccination data was provided at that time.  
24 No concurrent immunization data were available, and  
25 there were some concerns expressed about the use of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 clinical endpoints, i.e., effectiveness, not  
2 confirmed with influenza cultures' efficacy data.

3 The safety vote was across the entire  
4 age cohort of one to 64 years of age. At that time  
5 there were five yes votes and nine no votes;  
6 subsequently, on the last day revised by the  
7 committee chair, as four yes and ten no votes.

8 The express concerns at that time  
9 included that the final data for some of the  
10 critical studies had not yet been submitted to CBER;  
11 the possible association of FluMist with adverse  
12 respiratory events, including pneumonia and asthma  
13 and wheezing.

14 Other concerns included the occurrence  
15 of other adverse events, AEs, occurring post  
16 vaccination. There were few subjects under two and  
17 over 50 for the safety database. Again, no  
18 concomitant immunization, and there was a paucity of  
19 transmissibility data.

20 There was also a discussion about the  
21 possibility of reassortment, including with wild  
22 type influenza, and the risk of reversion of these  
23 attenuate strains.

24 The current indication being sought and  
25 to be discussed today is FluMist is for the active

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 immunization for the prevention of disease caused by  
2 Influenza A and B viruses in healthy children  
3 adolescents and adults from five years, greater and  
4 equal to 60 months, to 64 years of age. A two dose  
5 regimen, 60, plus or minus 14 days, for the first  
6 use of children five to eight years of age is being  
7 requested and one dose for all others and for those  
8 over nine years through 64 years of age.

9 With consideration for the revised age  
10 indication and the availability of the final  
11 effectiveness and efficacy data, as well as  
12 additional safety analysis of FluMist will all be  
13 presented today for the committee's deliberation.  
14 To help frame the day, I will present the questions.

15 Question one will be safety for vote.  
16 Are the data adequate to support safety of FluMist  
17 for individuals five to 17 years of age, 18 to 49  
18 years of age, 50 to 64 years of age? Please  
19 consider data related to the respiratory events,  
20 such as asthma and upper respiratory infections,  
21 shedding and transmission of vaccine strains  
22 following receipt of FluMist, and annual  
23 revaccination.

24 If the data are not adequate for  
25 specific age groups or there are other safety

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerns, please discuss what additional data should  
2 be requested.

3 Question number two is efficacy, also  
4 for a vote. Are the data adequate to support  
5 efficacy in FluMist in individuals five to 17 years  
6 of age, 18 to 49 years of age, and 50 to 64 years of  
7 age?

8 If the data are not adequate for  
9 specific age groups, please discuss what additional  
10 data should be requested.

11 Question three is a discussion point.  
12 Clinical studies for release of new strains: please  
13 comment on the design and endpoints for the clinical  
14 study performed in adults which will be presented  
15 today for the release of new strains.

16 And discussion point number four: if  
17 the data are adequate to support safety and  
18 efficacy, please discuss what additional  
19 information, if any, should be requested from post  
20 marketing studies.

21 With this orientation, I turn the  
22 meeting back to Dr. Daum.

23 CHAIRMAN DAUM: Questions from the  
24 committee for clarification of Dr. Mink's orienting  
25 remarks? Dr. Faggett, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FAGGETT: Bob, under the efficacy  
2 vote, the first slide, it was stated that a vote for  
3 efficacy data for supporting indication, one to 17  
4 years of age, five, seven no; the request was  
5 starting at older age, 15 to 24 months. I'm not  
6 sure that that's really stated correctly.

7 DR. MINK: That information is from last  
8 year's VRBPAC, and some of the members who voted no  
9 for the age group of 12 months through 64 years,  
10 five of the seven who voted no expressed that they  
11 would likely have voted yes if an older age is being  
12 requested, such as 15 months or 24 months of age.

13 DR. FAGGETT: Okay. Thank you.

14 CHAIRMAN DAUM: That's how I remember it  
15 Dr. Faggett. What's your concern?

16 DR. FAGGETT: I thought it was, well,  
17 like three or four. I thought it was over two. I  
18 didn't recall it being less than two. So I stand  
19 corrected if that's in the minutes.

20 CHAIRMAN DAUM: I think this is the way  
21 it was.

22 DR. FAGGETT: Okay. Thanks.

23 CHAIRMAN DAUM: Good. Let's continue  
24 then. We're next going to turn to our sponsor,  
25 Medimmune Vaccines, and begin with a presentation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from Dr. Young -- this is Dr. Young? Good morning,  
2 Dr. Young -- who will give us an overview and a  
3 product profile.

4 DR. YOUNG: Good morning, everyone.  
5 Thank you very much.

6 As Dr. Daum mentioned, I'm Jim Young.  
7 I'm actually very excited to be here today. I just  
8 hit a very important milestone, and for those of you  
9 who know me, think that that probably refers to the  
10 fact that I just turned 50 years old yesterday, but  
11 it's actually not that. For those of you who are  
12 parents can appreciate the fact that I have a three  
13 months old son who just slept through the night for  
14 the first time last night.

15 (Laughter.)

16 DR. YOUNG: And 11 and a half hours.  
17 I'm so excited about that. I was beginning to  
18 forget what sleeping through the night really felt  
19 like.

20 But actually I'm also very excited to be  
21 here today to talk about FluMist, which we believe  
22 is an important new product. As I said, I'm Jim  
23 Young. I'm President of Research and Development at  
24 Medimmune.

25 We're a biotech company that's located

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about ten miles north from here in Gaithersburg,  
2 Maryland.

3 You can see from the first slide here  
4 the sponsor has changed for the product since the  
5 last time the VRBPAC committee met, when it was  
6 Aviron, and the reason for that is that in January  
7 of this year, Medimmune merged with Aviron and  
8 formed a subsidiary called Medimmune Vaccines that  
9 now actually has regulatory responsibility for the  
10 product.

11 What I'd like to first do is echo some  
12 comments by Dr. Daum and acknowledge the tremendous  
13 amount of work and diligence that the review staff  
14 has demonstrated during this review process. It has  
15 just been a tremendous amount of information that  
16 we've submitted to them in the course of this  
17 review, and it's really quite a lot of work to sift  
18 through all of that information and go through the  
19 review.

20 I'd also like to thank the committee  
21 members for finding time in what I'm sure is a very  
22 busy schedule this time of the year to actually have  
23 this special meeting to review Synagis -- review  
24 FluMist. Excuse me.

25 (Laughter.)

1 DR. YOUNG: Oops, wrong product. That's  
2 our other product.

3 To review FluMist and give you an update  
4 on FluMist.

5 CHAIRMAN DAUM: You thought I wasn't  
6 listening, didn't you?

7 (Laughter.)

8 DR. YOUNG: I'm so used to talking about  
9 Synagis.

10 And give you an update on the product  
11 and, in particular, address unresolved questions  
12 that were raised at the last committee meeting now  
13 that a full data package is available for the  
14 product.

15 As Dr. Daum mentioned, I will first  
16 begin the sponsor presentation by providing a very  
17 brief overview and product description, and then  
18 what I'd like to do is describe for you the new  
19 proposed indication that Dr. Mink briefly reviewed  
20 for you in her presentation. I'll then turn the  
21 presentation over to Dr. Ed Connor who is our  
22 Senior Vice President of Clinical Development, and  
23 Ed will give you an overview of the clinical data  
24 that supports the efficacy and safety for the  
25 product. It will also give you information about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 transmission and vaccine virus stability, both  
2 phenotypic and genetic stability.

3           You are, of course, all aware of the  
4 significant morbidity and mortality associated with  
5 influenza as it is the most common cause of  
6 medically attended respiratory illness in the United  
7 States among all age groups. It's estimated that  
8 there are about 70 million lost work days, 38  
9 million lost school days, and an astonishing 20 to  
10 50,000 deaths annually due to influenza in the  
11 United States.

12           Although about 60 to 90 million doses of  
13 the inactivated flu vaccine are administered  
14 annually to protect against influenza, most of this  
15 goes to the high risk individuals. It turns out  
16 that it's estimated that about 150 million healthy  
17 Americans are currently not vaccinated against  
18 influenza; that less than ten percent of healthy  
19 children, less than 30 percent of healthy adults  
20 actually get their flu shots, and clearly this is a  
21 major concern of the ACIP.

22           As many of you may know, they recently  
23 broadened their recommendation for influenza vaccine  
24 to include health infants and toddlers and their  
25 contacts. It is in this healthy population that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 believe FluMist can fulfill an important public  
2 health need.

3           Given what we believe to be its  
4 excellent safety and efficacy profile and ease of  
5 administration such that adequate supplies of the  
6 inactivated vaccine can be made available for the  
7 high risk individuals, as Dr. Mink mentioned,  
8 FluMist is a cold adapted, temperature sensitive,  
9 live, attenuated influenza virus vaccine that's  
10 administered by intranasal mist, hence our very  
11 creative name for the product, FluMist.

12           It is made from vaccine strains that  
13 were originally derived by Dr. John Maassab at the  
14 University of Michigan back in the mid-'60s wherein  
15 he took an Influenza A H2N2 isolate and a Type B  
16 clinical isolate, and he independently passaged both  
17 of those isolates sequentially in primary chick  
18 kidney cells and embryonated hens' eggs at  
19 progressively lower and lower and lower  
20 temperatures.

21           And at the end of that process what he  
22 derived were some viruses with some very interesting  
23 properties. First, they were cold adapted, meaning  
24 they would grow in the cooler upper airway.

25           They were temperature sensitive so that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they would have limited replication in the lower  
2 airway.

3 And they were attenuated. They wouldn't  
4 cause disease in a ferret model of human influenza,  
5 a property that was later also shown in human  
6 volunteers.

7 Now, what we do is we take these master  
8 donor viruses that Dr. Maassab generated, and we  
9 introduce into them the genes coding for the  
10 hemagglutinin and neuraminidase surface  
11 glycoproteins that come from the contemporary  
12 strains that are circulating in the population that  
13 we want to vaccinate against.

14 We've actually made 14 different  
15 versions of these different versions of these  
16 vaccine strains and tested them clinically.

17 Now, today you may also hear the term  
18 "CAIV," cold adapted influenza vaccine. These are  
19 vaccines that were derived from the same master  
20 donor viruses that Dr. Daum had generated, most of  
21 that work being done at the NIH. Nineteen of those  
22 strains were developed and tested clinically in  
23 about 8,000 human volunteers.

24 Now, at the last VRBPAC presentation,  
25 Dr. Brian Murphy gave a very comprehensive overview

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the important and unique properties of these  
2 master donor viruses and of the vaccine strains that  
3 are derived from them, and I'm certainly not going  
4 to try to replicate his talk. But suffice it to say  
5 Brian presented information that there are at least  
6 four mutations in each of four genes for the MDV  
7 strains that confer attenuation in the ferret model.

8 We know that there are at least seven  
9 mutations in the A strain and eight in the B strain.  
10 We also know that these viruses are extremely  
11 phenotypically stable. In any laboratory passage  
12 study, animal studies, even clinical studies, we've  
13 never seen a revertant of these attenuated  
14 phenotype.

15 And, frankly, that's not very surprising  
16 because given that there are at least four mutations  
17 in the MDV that confer attenuation, at a mutational  
18 frequency of ten to the minus five for any amino  
19 acid to change, with those four mutations needing to  
20 revert back to the wild type sequence, all four of  
21 them required to revert back to the original wild  
22 type sequence, that would occur at a calculated  
23 frequency of ten to the minus 20.

24 And in fact, if it were all seven or  
25 eight mutations that need to revert back to the wild

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 type sequence to generate a wild type virus, it  
2 would be ten to the minus 35 or ten to the minus 40.

3 Now, to put that in perspective, we know  
4 that children shed at most during their peak time of  
5 shedding ten to the four of vaccine virus. We know  
6 adults actually shed about 100 times less than that.  
7 If we assume, however, that everyone sheds ten to  
8 the four viruses when they are infected with this  
9 vaccine and then we assume that they make 1,000  
10 times more virus in their upper airway, if we were  
11 to immunize all 300 million Americans today with  
12 this vaccine and they all produced ten to the seven  
13 virus not for just the two to nine days that they  
14 normally produce it, but forever more, for the rest  
15 of their life, it would take 100 years to produce  
16 ten to 20 viruses.

17 Now, this is a schematic representation  
18 of the influenza virus structure and the genetic  
19 make-up of the virus. You can see it's an envelope  
20 virus. It has eight RNA segments contained within  
21 the virus. Two of these segments, the HA and the  
22 NA, code for the hemagglutinin and neuraminidase  
23 surface glycoproteins.

24 The hemagglutinin is involved in virus  
25 cell entry; the neuraminidase involved in budding of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N.W.  
WASHINGTON, D.C. 20005-3701

1 the virus from the infected cell, and both of these  
2 proteins are the main targets of protective  
3 immunity.

4 The other six gene segments code for a  
5 number of different virus proteins that are involved  
6 in virus replication and immune evasion.

7 Now, when we want to make a new vaccine  
8 strain, what do we do? We start off with those Dr.  
9 Maassab's master donor viruses, and we co-infect  
10 cells with the master donor virus chick embryo  
11 kidney cells. We co-infect cells with the master  
12 donor virus and the new wild type strain that is  
13 circulating that we wish to make a vaccine against.

14 Now, when these viruses go into the same  
15 cell, they begin to replicate their RNAs, and they  
16 actually end up shuffling them, and the progeny  
17 virus that comes out of those cells has various  
18 combinations of these different genes from the two  
19 viruses, and there are actually 256 different  
20 combinations that are possible.

21 What we do is we then go and fish out a  
22 virus that is what we call a 6:2 vaccine strain that  
23 derives two genes, the hemagglutinin and  
24 neuraminidase genes from the wild type virus because  
25 we want to make immune responses to those two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 proteins, and the other six genes come from the  
2 master donor virus which confer the attenuated  
3 properties of this master donor virus on this new  
4 vaccine strain.

5 Now, once we've derived that 6:2 vaccine  
6 strain, what we then do is propagate it or  
7 manufacture it in specific pathogen free eggs. Now,  
8 these eggs come from chickens that have been  
9 extensively tested to demonstrate the absence of any  
10 adventitious agents in those blocks.

11 We also have an extensive testing  
12 program of the vaccine intermediates, the bulk  
13 vaccine virus, and the final product.

14 And as Dr. Mink mentioned, we also do a  
15 safety testing of the new vaccine in 300 adult  
16 volunteers to insure that it is of the right  
17 attenuated phenotype.

18 As she also mentioned, the vaccine is  
19 comprised of a trivalent blend of ten to the seven  
20 infectious particles of each of the three currently  
21 circulating influenza virus strains that are also  
22 recommended for the inactivated vaccine, and it's  
23 contained in half an mL dose. This product is  
24 presented as a unit dose, which is stored frozen  
25 with no thimerosal in this little sprayer device.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And the way this works is you basically  
2 take this sprayer and thaw it in the palm of your  
3 hand. You then remove the cap off the sprayer,  
4 insert the end of the sprayer up into the nostril  
5 and depress the plunger, and that delivers half the  
6 dose to one side of the nose.

7           You then take off this little dose  
8 divider clip, insert it into the other side of the  
9 nose and press the plunger and complete the  
10 administration of the dose.

11           Now, the sprayer actually generates a  
12 large particle mist that is deposited in the upper  
13 airway where the virus will replicate. As Dr. Mink  
14 also mentioned, there is a single annual dose  
15 recommended for individuals that are nine years or  
16 older, and it is recommended that a child that is  
17 less than nine years old receive two doses spread 60  
18 days apart if it's their first time for receiving an  
19 influenza virus vaccine.

20           Now, at the last VRBPAC meeting in July  
21 of 2001, it was felt that the data were adequate to  
22 establish efficacy in healthy individuals, and as  
23 was pointed out -- I think this was right -- the  
24 vote that was actually for children one to 17 years  
25 was eight to seven in favor of having shown efficacy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and in adults 18 to 64, 13 to two.

2           However, it was noted that the sample  
3 size at the lower end of the proposed age spectrum  
4 in individuals 12 to 24 months was less robust, and  
5 that had the indication for children been two to 17  
6 years, the vote would have been 13 to two, and that  
7 was reviewed by the Chairman, Dr. Daum.

8           When the question of safety was asked in  
9 the total population requested, age one to 64, the  
10 votes was four to ten with six of the votes  
11 qualified as provisional, and that was mainly  
12 because the final safety data had not yet been  
13 submitted to the agency and, consequently, the CBER  
14 review was still ongoing.

15           It was also noted that there were  
16 additional analyses that needed to be completed to  
17 resolve some safety questions and particularly the  
18 ones with respect to pneumonia and asthma. It was  
19 also noted that concurrent immunization data were  
20 not available and was needed for children under 24  
21 months of age.

22           Now, what progress have we made since  
23 the last VRBPAC meeting? Well, first of all, we've  
24 responded to two complete response letters from  
25 CBER, and in so doing established the 20 studies

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D.C 20005-3701



1 which would serve as the final data set for  
2 consideration in the BLA. Fourteen of these were  
3 placebo controlled trials and six were open label  
4 trials.

5 In total, the data from these studies  
6 represented a total of 20,000 subjects receiving  
7 approximately 28,000 doses of FluMist.

8 We also submitted final study reports  
9 for the two very large safety studies involving  
10 about 15,000 individuals, 15,000 children, AV019 and  
11 AV012, and also the final study report was submitted  
12 for a Finnish day care study that provided some  
13 information on transmission and virus shedding.

14 And when the final analyses were  
15 conducted on all of this data, it showed quite  
16 clearly that there was no signal for pneumonia and  
17 that there was a possible signal for asthma or  
18 wheezing exacerbation in children less than 60  
19 months of age.

20 At the last VRBPAC meeting we also  
21 indicated that a concurrent immunization study had  
22 just been started with FluMist and MMR and VARIVAX,  
23 and we've actually recently completed full  
24 enrollment in that study and we're now in the final  
25 follow-up period with those children.

1                   So for consideration today at today's  
2 meeting, here is the proposed indication. For  
3 active immunization to prevent Influenza A and B in  
4 healthy individual, healthy children and health  
5 adults ages five years through 64 years of age.

6                   We are not proposing FluMist be used in  
7 individuals with a history of underlying medical  
8 conditions which predispose them to bad outcomes  
9 with wild type flu, and those are listed here on the  
10 slide.

11                   We're also not proposing that the  
12 product be used concurrently with any other vaccine,  
13 frankly, until we have the data that would support  
14 that use.

15                   So with that I will conclude my  
16 introductory comments and now turn the presentation  
17 over to Dr. Ed Connor, who is going to give you a  
18 review of the clinical data supporting the efficacy  
19 and safety of the product.

20                   Ed.

21                   CHAIRMAN DAUM: I think maybe before we  
22 call on Dr. Connor we might see if there are some  
23 clarifying questions from the committee. We'd like  
24 to keep questions and comments at this point to  
25 issues that require clarification of what you said,

1 and then we'll have opportunity for a more general  
2 discussion of sponsor related issues after Dr.  
3 Connor is complete.

4 Dr. Snider, please.

5 DR. SNIDER: Thank you.

6 Two relates questions. I appreciate  
7 your reminding us about the particle size and 98  
8 percent being larger than ten microns. I presume  
9 the other two percent has the potential for reaching  
10 the lower respiratory tract, although not all of it  
11 will.

12 The question is: do we know any more  
13 than we did in 2001 about the distribution?

14 And then very much related to that, you  
15 spoke about, and I guess we'll be talking more  
16 about, shedding. I know that nasal swabs and one  
17 would anticipate that most of the shedding would be  
18 from the nose where most of the virus is deposited.  
19 But is there any information about the virus present  
20 in the lower respiratory tract?

21 DR. YOUNG: I think about all we can say  
22 about that is based on the scintigraphy studies that  
23 we've done where we've labeled material and then  
24 deposited it into the nose, and we've done radiation  
25 surveys of various components of the abdomen and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have shown that the vast majority of it, as you  
2 mentioned, ends up in the upper airway.

3 And when we do field screens of the  
4 lungs, we see what we think is just background  
5 radiation coming from the esophagus where some of  
6 the vaccine actually hits the back of the mouth and  
7 is swallowed into the stomach. We see some  
8 radiation in the esophagus that over time quickly  
9 moves down into the stomach.

10 And what we've seen in the scintigraphy  
11 studies is that if you use nasal drops, you see the  
12 same amount of radiation in the lungs. So I don't  
13 think that we believe that there is very much of  
14 this that actually gets down into the lung, and in  
15 fact, even if there is a small amount, given its  
16 temperature sensitive phenotype, we would expect  
17 very little replication in the lung itself.

18 CHAIRMAN DAUM: Ms. Fisher.

19 MS. FISHER: You made a statement that  
20 children shed vaccine virus more than adults. Do  
21 you know why?

22 DR. YOUNG: Probably because of the lack  
23 of any preexisting immunity. Adults, of course,  
24 will have had numerous encounters with flu virus  
25 over the course of their lifetime, and there is some

1 low level immunity that's going to be protective  
2 against the virus that would suppress replication of  
3 the virus in adults.

4 I think it turns out that probably young  
5 cells are probably a more fertile environment for  
6 the growth. We tend to see better replication in  
7 younger cells, not that we have old cells, but I  
8 think it's a combination mainly driven by the lack  
9 of immunity in children where they can shed more  
10 virus for a longer period of time.

11 Adults shed for only about two days.  
12 Children will shed for on average about nine days,  
13 and as I said, about 100 times more virus than  
14 adults.

15 MS. FISHER: Well, then if everyone uses  
16 the -- the children use this vaccine, then they'll  
17 grow up to be adults that will continue to shed more  
18 virus, right?

19 DR. YOUNG: No, actually they will get  
20 immunity to the virus obviously.

21 MS. FISHER: they will?

22 DR. YOUNG: And then, of course, they  
23 will shed less virus as well.

24 MS. FISHER: As long as they keep  
25 getting vaccinated.

1 DR. YOUNG: No, actually, well, they'll  
2 need to get vaccinated against the new contemporary  
3 strains that are circulating because it changes  
4 those two surface glycoprotein and you'd need to  
5 reeducate or educate the immune system to those new  
6 surface glycoproteins.

7 But once you've encountered flu, you  
8 know, the risk is lower because you have some cross-  
9 protective immunity, but you need to get better  
10 immunity against the contemporary strains that are  
11 changing in the population.

12 MS. FISHER: Thank you.

13 CHAIRMAN DAUM: Dr. Markovitz, please.

14 DR. MARKOVITZ: Yes. What can you tell  
15 me about how you pick out the proper reassortment  
16 virus? You know, you're looking for the 6:2 mix.  
17 How do you know that you have the right six genes  
18 and the right two genes?

19 DR. YOUNG: Yeah. We actually have a  
20 pheno-genotyping assay that we use. It's a RFLP  
21 type assay where we can actually -- what we first do  
22 is once we have the mixture of viruses, they get  
23 passaged in eggs in the presence of antibody to the  
24 master donor virus to suppress replication of any  
25 residual master donor virus, and so what we get out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are mainly viruses that have the hemagglutinin and  
2 the neuraminidase from the new wild type strain.

3 We then clone those viruses out, dilute  
4 them out and get individual clones from the progeny  
5 from that co-infection, and then we genotype each of  
6 those clones, and we have a specific assay where we  
7 PCR the gene segments and cut them with restriction  
8 enzymes that are specific for either the master  
9 donor virus version of the gene or the wild type  
10 version of the gene.

11 What we actually do as a prerequisite to  
12 that is we sequence the entire genome of the new  
13 wild type strain that we're going to make a vaccine  
14 for so that we know what restriction enzymes to use  
15 and what primers to use to pull out the wild type  
16 gene specifically.

17 CHAIRMAN DAUM: Very good.

18 DR. YOUNG: Anything else?

19 CHAIRMAN DAUM: Dr. Stephens, we're  
20 looking for comments here to clarify issues raised  
21 by Dr. Young. We'll have an opportunity after Dr.  
22 Connor speaks to explore some of these issues that  
23 committee members are raising in detail per your  
24 pleasure.

25 Dr. Stephens.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: This is a clarification  
2 regarding the reversion rate, which you suggest is  
3 low, less than minute ten to the minus 20.

4 What about reassortment, which is more  
5 of a concern with wild type and where I think the  
6 frequencies would be considerably higher?

7 DR. YOUNG: Yeah, with respect to  
8 reassortment, there's really two different  
9 situations you need to consider when you think about  
10 reassortment. The first is during an interpandemic  
11 period where we're just immunizing against strains  
12 that are already circulating in the population.  
13 There's actually lots of reassortment going on  
14 between the A strains that are circulating now.  
15 They've seen H1N2s. So that happens. We know that  
16 happens, and that can happen if and only if an  
17 immunized individual also has a wild type flu  
18 infection at the same time.

19 And you're right. If that happens, you  
20 can get a reassortment between FluMist and that wild  
21 type strain. But you need to remember the FluMist  
22 comes from a human strain that's already been in the  
23 circulation; that we have reassortment that has  
24 occurred between strains that are already  
25 circulating, and at worst, what you can get back out



1 is a wild type strain because the cold adapted genes  
2 that are in the attenuated virus can only make the  
3 wild type attenuated.

4 So at worst if you didn't attenuate the  
5 wild type, you'd get back out wild type, and that's  
6 going on all the time anyway. You've got that  
7 circulating all the time anyway, and so you would  
8 have already put that wild type into the person  
9 anyway.

10 So in terms of normal epidemic  
11 vaccination against normal epidemic strains, the  
12 risk of generating a super virulent strain is  
13 virtually impossible because the genes that we have  
14 in the FluMist virus are attenuating, and we know  
15 that from data that Dr. Murphy presented last time.  
16 When you just put individual genes and have done the  
17 experiments where they put individual genes from  
18 these master donor viruses into wild type strains,  
19 you generally get a strain with lower virulence and  
20 not higher virulence.

21 Brian, I don't know if you want to make  
22 a comment about that. You've certainly done more of  
23 this type of work than anyone else in the world.

24 DR. MURPHY: Although I don't have the  
25 exact data, we probably have made between ten and 15

1 different mixed gene constellation viruses from the  
2 master donor A virus, and none of those showed a  
3 virulence that was greater than wild type. Almost  
4 every one of them had an attenuated phenotype.

5 DR. YOUNG: Thanks, Brian.

6 Now, the other setting where you worry  
7 about reassortment is if we wanted to use FluMist as  
8 a vaccine for a new pandemic strain before the virus  
9 was actually in our population, and clearly you  
10 wouldn't want to do that because now you could get  
11 reassortment between the new pandemic FluMist with  
12 the H1N1 or the H3N2 viruses that are circulating  
13 now and prematurely introduce the pandemic virus  
14 into the population.

15 So clearly, you would want to want to  
16 wait until the public health authorities deemed it  
17 appropriate to now start immunizing with a FluMist  
18 pandemic strain because you felt that the risk of  
19 spread of the pandemic virus was so significant that  
20 you wanted to try to immunize people as quickly as  
21 possible and the virus was already in the population  
22 anyway.

23 Okay? You don't look satisfied.

24 DR. STEPHENS: Well, I think the point  
25 was the issue in recombination. I mean the issue is

1 reassortment and recombination. It's probably not  
2 reversion. I think you've pretty well demonstrated  
3 that that's very, very low, but I think there are  
4 issues with reassortment that we need to talk about  
5 further.

6 CHAIRMAN DAUM: Dr. Decker.

7 DR. DECKER: I've got several questions  
8 that follow up on Dr. Stephens' questions. The  
9 vaccine virus differs from circulating wild virus in  
10 two ways. It's cold adapted and it's attenuated.  
11 Are those --

12 DR. YOUNG: And it's temperature  
13 sensitive.

14 DR. DECKER: Okay. thank you.

15 Do those three characteristics reside in  
16 different or in the same genetic changes? In other  
17 words, is one set of changes simultaneously making  
18 it cold adapted, temperature sensitive and  
19 attenuated? Is it in two of those three and the  
20 third is based elsewhere, or are all three  
21 genetically distinct?

22 DR. YOUNG: The answer to that question  
23 is that they probably are overlapping. I don't  
24 think that given the three different phenotypes that  
25 they are all in the same mutations. We know actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that there are five mutations for temperature  
2 sensitivity in the A strain, four mutations in the B  
3 strain.

4 We have now generated viruses that have  
5 all of the single point mutations distributed singly  
6 in viruses and are testing those for attenuation and  
7 cold adaptation. So hopefully in the not too  
8 distant future we will have sorted that out, but my  
9 guess is that there's probably some overlap that the  
10 temperature sensitivity is also related to the  
11 attenuation.

12 DR. DECKER: All right. Your response  
13 to Dr. Stephens focused on the fact that a  
14 reassortment with the circulating strains would just  
15 present the same neuraminidase and hemagglutinin  
16 antigens that everybody is seeing anyway, which is  
17 fine. That's reasonably straightforward.

18 But the question that I was hearing that  
19 I didn't hear an answer to is would it be possible  
20 for a reassortment or similar genetic combinations  
21 to, for example, produce a virus that is cold  
22 adapted, no longer temperature sensitive, and no  
23 longer attenuated and which, therefore, could  
24 exploit the human ecologic niche more effectively an  
25 the current virus and create something that would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pose a new medical issue.

2 DR. YOUNG: No actually what you would  
3 then get is the wild type virus back. That's  
4 already --

5 DR. DECKER: No, the wild type virus  
6 isn't called adapted. So you'd produce a virus that  
7 could replicate both in the nose and in the lung.

8 DR. YOUNG: Actually a lot of the wild  
9 type viruses are cold adapted, and actually a number  
10 of them are also temperature sensitive.

11 But I think the point is that most of  
12 the mutations -- there are four different genes for  
13 attenuation. So automatically if those four genes,  
14 any one of them, ended up in the wild type virus, it  
15 would attenuate the virus, period. It would just  
16 attenuate it.

17 The other two, we're not sure yet if any  
18 of the mutations in those are related to cold  
19 adaptation or temperature sensitivity, but it would  
20 be certainly no worse to get one of those genes than  
21 if a reassortment occurred between the genes for an  
22 H1N1 virus and the internal genes for an H3N2 virus.

23 CHAIRMAN DAUM: Thank you.

24 I'd like to move on now to Dr. Young's  
25 presentation, and then we can have a general

1 discussion where committee members can explore these  
2 issues further should they wish.

3 I would like to call on Dr. Connor, who  
4 is the next Medimmune speaker, and hearing cell  
5 phones and beepers going off in the room, I would  
6 like to ask once again that everyone in this room be  
7 respectful of the committee deliberation and either  
8 turn them off or turn them to vibrate mode.

9 I thank you for your cooperation.

10 Dr. Connor.

11 DR. CONNOR: Thank you, Dr. Daum, and  
12 good morning.

13 First of all, this committee has heard  
14 in some considerable detail the data about the  
15 safety and effectiveness and efficacy of FluMist at  
16 the prior VRBPAC presentation. You heard from the  
17 principal investigators of each of the pivotal  
18 studies, Dr. Bob Belshe, Dr. Steve Black, and Dr.  
19 Kristin Nichol, the data on adults and children,  
20 safety and efficacy. And those individuals, as well  
21 as representative folks from the former sponsor  
22 presentation at the last VRBPAC, are here with us  
23 today. Steve actually couldn't make it today  
24 because he was ill, but the other folks are here, as  
25 well as Tony Piedra and Manju Gaglani, from Baylor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D.C. 20005-3701

1 who conducted the ABO 12 study, the large safety  
2 trial of FluMist in the field.

3 That body of data is also supported by  
4 what is literally decades of research on the  
5 predecessors of FluMist, the monovalent and divalent  
6 cold adapted vaccines.

7 My purpose this morning is really to do  
8 three things. First of all, what I'd like to do is  
9 to review the efficacy-effectiveness data briefly  
10 with you, as well as the safety of FluMist in  
11 children and adults, and to provide the final data  
12 set with regard to the specific open safety  
13 questions that were included in the last meeting.  
14 Those specifically include asthma and wheezing and  
15 pneumonia.

16 And lastly, I'll provide to you some  
17 data on the vaccine virus shedding and transmission  
18 issue.

19 The principal studies that were  
20 conducted to support the efficacy-effectiveness of  
21 FluMist in children include the pivotal trial, which  
22 was AV006, the study conducted by Dr. Belshe, and  
23 was a field trial, a two year field trial,  
24 demonstrating efficacy for H3N2 and for Type B.

25 In addition to that, because H1N1 was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 simply not circulating during the years in which  
2 AV006 was conducted, a challenge study was done with  
3 H1N1 using the vaccine strain and demonstrated  
4 efficacy of 83 percent.

5 In adults, the initial trial of efficacy  
6 were done by John Traynor, and that study, 003, was  
7 a wild type challenge study demonstrating efficacy  
8 of 85 percent.

9 AV009 was the pivotal field trial  
10 demonstrating effectiveness of FluMist in adults,  
11 and those studies conducted by Kristin Nichol looked  
12 at effectiveness measures, that is, disease and  
13 illness measures as primary outcomes.

14 I'm going to focus my review time on the  
15 pivotal efficacy trials.

16 AV006 was the pivotal efficacy trial in  
17 children, as I mentioned, conducted by Bob Belshe.  
18 It was a randomized, double blind, placebo  
19 controlled trial of 1,602 healthy children between  
20 the ages of 15 and 71 months of age at entry.

21 These children received either one or  
22 two doses of FluMist in year one, and an annual  
23 revaccination dose in year two as a single dose.

24 There was active surveillance for  
25 illness and illness cultures, and the primary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 endpoint of the trial was culture proven influenza.

2 During the time that the trial was  
3 conducted in year one there was an A/Wuhan H3N2 and  
4 a B virus that were well matched to the vaccine, and  
5 during the second year an A/Sydney H3N2 circulated,  
6 which was mismatched.

7 The primary efficacy results are shown  
8 on this slide and clearly demonstrate that FluMist  
9 efficacy of approximately 93 percent against culture  
10 confirmed influenza, and what you can see here is  
11 92.6 percent efficacy in year one and comparable  
12 efficacy for other outcomes that were measured in  
13 the trial.

14 As I mentioned, in addition, this study  
15 gave us the opportunity of looking at annual  
16 revaccination, and here in year two when there was a  
17 mismatched strain circulating, one can see efficacy  
18 point estimates of 87 percent comparable to in year  
19 one; in addition, comparable efficacy in the other  
20 outcomes that were measured.

21 One of the things that's important to  
22 note about these studies also was that there were  
23 very tight confidence intervals around the point  
24 estimates of the effect in both of the years.

25 In addition, we then looked at the AV006

1 trial to garner data regarding the efficacy of  
2 children in the various age groups of patients that  
3 were studied, and here what you can see is the  
4 efficacy by age group through each of these various  
5 age cuts.

6 And first of all, the point estimates of  
7 the efficacy are quite good compared to the  
8 population as a whole. The confidence intervals are  
9 quite tight, even within these age subgroups, and  
10 for children above 60 months of age, there was point  
11 estimates of efficacy of 90.6 in year one and 86.9  
12 in year two.

13 It was also true that children who  
14 entered the second year of the trial actually had  
15 aged to 83 months by the time that they entered, and  
16 I have provided those estimates of efficacy here  
17 also.

18 You can see, again, that the confidence  
19 intervals are quite tight around the point estimates  
20 of efficacy, and there's no trend toward any changes  
21 in the efficacy, and there's no trend toward any  
22 changes in the efficacy according to the age group  
23 of the patients that were studied.

24 The primary trial, the field trial for  
25 adults was AV009, as I mentioned. This was a trial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., NW  
WASHINGTON, D C 20005-3701

1 that was randomized, double blind, and placebo  
2 controlled. It enrolled 4,561 healthy working  
3 adults. That included ages 18 to 64 years.

4 Patients received a single dose of  
5 vaccine, and the primary endpoint for these trials  
6 was effectiveness measures. The primary endpoint  
7 was actually any febrile illness, and there were a  
8 number of secondary measures of effectiveness, that  
9 is, disease/illness outcomes in contrast to culture  
10 proven outcomes.

11 The secondary endpoints are listed here,  
12 and there were a number of other illness definitions  
13 that were also measured: febrile URI, severe  
14 febrile illness, which were pre-specified, as well  
15 as post hoc analyses that were conducted with the  
16 CDC definitions for influenza like illness and the  
17 Department of Defense definitions.

18 During this year of AV009, the  
19 circulating strain was A/Sydney, which was an H3N2  
20 and was mismatched to the vaccine strain.

21 This is a list of the various illness  
22 definitions that were used during the conduct of the  
23 trial, and as Dr. Nichol mentioned in her  
24 presentation to the VRBPAC last year, one can see  
25 that any febrile illness, while it's actually a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fairly sensitive measure of detecting disease, it's  
2 not a very specific measure for influenza.

3 And each of these other definitions  
4 which include various combinations of more fever or  
5 consecutive days of symptoms are actually much more  
6 representative of influenza like illness, and so  
7 that distinction is listed here. You can see each  
8 one of the combinations for each illness that were  
9 specified.

10 Now, these are the primary outcome  
11 results for the AV009 trial, and what you see here  
12 are a percent reduction, FluMist compared to the  
13 placebo group, for each of the illness definitions,  
14 and here what we're showing is occurrence of those  
15 illnesses.

16 And while there was not a statistically  
17 significant difference in the groups for any febrile  
18 illness, there were statistically significant in all  
19 of the other outcomes that were more specific for  
20 influenza.

21 These activity levels, that is, a 25  
22 percent or so reduction in effectiveness measures  
23 are actually very impressive because remember that  
24 effectiveness is measuring the total disease burden,  
25 and influenza represents some portion of that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D.C. 20005-3701

1                   So in order to be able to effect  
2 effectiveness changes one needs to have very high  
3 levels of vaccine efficacy. These actually have  
4 been studied for TIV in which CDC investigators have  
5 demonstrated that effectiveness measures or  
6 reductions of about 34 percent are associated with  
7 vaccine efficacy, that is, culture confirmed  
8 efficacy, of approximately 89 percent, and Bob  
9 Belshe showed previously in the AV006 data that in  
10 children effectiveness measures of a reduction of  
11 approximately 20 percent were associated with  
12 culture confirmed influenza reductions of  
13 approximately 95 percent.

14                   So these are very highly impressive  
15 results in terms of measures of effectiveness.

16                   These data are the percent reduction for  
17 the other measures that were looked at in the study:  
18 days of illness, days of missed work, health care  
19 provider visits, and days of antibiotic use, and you  
20 see here the same percent reduction with the various  
21 illness definitions shown at the bottom of the  
22 slide.

23                   What you can see here are significant  
24 reductions in all of these parameters for virtually  
25 all of the disease designations and even for any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 febrile illness the less sensitive or less specific  
2 diagnosis, there were significant reductions in days  
3 of illness and days of antibiotic use.

4 Now, in addition, one of the issues that  
5 we've been asked to address through interactions  
6 over the last months has been the question of the 50  
7 to 64 year old population within the AV009 study.

8 And what we show here, first of all,  
9 obviously in doing this we're looking at subset  
10 analyses in a study in which the study was obviously  
11 not designed or powered to look at those subsets.  
12 But importantly, if one takes the 50 to 64 year old  
13 population, which is shown here in blue, compared to  
14 the total adult population in the AV009 study, what  
15 we're looking here for is evidence that the 50 to 64  
16 year old population is somehow different than the  
17 population as a whole.

18 And what you can see is, while in  
19 occurrence we didn't see differences in the 50 to 64  
20 year old population, for each of the illness  
21 definitions actually even including occurrence, when  
22 the DOD-ILI designation was used, we saw differences  
23 in each of the groups comparable at least to the  
24 population as a whole.

25 And the other aspect of this analysis is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that when you look at disease severity measures,  
2 particularly days of missed work and health care  
3 provider visits, there are highly statistically  
4 different differences observed both in the 50 to 64  
5 year old population as well as in the population as  
6 a whole.

7 So the general trend and pattern within  
8 that age group is consistent with us not seeing  
9 evidence that that population was substantially  
10 different than the population as a whole, the  
11 randomized population.

12 That's actually illustrated here again  
13 with data that Kristin Nichol provided, which shows  
14 the analyses for febrile URI, one of the more  
15 specific influenza diagnoses, and here what is shown  
16 is the point estimates and the confidence intervals  
17 for the all patient population, 50 to 64 year olds,  
18 and 18 to 49 year olds.

19 And what you see, first of all, is for  
20 this illness as a nation, and there are others that  
21 are very comparable to this, for each of the  
22 measures of effectiveness you see that, first of  
23 all, the point estimates of each of these are very  
24 tight. They're very close to each other, but the  
25 confidence intervals are essentially all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D C 20005-3701

1 overlapping, and when they weren't overlapping or  
2 when the point estimate was outside of the  
3 confidence interval, it was actually higher for  
4 health care visits for the 50 to 64 year old.

5 So I think in looking at that kind of  
6 assessment, one can glean evidence to suggest that  
7 or, rather, we don't have evidence to suggest that  
8 there was any significant difference between the 50  
9 to 64 year old population and the population as a  
10 whole.

11 So from an efficacy-effectiveness  
12 perspective, we believe that we've demonstrated that  
13 FluMist was highly effective in the prevention of  
14 influenza in both healthy adults and in healthy  
15 children.

16 We've also demonstrated that efficacy  
17 and effectiveness was observed across all of the age  
18 subgroups that were studied in these trials.  
19 Efficacy in children greater than 60 months was  
20 similar to the population as a whole and to the  
21 younger children on AV006.

22 We've also demonstrated that  
23 effectiveness in 50 to 64 year olds was similar to  
24 the effectiveness in the randomized group, albeit  
25 that population was a smaller group. The confidence

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D C. 20005-3701



1 intervals were somewhat greater. They, by and  
2 large, represent in that study about ten to 11  
3 percent of the population as a whole.

4 And lastly, field efficacy was  
5 established for H3N2 and for B strains. H1N1 just  
6 didn't circulate during the years that the study was  
7 conducted. Challenge studies in children with the  
8 vaccine strain and adults with wild type H1N1  
9 support that activity.

10 In addition to that, previous field  
11 studies with the predecessor cold adapted vaccines  
12 that were done in various settings, including a  
13 Kathy Edwards site and by Kathy Edwards,  
14 demonstrated the H1N1 efficacy in that population.

15 And lastly, we've also been able to  
16 demonstrate comparable efficacy after annual  
17 revaccination in the AV006 study.

18 I'm going to turn now briefly to a  
19 discussion of the safety data and specifically  
20 initially one should remember that the safety  
21 population that's included in this analysis include  
22 approximately 16,000 healthy adults or healthy  
23 children, rather, and there are about 3,000 who were  
24 revaccinated.

25 The population includes approximately

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 4,000 healthy adults in the safety database.

2 What I'm going to review for you is the  
3 general safety of FluMist, including the SAEs and  
4 mortality, reactogenicity, and medically attended  
5 events, and then specifically turn to the issue that  
6 were of interest to the VRBPAC last time,  
7 particularly asthma and wheezing and the other  
8 issues that are listed on this slide.

9 First of all, from the big picture  
10 perspective, we're looking here at mortality and  
11 SAEs. There were two unrelated deaths in the 20  
12 studies that were submitted for consideration in  
13 this BLA in the FluMist group. Both of those deaths  
14 were unrelated to the vaccine. One was a drowning  
15 in an adult, and the other one was a posterior fossa  
16 tumor in a child.

17 The SAE rates that you see here were low  
18 and similar in the FluMist and the placebo group.  
19 These are the SAE rates for children, percentage of  
20 patients with SAEs for one to four years, five to 17  
21 years, and the entire pediatric population, as well  
22 as in open label trials. These are placebo  
23 controlled trials. These are open label trials for  
24 reference.

25 For the adults the SAEs are broken down

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 by 18 to 49, 50 to 64, and 18 to 64, with the open  
2 label studies over here.

3 There was a numerical increase or  
4 numerically higher percentage of SAEs and placebo  
5 controlled trials in 50 to 64 year olds. Those are  
6 completely explained by accidental injury,  
7 hospitalization for previous illness or surgical  
8 hospitalizations, and so we saw no difference in any  
9 of the age groups for SAEs.

10 The next series of slides are going to  
11 consider reactogenicity events, both in children and  
12 in adults, and what we've plotted here are the  
13 percent of patients with these solicited AEs or  
14 reactogenicity events for children within ten days  
15 of a vaccination.

16 And here what is presented in dose one.  
17 In the placebo controlled trials, that included  
18 children between 12 and 71 months of age.

19 Here what we see are across the bottom  
20 of the slide various reactogenicity parameters:  
21 cough, runny nose, sore throat, et cetera, various  
22 measures of temperature, and a constellation of  
23 symptoms included in the CDC-ILI definition is  
24 listed at the end of the slide.

25 What we see here are the reactogenicity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N W  
WASHINGTON, D.C 20005-3701

1 events collected on diary cards, and you can see  
2 that there was a small, but statistically  
3 significant increase in mild URI symptoms after dose  
4 one. When you look at the constellation of these  
5 symptoms and think about them in terms of CDC-ILI  
6 definition, for example, there was no suggestion of  
7 an increase or statistically significant difference  
8 in those parameters.

9           And if you think about temperature as  
10 one of the measures of severity of reactogenicity  
11 events, there were no differences between the two  
12 groups in terms of temperature greater than 101.

13           If you look at the adult pattern for the  
14 same reactogenicity events here for seven days,  
15 which is how they were collected on diary cards  
16 through the studies that collected reactogenicity,  
17 one sees that there's a slightly different pattern  
18 of the type of events. Some were events in which  
19 adults report rather than children, like sore throat  
20 or those sorts of things, but basically there was  
21 the same sort of pattern of mild URI symptomatology  
22 that was increased following FluMist administration.

23           But when you look at fever, there was no  
24 difference between the two groups whatsoever, and  
25 when you look at constellations of symptoms that are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N W  
WASHINGTON, D.C. 20005-3701

1 a surrogate for sort of looking at flu-like illness,  
2 those rates were extremely low and comparable  
3 between the two groups.

4 Now, another measure of looking at  
5 severity of reactogenicity events is to look at the  
6 medication use, and so we looked at medication use  
7 within the ten days of the vaccination period or the  
8 reactogenicity period for children here. What you  
9 see is a small increase. The delta here is 5.3  
10 percent of anti-pyretic analgesic use within the ten  
11 days post vaccination in children, and you see no  
12 other differences in any of the other rates.

13 These differences were not seen after  
14 dose two. I should mention that after dose two the  
15 reactogenicity events were actually quite a bit  
16 lower, and there were no statistically significant  
17 differences in that population.

18 This is the parallel medication use  
19 slide for adults within seven days of the  
20 reactogenicity period, and here you see no  
21 differences between the two groups, and FluMist was  
22 not associated with an increase in medication use in  
23 adults.

24 Now, of interest here are age groups of  
25 children, and so we looked at the reactogenicity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 events that are included in children between 12 and  
2 59 months of age, and between 60 and 71 months of  
3 age in the various studies, and what one sees is  
4 that, in fact, in the older children there were no  
5 statistically significant differences between any of  
6 the reactogenicity events, and overall the  
7 reactogenicity events were lower in the children that  
8 were 60 to 71 months.

9 This is the comparable slide for adult  
10 breakdowns by age, and here you see 18 to 49 years  
11 of age and 50 to 64 years. You can see here that  
12 the pattern of adverse events or reactogenicity  
13 events in the population of 50 to 64 year olds was  
14 either similar or lower to those of the younger  
15 adult population.

16 Another topic of interest for  
17 deliberation is the safety of annual revaccination,  
18 and the primary data for that comes from the AV006  
19 trial, and what we show here are children who  
20 receive -- this is a cohort of 642 children who  
21 received annual revaccination for two years within  
22 AV006 and then ultimately for a third year in an  
23 extension trial of AV006. These are the same  
24 children who received the vaccine in each of three  
25 consecutive years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C 20005-3701

1                   And what you see is a pattern of  
2 reactogenicity events that were lower on annual  
3 revaccination. The reactogenicity profile was  
4 similar during the second and third year of annual  
5 dosing, and overall the rates were somewhat lower  
6 compared to the primary immunization.

7                   So from a reactogenicity perspective, I  
8 think we can say that FluMist is associated with  
9 mild URI symptoms in both children and adults; that  
10 there was no significant increase in acute  
11 influenza-like illness associated with the  
12 administration of the vaccine; and there was no  
13 increase in fever greater than 101 following FluMist  
14 administration in either children or adults.

15                   Reactogenicity events were lower  
16 following annual revaccination.

17                   I'm going to turn for a moment to  
18 medically attended events, and these events are seen  
19 primarily in the large safety study, AV019, which  
20 was conducted by Steve Black at Northern Kaiser in  
21 California.

22                   In this study, this was a randomized,  
23 double blind, placebo controlled trial. It looked  
24 at the safety of FluMist in approximately 9,700  
25 children. There were two doses given between one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and eight years and one dose in nine to 17 years of  
2 age. The outcomes were ascertained from the  
3 diagnoses in the HMO database, and the primary  
4 outcomes were medically attended events and SAEs  
5 within 42 days.

6 This study made many comparisons. The  
7 comparisons were all of the diagnoses identified in  
8 the HMO database by setting dose, age group, and  
9 diagnosis, and there were more than 1,500  
10 comparisons that were made in this analysis without  
11 statistical adjustment.

12 What you see here are the settings in  
13 which the evaluation was conducted: emergency room  
14 visits, clinic, hospital, and combined settings;  
15 dose one, dose two, and combined doses.

16 And then for pre-specified age groups in  
17 the protocol one to 17, one to eight, nine to 17  
18 years, and then 18 to 35 months and 12 to 17 months.

19 What you see here are some of the  
20 prespecified group diagnosis results, and what you  
21 see are acute respiratory events, systemic bacterial  
22 infections, acute gastrointestinal events, and rare  
23 events potentially related to wild type influenza  
24 and what we show in many of these and the subsequent  
25 slides are the actual occurrence in the FluMist and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 placebo group, the calculated rate per 1,000 patient  
2 months, and the binomial relative risk with 90  
3 percent confidence intervals, and in these studies  
4 the lower bounds of the confidence interval of one  
5 or above one is considered statistically  
6 significant.

7 So in these group diagnoses we saw no  
8 significant increases in any of those events  
9 associated as a medically attended event in the  
10 Kaiser trial.

11 Now, as I said, there were a number of  
12 analyses that were conducted during these studies,  
13 and when you look through all of the MAE analyses,  
14 here were essentially 14 MAEs, or medically attended  
15 events, diagnoses that were statistically  
16 significantly increased in the FluMist group and 21  
17 that were decrease in the FluMist group.

18 So there are multiple comparisons. It's  
19 expected that you're going to see some of those  
20 comparisons by chance alone.

21 We then went through all of the event  
22 rates that were higher in the FluMist group, and  
23 when you did that and looked through the analysis of  
24 both temporal distribution as well as what the  
25 events were and other analyses, one identified three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D.C. 20005-3701

1 events for which the rate was significantly  
2 increased in the FluMist group. There was  
3 biological plausibility associated with the event,  
4 and we could not exclusively exclude a cause and  
5 effect relationship for those events, and those are  
6 primarily your eye events, musculoskeletal pain  
7 events, and asthma.

8           These are the actual results of the MAE  
9 analyses for the prespecified diagnoses within the  
10 Kaiser trial, and what we see is here are the upper  
11 respiratory tract infection events between one to 17  
12 years, one to eight years, 18 to 35 months. These  
13 are prespecified age cuts in various settings, as I  
14 mentioned earlier; doses, either one or combined;  
15 and the rates and binomial relative risk.

16           So we saw statistically significant  
17 increase, but low rate events, low difference events  
18 between the two groups for upper respiratory tract  
19 infection and musculoskeletal pain. These are both  
20 events that we saw as reactogenicity events in the  
21 reactogenicity analyses.

22           When you look, for example, for URI  
23 among these various age groups, and particularly for  
24 the group of interest, which is five to 17 years in  
25 the combined settings and combined dose, one sees a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 rate of 25.4 percent in the FluMist group and 29.9  
2 percent in the control group.

3 So clearly, as a medically attended  
4 event there a measure of, an additional measure of  
5 severity of reactogenicity. We didn't see any  
6 differences in the age group of interest for that  
7 particular event.

8 And the story was a little bit different  
9 in terms of asthma and wheezing, where here what we  
10 saw was for the designated term "asthma" in the  
11 database, we saw between 18 and 35 months of age in  
12 the settings of the clinic or dose, and this is  
13 mostly driven by the dose one results. One sees in  
14 terms of the rates and binomial relative risk  
15 statistically significant differences in this  
16 population.

17 And when you look more carefully at  
18 those populations, there still is an issue that  
19 we'll speak about in terms of a potential signal.

20 So we've identified a potential signal  
21 in this kind of study. The study was not obviously  
22 designed specifically to look at asthma and wheezing  
23 or those types of outcomes, and so we looked  
24 further, particularly in this population, for other  
25 evidence of an issue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N W  
WASHINGTON, D.C. 20005-3701

1                   What we did were several analyses.  
2                   First of all, we searched the database and the  
3                   medical records for a variety of things. First of  
4                   all, to identify the fidelity of the use of the  
5                   term "asthma" and "wheezing" in the population. And  
6                   what I mean by that is that obviously what we  
7                   designated as being significant in the Kaiser trial  
8                   was the specific term "asthma." And as we all  
9                   recognize, particularly in young children where  
10                  there are intercurrent illnesses that are associated  
11                  with wheezing and where the diagnosis of asthma is  
12                  actually usually not made until a little bit older,  
13                  in the older age group, we look to see what the  
14                  fidelity of the use in the database was.

15                  And as expected, we saw that there was  
16                  overlap between the term "asthma" and wheezing,  
17                  particularly in the youth children. So whether the  
18                  analysis is asthma plus wheezing or asthma alone,  
19                  which was where the signal was initially identified,  
20                  is the issue here.

21                  We also assess the circumstances of  
22                  medically attended events, and we look to identify  
23                  in the younger children particularly whether the  
24                  signal that we were seeing was related to whether or  
25                  not they had a prior history of asthma and wheezing.

1           In this trial, the children with asthma  
2           and wheezing or particularly with asthma were  
3           excluded based on the parents' recognition of a  
4           diagnosis of asthma specifically in their child. So  
5           clearly, because of the overlap and because of the  
6           use of the terms, it is not unexpected that a number  
7           of children were in the trial who were recognized in  
8           the database as having had some previous episode of  
9           either wheezing or asthma, but the parents may not  
10          have recalled that asthma typically was the  
11          diagnosis that was given to the child.

12                 So we looked in these additional  
13          analyses using the asthma term alone or asthma  
14          wheezing and outcomes. We looked by cumulative six  
15          months age groups. So we began at the younger age  
16          group and looked cumulatively across the age to see  
17          whether we could identify a place where the signal  
18          was detected.

19                 We looked at dose one and dose two and  
20          across the younger age groups with history positive  
21          and history negative children. These were another  
22          800 analyses that were done in the Kaiser study, and  
23          what we identified was only one cumulative age  
24          analysis from AV019 which was statistically  
25          significant. That was the 12 to 59 age group,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N.W.  
WASHINGTON, D.C. 20005-3701

1 depending on how you look at it. Sometimes it was  
2 12 to 53, the conservative 12 to 59 group.

3 For the whole population using asthma as  
4 the diagnosis, it had a relative risk of 3.53 and a  
5 lower bound of the confidence interval of 1.1.

6 When you combine terms and use more  
7 inclusive terms, such as asthma and wheezing  
8 combined, the relative risk declined to 1.58, and  
9 that difference was not statistically significant.

10 If this signal in the overall population  
11 is correct, the absolute increase in the FluMist  
12 group between 12 and 59 months is approximately .4  
13 or .5 percent increase if the signal is correct.

14 And we also looked carefully at the  
15 group above 60 months to be sure that there wasn't a  
16 signal that was there. We looked across 60 to 107  
17 months, which is the eight to nine threshold when  
18 one or two doses are given and all the way up to 17  
19 years in which we found no increases in the relative  
20 risk. All of these relative risks are less than  
21 one, and none of them were obviously significant.

22 So that we found no signal in the  
23 children who were equal to or greater than 60  
24 months.

25 When we went back to explore in the

1 younger children the question of whether or not this  
2 was an event that was isolated to the history  
3 positive children, what we found was that as we  
4 looked at history positive patients we saw increased  
5 relative risks. None of these were statistically  
6 significant compared to the history negative  
7 children, but in both groups there were increases in  
8 the relative risks in the population.

9 As expected, the delta rate, the change  
10 in the rate was higher for somebody who had a prior  
11 history of wheezing compared to somebody who did  
12 not, and so fundamentally we saw no significant  
13 difference, but increased relative risk in both the  
14 history positive and history negative children. The  
15 absolute rate was higher in the history positive  
16 children.

17 We also then looked at the other studies  
18 from which we can gather data related to asthma and  
19 wheezing. In AV006, the pivotal trial, we looked  
20 for cumulative age analyses and identified no  
21 significant increase for asthma and wheezing in  
22 these type of analyses in that trial.

23 AV012 was a large field trial of FluMist  
24 safety. It is a non-placebo controlled trial in  
25 which the comparative analysis is the pre-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 vaccination period with the post vaccination period,  
2 and I think that while the interpretation, because  
3 of some issues related to the methodology comparing  
4 the pre and post vaccination period are complex, I  
5 think what we can say is that the rates from AV012  
6 in the population of interest was not inconsistent  
7 with the rates that we saw with AV019 in the younger  
8 population. The rates were similar to the FluMist  
9 group in the AV019 patient population.

10 So I think what these trials tell us is  
11 that primarily AV019, which is the largest in  
12 placebo controlled trials looking at these issues,  
13 is sort of the primary place in which we can explore  
14 the issue of asthma and wheezing.

15 From the perspective of  
16 hospitalizations, there were two children that were  
17 hospitalized for asthma and wheezing. One of them  
18 was in the FluMist group in AV006, who was  
19 hospitalized for a day. The other was a placebo  
20 child in AV002, who was hospitalized twice for a  
21 day. Both of these children had a history of  
22 asthma.

23 There were no hospitalizations in the  
24 AV019 population for asthma. The events that were  
25 recorded were events that were out-patient visits or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 emergency department visits. Those visits were  
2 associated with medication use at the time of the  
3 visit.

4 So from an asthma and wheezing  
5 perspective, I think we can say that among all of  
6 the analyses of large placebo controlled trials in  
7 children, a statistically significant difference was  
8 observed in AV019 only, and that the children for  
9 children 12 to 59 months, the relative risk was 3.53  
10 for asthma. The rate was higher for history  
11 positive children compared to history negative  
12 children, and we didn't see a signal in the children  
13 that were greater than 60 months of age.

14 I'm going to turn very briefly to the  
15 issues of some of the other open issues that were  
16 discussed during the last VRBPAC meeting. From the  
17 perspective of conjunctivitis, this was an event  
18 that was evaluated in AV019. Conjunctivitis, we  
19 identified a temporal association with vaccination  
20 within the first 14 days.

21 What you can see here is a statistically  
22 difference for the 25 to 48 month category, but not  
23 any other age groups, and for children that were  
24 above 60 months, there was an increased relative  
25 risk, but not statistically significant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           If you take this relative risk and look  
2 for what the impact of that is, it's about a .1  
3 percent increase in the FluMist group in greater  
4 than 60 months. These were all mild, self-limited  
5 episodes of conjunctivitis.

6           Pneumonia was a topic of considerable  
7 discussion at the last VRBPAC meeting. I think that  
8 what we can say now is that FluMist was not  
9 associated with an increased risk of pneumonia.  
10 These are the rates of pneumonia in the all pivotal  
11 trials for both the FluMist group and the control  
12 group or the placebo group for all pivotal studies,  
13 for children and for adults, and you can see that  
14 there are no differences between the groups across  
15 the studies for pneumonia.

16           So that across the final analysis data  
17 set there was no increased risk of pneumonia in  
18 those children or adults.

19           We also assessed the risk of CNS events.  
20 We saw no. In all of the studies we saw no cases of  
21 encephalitis, Guillain-Barre, Reye's Syndrome, or  
22 other rare disorders that are associated with wild  
23 type flu. Other CNS events occurred at a low rate.  
24 There was no significant difference in CNS events in  
25 AV019 within the 42 day period. The events that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N.W.  
WASHINGTON, D.C. 20005-3701

1 were recognized were essentially seizures or  
2 seizures associated in a child with epilepsy, and  
3 the rates were comparable in the FluMist and in the  
4 placebo group.

5 Concurrent immunization was also a topic  
6 of discussion at the last VRBPAC meeting. As Jim  
7 alluded to, they are currently excluded from the  
8 proposed label, and with the indication requested  
9 being five years of age and older, the logistics and  
10 management of concurrent immunization is deemed not  
11 to be a significant problem in that age group.

12 We are very much committed to doing the  
13 additional trials of concurrent immunization in  
14 children. We have an ongoing trial of MMR and  
15 VARIVAX. That trial, which was reported at the last  
16 VRBPAC, is now fully enrolled with 1,251 children,  
17 and we have a number of other trials that are  
18 planned and are in discussion with CBER for other  
19 childhood vaccines in the younger age group.

20 Lastly, I just want to turn to the  
21 issues of vaccine virus shed, of the shed of vaccine  
22 virus, as well as vaccine virus transmission.

23 As Jim mentioned, there's considerable  
24 amount of evidence from the predecessor cold adapted  
25 vaccine to suggest the following challenge. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 percent of patients that shed virus, the mean peak  
2 titer, and the mean duration of shedding are all  
3 higher for young children than in adults, and that's  
4 the data that's shown here.

5 In addition to that, the human infective  
6 dose 50 is lower for young children compared to  
7 adults.

8 The data that we have regarding  
9 transmission and virus shedding, the  
10 characterization of shed viruses come primarily from  
11 the Finnish day care trial. This is a study that  
12 was conducted by our partners in FluMist, Wyeth, and  
13 was a double blind, randomized, placebo controlled  
14 trial that was conducted in 197 children, age eight  
15 months to 36 months.

16 These children, there were 98 FluMist  
17 patients and 99 placebo patients. Out of these 99  
18 placebo patients there were 93 of those, that is,  
19 there were six children who were not in a play group  
20 with a vaccine or with a vaccinee. So those  
21 children were obviously not available for FluMist  
22 transmission, and the analyses are conducted with 93  
23 patients in the placebo group.

24 This trial was conducted in 51 play  
25 groups in two cities in Finland. Forty-five had

1 both vaccine and placebo children in the play groups  
2 were physically in separate buildings in two cities  
3 in Finland. So they were geographically distributed  
4 separately from each other.

5 The other two that were in the same  
6 building were physically separated play groups, and  
7 there was little chance of commingling of the  
8 participants of the staff.

9 There was an average of 4.1 study  
10 children per play group, and the children attended  
11 day care for at least three days a week for more  
12 than four hours a day.

13 Each placebo trial was exposed to an  
14 average of 1.9 vaccinees.

15 Now, I know that several of you have  
16 been involved in doing day care studies in the past  
17 and recognize the difficulty and complexity of doing  
18 these kinds of studies. Obviously these children  
19 and families had to agree not only to either be part  
20 of the trial, but had to agree to be in the day care  
21 while the trial was being done.

22 This trial is actually one of the  
23 largest and most comprehensive studies of its type.  
24 It is actually in size secondary to only Gelfand's  
25 study of oral polio transmission.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The trial involved nasal culturing the  
2 first two days after dosing and at least three times  
3 a week for three weeks. So the trial itself did  
4 about 2,000 cultures, which represented  
5 approximately ten cultures per child.

6           There in addition to that was extensive  
7 phenotyping and gene typing of the isolates that  
8 were identified.

9           It's also important to recognize that  
10 this setting was designed to maximize the chance of  
11 vaccine virus transmission. One wanted to be able  
12 to detect transmission so that we identify and  
13 calculate rates, and this is a setting in which we  
14 maximize the transmission using in this setting  
15 young children who are seronegative generally and  
16 children who had extensive exposure to each other.

17           The statistical methodology that was  
18 used in the final analyses of the Finnish day care  
19 study was the estimation of the probability of  
20 transmission using the Reed-Frost model, and it's  
21 important to note that this model takes into account  
22 the number of vaccine-placebo interactions.

23           So when you're dealing with transmission  
24 rates, it's important to approximate the number of  
25 people in each of those groups, not simply the

1 attack rate in the population.

2 What we found were that there were 80  
3 percent of vaccine recipients that shed virus.  
4 Thirty-two percent shed H1N1; 12, H3N2; and 74, Type  
5 E virus.

6 The mean duration of shedding in days  
7 was 7.6 days. There was one placebo child who shed  
8 Type B vaccine virus on the day 15 visit, and using  
9 the Reed-Frost model for that documented case of  
10 vaccine virus transmission, the probability of  
11 transmission was .0058 with the upper bound of .017.

12 Now, in addition to that, there was wild  
13 type A H3N2 circulating the community in Finland  
14 during the time the trial was conducted, and there  
15 were six additional placebo children who shed Type A  
16 influenza virus during the study. Two of them shed  
17 wild type A strains and did not shed vaccine virus.  
18 So those are clearly not vaccine virus transmission.

19 There were four additional patients,  
20 placebo patients, who shed a Type A virus that was  
21 isolated and identified in Finland, but could not be  
22 reisolated and identified and thus could not be  
23 confirmed to be either wild type or vaccine virus.

24 Let me walk you through those four  
25 cases. The first one we consider to be a possible

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C 20005-3701

1 case of vaccine virus transmission. That child shed  
2 on one day in a play group where one vaccinee shed  
3 vaccine virus seven and ten days earlier.

4 So although it couldn't be identified as  
5 vaccine or wild type virus, it's possible that that  
6 was a vaccine virus transmission.

7 There are two cases which we would  
8 consider to be highly unlikely to be vaccine virus.  
9 The first one was a patient, a child who shed on two  
10 occasion. The first was before any vaccination  
11 occurred in the play group. That's most likely wild  
12 type virus, but we couldn't totally exclude that it  
13 was vaccine virus.

14 And the second time they shed was a few  
15 days latter, which was one day after the vaccine was  
16 introduced into that play group. So clearly, again,  
17 this is unlikely to be vaccine virus.

18 The other unlikely case was a child who  
19 shed on one occasion. There was no other  
20 participant in that play group who shed Type A  
21 virus, but there was one who shed B virus five days  
22 earlier. So other A shedders in the population, and  
23 the isolate from that patient was a Type A virus.

24 And we consider the fourth patient  
25 really not possible because that child shed two days

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE , N.W.  
WASHINGTON, D.C. 20005-3701



1 before vaccine was introduced at all into that play  
2 group.

3 If one calculates the probability of  
4 transmission based on the Reed-Frost calculations,  
5 you see that using the confirmed case I've showed  
6 you that transmission probability. If you add the  
7 one possible case, the probability of transmission  
8 is .01 and the possible plus the two unlikely cases  
9 is .02.

10 And we also did extensive virus  
11 characterization of the shed viruses. The  
12 temperature sensitive and cold adapted phenotype was  
13 confirmed in all of the isolates that were tested.  
14 There were 124 isolates in this trial that were  
15 tested to confirm this.

16 In addition to that, we took the last  
17 isolate from each of the children that we had  
18 isolates at that time available for those, and we  
19 chose the last isolate so that it was farthest away  
20 from the introduction of the vaccine, and we  
21 completely sequenced 55 of the shed isolates.

22 There were no reversions of the master  
23 donor virus attenuating mutations. As expected,  
24 there were a minor number of mutations that were  
25 observed in influenza. That's not unexpected.

1                   And if we took viruses that contained  
2 the mutations that were observed in more than one of  
3 the isolates and put them back into ferrets, they  
4 all retained the attenuated phenotype.

5                   When we look at the transmitted virus,  
6 that retained the 6:2 genotype. It was identical in  
7 genetic sequence to the virus that was shed by the  
8 vaccine recipient in the play group. It retained  
9 the cold adapted and temperature sensitive and  
10 attenuated phenotype. It was not associated with  
11 increased reactogenicity, and was not observed in  
12 any of the other placebo members in the play group.

13                   So I think we can conclude from the  
14 Finnish day care study that in this day care setting  
15 the probability of transmission was estimated to be  
16 .006 or .02. There was no phenotypic or genotypic  
17 reversion observed in the shed or transmitted  
18 viruses.

19                   So, in conclusion, we believe that we  
20 have demonstrated the efficacy of FluMist in the  
21 prevention of culture confirmed influenza in  
22 children. Efficacy was consistent across all the  
23 age groups that were studied, and the efficacy in  
24 children greater than or equal to 60 months was  
25 similar to the group as a whole.

1                   Effectiveness of FluMist was  
2 demonstrated in trials in adults. The effectiveness  
3 was consistent also across age subgroups, and we  
4 found no evidence of the 50 to 64 year olds being  
5 significantly different from the population as a  
6 whole.

7                   Efficacy was comparable on annual  
8 revaccination.

9                   We believe that FluMist is safe in  
10 children greater than or equal to 60 months through  
11 17 years of age and healthy adults in 18 years  
12 through 64 years of age.

13                  There was a mild increase in self-  
14 limited URI symptoms, but no increase in fever  
15 greater than 101 or composition of symptoms that  
16 would constitute influenza-like illness.

17                  We saw in adults the safety profile  
18 consistent across age groups, including in the 50 to  
19 64 year olds.

20                  We believe that additional information  
21 is needed to assess the risk-benefit in children  
22 under 60 months. We identified a possible signal in  
23 that age group and consequently need to collect  
24 additional information in that pediatric age group.

25                  We believe that the safety profile was

1 similar and the events were lower on annual  
2 revaccination, but the risk of vaccine virus  
3 transmission is low. The probability is estimated  
4 in the day care center, and those rates are likely  
5 obviously to be lower in older children and in  
6 adults.

7 And we've demonstrated genetic and  
8 phenotypic reversion has not been observed in the  
9 studies that we've done so far.

10 So we present that data to you as well  
11 as the data from the previous VRBPAC presentation as  
12 a portfolio of information to support the proposed  
13 indication, which is for active immunization and the  
14 prevention of disease caused by Influenza A and B in  
15 healthy individuals age five years through 64 years  
16 of age.

17 We believe the FluMist represents a  
18 potentially important addition to the portfolio of  
19 the public health armamentarium, as well as the  
20 medical armamentarium for both increasing the rates  
21 of influenza immunization, as well as for prevention  
22 of flu in the population in the United States.

23 Thank you.

24 CHAIRMAN DAUM: Thank you, Dr. Connor.

25 What I'd like to do now is to get input

1 from the committee with regard to clarification of  
2 Dr. Connor or Dr. Young's presentation, things that  
3 went by too quickly perhaps or issues that you'd  
4 like to know whether there are other data about, and  
5 then we'll take a break and hear from Dr. Mink and  
6 our FDA colleagues.

7 So Dr. Snider first and then Dr.  
8 Edwards.

9 DR. SNIDER: Thank you.

10 I actually had four questions.  
11 Hopefully relatively short and sweet in terms of an  
12 answer.

13 With regard to the statement about  
14 efficacy in children greater than 60 months, in  
15 looking at the materials, I actually see up to 83  
16 months, but I didn't see data from 83 months through  
17 17 years of age, and I was wondering about if there  
18 are efficacy data that weren't shown on that point.

19 DR. CONNOR: No, the original pivotal  
20 studies were done in children who were 12 to 15 and  
21 17 months of age, and as I said, the oldest children  
22 that were in those trials had aged up to 83 months  
23 by the time they were in the second year, but  
24 there's not additional efficacy-effectiveness data  
25 within the population.

1 DR. SNIDER: So we have safety data, but  
2 no efficacy data?

3 DR. CONNOR: That's correct. The  
4 efficacy data is in the younger children, and what  
5 we presented were data to show that the efficacy in  
6 all of the age groups that we demonstrated were  
7 consistent.

8 There is a fair amount of evidence,  
9 however, obviously with the cold adapted predecessor  
10 vaccines in that age group demonstrating that  
11 efficacy exists.

12 DR. SNIDER: Right, right. Thank you.

13 The second question very quickly. In  
14 adults you mentioned that they were healthy adults,  
15 but I don't recall your mentioning whether they were  
16 smokers or not and whether there was a difference in  
17 efficacy or safety as it related to smoking status,  
18 if that's known.

19 DR. CONNOR: Yeah, I don't. They were  
20 healthy adults from the perspective that they were  
21 healthy working adults working at least 30 hours a  
22 week. To my knowledge, there's no specific  
23 information about whether we can separate the  
24 population by whether or not they were smokers or  
25 not, and I don't think that information was

1 collected as part of the trials.

2 DR. SNIDER: Okay, and then finally,  
3 I'll run these two questions together because it has  
4 to do with adverse events, runny nose, for example,  
5 asthma, and wheezing. Is there any association  
6 between those types of respiratory events and  
7 shedding, the amount of shedding, more or less in  
8 those people or, you know, the type of strain they  
9 might be shedding? Any information that would give  
10 us a clue around the etiology?

11 Obviously this is not necessarily  
12 relevant to the questions FDA is posing, but  
13 interesting in terms of what the etiologies might be  
14 for these adverse events.

15 DR. CONNOR: Obviously because the  
16 adverse event was a post hoc sort of identified  
17 issue and studies were driven by primarily influenza  
18 diagnoses, there's not specific information that  
19 correlates shedding with those specific outcomes.

20 CHAIRMAN DAUM: Thank you, Dixie.

21 Dr. Edwards, Katz, Eickhoff, Myers, and  
22 Markovitz.

23 DR. EDWARDS: I have two questions for  
24 Ed. The first is that for those of us who are  
25 pediatricians, we know how extraordinarily difficult

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it can be to decide whether a patient has  
2 bronchiolitis or asthma in those first few years of  
3 life, and which I would think probably complicated  
4 extensively your analysis of the wheezing episodes.

5           Could you tell us a little bit how you  
6 have dealt with bronchiolitis, whether the diagnosis  
7 of asthma was consistent in the Kaiser population,  
8 whether there are guidelines upon which that  
9 diagnosis is made in that population?

10           DR. CONNOR: Yeah, the best we could do,  
11 Kathy, is that the analyses that I included as  
12 asthma and wheezing actually include other synonyms  
13 for wheezing. So reactive airways disease,  
14 shortness of breath, bronchiolitis, bronchitis are  
15 included in that analysis.

16           As you mentioned, it's complicated if  
17 you just look at these are just simply illness  
18 visits. So what you're recording is what's in the  
19 record at the time that the visit occurred, and  
20 various people are seeing the child. They could  
21 have recorded that it was rule out asthma. They  
22 could have recorded a variety of different things.

23           So what we've tried to show you is the  
24 original signal and then the most inclusive  
25 diagnosis that we could get out of the Kaiser



1 database.

2 DR. EDWARDS: Did you extract out the  
3 episodes during times when RSV was circulating to  
4 eliminate that or was that analysis done?

5 DR. CONNOR: Yeah. Well, not  
6 particularly because we just basically looked at the  
7 two randomized groups. So we were assuming that the  
8 same things were happening in both of the groups and  
9 looked at the comparison between the two treatment  
10 populations.

11 DR. EDWARDS: You may want to  
12 subsequently look at that.

13 DR. CONNOR: Yeah, yeah.

14 DR. EDWARDS: The other question that I  
15 had relates to the challenge study, and obviously it  
16 was beautifully designed, and as I mentioned to Dr.  
17 Katz, could only have been done in Europe, but I  
18 think that the fact that it was conducted during the  
19 time of co-circulation with wild type virus may,  
20 indeed, have decreased your transmission with the  
21 vaccine strain because of interferon generation or  
22 interference of a perhaps more potent fibrous than  
23 the attenuated vaccine.

24 So do you have any comments or ideas  
25 about that?

1 DR. CONNOR: Yeah, I think the actual  
2 number of cases that were wild type flu that were  
3 identified during the transmission study were pretty  
4 low. So the expectations that while it certainly  
5 was circulating and could interfere with the  
6 interpretation of at least those cases that we were  
7 talking about, there wasn't a whole lot circulating  
8 in those day care.

9 CHAIRMAN DAUM: Thank you.

10 We move on to Dr. Katz, please.

11 DR. KATZ: As I should have anticipated,  
12 Dr. Edwards has asked most of the questions I was  
13 going to, but I'd add one comment. It may seem  
14 gratuitous, but the whole question of transmission,  
15 the other vaccine that we used for many years where  
16 transmission was an issue was oral polios, and it  
17 was considered advantageous that there was  
18 transmission from immunized children to those who  
19 didn't have the benefit of the immunization, and yet  
20 this was a preparation where reversion to  
21 neurovirulence was much more likely to occur than as  
22 you've been able to show with your nasal flu  
23 vaccine.

24 And my question was: in any of the  
25 transmitted children did anyone look at antibodies

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to see if they developed an immune response as the  
2 result of transmission?

3 DR. CONNOR: That wasn't looked at, Sam,  
4 in the one kid who we know transmitted the virus.

5 CHAIRMAN DAUM: I have Drs. Eickhoff,  
6 Myers, Markovitz and Diaz, and then I think we'll  
7 take a break and hear from the FDA, and there will  
8 be ample time this afternoon to return to many of  
9 these issues as per the committee's pleasure.

10 Dr. Eickhoff, please.

11 DR. EICKHOFF: Thank you.

12 The concurrent vaccines trial, the MMR  
13 and varicella vaccine trial, what age groups are  
14 those children? I understand the trial is now fully  
15 enrolled.

16 DR. CONNOR: We're now halfway enrolled.  
17 The age group is between 12 and 15 months. So  
18 they're the younger kids.

19 DR. EICKHOFF: That leads me to the  
20 second question, which is if you could share with us  
21 to the extent that you're able your long term plans  
22 about that 12 to 49 month age group.

23 DR. CONNOR: I think obviously we're  
24 very interested in understanding the issues that  
25 pertain to that population. As I mentioned, we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fully enrolled the trial that was the MMRV trial.

2 In addition to that, we have trials  
3 designed and in discussions with CBER to begin to  
4 look at the other vaccine components so that for all  
5 of the other childhood vaccines -- and obviously  
6 we'll have to go back and look at the issue of the  
7 signal of the asthma-wheezing issue in that  
8 population.

9 We have ongoing trial a study with Steve  
10 Black and Kaiser trying to sort out are there better  
11 tools to be able to distinguish history positive and  
12 history negative children. This trial obviously  
13 just used parental history of asthma or the parental  
14 report of a history of asthma in the child as the  
15 discriminator.

16 We're also going to be looking at other  
17 studies in that group of children.

18 DR. EICKHOFF: Kindly could you again  
19 share with us your thoughts about the other end of  
20 the age spectrum? I remember, oh, ten years ago, I  
21 think, or more, I think, John Traynor's study from  
22 Rochester and the apparent perhaps not synergistic,  
23 but both vaccines were better than either one alone.

24 This may not ever fly as public health  
25 policy with regard to influenza vaccine, but if you