

1 to eight degrees Centigrade.

2 The proposed indication and usage,
3 prevention of rotavirus gastroenteritis in infants and
4 children caused by those serotypes. Administered as
5 a three dose series with the first dose given to
6 healthy infants at six to 12 weeks of age, followed by
7 two additional doses administered at the four to ten
8 week intervals.

9 The regulatory history for RotaTeq, which
10 Dr. Heaton has already outlined, in June of 1993 the
11 Phase 1 study 001 was initiated. In August of 1998,
12 RotaShield was approved. In July of 1999, RotaShield
13 was withdrawn. In May of 2000, the AC meeting, the
14 Advisory Committee meeting, was held to discuss the
15 design of REST.

16 In January of 2001, study 006, which is
17 the REST trial, was initiated. In November of 2003,
18 the 60,000th subject was randomized to REST, and in
19 September of 2004, the 70,000th subject was enrolled
20 in REST.

21 In November of 2004, the DSMB recommended
22 stopping the REST enrollment because they felt that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the primary safety hypothesis had been realized, and
2 in April of 2005, the BLA was submitted to the FDA.

3 Clinical studies, again, the Phase 1 and
4 2 trials were studies 001 through 005. There were
5 2,470 infants and 30 adult subjects.

6 The Phase 3 study, the number of subjects
7 vaccinated you can see. In the RotaTeg and the
8 placebo arms, study 006, again over 70,000 children.
9 Study 007, 650 and 660 in those study arms. Study 009
10 -- I'm sorry. Study 007 was the end expiry, and Study
11 009 is the lot consistency trial with 679 in the
12 RotaTeg arm and 112 in the placebo arm.

13 The Phase 3 studies, the demographics,
14 across the treatment arms; gender, approximately 50
15 percent male and 50 percent female; race, about 69
16 percent white. The subjects that participated were
17 from the following countries, and that included about
18 48 percent U.S. and Puerto Rico: 33 percent, Finland;
19 19 percent, Costa Rica, Guatemala, Mexico, Jamaica,
20 Taiwan, Belgium, Italy, Germany, and Sweden.

21 About 90 percent of the trial was done at
22 a U.S. IND.

1 The Phase 3 inclusion criteria that I'm
2 going to note here, the healthy infants age six weeks
3 through 12 weeks of age; healthy premature infants who
4 were less than or equal to 36 weeks of age, and they
5 were enrolled according to their chronological age.
6 There were no restrictions on breast feeding, and
7 there were no restrictions on concomitant vaccines,
8 except that oral polio vaccine was not allowed.

9 Phase 3 exclusion criteria, this is not
10 all of them, just the ones we wanted to highlight.
11 Rectal temperature, greater than 38.1; any history of
12 congenital abdominal disorder; history of
13 intusseption or abdominal surgery; history of immune
14 deficiency; history of living in a household with an
15 immunocompromised person; chronic diarrhea; history of
16 rotavirus disease; receipt of blood products,
17 immunoglobulins, or immunosuppressive therapy; and
18 again, receipt of OPV.

19 The important cohorts to keep in mind in
20 the Phase 3 studies, the large safety cohort, again,
21 over 70,000 children in studies 006,007, and 009. The
22 detailed safety cohort, which enrolled about 11,753

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 children, that contained a subset of study 006
2 subjects, and then it contained all the subjects in
3 studies 007 and 009.

4 And the U.S. concomitant use cohort
5 contained 1,358 children. That was a subset of the
6 efficacy cohort.

7 The efficacy, the case definition, three
8 or more watery or looser than normal stools within a
9 24 hour period and/or the forceful vomiting, and then
10 the rotavirus antigen detected by enzyme immunoassay
11 in the stool specimen taken within 14 days of symptom
12 onset.

13 For the primary efficacy analysis, on the
14 G1, G2, G3 or G4 specific rotavirus gastroenteritis
15 cases, naturally occurring through the first full
16 rotavirus season that began at least 14 days after the
17 third dose of RotaTeq or placebo were included.

18 For study 006, this was the REST trial.
19 It was a Phase 3, double blinded, randomized, placebo
20 controlled, international, multi-center study to
21 evaluate the efficacy, immunogenicity and safety of
22 RotaTeq.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The primary objectives of study 006, to
2 evaluate the efficacy of the three dose regimen of
3 RotaTeq against rotavirus gastroenteritis caused by
4 the serotypes G1, G2, G3, and G4, again, occurring at
5 least 14 days following the third vaccination, and
6 then to evaluate the safety of RotaTeq with respect to
7 intusseption with 42 days following any vaccination.

8 Study 006 efficacy. The primary null
9 hypothesis was the efficacy of RotaTeq against all G1,
10 G2, G3, and G4 specific cases of rotavirus
11 gastroenteritis that, again, occurred through the
12 first rotavirus season that began 14 or more days post
13 dose three and would be less than or equal to 35
14 percent.

15 The efficacy for the FDA, we just finished
16 this yesterday. Our statisticians worked with the raw
17 data. This is my favorite slide.

18 In any event, for study 006 -- and we
19 separated out the studies because the null hypothesis
20 is different -- but for study 006, the subjects
21 vaccinated, again, 2,834 for RotaTeq; placebo, 2,839.
22 The subjects in the efficacy analysis, 2,207; and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 placebo, 2,305.

2 The days of follow-up and the way the
3 cases are ascertained are still a little bit different
4 between the FDA's way of methodology and Merck's, and
5 this application is still under review. So we're
6 still working on this, and our statistician can give
7 you an explanation of where we're at with this.

8 But the bottom line is that the efficacy
9 estimates are extremely similar with 73.9 for the FDA
10 and Merck 74. So, again, we're very, very satisfied
11 at where we're at, and again, our statistician can go
12 into this in a little bit more detail since we just
13 finished with this.

14 That's for study 006. Again, study 007 is
15 the end expiry. This is the Phase 3, double blinded,
16 randomized, placebo controlled study to evaluate the
17 efficacy of RotaTeq at end expiry. Again, the primary
18 objectives, to evaluate the efficacy of the three dose
19 regimen of RotaTeq at expiry potency against naturally
20 occurring rotavirus disease caused by the composite of
21 the serotypes contained within the vaccine, G1, G2,
22 G3, and G4, again, occurring at least 14 days after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the third dose.

2 The primary null hypothesis, different for
3 this study; the efficacy of RotaTeq at expiry potency
4 against all G1, G2, G3 or G4 specific cases of
5 rotavirus gastroenteritis, again, occurring at least
6 14 days after dose three through one rotavirus season
7 would be less than or equal to zero percent.

8 So we don't lump the data together. We
9 like to show you the efficacy of the studies
10 separately.

11 And again, for study 007, for RotaTeq the
12 subjects vaccinated, 650; for placebo, 660. You can
13 see the number of subjects in the efficacy analysis:
14 RotaTeq, 551; placebo, 564. Again, you can see the
15 days of follow-up and the way the cases are calculated
16 is slightly different, but the bottom line, the
17 efficacy estimates on the 95 percent confidence
18 interval, 71.9 for RotaTeq; 47.1 to 86.1 for the FDA;
19 and, again, for Merck, 72.5, with the confidence
20 intervals, 50.6, 85.6.

21 And, again, our statistician is satisfied
22 with these results, but they are continuing to work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with Merck on this.

2 The safety cohorts, again, the large
3 safety study 006, 007 and 009. Again, over 70,000
4 infants randomized, and seven days of detailed safety
5 for these children, and they were monitored about
6 every six weeks for serious adverse events, and
7 intusseption to 365 days post vaccine dose one.

8 For the detailed safety, the subset of
9 study 006, plus the subjects from 007 and 009,
10 comprise the detailed safety. Again, 11,753 infants
11 randomized.

12 Again, 42 days of detailed safety for
13 these children, both serious adverse events and
14 adverse events, and again monitored every six weeks,
15 out especially for intusseption and serious adverse
16 events out to 365 days post vaccine dose one.

17 There was a safety endpoint adjudication
18 committee which you've heard about already: three
19 physicians with expertise in pediatric surgery,
20 pediatric radiology, and the clinical diagnosis of
21 intusseption.

22 The adjudication was blinded to treatment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assignment and used prespecified case definitions and
2 adjudication guidelines. If there was a disagreement,
3 a majority ruling was made. All adjudications were
4 made by the committee and they were final.

5 Again, the data safety monitoring board,
6 which you've heard about also, experts in operational
7 medical, biostatistical aspects of clinical trials.
8 They were not involved in the conduct of the study,
9 and they considered all SAEs, all serious adverse
10 events, and specifically intusseption cases.

11 They unblinded the treatment arm of
12 positively adjudicated intusseption cases, and they
13 made recommendations regarding the ongoing conduct of
14 the study.

15 Primary safety hypothesis. RotaTeq would
16 not increase the risk of intusseption relative to
17 placebo within 42 days of any vaccine dose, and the
18 statistical criteria included distribution of
19 intusseption cases between the vaccine and placebo.
20 The case split would not reach a predefined safety
21 boundary for any of the two overlapping day ranges,
22 one to seven or one to 42 days following any dose, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this was being monitored by the DSMB, and the upper
2 bound on the 95 percent confidence interval, the
3 estimate of the relative risk of intusseption had to
4 be less than ten.

5 I want to talk about intusseption. It's
6 the most frequent cause of intestinal obstruction in
7 the first two years of life. It's an uncommon illness
8 with an estimated annual incidence of one out of 2,000
9 among infants less than two years of age.

10 The symptoms you've heard about already:
11 irritability, abdominal pain, vomiting, lethargy,
12 blood or mucous containing or current jelly stools,
13 and it can be fatal if it's left untreated.

14 Cases were confirmed by contrast to enema,
15 ultrasound, surgery, or autopsy, and some cases may
16 spontaneously reduce.

17 A case of intusseption had to be
18 diagnosed, again, radiographically at surgery or at
19 autopsy in the trial. The intusseption case
20 definition was similar to the Brighton collaborations
21 intusseption working group definition, except that
22 Brighton calls for an initial ultrasound diagnosed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 case to be followed up with another ultrasound to
2 demonstrate resolution or reduction of intusseption.

3 The Merck diagnosis permitted ultrasound
4 cases alone to be included because they didn't want to
5 miss any cases that might spontaneously reduce. So a
6 conservative definition.

7 Intusseption for the prespecified 42 day
8 post vaccination endpoint. The results demonstrated
9 six cases of intusseption versus five cases of
10 intusseption in the placebo group. The estimated
11 relative risk of 1.2 with a 95 percent confidence
12 interval of 0.3 to five was obtained, and the upper
13 bound of the 95 percent confidence interval of the
14 relative risk is less than ten, which satisfied the
15 prospectively specified primary safety objective of
16 REST.

17 I want to just go over some of the cases
18 with you if I can. I hope you can follow this
19 diagram, but this shows the spread of the cases
20 according to different windows, zero to seven, zero to
21 14, zero to 21, zero to 42, and zero to 60, and then
22 zero to 462.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is all cases of intusseption, and
2 this is, again, looking at it split after vaccine dose
3 one, vaccine dose two, and vaccine dose three. In the
4 zero to seven window, there was one case in the
5 RotaTeq arm, and again, that occurred after vaccine
6 dose number two.

7 In the zero to 14 window, there was one
8 case in the RotaTeq arm and one case in the placebo
9 arm, and again, the split was there was a case that
10 occurred after dose two for RotaTeq and then for
11 placebo after dose three.

12 In the zero to 21 window, you can see
13 there are three cases of intusseption for RotaTeq
14 versus one case for placebo, and the three cases for
15 RotaTeq occurred at vaccine dose two, and the one case
16 for placebo again occurred at vaccine dose three.

17 At zero to 42 days, this was the endpoint.
18 There were six cases of intusseption with RotaTeq to
19 five of placebo, and again, looking at what the spread
20 was, there was one case for placebo that occurred
21 after vaccine dose one, and again, you can see RotaTeq
22 coming in here at that dose number two. There's four

1 cases here, and then one in the placebo arm that
2 occurred at vaccine dose two.

3 If you look at vaccine dose three, again,
4 two cases for RotaTeq, three for placebo.

5 At zero to 60 days, the split was eight
6 cases in the RotaTeq arm to six in the placebo. For
7 vaccine dose one, it was equal, one RotaTeq, one
8 placebo, and again, at vaccine dose number two,
9 there's five cases for RotaTeq, two for placebo.
10 Vaccine dose number three, two RotaTeq to three
11 placebo, and these were the case splits at zero to 462
12 days, 13 to 19. So more in the placebo arm.

13 There was also a case of intusseption
14 that occurred in some of the earlier -- actually study
15 005, an earlier study. That was a dose ranging study,
16 and this case of intusseption occurred in an older
17 child that was a seven month old male who had received
18 a low dose of pentavalent vaccine, and that child
19 developed hematochezia and vomiting, and intusseption
20 was diagnosed at surgery on day nine post dose one.

21 And at surgery the pathology was benign
22 lymphoid hyperplasia, and we were concerned about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, and we asked Merck to do an exploratory analysis
2 to add that child into the entire spectrum of
3 intusseption that we had seen in the Phase 3 trials,
4 and they went ahead and did that and found a relative
5 risk of 1.4 and the confidence interval 0.4 to 5.6.

6 Ultimately, there was no increased risk of
7 intusseption at day 42 post vaccination compared to
8 placebo. There was no clustering of intusseption
9 cases within the early, the seven or 14 day window
10 post vaccination.

11 We looked at intusseption also to see how
12 many children went to surgical reduction, and again,
13 you can see at zero to 21 days there's one case that
14 went after dose two in the RotaTeq arm, and then there
15 are cases that went after dose three at the 22 to 42
16 day window and greater than 42 days. Compare that to
17 placebo; those cases all went late, post dose three,
18 greater than 42 days, and that's just to give you an
19 idea of how many cases required surgery.

20 We were very interested in hematochezia.
21 So we wanted to look at both the positively
22 adjudicated children and the negatively adjudicated

1 children to see if there were any differences.

2 For the positively adjudicated cases of
3 intusseption in that zero to 21 day window with the
4 RotaTeq arm, there were three in post dose two, and
5 then when you come down into the next window, the 22
6 to 42 day window, you can see after post dose two for
7 RotaTeq. There's one case, and then there are two
8 cases post dose three.

9 Again, you can compare that to placebo.
10 There was one case after post dose two, one case after
11 post dose three, and again, you can see the spread at
12 greater than 42 days. With one case after post dose
13 one for RotaTeq, one case after post dose two, two
14 after the third dose with RotaTeq.

15 The total number of intusseption cases
16 was 13 that I looked at in this series, and
17 hematochezia occurred in ten in the RotaTeq.

18 Placebo looked at the 19 cases of
19 intusseption that we had and there were seven
20 episodes of hematochezia in the placebo arm, and
21 again, you can see the spread with those children for
22 the placebo, one after post dose two, one after post

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose three, and the window 22 to 42 days, and then in
2 the greater than 42 day window for placebo, there was
3 one post dose two and four post dose three.

4 In the negatively adjudicated cases of
5 intusseption, some of these children had
6 hematochezia, and I used the table that Merck provided
7 to show you the spread of the cases of hematochezia
8 with these children, and again, with RotaTeg there
9 were 45 negatively adjudicated cases and ten of those
10 had hematochezia for RotaTeg, and you can see in those
11 days post dose zero to 21 there were five cases for
12 RotaTeg after the first does. There was one after the
13 second and one after the third.

14 And compare that to placebo. There were
15 two cases after post dose one, again, keeping in mind
16 that the total number of cases of placebo that were
17 negatively adjudicated was 47, and there were three
18 that had hematochezia.

19 And then, again, in the last window,
20 greater than 42 days for RotaTeg there was one case of
21 hematochezia post dose two, and there were two after
22 the third dose, and then one case of hematochezia for

1 placebo that occurred after the third dose, and that
2 was greater than 42 days.

3 This analysis for FDA was a combination of
4 looking at Merck's table and also looking at the
5 narrative summaries at hematochezia, and again, with
6 RotaTeq the total number of negatively adjudicated
7 cases was 45, and there were 17 cases of hematochezia,
8 and again, a lot of early cases of hematochezia, zero
9 to 21 days. After post dose one there were seven;
10 post dose two, three; post dose three, one. And then
11 placebo had four post dose one, and two post dose two,
12 again, keeping in mind for placebo there were 47
13 negatively adjudicated cases, nine episodes of
14 hematochezia.

15 At the 22 to 42 day window there was a
16 case post dose two for both RotaTeq and placebo, and
17 then greater than 42 days there were two cases post
18 dose two for RotaTeq, three post dose three, and then
19 placebo had two cases post dose three.

20 Overall for intusseption the results from
21 the study don't address use in infant populations who
22 were not studied, such as children with HIV or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 underlying gastrointestinal disorders or infants who
2 reside in areas outside the U.S. where the standard of
3 care is to give live oral polio vaccines.

4 And, again, we also have limited data
5 regarding the administration of first dose to infants
6 at an age greater than 12 weeks or administration of
7 a third dose at approximately 34 weeks of age or
8 beyond 34 weeks of age.

9 Looking at the deaths in the Phase 3
10 studies, there were no deaths in Phase 1 and 2. There
11 were 52 deaths in the Phase 3 studies. There were 25
12 in the RotaTeq arm, 27 in the placebo, and again, the
13 most common cause of death was SIDS, with RotaTeq at
14 eight and placebo nine.

15 There was one death with intusseption in
16 the trials. This was a white male, and it was
17 randomized to the RotaTeq arm, and on day 96 post dose
18 three he developed abdominal pain, vomiting, bloody
19 stools and a barium enema confirmed intusseption.

20 This subject went to surgery, had necrotic
21 bowel resected, developed septicemia, and died on day
22 99 post dose three of vaccine, but again, this was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 late case.

2 We looked at serious adverse events for
3 RotaTeq, again in Phase 1 and Phase 2. The
4 intusseption case I've already described in the study
5 005. The incidence of serious adverse events in Phase
6 3 at less than 30 -- I'm sorry -- at less than 42
7 days, RotaTeq was 2.1 percent versus placebo was 2.2
8 percent. Discontinuations at less than or equal to 42
9 days post vaccine dose due to serious adverse events
10 in Phase 3, RotaTeq was 0.23 percent versus placebo at
11 0.2 percent. So matched.

12 The most frequent rhesus adverse events in
13 the Phase 3 trials, bronchiolitis, gastroenteritis,
14 pneumonia, pyrexia, and urinary tract infection, and
15 again, you can see this more gastroenteritis in the
16 placebo arm and pretty well balanced with pyrexia with
17 fever.

18 We looked at seizures in the Phase 3
19 trials and pulled this out at less than seven days
20 post vaccine dose, less than or equal to 14 days post
21 vaccine dose, and then looked at it at less than or
22 equal to 42 days post vaccine dose.

1 And looking at the split at less than or
2 equal to seven days post vaccine dose, it was ten in
3 the RotaTeq arm and five in the placebo arm, and then,
4 again, looking at the next window at less than or
5 equal to 14 days post vaccine dose, the split was 15
6 in the RotaTeq arm and eight in the placebo arm.

7 And then when you come out at less than or
8 equal to 42 days post vaccine dose, 33 in the RotaTeq
9 arm and 24 in the placebo arm, and I've also included
10 where they occur post dose as well.

11 This was a concern also of the DSMB, and
12 they had asked Merck to do an analysis, I believe,
13 that was done before the study was unblinded. So the
14 staff at Merck might want to say a little bit more
15 about the seizures.

16 There were also children that were allowed
17 in the trial with a history of seizure, but none of
18 those children developed any of the seizures that I've
19 reported here to you.

20 It's very difficult to evaluate the
21 seizures also. There were not a lot of febrile
22 seizures because a lot of the children in the trial

1 were actually younger. I believe febrile seizures
2 occurred in a little bit older age, like five or six
3 months of age or greater.

4 So, again, just difficult. We're not sure
5 if this is a signal, but we did feel that it needed to
6 be mentioned and perhaps we could get some feedback
7 from the Advisory Committee on that.

8 We looked at hematochezia again, just
9 looking at the Phase 3 subjects and again looked at
10 hematochezia less than or equal to seven days post
11 vaccine dose, less than or equal to 14 days; looked at
12 the less than or equal to 21 days post vaccine dose
13 and also at less than or equal to 42 days, and again,
14 the splits you can see, 13 for RotaTeg versus 21 for
15 placebo; 29 versus 30; 40 versus 33; 45 versus 39.

16 We also looked at hospitalizations at
17 less than or equal to seven days post vaccine dose,
18 and again, we were just concerned that perhaps
19 children might be having fevers or having vomiting or
20 diarrhea that would warrant their coming into the
21 hospital to be admitted within that first seven days
22 after vaccine dose.

1 And, again, after post dose one you can
2 see 133 in the RotaTeq arm versus 114 in the placebo
3 arm. Post dose two, 66 for RotaTeq, then more in the
4 placebo arm at 81. And then post dose three, 40 in
5 the RotaTeq arm, 53 in the placebo arm, and the
6 totals, 239 versus 48.

7 Look at the most common reasons for
8 hospitalization at less than or equal to seven days
9 after any vaccine dose. And again, with bronchiolitis
10 you can see the cases there, 54 for RotaTeq, 59 for
11 placebo. Looked at gastroenteritis, 18 and 25 for
12 placebo. Some more gastroenteritis.

13 Pyrexia, eight for the RotaTeq arm, 15 for
14 placebo.

15 Urinary tract infection, more in the
16 RotaTeq arm, nine for placebo.

17 And pneumonia, 11 for RotaTeq and 14 for
18 placebo.

19 For solicited adverse events, this is the
20 detailed safety cohort. We looked at this at less
21 than or equal to seven days, and again, for fever for
22 RotaTeq about 12.8 percent versus placebo 11.6

1 percent. For irritability, 8.1 percent in the RotaTeq
2 arm versus 7.9 percent in the placebo arm. For
3 diarrhea, 11 percent in the RotaTeq arm versus ten
4 percent in the placebo, and for vomiting 6.9 in the
5 RotaTeq arm versus 5.7 in the placebo.

6 And finally for concomitant vaccines, all
7 the subjects in Phase 3 were permitted to receive
8 licensed pediatric vaccines on the same day or within
9 42 days of vaccination. They looked at the subset of
10 1,358 infants, 662 in the RotaTeq and 696 in placebo,
11 and they received concomitant COMVAX, INFANTRIX, HIPOL
12 and PREVNAR, and they were evaluated for immune
13 responses.

14 The responses were measured at age seven
15 to nine months after three doses of vaccine,
16 diphtheria, tetanus, pertussis and the pneumococcal
17 serotypes at that age, and then responses were
18 measured at age five to six months after two doses of
19 vaccine for Hepatitis B and polio.

20 And these are the criteria for the
21 antigens: polio, Hepatitis B surface antigen, PRP,
22 diphtheria and tetanus. The comparison is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 seroprotection rate, placebo minus RotaTeg, and the
2 standard noninferiority; the upper limit of the two-
3 sided confidence interval for the difference, less
4 than ten percent.

5 And then again for the Pertactin, the FHA,
6 pertussis toxin, the pneumococcal serotypes, the GMT
7 ratio, RotaTeg to placebo. We used the lower end of
8 the two sided confidence interval for a ratio greater
9 than 0.5.

10 For the concomitant vaccines, the
11 noninferiority criteria for RotaTeg versus placebo
12 were met for all of the antigens. Except the problem
13 for tetanus, diphtheria and the pertussis antigens is
14 that the assays haven't been validated. And, again,
15 assay validation is under review for the anti-FHA, the
16 PT, the PRN, the tetanus and diphtheria.

17 And just to summarize, there was no
18 increased risk of intusseption at day 42 post
19 vaccination when compared to placebo. The clinical
20 study data is really not sufficient to support
21 administration of a first dose at an age less than six
22 weeks or a third dose beyond approximately 34 weeks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1020 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We also would need more information about using this
2 product in immunosuppressed patients.

3 And then we're unable to rule out
4 interference of immune responses when RotaTeq is co-
5 administered with the childhood vaccines to prevent
6 pertussis and diphtheria-tetanus because we don't
7 know. The assays aren't validated yet.

8 I'd be glad to take any questions from
9 anyone.

10 CHAIRMAN OVERTURF: We have time for a
11 couple of short questions. Dr. Farley.

12 Why don't you go ahead and ask your
13 question, Dr. Farley, but we can't hear at all.

14 DR. FARLEY: The question is in terms of
15 the exclusion criteria obviously this would be a
16 design at a time when RotaShield issues have emerged.
17 Is there any significant difference between who were
18 allowed to go through the RotaShield trials, as well
19 as those with contraindications for use in the
20 RotaShield vaccine, versus who you allowed here, the
21 oral polio vaccine issues. That was still a time it
22 was still in general use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TIERNAN: Yes.

2 DR. FARLEY: So could that be impacting
3 our ability to screen out those who might be at risk
4 to the vaccine?

5 DR. TIERNAN: Yes, the oral polio
6 definitely may be an issue. That would be the big
7 difference. I don't know about the other exclusion
8 criteria. I didn't go back to the RotaShield trials
9 to see what their exclusion criteria were.

10 DR. WHARTON: (Speaking from an unmiked
11 location.)

12 DR. TIERNAN: I did not go back to look at
13 that either with the RotaShield.

14 CHAIRMAN OVERTURF: Just to clarify, you
15 said you're not sure whether oral polio or age were
16 exclusion factors specifically for the RotaShield?

17 DR. TIERNAN: No, I don't know if they
18 were exclusion factors, but certainly oral polio was
19 being used at that time and it was looked at as a
20 concomitant vaccine.

21 Yes.

22 CHAIRMAN OVERTURF: We're going to have to

1 stop until the microphone is on so that we can get
2 this on transcripts.

3 Are there questions from this side of the
4 table?

5 (Laughter.)

6 DR. KARRON: I actually wanted to focus on
7 the issue of seizures that was raise, and I was
8 wondering if we could either get more information from
9 the FDA or from the sponsor about the nature of these
10 seizures, the outcome in the children, those sorts of
11 things, perhaps some of the information that was
12 discussed with the DSMB.

13 DR. TIERNAN: Yeah, I don't know, Penny,
14 if you -- I think Michelle Govay had some information
15 about the seizure splits.

16 DR. HEATON: Yes. We looked at all of the
17 seizures that occurred in the study, of course, very
18 carefully, and let me get that data for you.

19 So is it possible to have our slides up on
20 the screen?

21 Okay. So the question is about seizures,
22 and first of all, we looked at seizures that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reported as serious adverse events in the study, and
2 so if I could have Slide 169, please.

3 Overall there were 41 cases of
4 convulsions. In our analysis, this included any term
5 of seizure, epilepsy, and we also included febrile
6 convulsions as well, and so the total number ended up
7 being 41 with 25 in the vaccine group and 16 in the
8 placebo group for a difference of .02 percent.

9 For febrile convulsions that were reported
10 as serious adverse events, there were five in each
11 group, and certainly these reports of convulsions and
12 seizures weren't unexpected, given the age of infants
13 in the study. We all know that the incident of new
14 onset seizure is highest in the first year of life,
15 and the overall incidence was within the range that we
16 expected based on published data.

17 So we also wanted to look at where, you
18 know, most of these seizures clustering after dose one
19 or after dose two or after dose three, and actually a
20 similar proportion of seizures occurred after each of
21 the three doses.

22 If I could have Slide 172, I can show you

1 that now.

2 So we had exactly the same case split for
3 seizures after dose one, 13 cases. Again, these are
4 SAEs, with eight in the vaccine group, five in the
5 placebo group after dose one; the same after dose two,
6 and then after dose three it was 15 with nine in the
7 vaccine group and six in the placebo group.

8 We also looked at the proportion of cases
9 that occurred within 14 days after a dose. We had 17
10 overall, with 13 in the vaccine group and four in the
11 placebo group, and you can see the case splits there
12 with, again, the same proportions of cases occurring
13 after dose one, dose two, and dose three.

14 So it's a little tedious, but it's
15 probably worthwhile to go into some information about
16 these cases. So on Slide 173.

17 So we wanted to look at those 17 cases
18 within the first two weeks after a dose, and so this
19 is an outline of some of the detail of these cases,
20 and so we had two cases that were febrile seizures.
21 One occurred in the vaccine group and one in the
22 placebo group. The one in the vaccine group had a

1 typical pneumonia. The one in the placebo group had
2 a UTI.

3 We had four cases that occurred because of
4 underlying defined structural abnormalities, three in
5 the vaccine group and one in the placebo group. One
6 child had hydrocephalus. One child had brain stem
7 edema secondary to a cardiovascular incident. One
8 child had a permanent arachnoid space that was noted
9 on his CT and MRI, and another child actually had
10 episodes of laryngospasm that was causing hypoxia and
11 seizures.

12 There was one case that was a little bit
13 unusual in that it was really a startled episode that
14 the mother reported she had had a similar episode to
15 that two weeks before the dose, and then the second
16 episode which was diagnosed as a seizure happened
17 immediately after she received the second dose. I
18 mean right there in the doctor's office.

19 And so that leaves us with ten cases total
20 who were clinically diagnosed. So what I mean by that
21 is they either had a normal EEG or they did not have
22 an EEG, and you can see here that these are heavily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 weighted toward the vaccine group with eight in the
2 vaccine group and two in the placebo group.

3 Two of these kids in the vaccine group
4 went on to develop defined seizure disorder, epilepsy.
5 There were three kids out of this group that in
6 reading their history they actually had history and
7 evidence of or episodes that were suspicious for
8 seizures before the actual incident that was reported
9 as a serious adverse event.

10 Two children both in the vaccine group had
11 seizures that were not witnessed by medical personnel,
12 but they did have a positive family history of
13 seizures and the story was convincing. One had acute
14 onset bronchial pneumonia actually that evening,
15 developed positive chest X-ray and had acute onset
16 bronchial pneumonia that was diagnosed shortly after
17 the seizure onset.

18 Then we had one each in the vaccine and
19 placebo group who had seizures not witnessed by
20 medical personnel, and the history was very
21 questionable as to whether they actually had a
22 seizure, and then finally we had a child in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 placebo group who had clearly defined seizures, but
2 the EEG was normal, and there was no recurrence of the
3 seizures.

4 So this is a lot of detail about each of
5 the cases, but clearly we wanted to look at these.
6 They are of concern, and you know, I think what we can
7 say is we don't see evidence of a signal of an
8 association with the vaccine. We'll continue to
9 monitor all adverse events though in post licensure
10 setting.

11 CHAIRMAN OVERTURF: Dr. Royal.

12 DR. ROYAL: Just to ask a bit more about
13 the procedure, did you limit your seizure diagnosis to
14 just convulsive episodes? Were there reports of
15 nonconvulsive attach seizures?

16 DR. HEATON: This particular analysis
17 included convulsive-like episodes, be they febrile,
18 afebrile, or also febrile convulsions or diagnosis of
19 epilepsy. There were other things that were certainly
20 reported like infantile spasms, which were similar in
21 the vaccine and placebo group. We had a few cases of
22 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Is there a specific diagnosis that you had
2 questions about?

3 DR. ROYAL: Well, you know, Epsom's type
4 episodes and anything that might not be considered a
5 convulsive episode or a focal type episode would
6 certainly be of interest.

7 DR. HEATON: Right. This analysis
8 actually did include like focal seizures and focal
9 abnormalities as well. The way the mapping of the
10 encoded terms happened, those kids who might have had
11 focal episodes were included in this.

12 DR. ROYAL: In the structural
13 abnormalities that were seen in that one case, were
14 those noted before immunization?

15 DR. HEATON: Most of -- it varies with the
16 case, but typically what happened is they have the
17 seizure. Then they got the work-up, and that's when
18 the structural abnormality was detected.

19 CHAIRMAN OVERTURF: Dr. Farley, did you
20 have one additional question?

21 DR. FARLEY: I'm wondering if there is
22 anything -- I think it's very useful to do a detailed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 look at the febrile or the seizures. Similarly, and
2 probably more importantly, the intusseption, and is
3 there anything to be learned by a very detailed look
4 at both the placebo related, as well as the vaccine
5 related cases to try to look for ways to develop
6 contraindications?

7 Was there any way of predicting do they
8 share any characteristics that they came in with that
9 might have predicted their developing intusseption?

10 I mean, we talked about age and those
11 sorts of things, but are there any other -- can we
12 learn anything from that cohort who developed it to
13 say those kids all or some of them shared similarities
14 that we could have excluded them from being eligible
15 for giving this vaccine?

16 DR. HEATON: That's an excellent question.
17 You know, the study really wasn't designed to look at
18 risk factors for intusseption, and when you look at
19 the characteristics of the children coming into the
20 study who had intusseption versus those that didn't,
21 if you look in the vaccine and placebo groups, there
22 really aren't any differences. I mean, these were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 healthy children, and there weren't any differences.

2 As far as looking into the symptoms, you
3 know, the symptoms are early onset of intusseption,
4 are very general. I mean, the first symptoms are very
5 similar to gastrointestinal illness with typically
6 vomiting. Some had fever. Some had diarrhea, but
7 just from reading through the narratives and looking
8 at them very carefully, I haven't -- my clinical
9 opinion is that there's really no risk factor or
10 nothing that we can pick out that would predict who
11 was going to have intusseption and who wasn't, and
12 that is something certainly that we could continue to
13 evaluate.

14 CHAIRMAN OVERTURF: We need to go ahead
15 with the second half of the FDA presentation.

16 Thank you.

17 DR. IZURIETA: Good morning. I'm going to
18 present some historical data to certain intusseption
19 for use of Rotashield, a brief summary. Most of you
20 are familiar with the data.

21 The clinical trials, the findings of
22 prelicensure clinical trials were that in those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 multiple trials, a total of five intusseption cases,
2 IT, were found in over 10,000 vaccinees for .05
3 percent versus one case in over 4,000 controls, .02
4 percent. This difference was not statistically
5 significant. All five cases occurred after second or
6 third dose. Three of those cases did not happen with
7 the final formulation.

8 When the product was licensed, the package
9 insert described intusseption as a potential adverse
10 reaction. In August 1998, RotaShield was licensed.
11 FDA and CDC monitored passive reporting to the vaccine
12 adverse events reporting system. The Phase 4 was
13 initiated, and in March 1999, the ACIP recommended
14 RotaShield for routine use among healthy children.

15 In July 1999, the ACIP recommendation for
16 routine vaccine use was suspended based on the
17 following 15 intusseption cases were identified in
18 the vaccine adverse events reporting system, 11 of
19 them during the first week.

20 Assuming that the expected number of
21 intusseption cases for the first week to have been
22 around 14 and 16, and assuming that the vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adverse events reporting system has a certain degree
2 of under reporting -- in fact, a study published later
3 by Verser (phonetic) from CDC and others found that
4 only 42 or something percent of the cases were
5 reported to VAERS.

6 Then this considered the signal. Also
7 there were population basis studies which found high,
8 but nonsignificant rates if intusseption within one
9 week following vaccination. This was preliminary
10 data. These range between 292 and 314 cases per
11 100,000 person-years, assuming a window of risk of
12 one week after vaccination.

13 MMWR publication did assimilate the
14 reporting to the vaccine adverse even reporting
15 system, and more reports came to VAERS afterwards.

16 On October 15, 1999, Wyeth voluntarily
17 withdraws RotaShield. This decision was based on
18 preliminary results from CDC's studies.

19 In October 1999, the ACIP withdraws the
20 recommendation for use, and the license is revoked
21 three years later.

22 The main study results are that the case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 control study and the case serious analysis which were
2 published in the New England Journal of Medicine in
3 2001 found significant results. In fact, the case
4 control study found the adjusted odds of intusseption
5 for days three to 14 after vaccination to be something
6 like 22 and for three to seven days after vaccination
7 was even higher.

8 The case serious analysis found a relative
9 risk which was even higher, around 29 for days three
10 to 14 and around 59 for days three to seven. And
11 also the observation that CDC organized study using
12 the vaccine safety data link also found significant
13 results. This study was published by Kramerton
14 (phonetic), his collaborators also in 2001, and the
15 relative risk found was something around 30.

16 The effect of age at vaccination has been
17 debated since, meaning does age contribute to their
18 relationship between intusseption and RotaShield
19 vaccination. Simonsen and her collaborators showed in
20 her study published recently in 2005 that there could
21 be a certain age interaction, that the later the child
22 was vaccinated, the higher the risk.

1 In unpublished data so far, Paul Gargiullo
2 from CDC reanalyzed the data from the case control
3 study using conditional and logistic regression and
4 did not find any significant age interaction.

5 The population at (unintelligible) risk
6 for intusseption, although all the studies may defer
7 the methodologies' strengths, limitations, there was
8 in September 2001 consensus meeting that estimated the
9 attributable risk to one intusseption case per 10,000
10 vaccinees. This estimate is based on a variate range
11 of estimates from one per 5,000, 4,000-and something,
12 to one per 12,000, and there are even more diverse
13 estimates.

14 There is like of peer evidence that
15 natural rotavirus infection does cause intusseption.
16 Nonetheless, rotavirus infection may be associated
17 with increased distal ileum wall thickness and
18 lymphadenopathy.

19 Regarding possible mechanism, RotaShield
20 does contain (unintelligible) strain, and there is a
21 unique strain hypothesis, which was presented by Paul
22 Offer (phonetic), and he could develop that if he were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 asked to.

2 In summary, the evidence indicates
3 existence of a close association between RotaShield
4 and intusseption. This association was identified
5 post licensure. The precise mechanism is still being
6 debated. The consensus estimate of population at
7 (unintelligible) risk could be something around one
8 per 10,000.

9 And I would like to acknowledge our
10 collaborators from FDA: Myers Braun, Robert Ball,
11 Mary Foulkes, and Douglas Pratt, who are all here, and
12 Paul Gargiullo and Trudy Murphy from CDC, for these
13 comments on this summary.

14 I'd like very, very briefly to outline our
15 pharmacovigilance plan for RotaTeq if and when it is
16 licensed. Our justification, both FDA and CDC, are
17 committed to insure safety of all vaccines. RotaTeq
18 is a live vaccine.

19 There is evidence of an association
20 between a prior rotavirus vaccine, in this case
21 RotaShield, and intusseption that could or could not
22 be a class effect here. The association was confirmed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not during the clinical trials, but after licensure.

2 The main resources that we have for
3 pharmacovigilance are government resources, the
4 vaccine adverse events reporting system, and the
5 vaccine safety data link, which is sponsored by CDC.
6 The sponsor's role would be their own
7 pharmacovigilance plan, which includes auxiliary
8 reporting of adverse events to FDA, which could be
9 sent in monthly batches; the Phase IV, which was
10 discussed earlier; and other resources.

11 The vaccine adverse events reporting
12 system, as many of you know, is co-managed by CDC and
13 the FDA. It's voluntary, easy to report, nationwide
14 rich. It's useful basically for signal detection.

15 As everybody also probably knows, VAERS
16 has significant limitations. VAERS will receive
17 auxiliary reporting from the manufacturers which will
18 increase this period which we will find or not useful
19 resource. There will be a daily review of all serious
20 reports and of confirmed and suspected intusseption
21 and of a number of (unintelligible) symptoms.

22 The limitations include the absence of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 denominator data in VSD, the under reporting which
2 could be variable, the missing and wrong data in some
3 of the reports, and usually with some exceptions
4 including anaphylaxis in the first few minutes after
5 vaccination, et cetera. Causality cannot be
6 established using VAERS data.

7 We also have the vaccine safety data link,
8 which is a collaboration between CDC, the Centers for
9 Disease Control, and a number of health maintenance
10 organizations, and as needed, this agreement gets some
11 feedback from FDA.

12 There are approximately at this point
13 eight million members, which represent four percent of
14 the U.S. population. The birth cohort is about 96,000
15 children. It is large. The population is well
16 defined. The databases are linked by computer. It
17 has been done a number of times. It has a great
18 experience.

19 The initial plan under development
20 contemplates working with automated data at first, but
21 if and when necessary chart reviews could be made.
22 The study could determine the strength of an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 association.

2 Potential limitations in this particular
3 case, the full update of a new vaccine by the HMOs
4 could take a few years. For example, in the
5 RotaShield case initially the update was around 17
6 percent and varied from state to state and, of course,
7 from HMO to HMO.

8 Alternatively, in such a case,
9 participation of additional HMOs may be needed or
10 required.

11 There is also the sponsor's Phase 4 study
12 which was discussed a little earlier. The clinical
13 trials were large, around 36,000 vaccinees
14 approximately, 33,000 or something like that that were
15 followed for one year.

16 The population studied during the clinical
17 trials, of course, does not necessarily represent
18 those who use the vaccine after licensure. Children
19 with chronic gastrointestinal disease were exclude.
20 Children with some immunosuppressive conditions
21 general, immunosuppressive conditions, were excluded.
22 We don't know about data on -- we did not have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sufficient data on children who were extremely
2 premature, which could be users of the vaccine if it
3 is licensed to everybody.

4 The proposed Phase 4 study has a sample
5 size of originally I think it was 25,000. It was
6 described today as 28,000. Still, this study is
7 assuming a background rate of intusseption of one
8 per 2,000.

9 If the study, for instance, were to be
10 done using VSD, there is a study by Kramers which
11 found a background rate of intusseption which was
12 clearly lower than that in children under 12 months of
13 age. It was one in 4,000, which is basically half the
14 rate.

15 If that were the case, the sample size
16 would have to be multiplied. The same thing if we are
17 looking for a relative risk of two as opposed to
18 relative risk of four. We would need a larger sample
19 size.

20 The other question regarding the Phase 4
21 study is the location. Is the location going to be a
22 VSD site, and if so, will there be overlap with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 government sponsors? That is, will this be useful or
2 a duplication of effort, assuming that the site chosen
3 is a VSD site, which we don't yet know?

4 In any case, we think it would be useful
5 to have CDC, FDA and a sponsor conference to discuss
6 details of the way in which the VSD study and the
7 sponsor Phase 4 study could be implemented in a way
8 that we could find a useful resource for each of the
9 studies independently.

10 That's it. I'd like to recognize Mike
11 Brown, Robert Ball from FDA, Douglas Pratt, as well
12 and Rose Tiernan from FDA, Frank Destefano from CDC,
13 and Trina Haber from CDC for their comments in this
14 presentation.

15 Thank you.

16 CHAIRMAN OVERTURE: Thank you, Dr.
17 Izurieta.

18 We need to adjourn the meeting now and
19 reconvene at 1:15. There'll be additional time for
20 the committee members to address questions to the FDA
21 prior to the questions this afternoon.

22 Thank you. So we'll reconvene at 1:15.

1 (Whereupon, at 12:16 p.m., the meeting was
2 adjourned for lunch, to reconvene at 1:15 p.m., the
3 same day.)

1 session of the advisory meeting, FDA believes that it
2 is important to understand the context of an
3 individual's presentation.

4 For this reason, FDA encourages you, the
5 open public hearing speaker, at the beginning of your
6 written or oral statement to advise the committee of
7 any financial relationship that you may have with the
8 sponsor, its products, and if known, its director
9 competitors.

10 For example, this financial information
11 may include the sponsor's payment of your travel,
12 lodging or other expenses in connection with your
13 attendance at the meeting.

14 Likewise, FDA encourages you at the
15 beginning of your statement to advise the committee if
16 you do not have any such financial relationships. If
17 you choose not to address this issue of financial
18 relationships at the beginning of your statement, it
19 will not preclude you from speaking.

20 MS. WALSH: We have received one request
21 at this time. Dr. Paul Mendelman would like to make
22 a statement.

1 Dr. Mendelman.

2 DR. MENDELMAN: Good afternoon. My name
3 is Paul Mendelman. I'm a Board certified specialist
4 in pediatric infectious disease, and I live in
5 Stanford California. I represent myself.

6 Specifically, I do not represent Merck or
7 Medimmune, my former employers. To answer the
8 conflict of interest statement, I do have options from
9 Medimmune, but I no longer have a financial
10 arrangement. I'm a non-paid consultant to Medimmune
11 for my institutional memory for particular vaccines.

12 Today I have two slides, and I will speak
13 for less than seven minutes.

14 This is the first of two great days for
15 two new live attenuated vaccines that will protect
16 against disease due to rotavirus and Herpes Zoster.
17 The successes of measles, mumps, rubella vaccine over
18 many decades and a varicella vaccine over the past
19 decade are truly phenomenal.

20 The devastating effects of measles and
21 congenital rubella have been eliminated in the United
22 States, and the control of varicella is in hand.

1 Congratulations to Merck Vaccine Division.

2 I worked for either years on the clinical
3 testing of a different live attenuated vaccine, the
4 internasal influenza vaccine FluMist, which was
5 licensed for use in individuals ages 5 to 49 in 2003.
6 The main reason I am here today is to strongly urge
7 this committee and the FDA to reassess the data on
8 FluMist and approve the use of this vaccine in healthy
9 adults 50 to 64 years of age. These adults deserve
10 the option to receive the live attenuated vaccine
11 annually and in the event of a pandemic they deserve
12 the best protection possible.

13 I want to address why in my opinion
14 FluMist was not approved for this age group
15 previously. FluMist was the first live attenuated
16 vaccine to be filed with the FDA after the problems
17 with RotaShield came to light. In the period just
18 following the RotaShield experience, it seemed that
19 anything alive was feared; anything killed was
20 preferred.

21 Another and perhaps more important reason
22 that FluMist was not approved for 50 to 64 year olds

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was that FluMist was compared to an assumed high
2 efficacy and high effectiveness of the killed vaccine
3 in this age group.

4 However, there were no and are no
5 available data for the killed influenza vaccine in
6 this specific age group in the literature.

7 Additional data were not presented to this
8 committee that, in fact, I believe would have led to
9 a different conclusion about the relative
10 effectiveness of FluMist versus the killed vaccine in
11 adults. I would like to briefly present these data to
12 you.

13 Prior to the 1997 influenza season, we
14 worked with FDA staff on a Phase 3 protocol to assess
15 the safety and effectiveness of FluMist in healthy
16 adults 18 to 64. The study endpoints were
17 prospectively designed to be measured in the overall
18 study population. Four thousand five hundred and
19 sixty-one healthy adults ages 18 to 64 were enrolled
20 and randomized to receive FluMist or placebo mist.
21 The median age of 38 years was chosen for the by age
22 analysis because comparing those over 38 and under 38

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to their respective placebo groups provided the
2 largest sample size. These analyses showed that in
3 both age groups FluMist significantly reduced illness.

4 These data were submitted in the original
5 FluMist application in October of 2000.

6 There were two relevant VRBPAC meetings
7 for FluMist, one in July of 2001 and the other in
8 December of 2002. At the first meeting, the committee
9 recommended approval of FluMist for use in health
10 adults ages 18 to 64. However, at the second meeting,
11 the FDA requested separate votes for subjects 18 to 49
12 and for subjects ages 50 to 64.

13 In fact, only 14 percent, or 641, of the
14 4,561 adults in the study were 50 to 64 years of age.
15 The reductions in illness were higher in this older
16 subgroup compared to the younger. However, the
17 subgroup was too small to achieve statistical
18 significance.

19 The committee voted in favor of the safety
20 data, but not in favor of the effectiveness data for
21 those 50 to 64. Although there are no published data
22 on the kill vaccine that examine efficacy or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effectiveness in the subgroup of adults 50 to 64 years
2 of age, for comparison to FluMist there is relevant
3 information that was not presented to the VRBPAC in
4 December of 2002.

5 In the same 1997 season that FluMist was
6 shown to be safe and effective in more than 4,000
7 healthy adults ages 18 to 64, the Centers for Disease
8 Control conducted a similar effectiveness study in
9 more than 1,000 adults ages 18 to 64 and found that
10 the kill vaccine was not effective, which is shown on
11 this slide.

12 And in fact, there was more on this than
13 those vaccinated with the killed vaccine than in those
14 given placebo injections as shown here. In contrast,
15 FluMist was effective for a common endpoint of CDC
16 influenza-like illness.

17 These results were not presented at the
18 VRBPAC meeting in 2002 because pointing out the
19 inadequacies of the killed vaccine did not seem
20 appropriate pre-licensure strategy.

21 Another perspective that was considered
22 was the known scensence of the immune system. Many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccines work less well in older than younger
2 individuals, including the killed influenza vaccine.
3 The precedent in decisions of this committee is to
4 recognize this fact.

5 At VRBPAC meetings for both the acellular
6 pertussis vaccine for adolescents and adults and for
7 the meningococcal vaccine for adolescents and adults,
8 immunogenicity was used as a surrogate for efficacy.
9 Some of the primary endpoints of immunogenicity failed
10 in adults. However, by age subgroup data and separate
11 votes by age were not requested. These vaccines
12 receive the committee's recommendation for FDA
13 approval from adolescents up to 55 and 64 years of age
14 because these were the upper limits of ages tested.

15 Times have changed since the second
16 FluMist VRBPAC in December 2002. The vulnerability of
17 the U.S. domestic influenza vaccine supply is clear.
18 In the 2003 season, influenza hit early and hard, and
19 there were vaccine shortages.

20 In the 2004 season, the United States lost
21 one half of its anticipated influenza vaccine supply
22 due to manufacturing contamination of the killed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccine.

2 In the 2005 season, cases of bird flu
3 appeared in the News Daily, and the significant
4 challenge of a global bird flu pandemic remains a
5 growing possibility that we are not prepared to deal
6 with.

7 This is not the time to be complacent. It
8 is noteworthy that the proof of principle for
9 successful live attenuated H5N1 vaccine has been
10 demonstrated.

11 Slide 2, please.

12 After the first major H5N1 human outbreak
13 occurred in 1997 in Hong Kong in which six of 18
14 people died, a live attenuated H5N1 vaccine was made
15 using the FluMist backbone and successfully protected
16 chickens from a lethal challenge. These data were
17 published in the Journal of Infectious Diseases in
18 1999.

19 Thus, I believe the indication for FluMist
20 should be extended to healthy adults 18 to 49 to
21 healthy adults ages 18 to 64. We know more now than
22 we knew three years ago when FluMist was initially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 licensed. We know FluMist continues to be safe, and
2 we know that all healthy adults should continue to be
3 protected from influenza through vaccination. We know
4 we do not have enough influenza vaccine, and we know
5 that the live attenuated influenza vaccine, FluMist,
6 has the potential to continue to out perform the
7 killed vaccine.

8 As a physician, I strongly urge this
9 committee and the FDA to reassess the original
10 prospective FluMist data for the good of public health
11 in the United States of America.

12 Thank you for your attention.

13 CHAIRMAN OVERTURF: Thank you, Dr.
14 Mendelman.

15 Any questions or comments from the
16 committee?

17 (No response.)

18 CHAIRMAN OVERTURF: Are there other
19 members of the audience who want to address the
20 committee?

21 (No response.)

22 CHAIRMAN OVERTURF: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we'll continue on with the agenda as
2 presented to you earlier this morning.

3 At this time we're going to have the FDA
4 presentation of questions, but prior to that time,
5 there were a couple of points of clarification that
6 the sponsors of the RotaTeg wanted to make.

7 DR. HEATON: A couple of things that I
8 wanted to address. The first one is the topic of
9 hematochezia. I covered that somewhat in a bit of a
10 broad overview this morning in the general
11 presentation, but I wanted to go into that in just a
12 little bit more detail for you.

13 So in the study we instructed
14 investigators that if there were episodes of
15 hematochezia that they should report those and
16 consider those as potential cases of intusseption,
17 that those should be reported to us and that we would
18 submit those cases for potential adjudication.

19 So we looked at hematochezia in a very
20 comprehensive way. So what I'm going to do is share
21 with you the data. I'm going to start with the
22 overall data in the large scale safety cohort looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at serious adverse events of hematochezia that were
2 reported among the entire population.

3 So if I can have Slide 183, please.

4 So all together as far as serious adverse
5 events of hematochezia, there were 11 cases reported,
6 and when I say "hematochezia," that's any cases of
7 bloody stools, blood in stools. All of those terms
8 were mapped to hematochezia, and that also includes
9 melena or if there was an investigation for
10 hematochezia.

11 So we had 11 serious adverse events
12 reported out of the 71,799 children. Four were in the
13 vaccine group and seven were in the placebo group, and
14 when we looked at the proportion of cases of
15 hematochezia after each dose, the proportions were
16 pretty similar. We had three in the vaccine group and
17 four in the placebo group after dose one.

18 There was a one-one ratio after dose two,
19 and a zero vaccine-to-placebo ratio after dose three.

20 So then the next thing we wanted to do, we
21 wanted to really look at that time frame within 14
22 days after a dose. The reason why we wanted to look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in that time frame, just to remind you, because of the
2 biology of the virus. We know that the incubation
3 period of the vaccine is about two to three days; that
4 the peak of viral replication is in that four to six-
5 day period after a dose; and that we have replication.
6 It's very limited, for a week after the dose.

7 So by looking at that two-week period
8 after a dose, we can look at the time period of peak
9 biological activity of the vaccine.

10 So we looked at that, and actually we saw
11 eight cases within the 14 day period after a dose, two
12 in the vaccine group, six in the placebo group. After
13 dose one, it was one vaccine, four placebo. After
14 dose two it was one vaccine, one placebo, and after
15 dose three, it was zero vaccine, one placebo.

16 So what we did also, we looked at the
17 cases of hematochezia by the interval after the dose,
18 and we plotted them, and I have that on the next
19 slide.

20 So this is a summary of the serious
21 adverse events reports of hematochezia by treatment
22 group and by day after dose. We have the number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cases here on the Y axis. We have the interval after
2 any dose in days on the X axis. The yellow bars
3 represent vaccine recipients, and the white bars
4 represent placebo recipients.

5 And as you can see, the serious adverse
6 events of hematochezia occurred sporadically and there
7 was no clustering of any vaccine cases at any time
8 during either the 14 day period after the dose or
9 during the entire 42 day period after the dose.

10 So after looking at hematochezia in the
11 overall population, then we also wanted to focus in on
12 the cases of hematochezia among negatively adjudicated
13 cases of intusseption.

14 Now, just a reminder. As I said when I
15 started the presentation, that you know, we did
16 encourage investigators if there was hematochezia,
17 that's a symptom of intusseption. So those cases
18 should be reported into us.

19 And so I have a slide to show you those
20 data. Going to Slide 191, please.

21 So these are the reports of hematochezia
22 in the negatively adjudicated intusseption cases in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Phase 3 studies. All together we had 15 reports
2 of hematochezia among those subjects with negatively
3 or unconfirmed intusseption. There were 11 cases in
4 the vaccine group, and this is out of a total of 46
5 cases that were negatively adjudicated, and four in
6 the placebo group out of a total of 53.

7 So we wanted to look after each dose and
8 see where these cases of hematochezia in these
9 negatively adjudicated cohort were occurring, and what
10 we saw was that the majority of the ones in the
11 vaccine group were occurring after dose one. We had
12 six in the vaccine group and one in the placebo group
13 after dose one. There was two in the vaccine group
14 and one in the placebo group after dose two, and there
15 were three in the vaccine group and two in the placebo
16 group after dose three.

17 So then again I wanted to look and see,
18 okay, what about the time period of day, one to 14,
19 after a dose when we know the vaccine is replicating,
20 especially after the first dose.

21 Well, surprisingly we saw very little
22 during that time frame. The ratio of hematochezia was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two vaccine, one placebo, and then during that time
2 frame after dose two, it was zero-one, and at the same
3 time frame after dose three it was one-zero.

4 So we looked to see where the imbalance
5 was occurring, and actually it was in the overall 21
6 day period after the dose. So going on to the next
7 slide, so this is where the imbalance was.

8 Looking after dose one as I just showed
9 you on the previous slide, there were seven total
10 reports, six in the vaccine group, one in the placebo
11 group. So what we did is we looked very carefully at
12 each of those cases of hematochezia, and this is what
13 we found. Pardon the detail, but I think it's
14 important to just step through these.

15 So for the six cases that occurred, we had
16 one on day three. That was a child with vomiting and
17 irritability, and he had had hematochezia the mother
18 reported on some days between days three to 12 post
19 vaccination.

20 We had a case that occurred on day ten,
21 and the mother reported blood in the stool on
22 defecation, and there were three episodes. There was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one on day seven, one on day 12, and one on day 13.

2 The third case that occurred was in a
3 child who was constipated and after a soap enema, the
4 mother reported that the child had a bowel movement
5 with a jelly-like bloody stool.

6 We had one on day 16 where a child had
7 bright red blood per rectum and was referred to a
8 surgeon; had no other symptoms. The exam was totally
9 normal. They were treated with amoxicillin,
10 gentamicin, and metranidazole.

11 Another one on day 16. There was an
12 episode of one explosive stool with currant jelly,
13 mucous and blood. It was brought out positive.
14 However, the exam was normal. There were no further
15 episodes, and no further work-up was done.

16 And then finally, we had a child on day 21
17 who had blood on the feces with diarrhea. The
18 physician suspected a milk allergy, although the IgE
19 for milk protein was negative, and actually switched
20 the formula and the child did well and there were no
21 other episodes of blood on the feces with that child
22 that were reported.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, in addition to these six vaccine
2 cases, we had a single placebo case on day seven of a
3 child that was irritable, with blood in the stool that
4 was guaiac positive.

5 So the overall incidence, again, of
6 hematochezia is small in the overall population. We
7 saw this imbalance in the absolute numbers of cases of
8 hematochezia after dose one, but again, the time frame
9 was not during the time frame when the virus is at its
10 peak of replication, and I think as you can see here,
11 the investigators were doing what they were supposed
12 to do and reporting all of the cases of hematochezia
13 to us, but certainly there are several of these that
14 represent uncomplicated cases.

15 CHAIRMAN OVERTURF: Any questions? Yes,
16 Dr. Farley.

17 DR. FARLEY: It seems like the numbers are
18 a little different between Dr. Tiernan's presentation,
19 numbers of hematochezia in the negatively adjudicated,
20 and maybe in the positive as well. Well, maybe that's
21 irrelevant, but in the negative in particular. I
22 think she has at least 26 that she was reporting on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Why are the numbers different?

2 There were some other areas where there
3 were some number discrepancies.

4 DR. HEATON: Yes, I have to go over those.
5 The way we did this is we read the reports, the
6 narrative reports and the four reports of
7 hematochezia. So what I've shown you, the first set
8 of data I showed you with the 11 cases were serious
9 adverse event reports of hematochezia in the overall
10 population.

11 The second set of numbers was the
12 hematochezia within the negatively adjudicated cases
13 of intusseption, and I believe Dr. Tiernan's numbers
14 were ten-three, and I have 11-four, and that's because
15 I combined Protocols 7 and 9 with Protocol 6. So
16 that's why the 11 cases of hematochezia there and a
17 total of 11 and four.

18 The other difference, I think Dr. Tiernan
19 was also looking at some of the non-serious AEs as
20 well of hematochezia. So that may be another
21 difference also.

22 DR. TIERNAN: I include currant jelly,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 too. I don't know if you included that.

2 DR. HEATON: Okay.

3 CHAIRMAN OVERTURF: Any other questions?

4 (No response.)

5 DR. HEATON: Okay. Thank you very much.

6 CHAIRMAN OVERTURF: Thank you.

7 Dr. Markovitz has one question.

8 DR. MARKOVITZ: This actually goes back a
9 little ways in your previous presentation. I was
10 curious. When you talk about intent to treat,
11 obviously people who got one or two doses of the
12 vaccine would drop away or are included where they
13 would have dropped away in your whole -- you know, in
14 your more complete analysis.

15 So who else drops away or is it just
16 people who got or I should say -- I'm not sure if I'm
17 making myself clear.

18 So when you're talking about intent to
19 treat, who's included in that group?

20 DR. HEATON: Yes. We include all kids
21 starting from the first day of vaccination. That's
22 what we call our intent to treat population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MARKOVITZ: Who are you losing though?
2 So later when you analyze people who have had three
3 doses and are subject to your -- sort of the analysis
4 that you're primarily presenting to us, who are you
5 losing from the original intent to treat in that?

6 DR. HEATON: Sure. Yeah, the primary
7 reason for a subject not qualifying for the protocol
8 analysis is not receiving all three doses of vaccine.
9 That's by far and away the primary reason. Other
10 reasons include if they had an episode of rotavirus
11 before they got all three doses, then clearly we can't
12 count those kids in the per protocol post dose three
13 analysis. So that's another reason.

14 If they had missing data, for example, the
15 parents will submit the stool sample and would forget
16 to submit the diary card or vice versa. So that's
17 another reason as well.

18 So those are some of the primary reasons
19 why children would be excluded from the per protocol
20 analysis.

21 Does that answer your question?

22 DR. MARKOVITZ: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN OVERTURF: Dr. Farley.

2 DR. FARLEY: A question about the children
3 who had intusseption that went to surgery. Are there
4 pathology results on them?

5 And you mentioned one that had the benign
6 report hyperplasia. Is that a common finding and is
7 that typical in all cases of intusseption?

8 DR. HEATON: So the question is about
9 pathology findings with cases of intusseption, the
10 child with the benign lymphoid hyperplasia. The child
11 in Protocol 5 went to surgery, had benign lymphoid
12 hyperplasia, and that is a common finding.

13 The etiology of intusseption is somewhat
14 unknown, but it has been associated with respiratory
15 adenovirus, and there have been case reports with
16 other bacterial E. coli of 0157, campylobacter,
17 yursinia. Oftentimes these kids have mesenteric
18 adenitis. So that has been thought perhaps it's the
19 lymph adenopathy might be one of the explanations for
20 the pathogenesis of intusseption.

21 As far as children in our studies, you
22 know, most of them are reduced with an enema. Some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 did have surgery, and many of them though just had
2 surgical reduction and didn't have resection, and if
3 they did have resection, it was usually just a small
4 amount.

5 In fact, you know, the outcomes of the
6 children with intusseption were good. Unfortunately,
7 we had the one death that we reported, too, that
8 occurred 98 days after dose three in the child who got
9 sepsis after surgery, but other than that, children
10 recovered. We didn't have children with ileostomies
11 and colostomies. We did not have children who had
12 short gut syndrome or any ongoing complications.

13 CHAIRMAN OVERTURF: Dr. McInnes.

14 DR. McINNES: I wonder if you have any
15 sense around the incidence or prevalence of
16 intusseption in younger infants compared with
17 children under two, children under one. Do you have
18 anything that breaks that down further? Are there any
19 data on that?

20 In looking at how old some of these
21 children might have been on receipt of dose three,
22 they're pushing up close to six months, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HEATON: That's right.

2 DR. McINNES: If not a little more.

3 DR. HEATON: That's right.

4 DR. McINNES: How many preterm infants did
5 you have in this study? And I understand they were
6 immunized by chronological age, but were there any
7 cases of intusseption in preterm?

8 DR. HEATON: So the question is about
9 cases of intusseption in preterm infants. Overall we
10 enrolled over 2,000 premature infants. They ranged in
11 gestational age from 25 to 36 weeks, and of course,
12 they were enrolled according to their chronological
13 age. We did not have any cases of intusseption among
14 premature infants.

15 CHAIRMAN OVERTURF: Dr. Royal.

16 DR. ROYAL: Yes, I wonder if it might be
17 worth commenting on whether or not in a study where
18 one is looking out for complications such as
19 intusseption that one might be able to avoid the
20 development of that by early intervention and whether
21 or not in that sort of situation you may see a lower
22 incidence than what one would otherwise.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. HEATON: So the question is could we
2 be seeing a lower incidence of intusseption because
3 we are doing active surveillance. And you know, we
4 actually thought about both ends of the spectrum.

5 You know, one concern was since we're
6 actively looking for it, could milder cases come to
7 our attention and we end up with a higher rate of
8 intusseption than what we had anticipated. So that
9 was one end of the spectrum.

10 On the other end, you know, the question
11 could be because there's intervention in the study
12 setting, could those cases, you know, be somehow
13 treated -- I always think of the example of
14 gastroenteritis, and if there's intervention in the
15 study setting and you're providing oral hydration
16 solution, you might decrease the severity of it.

17 But I think it's different with
18 intusseption because there's such a clear diagnosis.
19 I mean, the bowel telescopes in on itself. It gets
20 caught. Spontaneous reduction is uncommon. There are
21 reports, but they tend to be more in older children.
22 So if the bowel is caught and the vascular supply is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compromised, if the child doesn't come to medical
2 attention, their outcome is grave.

3 So I think that it would be likely even
4 with the study intervention that we would be picking
5 up the cases, and I think that's also exemplified by
6 the background rates that we found because overall and
7 in the placebo group the rates were right at one in
8 2,200, which is what we had assumed based on published
9 reports of background intusception.

10 CHAIRMAN OVERTURF: Dr. wharton had a
11 comment.

12 DR. WHARTON: Based on the experience
13 you've presented here, my assumption will be that the
14 proposed indication will be for use in the vaccine
15 series beginning at six to ten or six to 12 weeks of
16 age. I wish all children began childhood
17 immunizations on time, but many of them don't, and my
18 expectation would be that in spite of what product
19 labeling may say, some children will initiate the
20 vaccine series late.

21 How will that be monitored post licensure?

22 DR. HEATON: That's a very good question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So the question is about the age at first dose. The
2 fact that there are going to be infants who are older
3 than 12 weeks who are going to get their first dose of
4 vaccine regardless of what the labeling says, and
5 certainly we're going to be monitoring this carefully
6 in the post licensure setting.

7 We can only recommend what we've studied
8 in our clinical trials, and that's certainly what
9 we'll put forward as the recommendation in the label.

10 However, I can tell you from some of our
11 Phase 2 data that in the older infants who were
12 enrolled in the Phase 2 studies, the vaccine was well
13 tolerated. We didn't see high fevers. Incidence of
14 fever was similar in vaccine and placebo recipients,
15 and as well as vomiting and diarrhea.

16 But we will monitor this in the post
17 licensure setting, certainly with passive surveillance
18 when we get the reports of intusseption we are going
19 to be actually making follow-up telephone calls and
20 getting more information about those cases. So
21 should, you know, older children get the dose -- get
22 doses at older age in cases that occur, certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we'll be monitoring that and certainly be monitoring
2 it also in the active surveillance as well.

3 CHAIRMAN OVERTURF: Dr. Markovitz.

4 DR. MARKOVITZ: Yeah. Of course, our
5 charge here is mainly to deal with issues that will
6 affect the American public, but, Dr. Heaton, you seem
7 to have been involved in this project for quite a long
8 time, and it's obviously extremely important to know
9 what Merck's plans are for the rest of the world where
10 most of these 500,000 people are dying a year.

11 Does Merck have a plan to distribute this
12 to poorer countries in any fashion?

13 DR. HEATON: Yes. So the question is the
14 plan to distribute the vaccine to poorer countries,
15 and I'm very happy to announce that last week we
16 publicly announced that we are working with the
17 rotavirus vaccine program and with the Gates
18 Foundation to be starting to do studies in the
19 developing world.

20 Certainly, given the history of the
21 previous rotavirus vaccines and their efficacy in the
22 developing world we do need to evaluate the vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It is an oral vaccine, and you know, children in the
2 developing world, malnourishment or if they're a
3 colonizer of other enteric pathogens, may not have the
4 same immune response to the vaccine as healthy
5 children.

6 So we do want to evaluate the efficacy of
7 the vaccine in those populations, and we plan to start
8 trials in Asian and Africa by the end of next year.

9 CHAIRMAN OVERTURF: Will that include
10 children who are getting oral polio vaccine?

11 DR. HEATON: That's right. In fact, we
12 have an ongoing study now to evaluate concomitant use
13 of RotaTeq and oral polio. It's going on in Mexico,
14 Costa Rico, Guatemala and Brazil.

15 CHAIRMAN OVERTURF: Dr. Malonardo.

16 DR. MALONARDO: A quick question. Did you
17 analyze the role of breast feeding? Is there any
18 difference in terms of efficacy of patients getting
19 breast feeding? Did you look at that?

20 DR. HEATON: Yes, we actually collected
21 breast feeding data on all 70,000 patients and
22 quantified that, and then we looked at the efficacy of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the vaccine according to breast feeding in the
2 efficacy cohort, in that subset of about 7,000 kids.

3 And so what we did, we categorized infants
4 according to whether they were ever breast fed or
5 never breast fed and infants who had a mixture, and we
6 actually collected the breast feeding data at each
7 vaccination visit. So it was their breast feeding
8 status at the time they were receiving vaccine.

9 And so I have that slide here for you.
10 Slide 164, please.

11 So this is looking at the efficacy of our
12 RotaTeg among infants who were never breast fed, had
13 some breast feeding, and were exclusively breast fed,
14 and what you can see is the efficacy in this group is
15 quite similar to what the overall efficacy was.

16 The efficacy in the group that was never
17 breast fed against any severity of disease was 68
18 percent, and recall the number I showed you this
19 morning was 74 percent. Efficacy for children who had
20 a mixture of some breast feeding and some formula
21 feeding was 82 percent, and efficacy in children who
22 were exclusively breast fed was 68 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN OVERTURF: Thank you, Dr. Heaton.

2 At this time I'd ask the FDA to come
3 forward. They're going to present the questions for
4 the committee.

5 DR. TIERNAN: So the first question: are
6 the available data adequate to support the efficacy of
7 RotaTeq in preventing rotavirus gastroenteritis caused
8 by serotypes G1, G2, G3, G4 and G serotypes that
9 contain P1, example, G9, when the first dose of
10 vaccine is administered at six to 12 weeks of age,
11 followed by two subsequent doses, separated by four to
12 ten week intervals?

13 And if not, what additional information
14 should be provided?

15 We'll do the next one. Are the available
16 data adequate to support the safety of RotaTeq when
17 used in a three-dose series beginning with the first
18 dose at six to 12 weeks of age, followed by two
19 additional doses, separated by four to ten week
20 intervals, and if not, what additional information
21 should be provided?

22 And then the last question: please

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 identify any other issues that should be addressed,
2 including post licensure studies. In particular,
3 please address the assessment of intusseption, the
4 applicant's proposed pharmacovigilance plan,
5 concomitant use with other routinely administered
6 vaccines, and the use of the vaccine in
7 immunocompromised children, such as those with HIV or
8 children taking steroids or other chronic
9 immunosuppressive therapies or other special
10 populations.

11 CHAIRMAN OVERTURF: I think we will
12 actually do these in reverse order, but first, before
13 we do that, I had left some time here to address any
14 other questions that were brought up in the FDA
15 presentation. So if anybody has any questions, this
16 would be the time to ask them.

17 DR. SELF: Yeah, there was a question
18 about the post licensure plans for documenting your
19 ability of protection years three through five. I
20 wonder if we could return to that.

21 CHAIRMAN OVERTURF: the question is does
22 the sponsor or the FDA have plans in regard to looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the durability of protection in post licensure.

2 DR. TIERNAN: So the question about
3 looking at efficacy or durability of efficacy post
4 licensure, I think it's really important. The first
5 review of this disease and where it's the most
6 problematic, the bulk of hospitalizations and
7 emergency department visits for rotavirus do occur in
8 kids age two and under. About 75 percent to,
9 depending on what country you're talking about, about
10 90 percent occur in younger children.

11 So, you know, our really target group is
12 making sure that we're preventing the severe disease
13 and preventing those hospitalizations and emergency
14 department visits and office visits in those younger
15 infants.

16 Now, if you recall, the efficacy data that
17 I showed you for the second season against severe
18 disease was quite high. It was 88 percent for the
19 efficacy cohort, and then there was, you know, about
20 90 to 95 percent protection against hospitalizations
21 in the second year of life.

22 So based on those data, you know, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 indicates that there will be some protection that will
2 persist through the third and fourth years of life,
3 and by the time the child gets to the third and fourth
4 year of life, they're a lot less vulnerable to the
5 severe complications of dehydration from rotavirus
6 gastroenteritis.

7 Having said that, we're certainly doing
8 effectiveness studies in the post marketing period in
9 Latin America. We'll be looking at these kinds of
10 issues and considering it though we don't have final
11 plans now.

12 CHAIRMAN OVERTURF: So I think I will open
13 up the floor to the committee to consider actually the
14 third question first, which is the Questions 3(a)
15 through 3(d), which include the assessment of
16 intusseption, the applicant's proposed
17 pharmacovigilance plan, the common use with other
18 routinely administered childhood vaccines, and the use
19 of vaccines in immunocompromised children, such as
20 those with HIV or children taking steroids or other
21 chronic immunosuppressive therapies, other special
22 populations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There will be no vote on this particular
2 question. So what I would like is comments from the
3 committee in regard to those populations specifically.
4 It would seem very clear to me that the sponsor's
5 presentation clearly raised questions regarding the
6 concomitant use with other routinely administered
7 childhood vaccinations in that I think the issue of
8 its effect upon both serologic, as well as perhaps
9 efficacy or effectiveness of other vaccines really
10 needs to be explored further, particularly in regard
11 to DTAP.

12 So that seems to be something that needs
13 to be necessarily done during the follow-up and needs
14 to continue to be done.

15 I think it's also likely as Dr. Word
16 pointed out that in today's world of public health,
17 the ever expanding list of options for vaccines for
18 pediatricians increases. So this may be vaccine
19 specific. So that's going to have to be looked at
20 probably as well, and also the schedules vary
21 tremendously because of missed opportunities and other
22 things in the pediatrician's offices. So that

1 question is going to have to be addressed as well in
2 follow-up studies.

3 And I've already addressed that, and it
4 wounds like there are plans to address the issue of
5 oral polio vaccine, which I think is going to be
6 critical for this vaccine, particularly since it's
7 going to be so intensively used probably outside the
8 United States in countries that use oral polio for
9 their immunization program.

10 And I think it's also clear that the use
11 of vaccine in immunocompromised children, HIV,
12 steroids and other chronic immunosuppressive
13 therapies, the population will have to be addressed,
14 is often addressed with vaccines in the post licensure
15 period and will have to be looked at critically,
16 probably with formalized studies because of the at
17 least potential risk of some of these vaccines in
18 those groups.

19 So are there other comments regarding
20 these questions? Yes, Dr. Self.

21 DR. SELF: So my comment has to do with
22 the pharmacovigilance plan. Let's see. Where to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 begin.

2 The data that's presented in our favorite
3 Slide 51 has an upper bound for the relative risk
4 within the 42 day window of intusseption of five, and
5 in the presentation of the observational study that
6 you're planning, if I read, I think, the last in a
7 series of slides that was presented about the design
8 of that study, there would be fairly good power to
9 detect an increase in risk or about fourfold. So
10 there's a fairly small increment that that study will
11 provide in refining the relative risk of
12 intusseption.

13 It's an uncontrolled study, and so there
14 are some other uncertainties involved there as well.
15 So I guess in summary, it wasn't clear to me that the
16 observational study as planned, although impressive in
17 size, would actually deliver an important additional
18 degree of security about the safety of the vaccine
19 with respect to risk for intusseption.

20 So there are some consequences and issues
21 to address. One is in considering for the U.S. what
22 the right balance is of risks and benefits, just, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, what kind of bound on the relative risk of
2 intusseption needs to be established to make this
3 sort of a viable regimen for the U.S. So I'd like to
4 hear a comment on that.

5 Second, the trial is designed. You surely
6 have thought about other designs and, you know, maybe
7 larger trials. If you could share some thoughts about
8 arriving at the 28,000 sample size for that design and
9 what the potential is for having a larger study.

10 Three, where were you going to take the
11 baseline estimates of risk from to use in order to get
12 some assessment of relative risk?

13 Maybe I'll stop there.

14 CHAIRMAN OVERTURF: While you're taking
15 that, I also would like clarification from the FDA on
16 this point. Dr. Izurieta made some comments regarding
17 the use of VAERS, VSD, and the study which would
18 answer in part some of your questions in that there
19 would be larger groups if they did not overlap. In
20 other words, if the data provided by the sponsor were
21 separate and apart from the VSD and the BURGHS groups,
22 the population bases would be different.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I guess I would favor an approach that
2 would look at all of these bares and not have
3 overlapping populations, which would help increase
4 somewhat, although there would be different -- it
5 would be slightly different, but it would still, I
6 think, provide a larger population base.

7 DR. SELF: Yeah. There are good things
8 and not so good things about the VAERS system, and
9 estimating relative risk from the VAERS is probably
10 not one of the strengths. So I think that part will
11 rely much more heavily on this proposed observational
12 study.

13 CHAIRMAN OVERTURF: I think VSD though
14 would provide some of those same standardizations that
15 you might see with a prospective study done by the
16 sponsors.

17 So would the sponsors like to address that
18 question anymore?

19 DR. HEATON: I will start and then I will
20 have Chris or Joe come up to and to fill you in on the
21 technical details.

22 We're really looking at this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pharmacovigilance plan as it has many pieces, and all
2 of the pieces, you know, will need to come together to
3 give us the overall picture of the safety of the
4 vaccine in the real world setting, and that's what we
5 want to do: establish the safety of the vaccine in
6 the real world setting.

7 So one thing I want to or a couple of
8 things I want to point out, as far as the background
9 rates, we can start with the easy questions first.
10 The background rates, we are actually going to be
11 assessing the background rates in the population that
12 we're going to be studying before the vaccine is
13 actually launched there.

14 So we should have, you know, as accurate
15 background rates as possible before we actually start
16 the study, well, before we actually start using
17 vaccine there.

18 And, again, the background rate of one in
19 2,000, you know, that background rate has been
20 questioned in the past, but it certainly is what we
21 saw in the placebo group in our Phase 3 studies.

22 Secondly is that I think it's important to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 remember the purpose of this post licensure
2 surveillance is not to ultimately define forever and
3 ever what the relative risk of intusseption with
4 RotaTeg is. The purpose of it is we want to detect a
5 signal. If it exists, we want to detect it quickly,
6 and we want to know that, and we want to be working
7 with CDC, FDA to investigate that.

8 So that's why the stocking boundary has
9 been or the signal boundary, I should say, the signal
10 boundary that Chris showed you earlier today has been
11 set up so that if we have relative risk levels of
12 four, of ten, that we will pick that up, as he showed
13 you earlier today, very quickly with a low number of
14 subjects.

15 And then as far as addressing what overall
16 upper bounds that we can show at the end of the study,
17 that's really going to depend on how many cases that
18 we see, and I think that I would like to now turn it
19 over to Chris and Joe to get into some of those
20 numbers with you because I think what you're going to
21 see is depending on the number of cases that we're
22 going to be able to refine that upper bounds quite a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bit.

2 If we don't have an increased risk of
3 intusseption, we're going to be able to refine that
4 upper bound from a level of five downward, and I'll
5 let Joe talk to you about that.

6 DR. HEYSE: Yes, I just want to try to
7 quantify some of Dr. Self's perceptions as to what the
8 study will and will not be able to demonstrate. So
9 what I really want to do is just go over some of the
10 typical operating characteristics of the post
11 marketing surveillance plan.

12 Dr. Mast outlined the basic concept of the
13 trial, which Dr. Heaton just reviewed where the main
14 feature is really to generate signals, and the idea is
15 to generate signals earlier if the relative risk is
16 actually higher.

17 Now, we did use, just for purposes of
18 setting, a provisional sample size. We did use a
19 relative risk of four, and the chance of hitting the
20 boundary that Dr. Mast showed you, assuming a
21 background relatively risk of one in 2,000, is about
22 96 percent, which we felt was a very strong number.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Obviously, for lower relative risk the
2 probability of hitting that signal line is less, and
3 for the numbers between two and three, it's actually
4 between 40 and 80 percent, 80 percent for a relative
5 risk of three and 40 percent for a relative risk of
6 two.

7 So for those lower relative risk, in that
8 range, the idea would be that we would have to
9 complement this with the other data that does exist on
10 intusseption, like the data from REST with what we
11 know about that, and then other post marketing
12 activities through CDC and elsewhere.

13 To give you one idea, again, I just want
14 to quantify what we would get at the end of the study.
15 What you may not have been able to determine from the
16 graph that Dr. Mast showed you is that in order to get
17 through this period and not hit a signal would require
18 eight or fewer intusseption cases observed among the
19 28,000. This, again, assuming a background rate of
20 one in 2,000 would be adjusted accordingly, is a
21 relative risk of 2.3 with an upper bound of 3.2.

22 Obviously if fewer cases than eight are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 observed, then the observed relative risk would be
2 lower than that, as would the upper bound of the
3 confidence interval.

4 So, for example, if we observe six cases,
5 the observed relative risk is 1.7 and the upper bound
6 of the confidence limit is 2.6. So the idea here is
7 to give you some numbers as to, you know, what would
8 be considered satisfactory or unsatisfactory. Okay?

9 Does that answer your question?

10 DR. SELF: One of them. So I guess I'd
11 also like to hear something about your thoughts of
12 risks and balances for the U.S.

13 DR. HEATON: This is a question of what
14 level of risk do we need to be able to rule out, if
15 you will, for the U.S., and this is a question that we
16 asked six and a half years ago when we stood right
17 here in front of this group, and it's a question that
18 we have challenged people with, and I think what's
19 great today is we can come to you with data.

20 And what we have seen in the REST trial is
21 that there's no signal that there is an intusseption
22 concern with this vaccine. The overall number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cases within the 42 day period after a dose was six in
2 the vaccine group and five in the placebo group, and
3 when you look in the 365 day period after a dose,
4 there were 13 in the vaccine group and 15 in the
5 placebo group.

6 There were no cases of intusseption
7 within the two weeks after dose one, and there was no
8 clustering of cases of intusseption after any time.

9 The overall AE profile looks good.
10 There's no excess fever. There's a 1.3 percent
11 increase in vomiting and diarrhea after dose one. The
12 hematochezia in the overall population was actually
13 higher in the placebo group than the vaccine group.

14 So one can never prove the absence of
15 risk, but the safety data strongly support from REST
16 and the other two phase three studies, strongly
17 support the safety of RotaTeq.

18 The other thing that we do know is that
19 this vaccine reduced hospitalizations by 96 percent.
20 There are 50 to 70,000 hospitalizations that occur
21 every year in the United States. By the time a child
22 reaches their fifth birthday, they have a one in 65

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chance of being hospitalized with rotavirus. One out
2 of 17 babies end up in the emergency department. One
3 out of ten end up in the pediatric clinic.

4 So we know those data. If the vaccine
5 works in the clinic like it has in the clinical
6 trials, and we have every reason to believe that it
7 will, the potential benefits are dramatic, and we
8 don't see any signal of any safety concern.

9 So based on the data that we have in front
10 of us, I think we can say with high confidence that
11 the benefit of this vaccine greatly outweighs any
12 potential risk.

13 DR. SELF: So the one in 65 is one that I
14 sort of plucked out trying to do my own quick
15 calculation, and then with a background rate of
16 intusseption of one in 2,000, applying relative risk
17 to that, certainly you don't get the two rates close
18 to each other unless you get quite high relative
19 risks.

20 What I don't have a sense of is how to
21 balance a hospitalization that is sort of generically
22 due to rotavirus to the severity of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hospitalization that is due to a case of
2 intusseption, and it's that balance that I'm kind of
3 grappling with and I don't have background to get a
4 sense of. Could you comment on that?

5 DR. HEATON: Certainly. I guess before
6 making those comparisons there's no indication of an
7 increased risk of intusseption. So I think that's
8 really important for us to remember.

9 But the overall mortality rates from the
10 two diseases are identical. There's about 20 to 60
11 deaths from intusseption in the U.S. every year, and
12 it's about the same number of deaths from rotavirus
13 gastroenteritis every year.

14 As far as typical presentation of
15 intusseption, in our study most of the children were
16 diagnosed early. There were treated with a barium
17 enema. So that typically involves either just
18 observation; they are treated with an enema and then
19 they're observed for a few hours or maybe overnight,
20 and then they go home.

21 For those with surgery, their hospital
22 stays may be about four to five days, but that is only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about a third of the cases.

2 For rotavirus gastroenteritis, on the
3 other hand, what we know is for hospitalizations on
4 average it's about two to three days per
5 hospitalization, depending on the study that you're
6 looking at.

7 So I think what we've got is a very common
8 disease that children are at high risk from,
9 rotavirus, and we've got a vaccine to prevent it, and
10 then on the other hand, we have a theoretical concern
11 about something that's very uncommon and that the
12 medical complications overall are similar.

13 CHAIRMAN OVERTURF: Dr. Karron.

14 DR. KARRON: I think a couple of issues
15 related to pharmacovigilance. The first is that in
16 terms of follow-up both perhaps through the plan that
17 the sponsor presented and also through some other
18 mechanism, such as vaccine safety data link, I think
19 it would be important also to look at this issue of
20 seizure that was raised, febrile and afebrile
21 seizures.

22 The other comment I wanted to make was in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terms, I guess, of Item D, which is the special
2 populations, and I was interested to hear that
3 actually in terms of premature infants, that a fairly
4 large group, 2,000 infants were studied, and that the
5 age range was gestational age, was 25 to 36 weeks. I
6 think it would be useful; perhaps the sponsor has more
7 information now that they can present about the
8 distribution of age. Do you?

9 Because if -- well, why don't I stop
10 there, and if you have it, it would be interesting to
11 see that.

12 DR. HEATON: Yes, we did enroll over 2,000
13 premature infants. Gestational age was 25 to 36
14 weeks, and I can tell you -- let me just tell you how
15 they fit into the study and give you some background.

16 So recall that we followed all subjects
17 for serious adverse events, and then we followed a
18 subset of subjects for detailed safety, all serious
19 and non-serious adverse events, and then we also
20 followed a subset of subjects for efficacy.

21 So I can just verbally share with you that
22 the overall SAE profile among the premature infants

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was identical to the overall population, as was the AE
2 profile. Actually there wasn't a statistically
3 significant increase in vomiting and diarrhea, and no
4 statistically significant increase in fever in that
5 group.

6 Now, in the group that we evaluated for
7 efficacy, we had a little over 200 subjects in that
8 group, and if I can get those data for you, I can tell
9 you while they're pulling that up that the efficacy in
10 those subjects was, again, very similar to what it was
11 in the overall population.

12 Efficacy, again, severe disease was 100
13 percent. We only had two cases of severe disease.
14 They were both in the placebo group, and then the
15 overall efficacy against any severity of disease was
16 70 percent.

17 So as far as other compromised
18 populations, if you will, one thing that I failed to
19 mention earlier is that as part of our developing
20 world studies we are doing a rather large trial in
21 infants born to HIV positive mothers, and we are going
22 to be looking at the safety of the vaccine in children

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 who are both HIV infected and HIV uninfected as well.

2 DR. KARRON: Just one question in follow-
3 up. Do you have any concomitant vaccine
4 immunogenicity data in premature infants or they
5 weren't part of that?

6 DR. HEATON: No, there weren't enough
7 subjects in that substudy to evaluate that.

8 CHAIRMAN OVERTURF: I have a special
9 population that pediatricians now see a great deal,
10 are children who have compromised guts. They actually
11 have short guts or malabsorptive syndrome, some of
12 which are congenital.

13 So I guess my question would be: has
14 anybody looked at this specifically in terms of both
15 safety and immunogenicity, but particularly whether it
16 gives us any clues to what part of the gut is
17 important in terms of the immune response to this
18 virus.

19 DR. HEATON: Well, the immune response,
20 the virus actually attaches in the upper part of the
21 small intestine, and that's where the replication
22 occurs. It replicates in the mature epithelial cells

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there.

2 CHAIRMAN OVERTURF: Anyway, that should be
3 another population that probably needs to be included
4 in the special population obviously, are those
5 children with compromised guts.

6 Dr. Royal.

7 DR. ROYAL: We've seen the data for the
8 reduction in hospitalizations, emergency department
9 visits and office visits for the vaccine versus
10 placebo group. Can you say anything about what one
11 would see if you compare the placebo group to just the
12 general population, whether there's a difference in
13 those rates?

14 DR. HEATON: Yes. I have a lot of
15 epidemiology background. So it's very tempting for me
16 to want to compare the rates that we saw in the
17 placebo group with background rates and try to do an
18 easy epidemiology study.

19 But there are, you know, so many factors
20 in a clinical trial setting that can actually affect
21 that that I think we have to be careful about
22 extrapolating epidemiologic data from clinical trial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data.

2 As they showed in a study in Peru just by
3 the actual intervention of the study personnel, they
4 think that that actually affected the outcome of the
5 trial, and so we have been very hesitant to do that,
6 but overall the attack rates vary by region. In the
7 placebo group they were highest in the Navajo and
8 White Mountain Apache nations, somewhat in the middle
9 in Finland, and lower in other areas in the U.S.. So
10 the overall attack rates varied, but what did not vary
11 was the reduction in the rates of hospitalizations and
12 emergency department visits. That reduction was
13 consistent across all of the regions.

14 CHAIRMAN OVERTURF: I would like to ask
15 Dr. Izurieta -- I would just like anybody at the FDA
16 who could give me the question. Do you know what the
17 potential cohort would be in the VSD segments of post
18 licensure studies?

19 There were a number of eight million
20 members that were now enrolled in that, but does
21 anybody have an idea what the potential cohort would
22 be for rotavirus studies?

1 DR. IZURIETA: Yeah, there is no final
2 number yet. That data is being calculated today by
3 the CDC group as part of the plan, but just to have an
4 idea, if we were to assume that -- let's assume the
5 VSD population at this point, probably the best data
6 for the VSD population on background rate would be
7 what Komars published, which could be one in 4,000.
8 This could be debated or not, but that's what we have
9 that comes from the real VSD population. So I think
10 it's as reasonable as any other estimate, probably
11 better than getting data from outside sources.

12 Assuming -- and this is quick under
13 epidemiology -- but just to give you an idea, assuming
14 a 90 percent power and assuming that we want to find
15 a relative significant result for a relative risk of
16 two, you know, twice as large as the expected
17 background rate, under all these conditions and
18 assuming no other complications deriving from the
19 specific methodology that's going to be used by CDC,
20 which is a rapid cycle analysis under certain
21 assumptions with that, but oversimplifying -- and this
22 is really oversimplifying -- we could think that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701