

UNITED STATES OF AMERICA  
DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS

ADVISORY COMMITTEE

+ + +

MEETING

+ + +

Friday, January 30, 1998

+ + +

The Advisory Committee met in Versailles Rooms I and II, Holiday Inn Hotel, 8120 Wisconsin Avenue, Bethesda, Maryland, at 8:00 a.m., Patricia L. Ferrieri, M.D., Chairperson, presiding.

PRESENT:

PATRICIA L. FERRIERI, M.D., Chairperson

NANCY CHERRY, Executive Secretary

MICHAEL A. APICELLA, M.D., Member

MARY LOU CLEMENTS-MANN, M.D., Member

REBECCA E. COLE, Member

KATHRYN M. EDWARDS, M.D., Member

## PRESENT (Continued):

MARY K. ESTES, Ph.D., Member

CAROLINE B. HALL, M.D., Member

ALICE S. HUANG, Ph.D., Member

GREGORY A. POLAND, M.D., Member

ROBERT BREIMAN, M.D., Consultant

CLAIRE BROOME, M.D., Consultant

ROBERT B. COUCH, M.D., Consultant

THEODORE E. EICKHOFF, M.D., Consultant

DAVID KARZON, M.D., Consultant

EDWIN D. KILBOURNE, M.D., Consultant

JOHN LaMONTAGNE, Ph.D., Consultant

DIXIE SNIDER, JR., M.D., M.P.H.,  
Consultant

ROBERT WEBSTER, Ph.D., Consultant

ROLAND LEVANDOWSKI, M.D.,  
FDA Representative

NANCY COX, Ph.D., CDC Representative

KEIJI FUKUDA, M.D., Speaker

DOMINICK IACUZIO, Ph.D., Speaker

KUNIAKI NEROME, Ph.D., Speaker

ALEXANDER KLIMOV, Ph.D., Speaker

JOHN WOOD, Ph.D., Speaker

MARIA ZAMBON, M.B., B.Ch., M.A., Ph.D.,  
Speaker

RALPH VOGDINGH, D.V.M., Manufacturer's  
Comment

PRESENT (Continued):

DR. SING CHUNG LEE, Manufacturer's Comment

PAUL PETERSON, Manufacturer's Comment

ALSO PRESENT:

DR. MICHAEL PERDUE

BETHANY WILKINSON

C O N T E N T S

	<u>PAGE</u>
Conflict of Interest Statement	6
<u>Influenza Virus Vaccine Formulation:</u>	
Introduction	9
U.S. Surveillance	13
World Surveillance, Strain Characterization and Molecular Analysis of Strains	17
International Reports	
Dr. Kuniaki Nerome	45
Dr. Maria Zambon	54
Vaccine Responses	
Dr. Roland Levandowski	63
Dr. John Wood	74
Availability of Strains and Reagents	84
Comments from Manufacturers	
Dr. Ralph Vogdingh	88
Options for Strain Selection	100
Discussion and Recommendations	118
Update on Influenza A H5N1 Subtype Viruses	
Introduction	140
Epidemiology of H5N1, Hong Kong	146
Antigenic and Molecular Characterization of H5N1	168
Report on H5N1, Southern China	177
Summary of Surveillance of H5 Viruses in Animals	193

C O N T E N T S (Continued)

	<u>PAGE</u>
Vaccine Development	
Purified HA Vaccine Trials	208
Reassortants and Reagents for Vaccines - Dr. Nancy Cox	219
Dr. John Wood	224
Dr. Roland Levandowski	227
Comments from Manufacturers	230
Committee Discussion	247
Public Comment	262

P R O C E E D I N G S

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

(8:11 a.m.)

CHAIRPERSON FERRIERI: Good morning.  
Could we all gather at the table, those of you who  
have a place, please, and we can start the day?

I'd like to call the meeting to order of  
the Vaccines and Related Biological Products Advisory  
Committee.

I'm Patricia Ferrieri, the chair.

And before we do introductions at the  
table, I'd like to turn the meeting over to Nancy  
Cherry, who has some important announcements.

MS. CHERRY: Good morning, and I say  
welcome also.

My only announcement is the reading of the  
conflict of interest statement, which at this time is  
not very long.

This announcement is made a part of the  
record of this meeting of Vaccines and Related  
Biological Products Advisory Committee on January  
30th, 1998.

Pursuant to the authority granted under  
the Committee charter, the Director of the Center for  
Biologics Evaluation and Research has appointed Drs.  
Claire Broome, Robert Couch, Theodore Eickhoff, David

1 Karzon, and Dixie Snider as temporary voting members.

2 Based on the agenda made available, it has  
3 been determined that all Committee discussions at this  
4 meeting for the influenza virus vaccine formulation  
5 for 1998-98 and an update on influenza A H5N1 subtype  
6 viruses present no potential for a conflict of  
7 interest.

8 In the event that the discussions involve  
9 specific products or firms not on the agenda for which  
10 FDA's participants have a financial interest, the  
11 participants are aware of the need to exclude  
12 themselves from such involvement, and their exclusion  
13 will be noted for the public record.

14 With respect to all other meeting  
15 participants, we ask in the interest of fairness that  
16 they address any current or previous financial  
17 involvement with any firm whose products they wish to  
18 comment on.

19 CHAIRPERSON FERRIERI: Thank you, Nancy.

20 We'll start introductions then at the far  
21 in. Dr. Couch, would you start and give your  
22 affiliation, please, as well?

23 DR. COUCH: Robert Couch, Baylor College  
24 of Medicine, Houston, Texas.

25 DR. CLEMENTS-MANN: Mary Lou Clements-

1 Mann, Johns Hopkins University.

2 DR. APICELLA: Mike Apicella of the  
3 University of Iowa.

4 DR. HALL: Caroline Hall, University of  
5 Rochester.

6 DR. POLAND: Greg Poland, Mayo Clinic,  
7 Rochester.

8 DR. EDWARDS: Kathy Edwards, Vanderbilt  
9 University, Nashville.

10 MS. COLE: Rebecca Cole, consumer  
11 representative from Chapel Hill, North Carolina.

12 DR. ESTES: Mary Estes, Baylor College of  
13 Medicine, Houston.

14 DR. HUANG: Alice Huang, Cal. Tech.

15 DR. SNIDER: Dixie Snider, Centers for  
16 Disease Control and Prevention.

17 CHAIRPERSON FERRIERI: Patricia Ferrieri,  
18 University of Minnesota Medical School, Minneapolis.

19 DR. KARZON: David Karzon, Vanderbilt  
20 Medical School, Nashville, Tennessee.

21 DR. EICKHOFF: Ted Eickhoff, University of  
22 Colorado, Denver.

23 DR. BREIMAN: Rob Breiman, National  
24 Vaccine Program Office.

25 DR. KILBOURNE: Edwin Kilbourne, New York

1 Medical College, Valhalla.

2 DR. WEBSTER: Bob Webster, St. Jude  
3 Children's Research Hospital, Memphis, Tennessee.

4 DR. COX: Nancy Cox, Influenza Branch,  
5 CDC.

6 DR. LEVANDOWSKI: Roland Levandowski,  
7 Center for Biologics Evaluation and Research, Division  
8 of Viral Products.

9 CHAIRPERSON FERRIERI: Thank you.

10 We will have additions to the group. Dr.  
11 Broome and Dr. LaMontagne will be here later.

12 I'd like to start then by turning the  
13 meeting over to Dr. Roland Levandowski, and he will  
14 proceed with the program then until we're ready to  
15 take a break.

16 DR. LEVANDOWSKI: Thank you, Dr. Ferrieri.

17 I'd like to welcome everybody here this  
18 morning, and I think we'll get down to business  
19 because we have a very tight schedule for the program.  
20 All of us are going to have to be right on time. All  
21 of us speakers will have to be right on time to be  
22 sure that we can get in everything that we want to.

23 I'd like to just start with a few remarks.  
24 I think everybody knows why we're here, but I will  
25 state it. We're here today to begin the process of

1 selecting the strains that will be used in the  
2 influenza virus vaccine for the United States in the  
3 1998-99 season.

4 And the question for the Committee is:  
5 what strains should be included, what strains should  
6 be selected based on the scientific information that  
7 we have available for that inclusion?

8 If I could get the first overhead.

9 Just as some background remarks, we're  
10 kind of stuck between Scylla and Charybdis in this  
11 process because there are two competing forces here.  
12 One is the force of nature, the strains that are out  
13 there circulating in people, and the other one is the  
14 schedule for trying to produce vaccines for the United  
15 States.

16 This slide has been updated. You've  
17 probably seen this several times in the past, but I  
18 just would like to point out that the number of doses  
19 of vaccine that are being produced, manufactured for  
20 the United States had been increasing steadily, and  
21 over the last two or three years, you can see that  
22 we're reaching something that looks like it might be  
23 a plateau.

24 So it indicates, I think, that we may be  
25 reaching our vaccine capacity for current

1 manufacturing facilities.

2 That is quite remarkable, however, that  
3 the number of doses has increased from about 20  
4 million doses produced in the late 1980s to around 80  
5 million doses of vaccine that are produced today, and  
6 this is part of what causes the concern about  
7 manufacturing.

8 If I can get the next slide, next  
9 overhead.

10 Just to give an indication of what's  
11 happening for vaccine production, there is literally  
12 something happening all year long for this process.  
13 It's a never ending type of story that goes in a very  
14 large circle, and I won't go through everything that's  
15 on this slide, but I want to emphasize a few things.

16 One is that surveillance, of course, is  
17 the key behind everything. Without surveillance, we  
18 don't really know what's happening. We're essentially  
19 blind and can't see.

20 That is going on continuously, and there's  
21 a fairly concentrated effort during this time of year  
22 to try to identify the strains that will be necessary  
23 for use in the vaccine. Obviously there comes a time  
24 where the manufacturers have to go and make vaccine or  
25 they won't have something that's available.

1                   But during that same period of time, we  
2                   are busily trying to find strains that will grow well,  
3                   not only the right antigenic composition, the right  
4                   antigenic characteristics, but also strains that will  
5                   permit the manufacturers to make that 80 million doses  
6                   that they're producing currently.

7                   And not to emphasize too much, just again  
8                   there are many steps that are intricately connected in  
9                   producing the vaccine any one of which, if there's a  
10                  failure at any one of these steps, the vaccine may not  
11                  be available.

12                  If I can get the next slide.

13                  So the information that we're going to be  
14                  considering this morning for strain selections can be  
15                  broken down into really four categories.

16                  Very importantly, what the antigenic and  
17                  genetic composition and characteristics of the strains  
18                  are that are out circulating in people right now.

19                  We also need to know whether these strains  
20                  are -- how much they're spreading in human populations  
21                  and where they exist. That information is very  
22                  important to knowing whether the strains that look  
23                  very different are unusual in being just an isolated  
24                  case or whether they represent something that has the  
25                  opportunity for spreading very widely.

1                   We also need to know whether the current  
2 vaccines are likely to be effective against the  
3 strains that are present in human populations.

4                   And finally, we need to have availability  
5 of candidate vaccine strains that can grow well for  
6 the manufacturers. If we don't have a strain, then we  
7 really can't do very much about things.

8                   So you can take that off, please.

9                   So I will stop there, and I will ask Dr.  
10 Keiji Fukuda from the Centers for Disease Control and  
11 Prevention if he'll come and give us some information  
12 on U.S. surveillance.

13                   DR. FUKUDA: Good morning. I'll be very  
14 brief going over the U.S. surveillance data for this  
15 year.

16                   Just to remind people here, I think most  
17 of you know this, but basically CDC collects  
18 surveillance information on influenza from four major  
19 sources. The state and territorial epidemiologists  
20 provide weekly estimates of influenza activity in  
21 their states. There is a group of about now 500  
22 physicians in the United States which provide data on  
23 influenza-like illnesses on a weekly basis in the  
24 United States.

25                   Then the network of WHO collaborating

1 laboratories, of which there are, I think, right now  
2 76, provide information on isolates Ns (phonetic) and  
3 isolates to CDC.

4 And then finally, morality data, pneumonia  
5 and influenza related mortality data, is sent in from  
6 122 cities, and these 122 cities represent about one-  
7 third of the aggregate mortality data in the United  
8 States.

9 Now, this bar graph here represents the  
10 reports coming in from state and territorial  
11 epidemiologists for the year, and basically you can  
12 see that somewhere toward the end of 1997 and the  
13 beginning of 1998 estimates of flu activity really  
14 picked up in the country.

15 The blue bars represent regional activity  
16 and the pink bars represent widespread activity, and  
17 you can see that right now we're on the ascending part  
18 of the curve.

19 These two maps here basically reinforce  
20 that message. You can see on the top map the  
21 reporting as of January 3rd, 1998. The pink states  
22 represent the states reporting widespread activity,  
23 and the blue states represent the states reporting  
24 regional activity.

25 You can see that two weeks later, during

1 the week ending January 17th, that the reports of  
2 widespread and regional activity have greatly  
3 increased in the United States.

4 Now, one of the other components, the  
5 sentinel physicians are the group which send in  
6 reports on how many patients are showing up in their  
7 offices for influenza-like activity, and you can see  
8 that at the end of week one, which ended January 10th,  
9 the number of reports coming in for those visits  
10 exceeded the baseline, which is three percent.

11 And so right now about four percent of  
12 visits coming into the sentinel physicians are for  
13 influenza-like illness.

14 Now, this graph here represents the  
15 information on isolates coming into CDC, and again,  
16 you can see that, in general, it parallels the reports  
17 of illness coming into CDC.

18 The bars in green represent reports of  
19 influenza A viruses which have not been subtyped. The  
20 pink bars represent -- the pink and blue bars  
21 represent the subtypes of the influenza A viruses, and  
22 you can see that of the influenza A viruses which have  
23 been subtyped, by far the vast majority have been  
24 influenza A H3N2 viruses, and there have been very few  
25 reports of influenza B viruses.



1 past three weeks, and we have not yet seen the peaking  
2 of that.

3 I think I'll stop there, if there are any  
4 questions.

5 DR. LEVANDOWSKI: Okay. If there are no  
6 questions for Dr. Fukuda, then I guess we'll move on.

7 Dr. Nancy Cox, who is the Chief of the  
8 Influenza Branch at CDC, will present the information  
9 on world surveillance, strain characterization, and  
10 molecular analysis of those strains.

11 DR. COX: Good morning.

12 First, I'd like to say that it's been a  
13 very interesting and exciting influenza year, and  
14 we've been extremely busy, but excited because we are  
15 learning a lot of new things about influenza viruses.

16 If I could have the first overhead,  
17 please.

18 As Roland mentioned this morning,  
19 surveillance for influenza viruses is really the key  
20 to vaccine strain selection, and the way that we've  
21 come to look on global influenza surveillance is that  
22 we're tracking a moving target in a rapidly changing  
23 world, and we all know what some of these changes are:  
24 changes in population density, demographics, immune  
25 status of the population, changes in the environment,

1 in health care practices and priorities, changes in  
2 political boundaries and resources devoted to  
3 influenza surveillance, and of course, changes in the  
4 perception of the threat to human health posed by  
5 influenza viruses.

6 Now, the viruses themselves are also  
7 changing in a very unpredictable way, and I want to  
8 sort of lay this out at the beginning.

9 Of course, there are two types of change  
10 that can occur in influenza viruses. One is the  
11 gradual occurrence of change called antigenic drift,  
12 and the other is antigenic shift, which occurs at  
13 irregular and unpredictable intervals.

14 And please keep in mind that what we are  
15 talking about this morning is antigenic drift, the  
16 gradual change where we're trying to update the  
17 vaccine strains. This afternoon we'll be talking  
18 about antigenic shift.

19 Okay. As Roland mentioned, we have three  
20 types of data that we use to guide our selection of  
21 influenza vaccine strains, and these three types of  
22 data that we use have structured my talk today.

23 So we're, first of all, looking for  
24 emergence and spread of variant viruses, and we look  
25 for these variants using hemagglutination inhibition

1 tests and using sequence data derived from sequencing  
2 the hemagglutinin gene.

3 We look to see if there's been significant  
4 influence activity associated with the circulation of  
5 these variant viruses, and we find this out by looking  
6 at WHO or domestic reports of high levels of  
7 influenza-like illness during the time the variant  
8 viruses were isolated.

9 And of course, we look for a reduced post  
10 vaccine immune response to these variant viruses as a  
11 clue that we need to update the vaccine.

12 Next, please.

13 Now, I'll start out by talking about  
14 influenza B viruses, which this year I think are the  
15 most straightforward of the three groups of viruses  
16 that we'll be considering this morning.

17 Influenza B viruses have continued to  
18 circulate worldwide over the past 18 months or so.  
19 I'd like to point out right from the beginning that  
20 there are two lineages, two very distinct antigenic  
21 and genetic groups of influenza B viruses, one  
22 represented by B/Beijing 184-like strains and the  
23 other represent by B/Victoria 02/87-like strains.

24 We had some activity caused by influenza  
25 B viruses in the United States last year toward the

1 end of the season. We had some influenza B activity  
2 at the outbreak level.

3 Influenza B caused more difficulties in  
4 Europe and in Asia, in particular. During March there  
5 was epidemic activity in China associated with  
6 B/Beijing and primarily B/Victoria-like strains. That  
7 activity continued on in south China during our spring  
8 and summer months, and again, it was associated  
9 primarily with B/Victoria-like viruses.

10 In the southern hemisphere, in both  
11 Central and South America and Australia/New Zealand,  
12 influenza B/Beijing-like strains circulated and caused  
13 a certain amount of epidemic activity.

14 In the most recent period, October '97 to  
15 January '98, there has been relatively little  
16 influenza B activity, and there have been few viruses  
17 isolated. All of them analyzed so far are B/Beijing  
18 184-like.

19 Next overhead.

20 If we look at the antigenic properties of  
21 these influenza B viruses, we can see very clearly the  
22 two groups that I mentioned before, the B/Victoria-  
23 like strains represented here by a recent B/Victoria-  
24 like virus, B/Beijing 243/96, which was chosen as  
25 being representative of the currently circulating

1 Victoria strains and which was used in a vaccine trial  
2 which will be described later on by some of my  
3 colleagues.

4 And this virus, antiserum to this virus  
5 does not inhibit the B/Beijing 184 B/Harbin-like  
6 strains very well at all.

7 Conversely, antiserum to the B/Beijing 184  
8 and B/Harbin strains do not inhibit the Victoria-like  
9 strains very well. So you can see very clearly, using  
10 hemagglutination inhibition tests that there are two  
11 distinct lineages.

12 We'll look a bit more at the particular  
13 antigens, the particular test antigens that we have  
14 here. This particular strain was isolated in January  
15 of '97. So it's a year old.

16 This strain is the most recent U.S. strain  
17 that we've characterized, isolated in mid-November of  
18 '97 from North Carolina.

19 The majority of the strains shown here  
20 were isolated last summer during activity that  
21 occurred between April and August. We do have this  
22 one B/Hong Kong strain which was isolated in mid-  
23 October of '97 that is Beijing/Harbin-like.

24 I forgot to mention that B/Harbin is the  
25 strain that is actually in the current influenza

1 vaccine, and what we can say very clearly is that  
2 viruses which are on this B/Beijing 184/Harbin lineage  
3 are very homogeneous, and they are very well inhibited  
4 by antiserum to the prototype B/Beijing 184 and B-  
5 Harbin 7 antisera.

6 I should mention that the strains that we  
7 have used in the serologic that will be described  
8 later are asterisked here. They are the four  
9 reference strains here, and in addition we had chosen  
10 this B/Argentina strain for the southern hemisphere to  
11 look at the serologic response to this particular  
12 antigen.

13 Next, please.

14 Now, Alan Hampson, who runs the WHO  
15 Collaborating Center in Melbourne, Australia,  
16 unfortunately is unable to be with us today, and I  
17 thought I would just present one of his tables. It's  
18 a bit busy and complex, but I simply want to make one  
19 point.

20 They did have a reasonable number of  
21 influenza B viruses isolated in Australia and New  
22 Zealand during their influenza season during our  
23 summer months, and as I had shown you before, the  
24 antiserum to the Beijing and the Harbin strains  
25 inhibit these strains very well.

1                   So what we can say is that there's been no  
2 detectable antigenic drift among the viruses related  
3 to Beijing 184 and Harbin 7.

4                   Next, please.

5                   In spite of the lack of antigenic drift  
6 among the Beijing 184 strains, we do have continuing  
7 concerns about the circulation of the Victoria-like  
8 strains in Asia, and you can see that during the  
9 period October '96 to March '97, in other words, last  
10 influenza season, the Victoria-like strains  
11 predominated in Asia while the Beijing 184-like  
12 strains were present in the rest of the world.

13                   Similarly, during the period April '97 to  
14 September '97, the Victoria strains predominated in  
15 Asia, while Beijing 184-like strains were present in  
16 the rest of the world.

17                   We've just done antigenic analysis on two  
18 influenza B strains isolated during the period October  
19 '97 to November '97, and they both are Beijing 184-  
20 like. This is the North Carolina virus that I showed  
21 you, and this is the Hong Kong virus that I showed you  
22 in the last HI table.

23                   Next overhead, please.

24                   I think sometimes a map is really the very  
25 best way to get a handle on where different influence

1 variants are circulating, and here we are looking  
2 specifically at the geographic distribution of  
3 B/Victoria-like strains, and of course, the  
4 representative I had in my HI table was the B/Beijing  
5 243 virus.

6 Shown here in red squares we have isolates  
7 identified during the winter of '97 in Japan and  
8 Taiwan and in June of '97 in Singapore, and then in  
9 red circles we have approximately 130 isolates that  
10 were reflected in the previous frequency table that I  
11 showed you, which were identified in China and Hong  
12 Kong during the period '96 through '97.

13 So we have the B/Victoria virus is clearly  
14 circulating in this part of the world, but not having  
15 been detected elsewhere.

16 Now, I'm not going to spend very much time  
17 on the genetic characteristics of these viruses  
18 because we really aren't seeing antigenic differences,  
19 but I would like to present this overhead because I  
20 think that it's important to understand that we really  
21 do use the genetic data as an adjunct to the antigenic  
22 data to help guide our ongoing analysis and certainly  
23 to guide vaccine strain selection.

24 Here you can see in blue HA, the  
25 relationships among hemagglutinin sequences of

1 influenza B viruses related to the Harbin 7 and  
2 Beijing 184-like strains. There isn't a great deal of  
3 genetic heterogeneity among the current '97 strains.  
4 Here is our Harbin 794 vaccine component right here,  
5 and as I showed you before, we can't distinguish these  
6 viruses antigenically.

7 Down here shown in green are the HA  
8 sequence relationship for the Victoria 2/87-like  
9 strains, and you can see that there's not a great deal  
10 of genetic heterogeneity among these strains either.

11 Here is the Beijing 243/97 reference  
12 strain, which is the recent Victoria-like strain used  
13 in serologies that I mentioned before.

14 Next, please.

15 My colleagues will be talking in much  
16 greater detail about the serologies that were done at  
17 CDC and elsewhere, and they'll be trying to synthesize  
18 the results that have been obtained in a variety of  
19 laboratories, but I just wanted to show this one table  
20 showing serologic responses in adult populations to  
21 the B/Harbin component and additional antigens that  
22 were asterisked in the HI table.

23 And what we see is that we have nice post  
24 vaccination geometric mean titers for the relevant  
25 strains, including the more recent strain from

1 Argentina.

2 We do see decreased response to the  
3 Victoria-like reference strain in all the different  
4 serum panels that we've tested, but there does seem to  
5 be a very nice response, indeed, to the B/Harbin  
6 component.

7 Next, please.

8 So I guess before we move on to the H1N1  
9 viruses, I should summarize that for influenza B we  
10 have worldwide activity attributable to influenza B  
11 viruses continuing.

12 There are two distinct lineages of  
13 influenza B viruses circulating, and only one of these  
14 lineages is represented in our current vaccine.

15 Antigenic variation has not been detected  
16 among the currently circulating Harbin or Beijing 184-  
17 like strains, and the distribution of Victoria-like  
18 and Harbin-like viruses worldwide remains much the  
19 same as what we had seen in previous years.

20 Okay. Now we'll move on to page 18 of  
21 your handout, and we'll consider the picture that  
22 we're seeing with influenza A H1N1 viruses. These  
23 viruses have really caused less influenza activity  
24 worldwide than influenza B or H3N2 viruses. I'll  
25 concentrate mainly on this period when there was some

1 epidemic level activity in Central and South America  
2 associated with influenza A H1N1 strains and also some  
3 outbreak level activity associated with H1N1 strains  
4 in Asia.

5           Once again, I should note that there are  
6 two quite distinct antigenic and genetic groups of  
7 influenza A H1N1 viruses. In some ways the picture is  
8 quite similar to that that we see for the influenza B  
9 strains.

10           The Bayern 07-like strains are represented  
11 in our current vaccine by the Johannesburg 82 antigen.  
12 The other group, which we call the deletion mutant  
13 group, is represented in my tables by A/Beijing  
14 262/95, and we know that viruses in this group have a  
15 single amino acid deletion at amino acid 134, which is  
16 in site A in the hemagglutinin, and we believe that  
17 this deletion may be responsible for the antigenic  
18 differences that we see among strains.

19           Now, in the most recent time period,  
20 October '97 to January '98, we've had very little --  
21 in general, very little -- activity associated with  
22 H1N1 viruses. There has been some in Europe, a bit in  
23 the United States, and some in Asia.

24           So, in general, activity, influenza  
25 activity caused by H1N1 viruses has not been very

1 dramatic over the past few years.

2 Next overhead, please.

3 Now, here we see the antigenic  
4 relationships among these influenza viruses, and once  
5 again, a for the B viruses, we can see two very  
6 distinct groups, one represented by the Bayern and  
7 Johannesburg reference strains here, and the other  
8 represented by the Beijing 262 and Wuhan 371 deletion  
9 mutant strains here.

10 It's very easy to see the antigenic  
11 differences between these two groups of viruses.

12 Here we have some recent viruses isolated  
13 from the United States. These viruses were not  
14 reflected in the report given by Dr. Fukuda because  
15 they had not been subtyped by the states. All of the  
16 viruses, influenza A viruses that had been subtyped by  
17 the states were H3N2s. However, some of the strains  
18 that were sent to us before they had been subtyped  
19 turned out to be H1N1 viruses, and we can see that  
20 these strains are Bayern-like and very well inhibited  
21 by antiserum to Bayern and Johannesburg 82.

22 Likewise we received some strains from  
23 Maria Zambon, and she'll probably talk about these in  
24 more detail in her talk, but they are also clearly  
25 Bayern-like, and so there's no evidence for antigenic

1 drift among the Bayern-like strains.

2 This is a virus from the southern  
3 hemisphere from activity that occurred in September in  
4 Argentina and was used in our serologies, and it also  
5 is a typical Bayern-Johannesburg-like strain.

6 We received these viruses here, antigen  
7 17, 18, and 19, from Hong Kong very recently. They  
8 are from activity that occurred in Hong Kong in  
9 December, and they very clearly belong to the Beijing  
10 262/Wuhan group of strains. This is a typo here. It  
11 should be 320 instead of 32.

12 Next overhead, please.

13 Now, if you were at this meeting last  
14 year, you'll recall that the Beijing 262-like or Wuhan  
15 371-like deletion mutant strains had been detected  
16 only in Asia. These are data from Dr. Alan Hay, who  
17 runs the WHO Collaborating Center in London, and he  
18 shared his data with us showing that deletion mutant  
19 strains related to Wuhan and Beijing 262 have been  
20 identified in Senegal and also in South Africa, and  
21 you can see these viruses were isolated in August and  
22 September of '97 and these viruses from South Africa  
23 in November of '97.

24 So very clearly we have movement -- next  
25 overhead, please -- we have movement of these viruses

1 out of Asia to another continent.

2 If we look at the frequency of antigenic  
3 groups that have been characterized -- of viruses  
4 characterized by CDC, we can see that in the period  
5 October '96 to March '97 we did have Beijing 262-like  
6 deletion mutant strains circulating in Asia, and we  
7 had some Bayern-like strains in Europe and also one  
8 from Asia.

9 In the period April '97 to September '97,  
10 we had a fairly large number of Beijing 262-like  
11 strains, most of which came from China, and then we  
12 had from Central and South America Bayern-like  
13 strains, as well as a few strains from Australia and  
14 New Zealand.

15 In the most recent period, we have the  
16 five Bayern-like strains from the United States. We  
17 have four Bayern-like strains from Europe, and we have  
18 the four strains that you saw in the HI table from  
19 Hong Kong, which are Beijing 262 deletion mutant type  
20 strains.

21 Next overhead, please.

22 This map shows the geographic distribution  
23 of the Beijing 262-like deletion mutants. You should  
24 keep in mind that the Bayern-like strains are  
25 distributed worldwide. We're concentrating here just

1 on the deletion mutant distribution.

2 And during the period October '96 to  
3 September '97, we had a total of 63 isolates  
4 identified from China, Hong Kong, Singapore, and  
5 Senegal.

6 In the period October '97 to January '98,  
7 five isolates were identified in Hong Kong,  
8 Johannesburg, and Taiwan.

9 So now we have a wider distribution of the  
10 Beijing 262-like strains than we did last year.

11 Next overhead, please.

12 I will spend just a moment on the  
13 evolutionary relationships among the HAs of influenza  
14 A H1N1 viruses. You can see that the genetic data  
15 very clearly reflect the antigenic data, and we have  
16 two very distinct groups, one here represented by the  
17 Beijing 262-like reference strain, and here is the  
18 Beijing 262-like or Beijing 262 strain itself. Here  
19 is the RESVIR-10 experimental vaccine strain that was  
20 used and will be described. The vaccine trials using  
21 this strain will be described in more detail by my  
22 colleagues later.

23 There is some genetic movement of viruses  
24 between '95 and '97. I think there are about four  
25 amino acid changes that are shared by the '97 strains,

1 but that's not reflected clearly in antigenic analyses  
2 that we've done on these viruses.

3 If we look at the Bayern-like strains, we  
4 can see that there is some antigenic heterogeneity,  
5 but clearly the -- sorry -- some genetic  
6 heterogeneity, but clearly this is not reflected in  
7 the antigenic analysis.

8 Next overhead, please.

9 I'll just spend two seconds on this table,  
10 which shows the antibody response to the Johannesburg  
11 component of the vaccine. Once again, we see that if  
12 we focus on the post vaccine geometric mean titers, we  
13 have a very nice, robust response to the vaccine  
14 strain itself and good responses to other strains,  
15 except for the Beijing 262 when we have a much reduced  
16 response to this particular strain compared to the  
17 homologous titer that we get for the vaccine strain  
18 itself, but the vaccine appears to work very well,  
19 this particular vaccine strain.

20 So in summary for the H1N1 viruses, we did  
21 change the H1N1 component of the vaccine last year and  
22 updated it to a Bayern-like strain, and this has been  
23 a very good choice for the viruses that are currently  
24 circulating in Europe, the Americas and Oceania.

25 The so-called deletion mutant virus is

1 represented by B/Beijing -- sorry -- A/Beijing 262,  
2 continue to circulate in Asia, and there's evidence  
3 for spread of these viruses to Africa and continued  
4 circulation in China and Singapore.

5 Okay. Now we'll move on to the H3N2  
6 viruses. The H3N2 viruses have really posed a  
7 constant challenge to us. They are really responsible  
8 for more epidemic activity worldwide and more severe  
9 disease than viruses in the other two groups.

10 And this is reflected in this worldwide  
11 activity overhead here, where we see and we can  
12 remember very well that influenza A H3N2 viruses  
13 caused epidemic level activity during last year's  
14 influenza season in North America, Europe, and Asia.

15 Likewise in the southern hemisphere A H3N2  
16 viruses caused epidemic level activity in Australia  
17 and New Zealand, particularly in Australia, in  
18 Central and South America, and caused outbreak level  
19 activity in parts of Asia.

20 I do need to mention right away that a new  
21 variant of H3N2 emerged and was identified last autumn  
22 in Australia and New Zealand, and this new variant is  
23 represented by the A/Sidney 05/97 reference strain.

24 So you can see that the activity that was  
25 occurring in the southern hemisphere was caused by

1 both Wuhan-like and Sidney-like strains. Sidney is  
2 related to Wuhan, but clearly antigenically  
3 distinguishable from it.

4 If we move to the current time period,  
5 October '97 to January '98, we see that in the United  
6 States we are now having epidemic level activity  
7 caused by a combination of Wuhan and Sidney-like  
8 strains. Canada also is in somewhat the same  
9 situation.

10 Europe seems to be having somewhat less  
11 activity caused by H3N2 viruses, and there is some  
12 activity in Asia, and we hope to get more information  
13 about this.

14 Next overhead, please.

15 So the next table shows us how the Wuhan  
16 reference strain and the Nanchang vaccine strain are  
17 related to this new variant Sidney 05/97, and you can  
18 see quite clearly that ferret antiserum to the Wuhan  
19 virus does not inhibit the Sidney virus as well.  
20 There's an eightfold difference in titer between the  
21 Wuhan homologous titer and the titer against the  
22 Sidney strain.

23 Likewise we see a reciprocal difference  
24 when we look at the homologous Sidney titer of 640.  
25 We can see that this antiserum to this strain does not

1       inhibit the Wuhan and Nanchang viruses particularly  
2       well, and that general picture is reflected below when  
3       we look at the test antigens.

4               Here we have a group of antigens that are  
5       from the United States. Unfortunately the dates of  
6       isolation have been left off of this overhead, but  
7       these strains were isolated in the United States  
8       between November 18th and December 18th, and these  
9       strains are all Wuhan-like and well inhibited by  
10      antiserum to Wuhan and to Nanchang.

11              In contrast, we have another set of  
12      viruses from the United States which were isolated  
13      between the 18th of November and the 30th of December,  
14      and these strains are not as well inhibited by  
15      antiserum to the Wuhan and Nanchang reference strains,  
16      but are very well inhibited by antiserum to the Sidney  
17      virus.

18              We also have a Sidney-like strain which  
19      was isolated during late activity in Australia in  
20      September of '97, and we have a group of viruses  
21      isolated in Thailand during June, July, and August,  
22      which are Wuhan-like.

23              Left off of this table, but in your  
24      handout you will also see two strains isolated in  
25      Korea during December of '97, and these strains are

1 Sidney-like.

2                   So if you go on to the frequency table and  
3 look at the viruses that we've characterized  
4 antigenically, we can see that the strains that were  
5 circulating during last year's H3N2 epidemic were  
6 Wuhan-like viruses, and this was true pretty much  
7 worldwide.

8                   During the summer months, during our  
9 summer months, the Wuhan-like strains continued to  
10 circulate, but we were beginning to see the Sidney-  
11 like viruses pop up, and they were first isolated in  
12 Australia and New Zealand during June and July of '97.

13                   If we look at the most recent period, we  
14 can see that from the United States we have  
15 characterized a total of 72 influenza isolates, 61  
16 percent of which are Sidney-like. We have a single  
17 strain from Canada which is Sidney-like. We have a  
18 Wuhan-like and a Johannesburg-like strain from Europe.  
19 We have several Sidney-like viruses from Asia,  
20 including the ones from Korea and some from Hong Kong,  
21 and we also had some Sidney-like strains from late  
22 activity in Central and South America and from  
23 Australia and New Zealand.

24                   Next overhead, please.

25                   So if we look at the distribution of

1 Sidney-like viruses worldwide, we can see that the  
2 strains at least according to the information that we  
3 had when we made these overheads a couple of days ago,  
4 the Sidney-like strains were first isolated in June  
5 and July in Australia and New Zealand.

6 Guam had an isolate in November, Taiwan in  
7 September, Hong Kong in July, Korea in November, and  
8 so on, and these strains have been popping up during  
9 November, December, and January in Europe, as well as  
10 in North America.

11 The first Sidney-like strains that were  
12 isolated in North America were actually isolated  
13 during a cruise ship outbreak where some tourists from  
14 Australia boarded a ship, and it's reported that some  
15 of them had respiratory illness when they boarded, and  
16 so the viruses that were isolated on that ship were  
17 Sidney-like, and it's likely that this was a travel  
18 related outbreak caused by Sidney-like viruses.

19 We also had Sidney-like viruses in Hawaii  
20 and, of course, I mentioned in Argentina.

21 Next overhead, please.

22 When we look at the evolutionary  
23 relationships among hemagglutinates of these H3N2  
24 viruses, we can see that although we really have one,  
25 it's not the same situation that we have for the

1 influenza B viruses in the H1N1 viruses where there  
2 are two very, very distinct genetic groups, but we  
3 have a lot of heterogeneity among them.

4 Here we have in green shown the old Wuhan  
5 359-like strains, and here is the Wuhan reference  
6 strain and our vaccine strain, Nanchang 933.

7 Those of you who were at the meeting last  
8 year may recall that we talked about the South Africa  
9 strain, and it also was shown in the HI tables, but I  
10 pretty much skipped over it. South Africa exhibited  
11 some genetic differences from the Wuhan-like strain  
12 that were quite interesting. The antigenic  
13 differences were much less striking, but what we have  
14 found is that the Sidney-like strains actually evolved  
15 from this South Africa virus.

16 And so now what we have circulating are  
17 viruses -- the viruses that are in this genetic group  
18 are beginning to predominate worldwide.

19 Next, please.

20 We actually have done RFLP or restriction  
21 fragment length polymorphism analysis for each of the  
22 three groups of viruses, but I'm only going to present  
23 our data for the H3N2 strains because it's only here  
24 that the data actually add to what we know from the  
25 antigenic analysis.

1                   Here we can see that during the period  
2                   from October '96 to March '97, we could -- I mentioned  
3                   we could distinguish the South Africa genetically by  
4                   sequence analysis from the Wuhan strains. We had a  
5                   majority of Wuhan 359-like viruses when we're talking  
6                   about genetic analysis.

7                   And then from the last -- and I'll talk  
8                   about the picture worldwide, but here I'm talking  
9                   about the picture for the U.S. -- for the U.S. during  
10                  the period April '97 to September '97, we had three  
11                  Wuhan-like strains, three South Africa-like strains,  
12                  and three Sidney-like strains, and these were from the  
13                  cruise ship outbreak.

14                  Then during the current period, October  
15                  '97 through January '98, we've analyzed a larger  
16                  number of viruses by RFLP than we have by antigenic  
17                  analysis, and here you can clearly see that for the  
18                  United States Sidney viruses are beginning to  
19                  predominate.

20                  We have a total of 72 Sidney-like viruses  
21                  or 73 percent of the viruses analyzed by RFLP and 24  
22                  that fall into the South Africa genetic group that  
23                  actually look antigenically like Wuhan and then two  
24                  that fall into the old Wuhan genetic group.

25                  We're moving to the worldwide picture now,

1 and it looks very similar to what we're seeing in the  
2 United States in that we had a majority of Wuhan-like  
3 strains circulating last winter. Then during the  
4 summer months, the Sidney variant emerged, and  
5 approximately 20 percent of the isolates that we  
6 genetically analyzed fell into this group. Forty-two  
7 percent fell in the Wuhan group, and about 37 percent  
8 in the South Africa genetic group.

9           During the most recent period, October '97  
10 to January '98, we have about 74 percent of the  
11 strains that we've analyzed genetically falling into  
12 the Sidney 05-like group and only about 23 percent of  
13 the viruses falling into the Wuhan group.

14           Next overhead, please.

15           Once again I'm going to just only briefly  
16 mention the serologies that were done at CDC because  
17 they'll be covered in more detail later on, but I'd  
18 like to point out a couple of things at least in our  
19 hands in some groups, in some population groups.

20           For example, in the European adult  
21 population, we found that the post vaccination  
22 geometric mean titers were very low to the Nanchang  
23 strain, the vaccine strain, and there was a clear  
24 reduction in titer to the Sidney variant.

25           For the U.S. adult population, we saw a

1 better post vaccine response, a higher geometric mean  
2 titer, but once again, we saw a reduction in the post  
3 vaccine response to the Sidney variant.

4 The New York strain here is a Sidney-like  
5 virus that was isolated this fall, and you don't see  
6 quite the same level of reduction to this particular  
7 strain. So that's interesting.

8 Okay. You can take that down.

9 So in summary for the H3N2 viruses, I'd  
10 like to say that these viruses certainly do continue  
11 to cause epidemics in serious disease as they evolve,  
12 and I'd like to emphasize that these viruses have  
13 circulated for 30 years, and they don't seem to be  
14 losing any of their punch.

15 The second point is that a new antigenic  
16 variant related to, but distinguishable from, the  
17 vaccine strain and represented by the reference strain  
18 A/Sidney 05/97 has spread worldwide.

19 And my third point is simply that sequence  
20 heterogeneity is certainly more prominent among the  
21 H3N2 viruses related to the vaccine strain than in the  
22 B or H1N1 viruses related to the vaccine strain.

23 I think I'll close there and open the  
24 floor to questions.

25 DR. LEVANDOWSKI: Are there any questions

1 for Dr. Cox and her presentation?

2 Dr. Couch.

3 DR. COUCH: Nancy, have you had enough  
4 isolates analyzed in the past few years from China and  
5 maybe other parts of Asia to say whether they  
6 experienced a transition for H1 viruses from Taiwan to  
7 Texas, to Bayern to the newer strains?

8 DR. COX: We have seen -- yes, I mean, we  
9 have seen that kind of transition. We've had  
10 relatively few strains that were related to Taiwan,  
11 Texas, and Bayern over the past three years from Asia,  
12 but we can say that when we do see a strain that's on  
13 that lineage isolated from China, that antigenically  
14 it looked similar to the Texas-Taiwan Bayern-like  
15 viruses, but we've had very few of them.

16 The majority of the strains isolated over  
17 the past three years from China have been the Beijing  
18 262/Wuhan 371 deletion mutant-like viruses.

19 DR. COUCH: But do you have enough before,  
20 say, three years ago to say that Texas or Taiwan were  
21 dominant?

22 DR. COX: Yes.

23 DR. COUCH: They were?

24 DR. COX: Yes.

25 DR. LEVANDOWSKI: Dr. Kilbourne.

1 DR. KILBOURNE: Nancy, going back to page  
2 29 of your handout, we have the old recurrent problem  
3 here of the single ferret. When you talk about the  
4 response of the Wuhan antigen, I guess, a Sidney, you  
5 chose to talk about one Sidney. That was the Sidney  
6 which is not the recombinant in terms of showing an  
7 eightfold difference in that direction, whereas it's  
8 twofold if you look at the recombinant.

9 Now, has additional work been done to  
10 establish really whether there's that much difference  
11 between the reassortant, the Australian reassortant  
12 and the wild type?

13 DR. COX: We have sequenced the Sidney 05  
14 and the IVR 108 reassortant, and they are, I believe,  
15 identical to each other in sequence of their HI1  
16 domains.

17 So I can't explain, and we've seen this  
18 before where the high growth reassortants sometimes  
19 exhibit much higher reactivity with the ferret sera,  
20 and we've never been -- maybe you have an explanation  
21 for it. I've never been able to adequately understand  
22 or explain that phenomenon, but we do see it  
23 occasionally.

24 DR. KILBOURNE: Well, you and I have  
25 published on this, but I just think it's a point worth

1       noting if anybody's going over the data, that one does  
2       have this quasi species effect here.

3                 DR. COX: Well, when we did our paper, we  
4       actually did see molecular changes that sometimes  
5       could be correlated with the antigenic differences.  
6       In this case we don't see changes.

7                 DR. KILBOURNE: Could it be influenced by  
8       differences in neuraminidase?

9                 DR. COX: It could possibly be. We have  
10      not explored that.

11                DR. KILBOURNE: Okay.

12                DR. COX: But what we can clearly see is  
13      that when we look at the test antigens, the field  
14      strains themselves, there are these two  
15      distinguishable, very distinct groups.

16                DR. KILBOURNE: Yeah.

17                DR. LEVANDOWSKI: Dr. Eickhoff.

18                DR. EICKHOFF: Nancy, I'm impressed with  
19      the extraordinary rapidity with which the A/Sidney  
20      strain is spread around the globe, you know, from  
21      being not even on the map a year ago when we were at  
22      this meeting to suddenly being the predominant virus,  
23      well, globally.

24                It is sort of reminiscent of introduction  
25      of antigenic shift, introduction of a whole new H2 or

1 H3.

2 Do you remember any precedent in your  
3 experience that a drifted virus has spread this  
4 rapidly around the globe?

5 DR. COX: During the ten years that I've  
6 been intimately involved in this process of vaccine  
7 strain selection, I can't remember of another  
8 instance. I'm hoping that Dr. Nerome will talk a  
9 little bit about strains that were isolated last  
10 winter in Japan that may shed some light on this  
11 question.

12 DR. LEVANDOWSKI: Any other questions?

13 (No response.)

14 DR. LEVANDOWSKI: If there are no further  
15 questions at this time of Dr. Cox, we'll move on with  
16 the program. We do have on the program some reports  
17 from international guests.

18 Our first guest speaker is Dr. Kuniaki  
19 Nerome, who's from the National Institutes of Health  
20 in Japan, and he will give us some information on  
21 influence and activity occurring in his country.

22 DR. NEROME: Mr. Chairman, distinguished  
23 members of this Committee, ladies and gentlemen, I'm  
24 honored and proud to have been invited to speak at the  
25 annual meeting of your distinguished congress.

1                   Today I would like to talk to you about  
2                   the influenza activity of this season in Japan and  
3                   antigenic properties of epidemic strains, mainly H3N2,  
4                   Hon Kong influenza virus, not H5N1 Wuhan viruses.

5                   In the human history, an avian influenza  
6                   virus, H5N1, was first isolated from a three year old  
7                   boy who died of multiple medical complications.  
8                   Although the occurrence of bird influenza virus in  
9                   Hong Kong has aroused attention and interest, we never  
10                  ignore marked antigenic change of another H3N2 Hong  
11                  Kong virus.

12                  Please.

13                  This figure shows a number of virus  
14                  isolation with the span over time. In the spring of  
15                  last year, B/Victoria 287-like strain appeared to  
16                  predominate in Japan, and in 1997-1998 influenza  
17                  season began with isolation of H3 Hong Kong viruses  
18                  around mid-November.

19                  As can be seen here, the numbers of virus  
20                  isolation are extremely small, suggesting low activity  
21                  of influenza in this season in Japan.

22                  Next.

23                  Since early 1997, Japan has adopted data  
24                  regarding influenza-like illness, absentees, class  
25                  closures, and school closures in primary school,

1 junior high school, and high school to monitor  
2 morbidity.

3 In order to compare magnitude of epidemic  
4 in this season with data of 1995 to 1996 and in 1996  
5 to 1997, a series of data obtained from schools were  
6 presented in this figure. As can be seen here, the  
7 number of influenza-like cases, absentees, class  
8 closure and school closure that were indicated by  
9 broken line are baseline and very small. So as can be  
10 seen here, the red arrow indicates three or four  
11 absentees, even light cases, in Japan, extremely, very  
12 low when compared with last two seasons.

13 Next slide, please.

14 This is the map of Japan mainland and  
15 islands. Numbers shown in the map represent order of  
16 virus isolation in this season. In right portion, the  
17 data of virus isolation was shown.

18 As you can see here, the first virus  
19 isolation was reported to our national center on  
20 November 26, and it is very late when compared with  
21 that of last two seasons.

22 Also, number of local governments which  
23 reported virus isolation are small when compared with  
24 last two seasons.

25 Sorry. This is mistake.

1                   This     table     indicates     antigenic  
2     characteristics of Hong Kong influenza viruses. You  
3     can see here. So this is Wuhan viruses. This is  
4     Wuhan viruses does not inhibit. So most of Japan  
5     isolated from this season.

6                   This is Saga 128 strain. It's very  
7     similar to AH3 variant. This is variant is markedly  
8     inhibited so H activity of this, most of Japanese  
9     isolates.

10                  As you can see here, most of Japanese Hong  
11     Kong viruses belong to the H3-like variant.

12                  Next slide. Sorry. May I have slide,  
13     please? May I have slide, please?

14                  This is map of Japan, mainland and  
15     islands. Numbers shown in the map represent order of  
16     virus isolation in this season. In right portion --  
17     sorry -- in that portion was shown data of virus  
18     isolation. In Japan, the first isolated H3N2 viruses,  
19     November 26 in 1997, 1997.

20                  As you can see here, the first isolation  
21     was reported to occur, national center on November 26,  
22     and it is very late when compared with that of last  
23     two seasons.

24                  Also, numbers of local governments which  
25     reported virus isolation are small when compared with

1 those over the last two seasons.

2 This slide shows numbers of viruses  
3 isolated in 29 local laboratories. With exception of  
4 the Hokkaido, northern part of Japan, few prefectures  
5 of central Japan and west part of Japan, numbers of  
6 virus isolation in each prefecture are not so high.

7 Next. Okay.

8 Now, our next concern is the antigenic  
9 change of H3N2 Hong Kong viruses. As can be seen in  
10 this HI table, of 29 strains examined two are  
11 apparently different from the A/Wuhan 359/95 strain  
12 and S/South Africa and A/Nanchang strain.

13 It is particular interest to reveal that  
14 the above two strains, A/Saga, the A/Sidney-like  
15 strain, and A/Hiroshima were already isolated in 1996-  
16 1997 season in Japan. As indicated by red square, a  
17 state of antigenic change is further emphasized in the  
18 strains isolated in 1997-1998 season.

19 The 94 percent of Japanese isolated belong  
20 to the A/Shiga-like strain.

21 Next slide.

22 This HI table indicates antigenic analysis  
23 of A/Hong Kong viruses isolated in Hong Kong and China  
24 and Korea. As you can see here, all the strains  
25 isolated in Korea belong to A/Shiga-like strain, and

1 two strains isolated in China are basically very  
2 similar to the A/Shiga-like strain, and also two  
3 strains isolated in Hong Kong are identical to H3-like  
4 variants.

5 So most of H3N2 viruses isolated in Asian  
6 countries already belong to A H3 like a new variant.

7 Next slide. That you.

8 It was of particular interest to know --  
9 okay.

10 However, a final evaluation of antigenic  
11 draft of epidemic viruses should be undertaken in  
12 humans who receive the vaccine. This figure shows  
13 that immune responses in people vaccinated with 1996-  
14 1997 season's flu vaccine containing A/Wuhan 359/97,  
15 A/Beijing 262/95, and one B/Guangdong 05/94 and B/Mie  
16 1/93 strain. However, Japan uses the four vaccine  
17 strains in that season.

18 As can be seen here, open circle, antibody  
19 type before vaccination. Broken bar indicates  
20 antiviral titer after vaccination. As can be seen  
21 here, A/Wuhan, A/Beijing, a higher immune response,  
22 but B strain antiviral titer, these did not so good.

23 We look at this antiviral response, A/Saga  
24 128/97 strain. This strain is acts very similar to  
25 H3-like new variant. Even after vaccination,

1       antiviral titer, less than ten.

2               In conclusion, although the detailed  
3       analysis represented by viruses isolated in 1996-97  
4       and 1997-98 season are not finalized, according to  
5       update of late January, we may arrive at the following  
6       conclusions.

7               First, A/Saga 128/97, basically all five  
8       97-like new variant, but appear to be pubent  
9       (phonetic) in many part of the world. Antibody  
10      isolated by South Africa, A/Nanchang and A/Wuhan  
11      359/95 viruses may not effectively prevent the  
12      infection with A/Saga 128/97, A/Sidney-like variant.

13              So although the data of molecular  
14      evolution was not shown in the present report, H3N2  
15      Hong Kong viruses have survived by exchange of  
16      internal gene between old and new viruses since the  
17      early 1997.

18              Fourth, antigenic analysis of H1N1 viruses  
19      isolated in Japan in this season indicated its  
20      (inaudible) to the identical A/Wuhan 371/95 over  
21      A/Hong Kong 378/97, respectively.

22              Two B viruses were also isolated in Japan  
23      in this season, and they were antigenically  
24      indistinguishable from B/Guangdong 05/94 and B/Beijing  
25      91/84/93, respectively.



1 DR. NEROME: No. All age groups do not  
2 contain antibody to this Sidney-like variant.

3 DR. LEVANDOWSKI: Are there other  
4 questions for Dr. Nerome?

5 I actually have one. You mentioned the  
6 exchange of internal genes of some of the strains.  
7 Can you elaborate on that and tell us which genes  
8 you're talking about?

9 DR. NEROME: Well, at the time we looked  
10 at the (inaudible) of more than H3N2 viruses, 1990.  
11 So most of that epidemic strain contains six internal  
12 genes between old strain and new strain. So from this  
13 analysis, recent Hong Kong viruses can survive by  
14 exchanging with the internal genes, old or new strain.  
15 Yes.

16 DR. COX: Could you tell us which old  
17 strains the internal genes come from?

18 DR. NEROME: Old strain isolated in 1993.  
19 New Hong Kong viruses isolated after 1996.

20 DR. LEVANDOWSKI: Okay. Thank you very  
21 much, Dr. Nerome, for that interesting presentation.

22 And we'll move on if there are no further  
23 questions. Dr. Maria Zambon, who's at the Public  
24 Health Laboratory Service at Colindale in the United  
25 Kingdom -- I guess I should say England -- is here to

1 give us a presentation about the activity of influenza  
2 viruses in England.

3 DR. ZAMBON: Thank you very much for  
4 inviting me.

5 In England, as many of you may recall from  
6 previous talks which I've given here, we use a number  
7 of indices to follow a monitor influenza activity  
8 clinically. The most important index that we use is  
9 an indicate derived from sentinel practitioner  
10 continuous morbidity registration, which I will refer  
11 to as the RCGP index.

12 This index is derived from approximately  
13 100 sentinel physicians scattered throughout England  
14 and Wales who monitor a population of some 800 to  
15 900,000 and allow, therefore, the derivation of a  
16 weekly consultation index rate for influenza and  
17 influenza-like illness.

18 And if we look on the top panel of my  
19 slide here, the yellow line here represents the  
20 consultation index for the current winter season, the  
21 '97-98, and you can see by comparison with last  
22 winter, '96-97, and the last really major epidemic  
23 here in England, 1989-90, but essentially this year we  
24 have had very little clinical morbidity estimated from  
25 influenza by sentinel physician network reports.

1                   This is supported by evidence from other  
2 sources, including all laboratory reports from all  
3 hospitals in England, Wales, and Scotland of influenza  
4 A infection and of influenza B, and once again, the  
5 yellow represents the current year, not as shown on  
6 the panel here, compared with red, last season, and  
7 blue, 1989-90.

8                   And, indeed, when we also look at death  
9 registrations from all causes in England and Wales, we  
10 find less than expected numbers of deaths in  
11 association with influenza activity.

12                   So, therefore, the remarks that I'm going  
13 to be making about the influenza viruses that we have  
14 isolated are to be taken in the context of little  
15 influenza activity so far in the United Kingdom.

16                   If we turn now to our directed virological  
17 surveillance, that is, community-based virological  
18 surveillance, the sentinel physicians who are  
19 conducting the continuous morbidity registration, a  
20 subset of those submit swabs for analysis from cases  
21 of flu and flu-like illness.

22                   So the red line here represents the RCGP  
23 index. If we look at '97-98, we have essentially a  
24 flat line. The gray bars represent the numbers of  
25 samples submitted from cases of flu and flu-like

1 illness, and the yellow bars represent influenza  
2 isolates.

3 And it can be seen that although we are  
4 getting samples from cases of what appear to be flu  
5 and flu-like illness, the isolation rate continues to  
6 be rather low. Indeed, the isolation rate to date is  
7 of the order of ten percent, whereas when we are in a  
8 flu season in the United Kingdom, we normally expect  
9 an isolation rate from such samples of the order of 30  
10 to 40 percent. So, once again, little evidence of  
11 extensive influenza activity, although we have  
12 obviously isolated some viruses.

13 If we turn now to looking at the isolates  
14 that we have received and analyzed, they are in total  
15 approximately 60 or so isolates, which contrasts quite  
16 markedly from the situation last year at this time  
17 where we had analyzed -- we had actually characterized  
18 some 700 isolates.

19 So, therefore, what we are looking at is  
20 low levels of isolates from essentially sporadic  
21 cases.

22 The first isolates that were received were  
23 -- well, in fact, all of the isolates that we've  
24 characterized have been influenza A, and really before  
25 Christmas these broke down into a mixture of H3N2

1 isolates and H1N1 isolates.

2                   However, since Christmas we are  
3 predominantly seeing H1N1 isolates, and the other  
4 point to make is that the majority of the isolates  
5 that we have characterized have come from GP  
6 surveillance or community illness rather than  
7 hospitalized cases.

8                   If we turn now to the age distribution of  
9 the isolates that we've looked at, and again, I'd like  
10 you to bear in mind that this actually represents data  
11 from relatively few isolates, what appears to be the  
12 case at the moment is that the H1 isolates that we've  
13 looked at are predominantly in younger age groups in  
14 comparison with the H3N2 isolates, which appear to  
15 come from predominantly slightly older people, but  
16 again, this is really data from only about 15 or so  
17 isolates in total throughout the United Kingdom.

18                   If we start with the antigenic  
19 characterization of the H1N1 isolates that we've  
20 looked at from a mixture of GP surveillance and  
21 hospitalized cases, the important point to bring out  
22 is that all of the isolates that we've looked at have  
23 good antigenic activity with antiserum raised to Bio  
24 (phonetic) 795 and do not have reactivity with  
25 antiserum raised to the deletion mutant, which now HI

1 tables are represented by A/Wuhan 371/95. So there's  
2 good reactivity in all of the isolates that we've  
3 looked at so far.

4 Interestingly, when we look at the genetic  
5 characterization of these viruses, the ones that we  
6 have sequenced the HA1 portion of the H1 -- of the HA  
7 gene, we find that isolates are very similar to H1N1  
8 viruses that we saw towards the end of last season and  
9 similar to each other.

10 We have of some interest identified an  
11 England strain, A/England 728/97, which is genetically  
12 rather closer to the deletion mutants mentioned, but  
13 does not itself contain the deletion and reacts well  
14 with antisera II, Bio N7 (phonetic), and this  
15 indicates to us that we need to focus a little more on  
16 the H1N1 strains to see exactly what is happening and  
17 whether there is some genetic heterogeneity in there.

18 Turning to the H3N2 strains that we've  
19 seen, as has already been mentioned, the first strain  
20 that we saw in England was, in fact, a virus which  
21 reacted rather better with an older strain,  
22 Johannesburg 34/94, and not particularly well with  
23 Wuhan or later derivatives.

24 We do occasionally see this in England in  
25 that the first H3N2 strains right at the beginning of

1 the season are not representative necessarily of what  
2 is circulating or what will circulate, but are  
3 occasionally a mixture of older strains.

4 Then the H3N2 viruses that we've looked  
5 at, and again, I would remind you this constitutes a  
6 total of about 15 or 16 isolates, clearly fall into  
7 two groups. There are those which react well with the  
8 Wuhan 359/95 antisera and those which react better  
9 with the Sidney 05 antisera and have a lower  
10 reactivity to Wuhan 359/95.

11 And the current distribution is that  
12 roughly about 70 percent of our strains have good  
13 reactivity to Wuhan 359 and 30 percent have good  
14 reactivity to Sidney 05.

15 This analysis is supported genetically  
16 from sequence analysis in that the majority of our  
17 strains we have sequenced come out very closely to  
18 Wuhan 359. We have some evidence of Sidney 05-like  
19 viruses genetically, and the first variant is  
20 genetically closely related to Thesalonika 01/95,  
21 which was a prototype strain for European-  
22 Johannesburg-like isolates.

23 So the conclusion so far from our analysis  
24 with limited data and limited numbers of strains is  
25 that we have clear evidence of circulation of at least

1 two lineages of hemagglutinin in the H3N2. However,  
2 we do not have extensive disease in association with  
3 either of these isolates yet, and that may reflect the  
4 fact that the influenza season, if you will, has not  
5 yet taken off in the United Kingdom.

6 Earlier this week there were a number of  
7 reports of outbreaks of influenza, which has turned  
8 out to be influenza A in association with boarding  
9 schools reopening in the U.K. after the Christmas  
10 break, and very often for us that signals the  
11 beginning of our true influenza season, and it may  
12 well be that in the coming weeks we will have more  
13 strains to isolate and focus on, hopefully before the  
14 Geneva meeting.

15 With respect to influenza B, as has  
16 already been mentioned, our last season, '96-97,  
17 towards the end of the season we had a large number of  
18 influenza B viruses, all of which were B/Beijing 184-  
19 like, both genetically and antigenically.

20 This season we have no influenza B  
21 isolates and, indeed, only occasionally one or two  
22 through the summer months of influenza B, and really  
23 it's actually not worth saying too much about the  
24 antigenic properties of those.

25 That can actually be summarized very

1       adequately by saying that they are really entirely  
2       antigenically similar to Beijing 184/93 and similar to  
3       each other, and the latest B virus which we obtained  
4       in England in April of 1997 is very like all of the  
5       other England viruses that were sequenced during the  
6       last season and similar to the Harbin group of viruses  
7       and Beijing group of viruses that we have seen  
8       circulating in the last year.

9               So in summary, in the United Kingdom we  
10       have little evidence of extensive clinical morbidity  
11       in association with influenza B -- influenza. We have  
12       -- what isolates we have had so far have been  
13       influenza A, predominantly influenza A, H1N1, and  
14       within the H3N2 viruses we've seen some antigenic and  
15       genetic heterogeneity as with the H1N1 viruses, where  
16       we have evidence of Bayern 07-like strains, and the  
17       possibility of some genetic heterogeneity which needs  
18       to be amplified by more data analysis.

19               Thank you.

20               DR. LEVANDOWSKI: Thank you, Dr. Zambon.

21               Are there any questions for Dr. Zambon?

22               Dr. Cox.

23               DR. COX: Was there any travel history  
24       that you could ascertain for the patient from whom the  
25       England -- the H1N1 England 728 strain --

1 DR. ZAMBON: We haven't been able to  
2 pursue that, but that is certainly something we can  
3 try to catch up on.

4 DR. HALL: May I also ask is that  
5 particular strain close to any of the ones that Nancy  
6 presented here?

7 DR. ZAMBON: I'm not quite sure about that  
8 in the sense that we'll have to look at it. We'll  
9 have to compare our sequence data in order to get at  
10 that information.

11 DR. LEVANDOWSKI: Okay. Do you have a  
12 question?

13 DR. COUCH: In looking at your tables,  
14 despite their being quite Wuhan and your England  
15 strain being quite close, the Wuhan antisera was not  
16 very good at inhibiting the strain. Are you making  
17 the England antiserum to look for the --

18 DR. ZAMBON: Yes.

19 DR. COUCH: -- opposite effect?

20 DR. ZAMBON: Yes. That's in progress.

21 DR. LEVANDOWSKI: Are there further  
22 questions?

23 (No response.)

24 DR. LEVANDOWSKI: I'm sorry if I don't see  
25 somebody. You have to make more noise.

1                   If there are no further questions for Dr.  
2                   Zambon, then I guess we'll move on, and I'll ask Dr.  
3                   Ferrieri if this is a convenient time for the break.  
4                   I think we're right on schedule as of this time, and  
5                   I'll ask for your advice on this.

6                   CHAIRPERSON FERRIERI:  Yeah, I think we  
7                   should take a break now and reconvene at 10:15, and  
8                   we'll be right on target.  Some people may wish to  
9                   check out of their rooms so that we can move forward  
10                  this afternoon.

11                  So 10:15.

12                  (Whereupon, the foregoing matter went off  
13                  the record at 9:48 a.m. and went back on  
14                  the record at 10:18 p.m.)

15                  CHAIRPERSON FERRIERI:  We will resume the  
16                  morning session if everyone could please sit down.  
17                  Those at the table, please rejoin us.

18                  We'll continue on then with our agenda on  
19                  the influenza virus vaccine formulation issue, and the  
20                  next talk will be on vaccine responses, and Dr.  
21                  Levandowski and Dr. Wood will present.

22                  Dr. Levandowski.

23                  DR. LEVANDOWSKI:  Okay.  Thank you.

24                  We will get started.  I know that there  
25                  are people who are still kind of trickling in.

1           We're going to be having a somewhat  
2 different set of presentations than we normally do on  
3 the vaccine responses in at least one sense. I'm  
4 going to be presenting information on the typical  
5 types of clinical trials, serologies that we normally  
6 have to discuss at this meeting. When I'm finished  
7 with that, Dr. John Wood from National Institute of  
8 Biological Standards and Control in England will be  
9 describing a very interesting study done with an  
10 experimental vaccine that contained the H1N1 deletion  
11 mutant as one component and a B/Victoria-like strain  
12 as another component.

13           So I'll start with the information that  
14 I've got here.

15           Those of you who have the handout,  
16 particularly on the Committee, to follow along,  
17 there's a handout that's called "Summary of  
18 Preliminary Data on Serologic Responses." All of the  
19 overheads that I'm going to show are contained in  
20 there, and I'll try to make sure I tell you what page  
21 I'm on so that you can follow along.

22           I don't intend to describe all of the  
23 individual studies. I'm going to try to highlight  
24 some specific studies that are illustrative and then  
25 make some general summary statement at the end about

1 the studies.

2 If you go to page 1 of the handout,  
3 there's a list of the serum panels that were used for  
4 the studies, where they came from. We had serologic  
5 panels from Alan Hampson in Melbourne. John Wood  
6 supplied a panel of sera from people immunized for the  
7 European Union clinical trials. We had sera that were  
8 made available to us from Stefan Gravenstein's program  
9 at East Virginia Medical School.

10 We had a panel of sera that were made  
11 available by John Treanor at the University of  
12 Rochester, and his sera were somewhat different from  
13 the others in that there were more types of  
14 individuals immunized. In particular, I'd call your  
15 attention to the fact that in addition to the normal  
16 healthy adults and ambulatory elderly, there were  
17 patients who were immunized who were renal patients  
18 and also institutionalized elderly patients.

19 We did not have from this year, but we did  
20 have retained, some small portion of antisera from a  
21 study done last year for us by Bill Gruber at  
22 Vanderbilt University.

23 The particular vaccines that were used for  
24 these trials are shown under vaccine components. The  
25 vaccine for this year used -- in the northern

1 hemisphere was the one that included an A/Johannesburg  
2 82/96 H1N1 component, an A/Nanchang 933/95 H3N2  
3 component, and a B/Harbin 07/94 component, and I will  
4 try to refer to those strains as the vaccine strain  
5 when I point out the serologies.

6 For the children who were immunized last  
7 year, the H1N1 component was an A/Texas 36/91  
8 component. For the serologies from Australia, the  
9 H1N1 component was an A/Texas 36/91. So I will not be  
10 concentrating on those aspects for those particular  
11 panels.

12 If I can get the next overhead, which is  
13 page 2, these are the different antigens which were  
14 used for serologies at different institutions. Many  
15 of the antigens were the same between the  
16 institutions, but there were some differences.

17 For the B strains, all of the strains that  
18 were used for serologies were either treated, and I  
19 guess I would call attention to the fact that the  
20 B/Argentina 218/97 and B/Argentina 275/97 strains are  
21 both B/Yamagata. I should say they're B/Beijing 184  
22 or B/Harbin 07/94-like strains, so that they're on the  
23 B/Yamagata 16/88 lineage.

24 The B/Beijing 243/97 strain is a  
25 B/Victoria 02/87-like strain.

1           For the H1N1s, again, I just would point  
2           out that the strains that are included here are  
3           predominantly like the A/Johannesburg 82/96 vaccine  
4           component, including the A/Argentina 974/97 strain.

5           Also included in the studies were H1N1  
6           deletion mutant viruses represented by A/Beijing  
7           262/95 type strains.

8           For the H3N2 studies, the strains that are  
9           represented here include those that are like the  
10          A/Sidney 05/97 strain that we've been hearing about,  
11          and also some other variants. The A/Wuhan 254/97 is  
12          a recent non-Sidney type H3N2.

13          The next overhead is from page 3, and many  
14          of the laboratories performed serologic testing on  
15          serum panels that were shared among the laboratories.  
16          Those are shown here.

17          You can see that the sera from Australia,  
18          from Europe, and some from the United States were  
19          shared amongst the different laboratories.

20          And if we go to page 5, which is an  
21          influenza B table, this is a study that was done using  
22          sera from adults in Australia. The serologies that  
23          I'm showing here were done by CSL. Actually they were  
24          done by the WHO center at Melbourne and by the CDC in  
25          Atlanta.

1                   And I'll just call your attention to the  
2                   geometric mean titers that are shown here, the post  
3                   geometric mean titers, to point out that the vaccine  
4                   strain B/Harbin 07/94, it was a very immunogenic  
5                   strain in these studies at least for strains that were  
6                   similar to B/Beijing 184/93, including the B/Argentina  
7                   218/97 strain.

8                   However, strains that are more like the  
9                   B/Victoria 287 strain represented here by the  
10                  B/Beijing 243/97 showed substantial decreases in  
11                  antibody responses for persons immunized with those  
12                  vaccines.

13                  Those differences were not always as  
14                  marked, and page 6, a study done in elderly from  
15                  Virginia with serologies done, again, at a WHO center  
16                  in Melbourne and at CBER. The responses were somewhat  
17                  lower than seen in the previous panel for the vaccine  
18                  strain, but that often happens in elderly populations.  
19                  We see somewhat lower responses.

20                  And in this instance the difference  
21                  between the vaccine strain, the B/Harbin and the  
22                  B/Victoria-like strain, the B/Beijing 243/97, was not  
23                  quite as marked, did not reach the same level of  
24                  difference as was seen in the first study that I  
25                  pointed out.

1                   Moving on to the H1N1s on page 9, a fairly  
2                   typical study here, again, in adults in Virginia with  
3                   the serologies done at Center for Biologics and also  
4                   at WHO center in Melbourne.

5                   This vaccine contained or these vaccines  
6                   contained the A/Johannesburg 82/96-like strain as the  
7                   vaccine component, and you can see, I think, that the  
8                   vaccine was highly immunogenic. the individuals all  
9                   developed antibody responses for the vaccine component  
10                  and also for more recent H1N1 strains that are related  
11                  that were similar to the homologous antigen.

12                  In the case of the Beijing 262/95 strains,  
13                  however, you can see that there was a very marked  
14                  difference here between the vaccine component and the  
15                  H1N1 deletion mutant.

16                  I will move on to the H3N2s, and on page  
17                  12 we have some serologies that were done for the  
18                  children for which we had retained some serum from  
19                  last year, and unfortunately we had so little of it we  
20                  were only able to do one test. So this is it.

21                  These studies were done both at the Center  
22                  for Biologics and at University of Rochester in John  
23                  Treanor's lab, and I think even though the titers are  
24                  a little bit different here, they show the same thing,  
25                  that the antibody responses to the A/Nanchang vaccine

1 component were somewhat low as compared to what we  
2 were seeing for the H1N1 certainly.

3 And that's not necessarily unusual. We  
4 sometimes do see from time to time that the antibody  
5 titers themselves appear low.

6 The more important thing from these slides  
7 is that or from these tables is that the response to  
8 the Sidney 05/97 strain and the A/Wuhan 254/97 strain  
9 were quite low in comparison to the vaccine strain.

10 Moving on to page 13, this is a study that  
11 was done in adults from NIBSC who were immunized with  
12 a vaccine that contained the Nanchang 933/95, and the  
13 studies were done at CDC and at WHO center in  
14 Melbourne.

15 Again, the antibody titers for the  
16 homologous strain in comparison to that, the antibody  
17 titers for the new variants, the A/Sidney 05/97,  
18 A/Wuhan 254/97, and A/New York 19/97 were quite low.  
19 They were at least 50 percent lower, twofold lower as  
20 compared to the vaccine strain, and that was also true  
21 as the serologies were done at the other laboratory in  
22 Australia.

23 Pardon me.

24 On page, let's see, 16, again, another  
25 study done in elderly in Virginia with an A/Nanchang

1 containing vaccine. In this particular instance,  
2 these serologies were done in Australia and at the  
3 Center for Biologics.

4 The difference between the vaccine strain  
5 and the Sidney or the Wuhan strain was not as marked  
6 in these elderly patients as it is in some of the  
7 other studies that were done, unlike the preceding  
8 study that was just shown.

9 Okay. To try to put it all together, and  
10 I did not mention, but you have also all of the raw  
11 information in the sense that it's the original tables  
12 that were put together by the individual centers. So  
13 you have the full information from each of the  
14 different institutions available to look at.

15 Trying to put it all together, there are  
16 some summary tables here to try to do what we did last  
17 year and to try to pull all of the information  
18 together in a more simplified form.

19 I'm showing here serologic panels that  
20 were tested that showed reductions of at least 50  
21 percent or greater in the post vaccine geometric mean  
22 titers and showing the new virus strains that were  
23 being looked at, including B/Beijing 243/97, which is  
24 the B/Victoria-like strain, and two B/Harbin-like  
25 strains, B/Argentina 218 and B/Argentina 275.

1           And what you can see is that pretty  
2 consistently in the studies that were done, there was  
3 reduction of at least 50 percent or greater for the  
4 B/Beijing 243/97 strain, and that was quite a marked  
5 difference on average, taking all of those studies and  
6 making a mean out of that, and in general, some of the  
7 studies were even as high as 90 percent lower. So it  
8 was quite a dramatic difference.

9           In contrast, the more recent strains that  
10 are similar to the vaccine strain really showed no  
11 difference at all.

12           On page 18, there's information for the  
13 H1N1 viruses, the same type of setup with 50 percent  
14 reductions. I think you can see from this that the  
15 Argentina 974/97 strain, which is quite similar to the  
16 H1 vaccine component, really showed -- and is  
17 representative of other strains -- really showed no  
18 difference in terms of the post vaccine geometric mean  
19 titers.

20           In contrast, again, the Beijing 262/95  
21 strain pretty consistently demonstrated reductions  
22 that were as much as twofold or at least twofold or  
23 greater, and, again, there's a very substantial  
24 reduction when these studies are looked at as a whole.

25           And then finally for the H3N2 viruses, the

1 same type of setup, looking at the strains that are  
2 representative of the newer variants, including the  
3 A/Sidney 05/97 and the A/Wuhan 254/97. Although there  
4 was some variability in the results, again, there were  
5 reductions that were fairly obvious and very marked in  
6 some of the studies.

7 I will mention that in working with these  
8 strains, at least it appears to us that there may be  
9 some increased susceptibility to serum inhibitors, and  
10 it certainly has been true with working with strains  
11 like this to try to make reassort viruses, and I would  
12 suspect that some of these studies that do not show as  
13 much of a marked reduction, that may be part of what's  
14 going on. So that this total here possibly should be  
15 considered to be more than what is being shown here,  
16 which is about 50 percent of the studies showing  
17 reductions of 50 percent or greater.

18 But overall, there was still substantial  
19 reduction for all of these new strains that were seen  
20 as compared to the vaccine strain.

21 Okay. You can take that off. I'll stop  
22 there and ask if there are any questions.

23 (No response.)

24 DR. LEVANDOWSKI: And if there are no  
25 questions, then I'll ask Dr. John Wood from the

1 National Institute of Biological Standards and Control  
2 if he'll present his information on the clinical trial  
3 with the H1 deletion and the B/Victoria-like strain.

4 DR. WOOD: Thank you very much, Roland.

5 If I could have the first overhead.

6 As Roland explained, I'm going to present  
7 the results of an experimental study that was the  
8 result of a collaboration between three centers. The  
9 vaccine was made at SmithKline Beecham laboratories in  
10 Dresden in Germany. The serology was done at CDC here  
11 in States and NIBSC in the U.K.

12 Next.

13 Whenever the selection is made for new  
14 influenza vaccine strains, there's always some  
15 concern, first of all, whether you've got it right or  
16 not, but specifically, the concerns are whether the  
17 new strain is going to be as immunogenic as a vaccine  
18 as the old strain.

19 In the first case, if the new strain takes  
20 over and predominates, will the new vaccine stimulate  
21 protective antibody against that new strain when it  
22 circulates, or even of more concern, if the old strain  
23 persists and the new strain does not take over, will  
24 the vaccine made from that new strain stimulate cross-  
25 reactive immunity to the old strain?

1           The second concern is really a production  
2 issue. Will the new vaccine strain grow and process  
3 well? I'm not going to address that. I'm just going  
4 to address the immunogenicity issues.

5           Next one, please.

6           So as Nancy Cox described earlier this  
7 morning, for H1N1 and for B there were two different  
8 lineages circulating throughout the world. For H1N1,  
9 there's the A/Bayern 07/95-like strain in most parts  
10 of the world, and then the deletion mutant, Wuhan  
11 371/95-like strains, last year in China, Hong Kong,  
12 Singapore. Senegal also we had, and then more  
13 recently isolates from Hong Kong, Taiwan, and  
14 Johannesburg, and now in Japan as well.

15           Then for influenza B, the B/Beijing 184 or  
16 B/Harbin-like variants in most parts of the world, and  
17 again, we have the separate lineage, the B/Victoria  
18 02/87-like strains in China last winter, and then  
19 during '97 to '98, this has expanded a little bit, and  
20 it's spread to Japan and Singapore, but essentially  
21 two different communities.

22           So at the Geneva meeting last year, we  
23 thought maybe we could do something about this, to try  
24 and find out just how good these variants would be as  
25 vaccine strains.

1                   So very kindly, the laboratory in Dresden  
2                   offered to make an experimental vaccine from the  
3                   deletion mutant, and the virus we chose for this, the  
4                   H1N1 virus, was the Beijing 262/95, which was the X-  
5                   127 reassortant.

6                   The B virus was B/Beijing 243/97. This  
7                   also had an H3N2 component, the RESVIR 9 vaccine  
8                   strain.

9                   The vaccine was made in Dresden and then  
10                  standardized to NIBSC by the usual methods, and you  
11                  see the vaccine contained essentially 15 micrograms of  
12                  hemagglutinin per dose, which is normal vaccine  
13                  concentration.

14                 Next one, please.

15                 SmithKline Beecham did two trials last  
16                 year, first of all, a trial of their conventional  
17                 licensed vaccine, which is 026, trial 026, and the  
18                 strains in that were Johannesburg 82/96, the H1N1  
19                 strain, NIV 39; Nanchang, which was RESVIR 9 and  
20                 B/Harbin 07/94.

21                 They actually did these trials in 120  
22                 people, the adults and the elderly, but 30 of these  
23                 were selected for comparative purposes across the age  
24                 range.

25                 The experimental vaccine was coded 029,

1 and as we just heard, it contained X-127, RESVIR 9,  
2 and B/Beijing 243/97.

3 Two study populations, one in the 18 to 60  
4 year old and the other in the over 60 year old, 60  
5 representatives in each of the two populations.

6 The serology was done at day zero and day  
7 21 in three centers, CDC, NIBSC, and in the Dresden  
8 laboratory, as well, at SKB.

9 Next slide, please.

10 First I'll show the serology results to  
11 the conventional vaccine. This is the Johannesburg  
12 vaccine strain, the H1N1 strain, and as Dr.  
13 Levandowski has just described, there's the  
14 characteristic drop in HI reactive with the deletion  
15 mutant here.

16 And then if you look at the B viruses,  
17 again, a lower post vaccination GMT to the older B  
18 strain.

19 So it's important, I think, to bear this  
20 in mind to really form a baseline with which to judge  
21 the experimental vaccine study.

22 Next slide, please.

23 So now we come on to the H1N1 deletion  
24 mutant. These are the adult results, and these are  
25 the results in the elderly, and these are the

1 laboratories doing the serology: SmithKline Beecham,  
2 CDC, SmithKline Beecham, CDC, NIBSC.

3 Overall, the results from the two European  
4 laboratories, there was slightly higher HI titers than  
5 at CDC, but there were similar patterns of reactivity  
6 irrespective of who was doing the serology.

7 If we look at the prevaccination levels of  
8 antibody, you can see for H1N1 25 percent, zero  
9 percent, 20 percent, zero percent, 32 percent. Very  
10 low percentage of individual had antibody to the H1N1  
11 deletion mutant before immunization.

12 Now, after vaccination we saw a very  
13 interesting result because the post vaccination GMT to  
14 the vaccine virus, Beijing 262, was lower than the  
15 post vaccination to the heterologous virus,  
16 Johannesburg 82/96. You can see this in each of the  
17 centers and in both of the populations.

18 You can think of possible reasons for  
19 this. One reason may be an anamnestic response that  
20 the deletion mutant is initiating in people who have  
21 been primed with Bayern like strains or before that  
22 Singapore 06/86-like strains. So it's stimulating  
23 immune response specifically to this lineage of H1N1  
24 strains.

25 Possibly it's a technical problem, and

1       this particular virus is not very reactive in the HI  
2       test with human antibody, a similar story to the one  
3       we heard when Nancy Cox was talking about the ferret  
4       antibody responses to reassortants versus wild type.  
5       It could be the same phenomenon there.

6                To look at the anamnestic theory, I'll  
7       show the next slide, which is CDC's results where they  
8       broke down the serological data into two sets, first  
9       of all, people who have not had recent vaccination and  
10      people who had had vaccine very recently, within the  
11      last year.

12               These are the results to Johannesburg,  
13      results to the Beijing variant, and you see the post  
14      vaccination GMTs were reduce, again, to Beijing 262 in  
15      both populations. So if there was any convection in  
16      the theory that the higher heterologous titers were do  
17      to anamnestic response, maybe this would shed some  
18      light on it, but it's the same in both populations.  
19      So I think the jury is still out on that one.

20               What may be interesting to do is to look  
21      at the antibody responses to another representative of  
22      the deletion mutant to see whether this lack of  
23      reactivity could be due -- it may be strain specific  
24      particularly to the Beijing 262 strain, or it may be  
25      specific to the group of deletion mutants. We haven't

1 established that yet.

2 But the bottom line, I think, is that when  
3 you compare the conventional vaccine with the  
4 experimental vaccine, in both studies the results, the  
5 post vaccination GMT to Johannesburg were equivalent,  
6 but when you look at the responses to the deletion  
7 mutant, then with the experimental vaccine the  
8 response is higher even though we have some problems  
9 with homologous titers.

10 So I think despite the problems, the  
11 experimental vaccine did show greater cross-reactivity  
12 than the conventional vaccine.

13 Next slide, please.

14 Now we look at the B responses, and here  
15 the results was fair clear cut and easy to interpret.  
16 The B/Beijing 243 vaccine stimulated satisfactory  
17 immune responses to both itself and to the Harbin  
18 virus in all cases, in all centers, and in both  
19 studies.

20 So the B/Beijing virus acted very well as  
21 a vaccine that stimulated cross-reactive antibody  
22 against the Harbin group of viruses.

23 Next slide, please.

24 In this slide I've just shown the H3N2  
25 responses in both studies, in the conventional vaccine

1 and in the experimental vaccine, and you can see the  
2 pre and post vaccination GMTs were very similar in  
3 both studies, which again helps to standardize the  
4 results from one study to another study.

5 And the next slide, please, the last one.

6 Just to acknowledge this very effective  
7 collaboration between three centers, but particularly  
8 the work of SmithKline Beecham in Dresden, Rita Beyer,  
9 Elizabeth Neumeier, Carmen Raderecht, Hans Engelmann,  
10 and Water Kuenzel; at CDC Nancy Cox and Sasha  
11 Klimov -- sorry, Sasha. I spelled your name  
12 wrongly -- NIBSC, Bob Newman, Ann-Marie Riley, and Una  
13 Dunleavy.

14 And just as a parting shot really, I think  
15 it does illustrate that this could form the basis for  
16 future evaluation which may help us when we have new  
17 strains emerging which have very limited geographic  
18 spread. Then we may have some time to make an  
19 experimental vaccine and just look at the immune  
20 response from those new strains.

21 Thanks very much.

22 DR. LEVANDOWSKI: Thank you, John. That's  
23 very interesting data.

24 Are the questions and comments from the  
25 Committee?

1 Dr. Couch.

2 DR. COUCH: Well, I'd like to give a  
3 strong endorsement to what's been done here, for one  
4 thing, and as Dr. Wood pointed out, this is the kind  
5 of data that might help us resolve some of the  
6 dilemmas we have on occasion.

7 I think because it would be sometimes  
8 difficult to get it on line and to get data and get  
9 analyzed with the time lines we're on, we certainly  
10 would never want to make it requirement, but we ought  
11 to strongly encourage this kind of data to help us in  
12 the responses that we want with a little less  
13 guessing.

14 The second is just get you to explain a  
15 little better for me now, now that you've got the  
16 data, and we've got data on two of the strains or  
17 types of strains that would be up for consideration,  
18 your view of whether the differences are significant.

19 I mean the homotypic responses are greater  
20 for both --

21 DR. WOOD: Yes.

22 DR. COUCH: -- of the newer antigens. The  
23 pretiters are lower. The magnitude of the response is  
24 greater if you add in the new antigens, although as  
25 you point out, the existing antigens do pretty well.

1       So --

2                   DR. WOOD:  You mean particularly the H1N1?

3                   DR. COUCH:  If we want the best Beijing 97  
4       response that we can get and that it is important,  
5       should we switch from Harbin?  It's a hypothetical  
6       question, if you would.

7                   DR. WOOD:  I think for the B responses, it  
8       provides some evidence that if the epidemiologic data  
9       were sufficient to make that vaccine strain change,  
10      then the vaccine would be effective not only against  
11      the B/Victoria type of viruses, but also against the  
12      B/Harbin group of viruses that are predominating.

13                  I mean that's what these data suggest to  
14      me, although as a caveat, we don't have data from  
15      children who have no experience of these particular  
16      viruses.

17                  DR. COUCH:  As you point out, that's an  
18      important caveat.

19                  And then the same question for the A  
20      strain, H1 strain.

21                  DR. WOOD:  That I'm not so convinced  
22      because there may be technical difficulties  
23      interpreting the data where you have low homologous  
24      titers.  I would prefer to answer that question at a  
25      later date when we've had more time to look at other

1 deletion mutants, the reactivity with other deletion  
2 mutants.

3 DR. LEVANDOWSKI: Are there any other  
4 questions or comments?

5 (No response.)

6 DR. LEVANDOWSKI: In that case, thanks,  
7 John, for a very interesting presentation.

8 We'll move on and present some information  
9 about the availability of strains and reagents.

10 Just as an update about where things stand  
11 with materials needed for manufacturing, as you know,  
12 not only do the manufacturers need to have the actual  
13 strain available for inoculation of eggs, but they  
14 also need to have the specific reagents, and I would  
15 just mention that the reagents do need to be very  
16 similar to the strain that's in the vaccine.

17 If there are even modest differences,  
18 often that's reflected in difficulties in interpreting  
19 the information and assigning potency values because  
20 the zone sizes that occur in the test that's used for  
21 doing potency assignments, a single radial  
22 immunodiffusion, may be larger than they should be  
23 using a nonidentical reagent.

24 Anyway, what we have for influenza B  
25 vaccine strains, of course, the current vaccine

1 strain, the B/Harbin 07/94 strain is available, and it  
2 seems to be a reasonably good growing strain for most  
3 of the manufacturers.

4 In terms of the B/Beijing 243/97 strain,  
5 there's not a lot of information, although we have  
6 just heard that one manufacturer was able to make a  
7 vaccine with that strain, but in terms of the overall  
8 parameters and how that would function in large scale,  
9 I guess we don't really know.

10 Moving on, for the H1N1 strains, of  
11 course, the A/Johannesburg 92/96, NIB-39 reassortant  
12 virus is available. It is a very high yielding strain  
13 that is remarkably high yielding I would say compared  
14 to other reassortants that have been available for use  
15 in recent years.

16 There are other candidate strains for the  
17 H1 deletion mutants. There are two reassortants that  
18 are available. There's the X-127 reassortant from Dr.  
19 Kilbourne's laboratory, and that one has been  
20 described as being moderate to high yielding.

21 There's the RESVIR-10 reassortant from our  
22 laboratory, and that one is somewhat lower yielding,  
23 so that out of those it sounds like the X-127  
24 reassortant might be the more desirable one for  
25 manufacturing if there were a use for Beijing 262 type

1 strain.

2 And the next one for the H3N2 viruses, of  
3 course, the vaccine strain, the A/Nanchang 933,  
4 RESVIR-9 reassortant is available. It's a moderate to  
5 high yielding strain.

6 There are other candidate strains.  
7 Although it hasn't really been discussed in great  
8 detail, there are reassortants that are available for  
9 the A/South Africa 1147/96 strain, which I guess could  
10 be considered to be an intermediate between the  
11 Nanchang 933/95 and the Sidney 05/97.

12 There are two reassortants that are  
13 available for that, X-129 from Dr. Kilbourne's lab and  
14 RESVIR-11 from our lab. What we do know about those  
15 so far is that at least what has been tested seems to  
16 be somewhat lower yielding.

17 The A/Sidney 05/97 strain from CSL, the  
18 IVR-108 reassortant is a moderate to high yielding  
19 strain, although there has been some variability in  
20 the description as to how high yielding it is. It is  
21 available and is being used for manufacturing vaccines  
22 in Australia and for Australia.

23 Okay. Next one.

24 The reagents which are available for  
25 standardization of the vaccines for testing potency,

1 currently all of the current vaccine strains we have  
2 reagents readily available for. However, for any of  
3 those other strains that we might be looking at,  
4 specific reagents will have to be developed, and these  
5 reagents at least for us may be available only in May.  
6 If we were starting to make reagents now, it typically  
7 takes six to eight weeks to have the reagents  
8 available so that the manufacturers and we can use  
9 them for testing potency.

10 I think I'll stop there with that. I  
11 don't know if John Wood would like to say anything  
12 about reagents in the United Kingdom or for WHO.

13 DR. WOOD: I'd just like to add that for  
14 the Sidney H3N2 variants we should -- we've actually  
15 preempted any kind of decision and decided to go ahead  
16 and make reagents for Sidney. So our reagents should  
17 be ready probably at the end of February. It is a  
18 gamble.

19 DR. COUCH: In adequate volumes to share  
20 with the FDA here?

21 DR. WOOD: Well, before the U.S. reagents  
22 were ready, I think that might be possible.

23 DR. LEVANDOWSKI: Okay. Are there other  
24 questions or comments about that?

25 DR. KILBOURNE: Yes.

1 DR. LEVANDOWSKI: If not, I'd like --

2 CHAIRPERSON FERRIERI: Dr. Kilbourne.

3 DR. LEVANDOWSKI: Oh, sorry.

4 DR. KILBOURNE: Do you want to get an  
5 update on the X-129, which I exhumed last week at your  
6 instigation? Further work has to be done. It's a  
7 moderate yielder, but it's not a 62 reassortant on the  
8 basis of our gels. So we're trying harder.

9 It also, incidentally, proves that the  
10 IVR-108 is not a 62 reassortant either. Part of the  
11 polymerase complex is mixed. So we're trying to re-  
12 reassort that with the hope that we can get a really  
13 high yielder from that.

14 DR. LEVANDOWSKI: Okay. That's very  
15 useful information.

16 At this time we usually reserve time for  
17 comments from manufacturers, and Dr. Ralph Vordingh  
18 from Connaught Labs, Inc., represent PHRMA, the  
19 Pharmaceutical Manufacturer's Association, has agreed  
20 to give us some comments.

21 So, Ralph, you're not moving up here, but  
22 would you please come to the podium and give us your  
23 brief presentation?

24 DR. VOGDINGH: Good morning.

25 At Roland's request, the manufacturers

1 will briefly review the issues that are involved,  
2 particularly the logistics involved, in manufacturing  
3 influenza virus vaccine in the quantities and in the  
4 time frame that's required for distribution in the  
5 United States.

6 These are the three key issues that we  
7 have to deal with and that have to be considered by  
8 anyone involved in influenza, the influenza vaccine  
9 program.

10 Number one is egg supply. This is a  
11 logistical issue that we have to deal with every year,  
12 and the important point to remember about the egg  
13 supply is that it has a very long lead time.

14 The second issue is strain selection, of  
15 course.

16 And thirdly, reagent preparation.

17 The vaccines can't be standardized to  
18 potency or released by the FDA until reagents are  
19 available.

20 Dealing with the egg supply, just for your  
21 own information, this is how this works, and this is  
22 the procedure each year.

23 To begin with the egg suppliers that  
24 supply the embryonated eggs required for manufacturing  
25 the vaccine order their birds, and this is one year

1 before flu manufacturing begins. So, in other words,  
2 they're already making plans for next year.

3 The chickens are moved into the laying  
4 houses, and this generally occurs about three months  
5 before production begins. This is usually October and  
6 November.

7 And I should point out that this is the  
8 most critical time in the availability of eggs, and it  
9 should also be considered in any pandemic planning  
10 that occurs. During this period, there are no eggs  
11 available. So it is not possible to manufacture any  
12 influenza virus vaccine during this time period.

13 Then the next thing that happens is that  
14 reproduction begins in full scale, and we begin  
15 receiving eggs once we know the strains and begin  
16 inoculating eggs and producing vaccine.

17 And I can't stress the importance of this  
18 last point. In order for us to produce the quantity  
19 of vaccine that we need to produce, we need to begin  
20 producing vaccine now. That's why it's so critical  
21 that we obtain a decision on the strains as soon as  
22 possible, at least one strain in early January or in  
23 January.

24 And this is following it through with the  
25 process. In the past we've always had one strain that

1 was from the previous year's formula. That allows us  
2 to initiate our production cycle.

3 Associated with this, this is development  
4 of the Grow 3 assortants. Again, this is critical in  
5 order for us to obtain the yields necessary to produce  
6 the quantity or the doses of vaccine required.

7 This is the idea scenario that would be  
8 for us. The first strain selected in January, the  
9 second in March, and the third in early April.

10 A clarification on this. Again, the  
11 objective here or what's necessary is for us to  
12 distribute vaccine in October, and in order to do  
13 that, we began in January manufacturing the  
14 monovalents. By June usually we have a representative  
15 monovalent of each strain.

16 We compound bulk vaccines in July.  
17 Usually our license is issued in July, and we begin  
18 distributing vaccine in October.

19 And also I might point out in order for us  
20 to distribute vaccine, we have to work closely with  
21 the FDA in order to test each pool of monovalents. It  
22 gets tested by the FDA and released for the  
23 manufacture of bulk vaccines. Also, each bulk vaccine  
24 has to be approved for use by FDA.

25 Okay. Here's a few other points that you

1       should keep in mind. Number one, when these chickens  
2       being producing eggs, that egg production cannot be  
3       put on hold. The eggs can't be put on the shelf for  
4       later use.

5                 Also, the timely strain selection is very  
6       important in the preparation of these reagents that  
7       Roland was talking about, and as he mentioned, there's  
8       a lead time that is required to have these reagents  
9       available.

10                Another important point is that -- and  
11       this first point deals with the interval between the  
12       selection of the first, second, and third strains. As  
13       an example, if you select a strain today, we'll  
14       manufacture that particular monovalent, but once we  
15       manufacture the quantities that we're going to require  
16       for the number of bulk vaccines, any additional  
17       monovalents manufactured are wasted, and if we don't  
18       manufacture vaccine, the eggs are wasted.

19                And this second point is also always very  
20       important, again, as it relates to the amount of  
21       vaccine that we can manufacture within a specified  
22       period of time.

23                So this last slide here is just to try to  
24       stress the complexity of the logistics involved in  
25       manufacturing influenza vaccine in the time frame that

1 is required for use in the country, and again, it  
2 relates to a very key issue, is the timing of the  
3 selection of the strains.

4 CHAIRPERSON FERRIERI: Thank you very  
5 much.

6 Are there questions from the panel?

7 Dr. Couch.

8 DR. COUCH: Well, first, a comment.  
9 Perhaps these dates that you just gave us have been  
10 known before, but they weren't to me, but I'd like to  
11 compliment the manufacturers, and I assume you are  
12 representing the group with these explanations.

13 DR. VOGDINGH: I'm speaking for all  
14 manufacturers.

15 DR. COUCH: We've always known about the  
16 pressure for that decision as soon as possible, to be  
17 able to make that with some specific deadlines I think  
18 will help and guide the Committee consideration, and  
19 that not to mean that the Committee will delay by your  
20 deadlines, but if two can be made at the end of  
21 January, then two would be made or all three, but to  
22 know what's being faced I think was very helpful, and  
23 I think you should be complimented for that.

24 DR. VOGDINGH: Well, thank you. It would  
25 be very beneficial to us.

1 DR. COUCH: I have two minor questions.  
2 It's almost informational.

3 Is Europe on approximately the same time  
4 frame?

5 DR. VOGDINGH: Pardon me?

6 DR. COUCH: Are the European manufacturers  
7 on about the same time frame?

8 DR. VOGDINGH: I would suspect so. I  
9 don't know that for a fact. Evans is representing --  
10 he says yes.

11 DR. COUCH: And the second is, and again  
12 I don't know. I know Dr. Wood held a workshop, but if  
13 the manufacturers who are developing tissue culture  
14 substrates and are thinking about moving in that  
15 direction, will that provide us then, assuming it  
16 works as they desire, provide us advantages on this  
17 time frame?

18 DR. VOGDINGH: I think they would be faced  
19 with the same. They have the same -- I would think  
20 that they would have the same criteria because they  
21 are faced with lower yields per fermenter, and so they  
22 would have -- they would be fighting time the same as  
23 we are.

24 CHAIRPERSON FERRIERI: Dr. Broome.

25 DR. BROOME: I assume because of the

1 constraints on egg number that increasing valency of  
2 a vaccine wouldn't necessarily reduce the total number  
3 of doses that could be produced.

4 DR. VOGDINGH: That's correct.

5 DR. BROOME: Are there any other technical  
6 constraints on increased valency for vaccines? Have  
7 you ever made a four valent flu vaccine?

8 DR. VOGDINGH: Well, that would still  
9 be -- it would result in the same number of doses per  
10 -- well, that's not stated correctly.

11 We can manufacture so many, say, antigenic  
12 units whether that's divided into three valences or  
13 four, and then that equates to the number of doses.

14 CHAIRPERSON FERRIERI: You might remember  
15 that last year we discussed the possibility of a four  
16 valent vaccine for pediatric populations. Someone  
17 here representing the American Academy proposed that  
18 to us, and we strongly rejected that proposal.

19 Dr. Snider.

20 DR. SNIDER: Just a clarification. If I  
21 understood correctly, you indicated that the  
22 assumption was made that one of the strains was going  
23 to be the same.

24 DR. VOGDINGH: In the past such --

25 DR. SNIDER: Did you state that? And I

1       guess the question is if that's the case, what if that  
2       was -- if it wasn't the recommendation, if all three  
3       were changed, what impact would that have?

4               DR. VOGDINGH:     Well, it would be a  
5       disaster.

6               (Laughter.)

7               DR. VOGDINGH:     No, if our interest is to  
8       supply, you know, a good vaccine to immunize as many  
9       people who want to be immunized, that number of doses  
10      would be seriously reduced.

11              DR. EICKHOFF:     Pat.

12              CHAIRPERSON FERRIERI:   Yes, Dr. Eickhoff.

13              DR. EICKHOFF:     Again, I appreciated your  
14      comments very much, too.   Dr. Levandowski earlier  
15      today showed a slide that suggested we were beginning  
16      to approach a plateau in vaccine production somewhere  
17      on the order of 80 to maybe as high as 90 million  
18      units.  Is that, indeed, in your judgment a plateau?

19              And if it is, what's the constraint or are  
20      there multiple constraints, but what are the major  
21      constraints that suggest that you can't readily go  
22      beyond that level of production?

23              DR. VOGDINGH:     Well, maybe some of the  
24      other manufacturers might want to make a statement,  
25      but if there's a desire to go beyond that level, then

1           it would be necessary for the manufacturers to expand  
2           their manufacturing facilities.

3                     DR. EICKHOFF:   So that would take a major  
4           capital outlay?

5                     DR. VOGDINGH:   Yes, it would.

6                     DR. EICKHOFF:   Good enough.  Is egg supply  
7           a constraint?

8                     DR. VOGDINGH:   It is for each year.  Say  
9           like, for example, I mentioned that the birds have  
10          been ordered for next year, and that's based on an  
11          anticipation of how many eggs are going to be  
12          required.  You know, we make contracts for that.  You  
13          know, other manufacturers do, too.

14                    So if we anticipate that we're going to  
15          manufacture more vaccine, say, for the next year, we  
16          have to make those arrangements this year.

17                    DR. EICKHOFF:   Thank you.

18                    CHAIRPERSON FERRIERI:  Dr. Estes.

19                    DR. ESTES:   We've heard that the plateau  
20          is about 80 million doses.  Is that all really being  
21          used or are there excess doses that are made that  
22          actually are not being used every year?

23                    DR. VOGDINGH:   Again, I can't answer that.  
24          For our company we distribute almost all that we  
25          manufacture.       I can't speak for the other

1 manufacturers.

2 CHAIRPERSON FERRIERI: Yes, Dr. Clements.

3 DR. CLEMENTS-MANN: Can you remind us how  
4 many doses are per egg are you getting normally?

5 DR. VOGDINGH: It depends with each strain  
6 of the virus, and the number of doses per egg is  
7 proprietary information.

8 (Laughter.)

9 CHAIRPERSON FERRIERI: Yes, Dr. Kilbourne.

10 DR. KILBOURNE: Well, I'd just like to  
11 comment on the question raised about what if three  
12 strains had to be changed simultaneously. It's a very  
13 good and appropriate question. I think it just goes  
14 back to the importance of John Wood's study that he  
15 reported.

16 We have to realize that these strain  
17 changes we've gotten into have a certain arbitrary  
18 character to them and make certain assumptions that  
19 are not always or invariably valid. Things are  
20 different. We want to change and have an exact match  
21 if possible.

22 But I think past experience, including  
23 studies Ted Eickhoff has done, would indicate that we  
24 can probably get fairly effective immunity among  
25 heterovariants so that a choice may have to be made

1       someday between the practical reality of production  
2       capacity and the scientific desirability of always  
3       having exact match.

4                So I think there's a little wiggle factor  
5       there that's important.

6                CHAIRPERSON FERRIERI:  Dr. Levandowski.

7                DR. LEVANDOWSKI:  I'd like to comment on  
8       that last point also.  Some of how we got to the dose  
9       of vaccine that's being used today, the 15 microgram  
10      per dose, was based on the concept that that dose, an  
11      increased dose compared to what had been used after  
12      the clinical trials that were done in the late 1970s,  
13      would be better geared toward producing antibodies  
14      that would cross-react with other strains that weren't  
15      so closely related to the vaccine strain.

16               I just mention that because that is one of  
17      the considerations that we would have for strain  
18      selection under some circumstances.

19               CHAIRPERSON FERRIERI:  Thank you, Mr.  
20      Vogdingh.

21               DR. VOGDINGH:  Thank you.

22               CHAIRPERSON FERRIERI:  We'll move ahead  
23      then with options for strain selection, and we're back  
24      to Roland Levandowski.

25               We will not take a break today.  We will

1 continue to work through the discussion and  
2 recommendations that will require a vote, and then we  
3 will break for lunch as close as possible, if not  
4 earlier than, the designated time.

5 DR. LEVANDOWSKI: Okay. I'll try to be  
6 quick.

7 No, no slides yet.

8 So in terms of what's happening right now.  
9 influenza A viruses of the H1N1 and H3N2 subtypes and  
10 influenza B viruses continue to circulate in human  
11 populations. Therefore, it would be reasonable for  
12 the vaccine to continue to be a trivalent vaccine.

13 In terms of the influenza B viruses, we've  
14 heard a lot of information this morning. The strains  
15 of influenza B that are in the Yamagata 16/88 lineage  
16 predominate with strains that are similar to the  
17 current vaccine strain -- that's the B/Harbin 07/94  
18 strain -- being isolated in the Americas, Europe,  
19 Africa, Australia, and Asia, essentially the whole  
20 world.

21 Strains of the B/Victoria 02/87 lineage  
22 continue to appear in Asia, which has been true for  
23 several years, with the limitations of spread of that  
24 strain for unknown reasons.

25 The sera from people who have been

1 immunized with the current vaccines inhibit the  
2 Harbin-like strains quite well, but as we've seen,  
3 they're less well inhibitory for strains in the  
4 B/Victoria lineage.

5           There is somewhat limited information at  
6 this time on the B/Beijing 243/97 strain for use as a  
7 vaccine candidate. Therefore, the options for  
8 influenza B -- and can I get the first slide -- the  
9 first option, of course, would be to maintain the  
10 current vaccine strain, and in favor of that, most of  
11 the strains worldwide are similar to the current  
12 vaccine strain.

13           The vaccines that are being used are  
14 immunogenic. The recent Harbin-like strains are very  
15 well inhibited by the post immunization antisera, and  
16 the manufacturing for this particular item is very  
17 well defined and predictable.

18           On the contrary side, the recent Victoria-  
19 like strains are not really well inhibited by the  
20 current vaccines.

21           The other option would be to change the  
22 current vaccine strain to a recent B/Victoria-like  
23 strain. In favor of that the vaccines would be more  
24 immunogenic for the B/Victoria-like strains, and as  
25 John Wood has pointed out, for people who are not

1 immunologically naive at least, it might be expected  
2 that antibody responses to the other lineage would be  
3 expected.

4 But contrary, there really is no  
5 predictable advantage in the presence of continued  
6 circulation of the B/Yamagata type lineage for the  
7 most part, and there's no superior alternate vaccine  
8 candidate strain that's identified at this point.

9 You can take the slide off.

10 The influenza A viruses, the H1N1 strains,  
11 the strains that predominate in human populations are  
12 antigenically closely related to the A/Johannesburg  
13 92/96 vaccine strain. However, strains that are  
14 similar to A/Beijing 262/95, which is the HA deletion  
15 mutant, have now appeared outside Asia and have been  
16 seen in West and South Africa, which suggests that  
17 there's the very distinct possibility of future  
18 activity elsewhere.

19 Human serologic responses suggest that the  
20 current vaccines are highly immunogenic and inhibit  
21 current related strains very well, but the H1 deletion  
22 mutant strains are less well inhibited.

23 Studies done with an experimental H1  
24 mutant containing vaccine indicate that the antibodies  
25 that are produced against both the H1 deletion mutant

1 and the non-deletion mutant strains may be expected,  
2 but the results actually are in some ways similar to  
3 the overall effect with the current vaccine.

4 The vaccine candidate strains similar to  
5 A/Beijing 262/95 are currently available, and there's  
6 some limited information about the manufacturing  
7 potential on a broad scale. So for H1N1 the options  
8 are to, first of all, again, maintain the current  
9 vaccine strain, and in favor of that would be that the  
10 current vaccines are really high immunogenic.

11 And in addition to that, these are strains  
12 that have manufacturing that are very well defined and  
13 predictable, and the yield is extremely good.

14 On the contrary side, the H1 deletion  
15 mutant strains have now been found outside Asia, and  
16 the homologous response to the H1 deletion strains is  
17 quite clearly reduced.

18 So the other option would be to change the  
19 current vaccine strain to an H1 deletion strain, and  
20 in favor of that, that might provide a better match  
21 with the H1 deletion mutants.

22 Contrary-wise, it's unclear that the  
23 antibody responses to the H1 deletion vaccine are  
24 superior in proportion to the current vaccine strain,  
25 and the choice of the strain could benefit from

1 additional epidemiologic, serologic, and maybe some  
2 manufacturing data.

3           Therefore, another option at this point  
4 would be to defer the decision on this particular  
5 strain to accumulate some more data, and as we've  
6 heard from some of the other speakers, it is likely  
7 that there may be some additional information in terms  
8 of epidemiology and probably serologic studies in the  
9 next several weeks, and those additional data might  
10 help to clarify the position.

11           In terms of the H3N2 influenza A viruses,  
12 there's considerable antigenic drift continuing among  
13 the H3N2 influenza strains, and new variants, such as  
14 the A/Sidney 05/97 which were previously identified  
15 for the first time in Australia in June of last year,  
16 are now appearing in the United States and elsewhere.

17           Strains similar to both the vaccine strain  
18 and the antigenically distinguishable variants are  
19 approximately -- well, they were equal and  
20 proportioned yesterday, but I guess today it looks  
21 like the overwhelming majority are Sidney-like.

22           In addition, serologic responses for these  
23 strains are reduced against most of those that have  
24 been identified and tested.

25           I would comment that at least in some

1 instances it appeared that the antiserum to the  
2 A/South Africa 1147/96 strain inhibits many of the  
3 older and the newer strains.

4 And finally, vaccine candidate strains and  
5 high growth reassortants for several different strains  
6 in addition to the vaccine strain are available.

7 So the options for the H3N2, the first  
8 option would be to maintain the current vaccine  
9 composition, and in favor of that, the manufacturing  
10 is well worked out.

11 But on the contrary side most recent  
12 strains are not well inhibited by the post  
13 immunization antisera. So I'm not saying much good  
14 about that.

15 The second option would be to change the  
16 current vaccine strain to a more recent strain, and in  
17 favor of that, the most recent strains are poorly  
18 inhibited by the post immunization antisera, and a  
19 change would, of course, achieve a much better  
20 antigenic match with the currently circulating  
21 strains.

22 And, in addition, there are what are  
23 probably suitable alternative strains for production  
24 available right now.

25 Contrary, the choice of the strain, again,

1       could probably benefit from some additional  
2       epidemiologic, serologic, and developmental  
3       information.

4               So, again, another option would be in this  
5       case to defer to accumulate some more data, and it's  
6       likely that there will be additional information on  
7       the H3N2 strains. It is changing by the day, and I  
8       would expect that we should have a much clearer  
9       picture of the overall appearance of these strains in  
10      a short period of time.

11              I didn't emphasize, but it's been brought  
12      up previously by many people that if we had the luxury  
13      of time to do this, that we would probably like to  
14      defer, and in view of last year's experience with the  
15      A/Sidney-like strains appearing very late in June, it  
16      would be nice if we could do that. Of course we  
17      can't.

18              So since the H3N2 strain is the one that's  
19      most likely to cause morbidity and mortality, we  
20      should make this choice very carefully, and that's  
21      another reason to perhaps defer to accumulate more  
22      information.

23              I'll stop there, and thank you.

24              CHAIRPERSON FERRIERI: Thank you, Roland.

25              Questions for Dr. Levandowski? Dr. Estes.

1 DR. ESTES: I have one question. Do we  
2 know that people that are getting the Sidney virus  
3 have been vaccinated this previous year? We didn't  
4 hear that data.

5 DR. LEVANDOWSKI: I think that's a  
6 question for Nancy Cox or somebody from CDC on  
7 surveillance.

8 DR. COX: We have heard of a number of  
9 outbreaks in nursing homes where they're highly  
10 vaccinated populations with the current vaccine, and  
11 outbreaks of disease.

12 Now, of course, this can also occur when  
13 there's a very close match, but I think we're having  
14 an indication that perhaps there is a bit more disease  
15 than usual in these kinds of highly immunized  
16 populations.

17 CHAIRPERSON FERRIERI: That seems to be  
18 the information emerging at various state health  
19 departments, as well, that I'm familiar with in our  
20 state.

21 Other questions?

22 DR. COUCH: Well, it's emerging elsewhere.  
23 I mean it's all anecdotal experience.

24 CHAIRPERSON FERRIERI: Right.

25 DR. COUCH: But, you know, how many calls

1 and how many colleagues, "This year's different than  
2 previous years." The vaccine is not as good as what  
3 they're saying. "I got my vaccine, and it didn't  
4 work."

5 CHAIRPERSON FERRIERI: Are there any other  
6 general points that anyone would like to bring up or  
7 the need for further information before we grapple  
8 with the precise questions that are in front of us to  
9 aid everyone who has presented today?

10 Yes, Dr. Huang.

11 DR. HUANG: I have a very general sort of  
12 question, and that is that when we look at the data  
13 that we have for the past few years and contrasting  
14 that to the amount of vaccination that we have been  
15 doing, we're still seeing the same sort of proportions  
16 of influenza coming every year, and in some years  
17 we're still seeing above epidemic -- you know,  
18 reaching epidemic proportions.

19 And I'm wondering if it isn't time for  
20 some of us to think a little harder, and are we doing  
21 this right? I mean we all have the same assumptions  
22 as we're coming here. We've been given some  
23 guidelines today about selection, and we're about to  
24 do that, and the issue really is we aren't affecting  
25 that curve very much. It is still happening, and I



1        assimilate all of the serologic data that are  
2        presented on humans, but an impression that I get from  
3        some of it is that many of the very elderly or nursing  
4        home age patients tend to respond rather poorly at  
5        times, depending on the immunogens.

6                    And have studies been done giving a series  
7        to the elderly, too, that would -- if their general  
8        immune system is waning, and this is true for other  
9        types of responses in general, would there be any  
10       merit in doing a two injection series in the elderly  
11       who are the most critical population with the highest  
12       morbidity and mortality?

13                   DR. COX:        That's another very good  
14       question.        We have been using one nursing home  
15       population to look at the kinetics of response and the  
16       decline of antibody over time in order to try to  
17       determine if there might be rationale for immunizing  
18       people, the elderly nursing home, the most vulnerable  
19       individuals twice during the season.        And we had  
20       limited data last year, which was developed as a  
21       result of the recall of vaccine.

22                   I think that it's something that we're  
23       going to be looking at over time, but there isn't a  
24       tremendous amount of data that would support giving  
25       two immunizations, but we are looking at that

1 question.

2 CHAIRPERSON FERRIERI: Other questions?

3 Dr. Clements.

4 DR. CLEMENTS-MANN: Yes. I think one of  
5 the things that still plagues us is the optimal  
6 utilization of the vaccine because it still seems that  
7 only about 30 percent of the non-elderly high risk are  
8 actually being immunized, and of course, those  
9 individuals would be the ones that might show up on  
10 those surveillance records.

11 The other thing I think is that the health  
12 care workers often who are providing care and are  
13 perhaps part of the disseminators of the flu are not  
14 being optimally immunized, so that I think we have to  
15 take that into consideration before we view how  
16 effective the vaccine is or isn't.

17 CHAIRPERSON FERRIERI: Any other comments,  
18 questions?

19 Any of our nonvoting members at the table?  
20 Drs. Breiman, Kilbourne, LaMontagne, Webster? You  
21 haven't spoken quite as much. Would you like to add  
22 anything to the discussion?

23 John, we haven't heard from you.

24 DR. LaMONTAGNE: Well, I don't know  
25 whether this is going to help much, but, I mean, I

1 think the record of activated influenza vaccines is  
2 actually quite good, and the studies that have been  
3 done not only in health adults, but in the elderly, do  
4 confirm that the vaccine can provide protection and  
5 does prevent death and illness in that population.

6 Examining it at the macro epidemiological  
7 level, as Alice was posing, I think, is very  
8 challenging. I mean, the fact is that influenza is a  
9 very common infection, and even if we achieved  
10 immunization coverage levels in excess of 50 percent  
11 of the population, we'd probably still have a big  
12 burden of disease.

13 So I think having the expectation that we  
14 would lower that level, of course, is the dream that  
15 we all have, but it's going to be quite difficult to  
16 achieve, I think, under any circumstance.

17 CHAIRPERSON FERRIERI: Dr. Webster.

18 DR. WEBSTER: I'd just make a comment in  
19 the same vein. Alice and I were talking about this  
20 question before over the coffee break, and the  
21 question is what happens if you don't receive the  
22 vaccine. In the elderly if you take the vaccine and  
23 you still get infected, the complaint is the vaccine  
24 didn't work.

25 On the other hand, if you didn't take the

1 vaccine, you might die, at least fill the hospitals  
2 and cost the country vast amounts of money.

3 So in a sense maybe we're not measuring  
4 the right criteria for reducing this overall curve.  
5 I think that the vaccine by and large is very  
6 effective, and occasionally when variants occur in the  
7 season, like the Sidney did, we're still getting  
8 protection of the population.

9 CHAIRPERSON FERRIERI: Thank you.

10 Dr. Kilbourne, do you?

11 DR. KILBOURNE: Well, I think it's been  
12 said very well by John and Rod. The only thing I  
13 would add is just to remind people of the point John  
14 was making implicitly, and that is that the amount of  
15 immunity in terms of total immunity you'd have to  
16 achieve to wipe out influenza is really going to be  
17 very high, indeed.

18 So we don't want to do the experiment not  
19 vaccinating anybody next year and watching the PI  
20 curve I don't think, but short of that, there probably  
21 are ways to design more effective and better and wider  
22 scale clinical trials, but for that we need more  
23 money.

24 CHAIRPERSON FERRIERI: Dr. Breiman.

25 DR. BREIMAN: Well, I guess I've been

1 struck, having spent some time recently having to  
2 think about pandemic planning, more about the issues  
3 related to being able to stay ahead of the curve and  
4 still sort of reeling about by the recognition that we  
5 need to purchase birds, you know, a year in advance in  
6 order to be prepared.

7 And it makes we wonder. This is a  
8 different issue actually than what we're now talking  
9 about, but whether we should also, in addition to  
10 focusing on the importance of clinical trials be  
11 thinking about how we can push the timetable forward  
12 to a point where we're not relying on eggs, you know,  
13 to produce vaccine.

14 I think as we see sort of the pace of  
15 influenza even as demonstrated by H3N2, I mean,  
16 Sidney, it's going to make it tougher and tougher to  
17 be able to respond.

18 CHAIRPERSON FERRIERI: Other general  
19 points before we become very focused on each of the  
20 vaccines?

21 Dr. Apicella.

22 DR. APICELLA: Just a point of  
23 clarification. Is there an A/Sidney reassortant that  
24 can be used for production?

25 DR. LEVANDOWSKI: Yes, I can answer that.

1 Yes, there's the IUR-108 reassortant that I mentioned  
2 earlier that is available, and it is being used for  
3 manufacturing vaccines for Australia right now.

4 COMMISSIONER McCARTHY: Dr. Hall.

5 DR. HALL: Well, just going back to the  
6 point before that, I think still though that the  
7 bottom line of why this isn't working is we're not  
8 getting it to the right people in time, and that's  
9 what Mary Lou was saying also.

10 In children that are at high risk, it's  
11 estimated to be ten percent only that are getting  
12 this. So all of these other facts, we have to change  
13 our whole policy, and it's more of a policy decision  
14 at this point than an immunogenic if we really want to  
15 make a dent using the current vaccine.

16 CHAIRPERSON FERRIERI: What I would like  
17 to see in the way of epidemiologic data would be the  
18 number of doses distributed within states and then  
19 what the disease prevalence curves look like. This  
20 would really add punch to what you're saying.

21 I feel there's a very unfair distribution  
22 utilization. The vaccine is available, but there is  
23 different emphasis and the rigor with which it's  
24 recommended and used nationwide.

25 Dr. Couch.

1 DR. COUCH: I just wanted to go back and  
2 emphasize the point Dr. Huang started with, and that  
3 was that, gee, it's not being done perfectly, that  
4 there's still some deficiencies here, and we've heard  
5 about that nobody is proposing that we abandon  
6 inactivated vaccines, but we've heard about the  
7 problems of delivery and utilization in the elderly  
8 and so forth. They are not perfect instruments as we  
9 use them in our society right now.

10 But the goal is still, as you said, what  
11 we want to control. Actually I guess you suggest  
12 maybe we ought to look at alternatives. I think most  
13 of us have that in mind, but we're thinking about  
14 those alternatives as an addition as opposed to true  
15 alternatives to the inactivated vaccine.

16 But control is still a goal we ought to  
17 focus on.

18 CHAIRPERSON FERRIERI: Yes, Dr. Snider.

19 DR. SNIDER: Perhaps to take things even  
20 one step back, just to remind everyone that we have  
21 not -- if we want to talk about root causes, it's not  
22 easy to do that since one thing seems to lead to  
23 another, but we do have to acknowledge, I think, it's  
24 important to acknowledge in a setting like this, that  
25 we have not as a nation made the same kind of

1       commitment to adult immunization as we have to  
2       childhood immunization.

3               Consequently, a lot of the problems we've  
4       been talking about have not been as well addressed as  
5       they have for vaccine preventable disease in children.

6               CHAIRPERSON FERRIERI: Thank you.

7               Dr. Broome, and that will be the last  
8       comment before we embark on the precise question.

9               DR. BROOME: I just wanted to speak to the  
10       issue of what do we know about the actual  
11       effectiveness of the vaccines, and I think first you  
12       have to very carefully define what question you're  
13       asking.

14               One of them is certainly does the current  
15       vaccine work against A/Sidney, and I think there  
16       there's no way you're going to get any information  
17       with, you know, population-wide or ecologic analyses.  
18       You're going to need virologic confirmation of cases,  
19       and my guess would be efficacy studies in nursing  
20       homes. Formal efficacy studies would be the best way  
21       to get that data.

22               In terms of the general issue of, you  
23       know, can you say anything about the P&I impact, I  
24       think that's a perfectly valid question, but I think  
25       you can also -- I don't think you're going to get the

1 answer by ecological studies, but I think you can do  
2 admittedly large, well designed epidemiologic studies  
3 on impact.

4 CHAIRPERSON FERRIERI: Okay. We'll start  
5 with Dr. Levandowski's question, which is: which  
6 strain should be recommended for the antigenic  
7 composition of the new '98-99 vaccine based on the  
8 epidemiologic, antigenic characteristics of the strain  
9 circulating, serologic responses in persons immunized  
10 with current vaccines and availability of the suitable  
11 strains?

12 We'll start with influenza B, and the  
13 issue here is that we are seeing some recent Victoria-  
14 like strains of a different lineage. I'd like to pose  
15 to Dr. Couch how he responds to the possibility of  
16 retaining the current strain despite the recent strain  
17 isolation of the Victoria lineage, and how comfortable  
18 would you be with that?

19 And, by the way, this will be the easiest  
20 question we are facing perhaps.

21 (Laughter.)

22 DR. COUCH: Well, I came, as I was telling  
23 Roland earlier, with my usual little table of viruses,  
24 vaccines, epidemiology, and I added in the last two  
25 years a column for seed virus availability, and that



1 heads nodding around the table. So we'll move to a  
2 formal vote then. Yes or no, starting with Dr. Couch.

3 We will retain the current B vaccine  
4 strain.

5 DR. COUCH: Yes.

6 CHAIRPERSON FERRIERI: Dr. Clements?

7 DR. CLEMENTS-MANN: Yes.

8 CHAIRPERSON FERRIERI: Dr. Apicella?

9 DR. APICELLA: Yes.

10 CHAIRPERSON FERRIERI: Dr. Hall?

11 DR. HALL: Yes.

12 CHAIRPERSON FERRIERI: Dr. Poland?

13 DR. POLAND: Yes.

14 CHAIRPERSON FERRIERI: Dr. Edwards?

15 DR. EDWARDS: Yes.

16 CHAIRPERSON FERRIERI: Ms. Cole?

17 MS. COLE: Yes.

18 CHAIRPERSON FERRIERI: Dr. Estes?

19 DR. ESTES: Yes.

20 DR. HUANG: Yes.

21 CHAIRPERSON FERRIERI: Dr. Snider. That  
22 was Dr. Huang, yes.

23 Dr. Snider?

24 DR. SNIDER: Yes. That's why I asked the  
25 question.

1 CHAIRPERSON FERRIERI: I'm sorry. Dr.  
2 Karzon?

3 DR. KARZON: Yes.

4 CHAIRPERSON FERRIERI: And Dr. Eickhoff?

5 DR. EICKHOFF: Yes.

6 CHAIRPERSON FERRIERI: Dr. Broome?

7 DR. BROOME: Yes.

8 CHAIRPERSON FERRIERI: And then my vote is  
9 yes.

10 I don't think I've missed anyone. Ms.  
11 Cherry?

12 MS. CHERRY: No, that's it.

13 CHAIRPERSON FERRIERI: Okay. And I'm sure  
14 there's a feeling of jubilation from the  
15 manufacturers.

16 (Laughter.)

17 CHAIRPERSON FERRIERI: I see a big  
18 smile --

19 DR. COUCH: We've made the right decision.

20 CHAIRPERSON FERRIERI: -- from Mr. Fosden  
21 (phonetic) there. Yeah, great.

22 Well, then you'll indulge us for the  
23 subsequent discussion and decisions on the other A  
24 virus strains for the vaccine, and I don't want to  
25 always put Dr. Couch in a bad corner, but since you

1 are there --

2 (Laughter.)

3 CHAIRPERSON FERRIERI: -- you appear to  
4 have tabulated data and you've come with a table and  
5 so on --

6 DR. COUCH: I thought this was the end of  
7 the line, not the head of the line.

8 CHAIRPERSON FERRIERI: No, it's a very  
9 pivotal position here.

10 DR. SNIDER: I'm glad somebody else is in  
11 it, too.

12 CHAIRPERSON FERRIERI: Yeah, it's usually  
13 Dr. Snider. We're rotating that hot spot.

14 The A H1N1 is a more complex issue  
15 obviously.

16 DR. COUCH: Let's make that one last.

17 CHAIRPERSON FERRIERI: We've heard various  
18 information here today. We could talk interminably  
19 about the data we've heard. We have three options:  
20 retain current strain, adopt one of the new ones  
21 circulating, or with the deletion mutant being a very  
22 high candidate for the substitution, the third option  
23 is defer as we gather more data over the next few  
24 weeks, next several weeks.

25 Dr. Hall?

1 DR. HALL: Would it be possible to do that  
2 one last since --

3 CHAIRPERSON FERRIERI: The H1N1?

4 DR. HALL: -- that decision may be  
5 somewhat dependent on the H3.

6 DR. COUCH: If you're talking about level  
7 of difficulty, H3, I think, second and H1 is most  
8 difficult.

9 DR. HALL: Yes, I would say.

10 CHAIRPERSON FERRIERI: For us at the  
11 table? Fine. Would you like to start with the H3N2?

12 Again, the options are the same: retain,  
13 substitute, or defer, and the big player here that has  
14 emerged to shake us all up is the A/Sidney 05/97.

15 DR. HALL: I would think that we need to  
16 put in a change to an A/Sidney-like mutant. The  
17 problem is obviously which one and the availability.

18 Now, I understand that there is one that  
19 is the moderate to high yield. The question comes up,  
20 which was one of the options, which is whether we  
21 should wait. I'm not sure what data we could get in  
22 time by that manufacturer's line of the cross-  
23 reactivity or serologic response of these viruses.

24 And if somebody can answer that, it would  
25 be a little bit -- you would think that by waiting X

1 number of weeks, whatever we're allowed, that we will  
2 have enough data to help in that decision.

3 And secondly, is the current potential  
4 vaccine strain available now and in enough yield that  
5 it could be utilized?

6 CHAIRPERSON FERRIERI: Well, the IVR-108  
7 that Dr. Levandowski mentioned has a moderate to high  
8 yield. He may wish to address your question first,  
9 and then anyone else.

10 DR. LEVANDOWSKI: Okay. Well, I suppose  
11 some additional information that we might get, there  
12 are other reassortants that are in the works, and  
13 they're not to the point where anybody could talk  
14 about them today, but it is something that has been  
15 looked at as a potential.

16 We have had feedback from the  
17 manufacturers that is a little bit variable, and of  
18 course, that is always true with new strains. When  
19 manufacturers get them, they need to have some time to  
20 take a look at the strain to know exactly how it's  
21 going to perform.

22 But we have had some sort of unusual  
23 events, I guess I would say, in working with the  
24 strain in the laboratory that's some variability up  
25 and down in terms of HA yield. I don't know what that

1 means. I don't know that it's not something that's  
2 just an event that happened that day in the  
3 laboratory.

4 But there has been some discussion about  
5 how high yielding this particular strain is, and I  
6 suspect that if we had one that were better, that were  
7 a higher yielding strain, that the manufacturers might  
8 want to use that, and experiences in the past are such  
9 that it is possible for them to get a strain at a  
10 later point if it is a very good, high yielding  
11 strain, and be able to manufacture a vaccine.

12 Now, this puts them in a very  
13 uncomfortable position, of course, but I guess the  
14 experience -- and I would say we had some luck in this  
15 last year -- is the experience that we had with the  
16 H1N1 component of the vaccine last year with the NIB-  
17 39 reassortant, which was an extremely good, high  
18 yield strain.

19 Now, those are somewhat unusual, but there  
20 is that possibility, and I think it's to the  
21 manufacturers' benefit to have a strain like that if  
22 they can.

23 There may be some other comments that  
24 others want to make, as well.

25 CHAIRPERSON FERRIERI: Dr. Cox, would you

1 address part of her other question though from an  
2 epidemiologic standpoint or some of these sequencing  
3 data? What more would you like to do over the next  
4 several weeks?

5 DR. COX: Right. I think that as you've  
6 seen from the data that were reported, influenza  
7 season got off to a bit of a slow start in the U.S.  
8 and certainly in Europe, and as usually happens, the  
9 states begin to send in the strains that they've  
10 isolated in November and December right after the  
11 Christmas holidays, and we have some 250 strains from  
12 the United States that have arrived just in the past  
13 couple of weeks, and we're pushing through the system  
14 as rapidly as we possibly can and expect to have data  
15 on a good number of during the next few weeks.

16 There also is sort of an intriguing  
17 intermediate that I really didn't point out when I was  
18 going through my presentation, but there are a couple  
19 of strains which are intermediate between the Wuhan  
20 and the Sidney viruses, and we'd like a chance to look  
21 at some of the strains from Japan, Hong Kong, and so  
22 on, and make sure that that's not an emerging group of  
23 viruses.

24 You know, from my perspective, I feel that  
25 we will have quite a bit of additional data that will

1 be generated over the next two and a half or three  
2 weeks.

3 CHAIRPERSON FERRIERI: And the Sidney-like  
4 viruses that you've sequenced, all are homologous in  
5 that HA-1 domain; is that correct?

6 DR. COX: They share some signature amino  
7 acid changes. Of course, there's some amino acid  
8 heterogeneity among them, but they share the signature  
9 changes, except for a couple of strains which only  
10 share a portion of those signature changes.

11 CHAIRPERSON FERRIERI: Thank you.

12 Well, with that information then, Carolyn,  
13 how do you feel about the three options then for the  
14 H3N2 strain?

15 DR. HALL: Given the expert's view of  
16 that, as I said, I would opt that we wait.

17 CHAIRPERSON FERRIERI: Okay. We have that  
18 on the table. Are there any divergent opinions from  
19 the panel members regarding deferral then?

20 Dr. Couch.

21 DR. COUCH: Well, I think it's always  
22 desirable to make the decision later because we always  
23 tend to get forced to make it for what most of us  
24 think is a little early, but in looking at this one,  
25 I mean, I think we all agree there needs to be a

1 change. Some of us have never been happy with  
2 Nanchang as an antigen. I don't know how much of that  
3 is host and how much of it is the antigen, but, see,  
4 they've always been sort of wimpy responses compared  
5 to some of the ones we've seen for antigens in the  
6 past.

7           Maybe Sidney won't be any better, but the  
8 bothersome thing about it is that this horse may  
9 already be out and we're chasing drift after the fact,  
10 so that you'd really like to have that herald wave, if  
11 you can put your finger on it, for the next H3, and I  
12 think that's what Nancy is suggesting.

13           But what happens to my thinking is that if  
14 you look at this time frame, if you identify, you  
15 know, what looks like a herald wave in the next two to  
16 three to four weeks, look at the time frame we've got.  
17 To take a virus out of that, to characterize it, to  
18 make a new seed, high growth, and to meet the  
19 deadlines of the manufacturers seem to me to be  
20 heading toward the highly unlikely.

21           So that I think the compromise I was kind  
22 of thinking about, and I might try you on that one, is  
23 that perhaps if we follow the time frame, I think it's  
24 always desirable to have more money -- I mean more  
25 time.

1 (Laughter.)

2 DR. COUCH: No question about that former  
3 one. You see what I had on my mind.

4 CHAIRPERSON FERRIERI: We agree with all  
5 of the above.

6 DR. COUCH: I know John LaMontagne was  
7 sitting over there, see.

8 (Laughter.)

9 DR. COUCH: That the Committee could make  
10 a tentative decision that doesn't require conference  
11 call follow-up unless new data develops.

12 CHAIRPERSON FERRIERI: I think that's a  
13 very good suggestion if we could all live with that.  
14 So that if new data emerges, then fine, but otherwise  
15 then we would go with the Sidney.

16 Shall we take a vote on that?

17 DR. HALL: Could I just ask?

18 CHAIRPERSON FERRIERI: Yes, Dr. Hall.

19 DR. HALL: Nancy, do you think there's  
20 really -- I'm concerned from what Dr. Couch said also  
21 that the A/Sidney may be a this year's virus and not  
22 next year's virus because it's out of the barn, as he  
23 says.

24 Is there a reasonable chance from your  
25 experience that it will still be the predominant virus

1       considering that it has rapidly increased to what, 61  
2       percent at this point?

3               DR. COX: I simply can't say. I think it  
4       surprised us all in the rapidity with which it has  
5       spread, but you know, I have the same reservations  
6       that perhaps next year it wouldn't be the predominant  
7       strain, and we need to look carefully and see if there  
8       is something else, but of course, we do have a very  
9       limited amount of time.

10              I think that in the past when we've really  
11       had to pull out the stops and work together very hard  
12       to get a high growth reassortant at the last moment,  
13       we've been able to do it. So if something does  
14       emerge, we'd have to discuss it in the conference call  
15       or the follow-up and try to cover whatever newly  
16       emerging virus we were able to see.

17              DR. HALL: To phrase the question another  
18       way, in your experience a virus that has been in the  
19       current season at 60 or more percent, is that still at  
20       that level the next year?

21              DR. COX: We have seen H3 variants  
22       circulate two consecutive years. The Beijing 353/89,  
23       for example, circulated in two consecutive years.

24              DR. HALL: At over 50 percent or so?

25              DR. COX: Yes, yes.

1                   CHAIRPERSON FERRIERI: Dr. Cox, refresh my  
2 memory. We're always told watch what comes out of  
3 China, and so there are some new isolates you have  
4 from China that you're studying now, will be studying  
5 soon, the H3N2 isolates.

6                   DR. COX: We haven't -- Sasha, correct me  
7 if I'm wrong -- we don't have a shipment. I mean  
8 often we do have a shipment on the way or something.  
9 I think our colleagues in China have been preoccupied  
10 with H5N1 surveillance.

11                   CHAIRPERSON FERRIERI: Okay. Well, we  
12 have on the -- sort of a --

13                   DR. COX: Excuse me.

14                   CHAIRPERSON FERRIERI: Yeah, go ahead.

15                   DR. COX: What we do have in our hands and  
16 on the way is a large number of viruses from Hong  
17 Kong, which they have as a result of their enhanced  
18 surveillance activities.

19                   CHAIRPERSON FERRIERI: Right. Well, we  
20 have on the table, Committee members, sort of an  
21 informal motion that we make a tentative  
22 recommendation to adopt the Sidney strain, the 05/97,  
23 pending any new information that will then lead to a  
24 conference call.

25                   Are you comfortable with that

1 recommendation, Roland?

2 DR. LEVANDOWSKI: I suppose we could live  
3 with that.

4 (Laughter.)

5 DR. COUCH: Can the manufacturers live  
6 with that?

7 CHAIRPERSON FERRIERI: Can the  
8 manufacturers live with it? Well, I think that it's  
9 a better option. We have more information on this at  
10 the moment than we have about some new strains coming  
11 down the pike that may suddenly be put on their table.

12 It's not the best, but we've had other  
13 years where we've only -- last year I think -- was it  
14 last year we stayed with two, adopted one new one?  
15 The previous year we retained one and had two new  
16 ones.

17 And so I think that this is not the worst  
18 year, and this is a little bit better than two years  
19 ago. So we'll take a formal vote on this motion then,  
20 that we tentatively recommend the Sidney strain for  
21 the H3N2 component of the vaccine. This is a major  
22 change now, unless new information emerges with  
23 studies of strains that are coming in or under some  
24 study at the moment, and also based on what we think  
25 of the yield of the reassortant.

1 So we'll start again with Bob. Dr. Couch?

2 DR. COUCH: H1?

3 CHAIRPERSON FERRIERI: No. Yes or no on  
4 this.

5 DR. COUCH: Oh, this one. Yes.

6 CHAIRPERSON FERRIERI: I'm afraid we do  
7 have to take a vote.

8 DR. COUCH: Yes.

9 CHAIRPERSON FERRIERI: Dr. Clements?

10 DR. CLEMENTS-MANN: Yes.

11 CHAIRPERSON FERRIERI: Dr. Apicella.

12 DR. APICELLA: Yes.

13 CHAIRPERSON FERRIERI: Dr. Hall?

14 DR. HALL: Yes.

15 CHAIRPERSON FERRIERI: Dr. Poland?

16 DR. POLAND: Yes.

17 CHAIRPERSON FERRIERI: Dr. Edwards?

18 DR. EDWARDS: Yes.

19 COMMISSIONER McCARTHY: Ms. Cole?

20 MS. COLE: Yes.

21 CHAIRPERSON FERRIERI: Dr. Estes?

22 DR. ESTES: Yes.

23 CHAIRPERSON FERRIERI: Dr. Huang?

24 DR. HUANG: Yes.

25 CHAIRPERSON FERRIERI: Dr. Snider?

1 DR. SNIDER: Yes.

2 CHAIRPERSON FERRIERI: Dr. Karzon?

3 DR. KARZON: Yes.

4 CHAIRPERSON FERRIERI: Dr. Eickhoff?

5 DR. EICKHOFF: Yes.

6 CHAIRPERSON FERRIERI: Dr. Broome?

7 DR. BROOME: Yes.

8 CHAIRPERSON FERRIERI: And my vote is yes,  
9 as well.

10 So now we'll move to the H1N1, and we've  
11 heard data today about the two lineages that are  
12 present. The major one, that is, the Bayern 07-like  
13 that is represented by the current composition, the  
14 Johannesburg 82 strain, which was new last year, and  
15 we have the possibility of the mutant Beijing, the  
16 262/95, the H1 deletion mutant.

17 So I'll entertain discussion on an option  
18 that we preserve, change, or defer a decision.

19 Bob, do you want to start?

20 DR. COUCH: I think this one's a deferral  
21 for sure for more data, and the question is: what's  
22 the -- what I had on my little table which I made out  
23 is the old vaccines are not very good against the new  
24 deletional mutant, and under epidemiologic  
25 significance the best I could do right now was

1 possibly, but if its worldwide epidemiologic  
2 significance and we had that nailed down, I don't  
3 think there'd be any question that we had to have an  
4 antigen that would adequately cover the new H1  
5 strains.

6 That brings us back to the question that  
7 we have had before with B/Victoria. If that's a risk  
8 and an uncertain risk, should we give any  
9 consideration to two H1 strains, Bayern and adding  
10 this new one? And while I think that can be  
11 discussed, you already know that the manufacturers  
12 strongly discourage that thing, that sort of addition.

13 So I would think that we ought to be on  
14 very strong grounds before we propose that, and we're  
15 not on such strong grounds right now in my view.

16 So I think this is a straightforward  
17 deferral for more data, primarily epidemiologic  
18 significance of the strains.

19 CHAIRPERSON FERRIERI: Other?

20 DR. COUCH: The Bayern looks quite good  
21 for those strains.

22 CHAIRPERSON FERRIERI: Right. Any other  
23 divergent opinions from the panel, from the Advisory  
24 Committee or temporary voting members?

25 Is there a concurrence? And then we will

1 take a formal vote. Is there a general concurrence?

2 (No response.)

3 CHAIRPERSON FERRIERI: So we'll start  
4 taking the vote starting at this end of the table,  
5 starting with Dr. Claire Broome so that the vote is --

6 DR. COUCH: Why don't you push them a  
7 little bit first and see if anybody else wants to  
8 comment, including our nonvoting members on that one?

9 CHAIRPERSON FERRIERI: Great. What do you  
10 think of this decision before we vote on it? Dr.  
11 Kilbourne, what do you think about our deferring a  
12 decision on that one?

13 DR. KILBOURNE: Good decision.

14 (Laughter.)

15 CHAIRPERSON FERRIERI: You'll still get a  
16 lunch break even if you do --

17 (Laughter.)

18 CHAIRPERSON FERRIERI: -- even if you  
19 disagree with us.

20 Dr. Webster, how do you feel about this?

21 DR. WEBSTER: I concur.

22 CHAIRPERSON FERRIERI: Okay. John  
23 LaMontagne?

24 DR. LaMONTAGNE: And I.

25 CHAIRPERSON FERRIERI: Okay. That sounds

1 good.

2 Dr. Breiman?

3 (No response.)

4 CHAIRPERSON FERRIERI: Okay. We'll start  
5 voting then formally with Dr. Broome at this end and  
6 come around counterclockwise. The motion is deferral  
7 on the H1N1.

8 DR. BROOME: Well, I agree with deferral,  
9 sitting here trying to look at the studies of  
10 experimental vaccine which seem to me to be sort of  
11 critical to this decision, as well as information  
12 about what strains are circulating in the most up to  
13 the minute information.

14 So I mean the easy thing to say is just  
15 defer, but I think we should maybe look very quickly  
16 at what you can or can't conclude from the  
17 experimental vaccine.

18 CHAIRPERSON FERRIERI: Thank you.

19 Dr. Eickhoff?

20 DR. EICKHOFF: I agree with deferral  
21 pending further information.

22 CHAIRPERSON FERRIERI: Dr. Karzon?

23 DR. KARZON: I agree with deferral.

24 CHAIRPERSON FERRIERI: Dr. Snider?

25 DR. SNIDER: I agree.

1 CHAIRPERSON FERRIERI: Dr. Huang?  
2 DR. HUANG: Yes.  
3 CHAIRPERSON FERRIERI: Dr. Estes?  
4 DR. ESTES: Yes.  
5 CHAIRPERSON FERRIERI: Ms. Cole?  
6 MS. COLE: Yes.  
7 CHAIRPERSON FERRIERI: Dr. Edwards.  
8 DR. EDWARDS: Yes.  
9 CHAIRPERSON FERRIERI: Dr. Poland?  
10 DR. POLAND: Yes.  
11 CHAIRPERSON FERRIERI: Dr. Hall?  
12 DR. HALL: Yes.  
13 CHAIRPERSON FERRIERI: Dr. Apicella?  
14 DR. APICELLA: Yes.  
15 CHAIRPERSON FERRIERI: And Dr. Clements?  
16 DR. CLEMENTS-MANN: Yes.  
17 CHAIRPERSON FERRIERI: And Dr. Couch?  
18 DR. COUCH: Couch.  
19 CHAIRPERSON FERRIERI: Yes, I do know who  
20 you are.  
21 DR. COUCH: Yes.  
22 CHAIRPERSON FERRIERI: Thank you, and my  
23 vote is yes also.  
24 If anyone would like to add to what we've  
25 just said before we break for lunch. Any response

1 from Dr. Levandowski or anyone else who wants to  
2 attach some caution on our decision making today and  
3 the time frame we're looking at?

4 Is there anything you would like to add,  
5 Roland?

6 DR. LEVANDOWSKI: I don't think there is  
7 anything I would like to add. I think that everyone  
8 has spoken, including the manufacturers, and I think  
9 the Committee are listening and assessing very  
10 carefully all of the information that's coming in and  
11 doing the best possible to give guidance. So I think  
12 that's what we are looking for, and that's what we're  
13 going to get.

14 CHAIRPERSON FERRIERI: Thank you.

15 I would propose we break for lunch and  
16 return at 1:15, and then we have the afternoon on  
17 avian flu.

18 (Whereupon, at 12:02 p.m., the meeting was  
19 recessed for lunch, to reconvene at 1:15 p.m., the  
20 same day.)



1 putting a lot of information together.

2 We're really glad that they're here today,  
3 and some of them have only come back into the country  
4 within the last day or so. So I really do want to  
5 thank them greatly for being here.

6 Several of them are going to provide some  
7 of the latest data on the characterization of the H5N1  
8 strains and also on the scope and the nature of the  
9 threat that's posed by the H5N1 influenza viruses.  
10 This appearance of H5N1 viruses in man has come as  
11 what I'll call an expected surprise, and it reflects  
12 the great versatility of influenza viruses and their  
13 ability to cross the species barrier.

14 When such an event occurs, of course, we  
15 all think the potential for rapid spread of influenza  
16 viruses and the development of a pandemic exists, and  
17 just a brief reminder. The pandemics of 1957 and 1968  
18 are known to have resulted from reassortment in nature  
19 of an avian influenza virus with the influenza A  
20 virus, which was then current in people.

21 In each of those instances there was  
22 substantial morbidity and mortality in all segments of  
23 the population, and although that was not really to  
24 the same extent as the pandemic of 1918, which was  
25 caused by a virus which now is thought to have been

1 derived from an avian strain, that possibly was  
2 transmitted through swine as an intermediate host  
3 without an intervening reassorting event.

4 Preparation for the next appearance of an  
5 influenza virus with the potential for causing  
6 pandemic influenza has been the subject of a lot of  
7 ongoing discussions in the United States and  
8 elsewhere. On several occasions in the past few  
9 years, the status of development for planning for a  
10 pandemic has been brought to the attention of this  
11 Committee, and that's in particular since this  
12 Committee has a key role to play in the selection of  
13 influenza virus vaccine strains, and also in providing  
14 guidance on new vaccine products.

15 One of the primary assumptions of planning  
16 has been -- and this was mentioned this morning --  
17 that currently licensed influenza virus vaccines will  
18 play a major part in any response strategy to either  
19 blunt or to prevent the impact of a pandemic.

20 I would like to remind you that there were  
21 extensive and comprehensive clinical studies done  
22 during 1976 and 1977 upon the reappearance of H1N1  
23 strains in human populations, and that's really the  
24 basis for the formulation of current vaccines and also  
25 the basis for the things that we're doing these days.

1           Those particular clinical trials, which  
2           have all been published, establish a number of key  
3           parameters of immunogenicity and reactogenicity of  
4           inactivated influenza virus vaccines, and that  
5           includes the relation of immunogenicity to the vaccine  
6           dose, utility of the method that we call single radial  
7           immunodiffusion, which is used for measuring potency  
8           of vaccines and also the direct correlation of SRID,  
9           as I'll call it in acronym form; its direct  
10          correlation with immunogenicity; in addition, the need  
11          for two doses of vaccine for immunologically naive  
12          populations, and the frequency and severity of  
13          immediate type adverse events were all fairly well  
14          defined by those clinical trials.

15                 The coordinated effort during those  
16          clinical trials of Public Health Service agencies,  
17          including NIH, CDC, and FDA, resulted in the  
18          establishment of the process that we're using today to  
19          prepare for the new influenza vaccines for next year.

20                 Those efforts also resulted in the first  
21          versions of pandemic plans by the Public Health  
22          Service initially in the late 1970s, and then with  
23          later revisions in the mid-1980s.

24                 We're fortunate that several of the  
25          scientists and physicians who were involved in those

1        efforts are with us today, and they will be able to  
2        provide us with some perspective, I'm sure.

3                    As we mentioned earlier this morning, the  
4        trivalent inactivated influenza virus vaccines  
5        produced for the United States are made at  
6        approximately 80 million doses per year currently. If  
7        you extrapolate from that capacity a monovalent  
8        vaccine that contained 15 micrograms per dose, could  
9        be manufactured in sufficient quantity to produce what  
10       would amount to be around 300 million doses of  
11       vaccine.

12                   But as we also heard this morning, and  
13        I'll reiterate now, the reality is that there's a  
14        finite capacity and a finite time for production of  
15        vaccines, and careful choices between components will  
16        probably be necessary because other viruses, such as  
17        H3N2 and H1N1 subtypes of influenza A, as well as the  
18        multiple lineages of influenza B are continuing to  
19        circulate in man and do not really show signs of  
20        leaving.

21                   In addition, the assumptions on the  
22        potential for vaccine production depend directly on  
23        the ability to obtain a virus strain that replicates  
24        the high titer in eggs and goes through the production  
25        process with limited loss of immunogenic

1 hemagglutinin.

2 For this purpose reassortants are produced  
3 regularly using the A/Puerto Rico 834 strain, or as  
4 it's known to most people, PR-8, as the high growth,  
5 egg adapted donor. There are over 25 years of  
6 experience producing inactivated influenza virus  
7 vaccines with these kinds of reassortants, but there's  
8 really not very much or no experience with that sort  
9 of production using other reassorting substrates.

10 Therefore, a substantial effort in many  
11 laboratories, including our own, is directed to  
12 producing reassortant viruses to support a  
13 maximization of current vaccine production capacity.

14 So having said that, and in anticipation  
15 of the presentations that we're going to hear, I'd  
16 like to pose to the Committee two questions, and I'll  
17 just put those up here.

18 These questions are in the form of really  
19 stimulating discussion by the Committee. The first  
20 one is: please comment on the need for immediate  
21 production of H5N1 vaccines for general use and also  
22 in developmental clinical trials. Probably a typo  
23 here.

24 And the second one is: please comment on  
25 the nature and scope of the clinical trials that would

1 be needed to support licensing of H5N1 vaccines.

2 I'd say we're very fortunate to have the  
3 people that we have gathered here today, and I want to  
4 thank them all again for being here since almost  
5 everybody who's going to speak is critically -- I  
6 shouldn't say "almost" -- everybody who's going to  
7 speak is critically and actively engaged in the  
8 current efforts that surround the H5N1 influenza  
9 viruses.

10 So to begin, Dr. Keiji Fukuda of the CDC  
11 will present a summary of the epidemiologic  
12 investigations which have been ongoing since late 1997  
13 in Hong Kong.

14 DR. FUKUDA: I'm going to start the  
15 session off going over two investigations which have  
16 been done. The first one was done in August of 1997,  
17 and the second one really is sort of ongoing right  
18 now, and I'll be focusing my comments on the field  
19 investigation part.

20 But before I start I really want to  
21 emphasize, and I can't overemphasize this part, that  
22 any type of investigation like this really draws upon  
23 necessarily a number of different organizations and a  
24 huge number of individuals, and amongst all of these  
25 organizations I really want to highlight the role of

1 the Hong Kong Department of Health. They have done an  
2 absolutely heroic job in getting the work done which  
3 needs to be done, and I think that's something that  
4 this group should take away with.

5 Influenza A H5N1 viruses have usually been  
6 found in avian species, and prior to 1997 they have  
7 not been known to cause disease in humans.

8 In May of 1997, a three year old boy, a  
9 resident of Hong Kong, developed fever, sore throat,  
10 and cough, and he was diagnosed on an out-patient  
11 basis as having pharyngitis and was treated both with  
12 antibiotics and aspirin.

13 On day six of his illness, he was  
14 hospitalized both for continuing high fever and also  
15 because the admitting physician just felt that  
16 something was wrong, although she couldn't quite put  
17 her finger on what it was.

18 His respiratory illness rapidly  
19 progressed, and by day ten he was intubated, and after  
20 intubation, a tracheal aspirate specimen was obtained,  
21 and a few days later the child died, and his cause of  
22 death was respiratory failure, secondary to ARDS.

23 In addition, he had some complicating  
24 complications. Reye's Syndrome was one, and on the  
25 ventilator he had multi-organ failure.

1           On the day that he died, he had an  
2 influenza A virus isolated at the department which  
3 could not be subtyped by existing WHO reagents.

4           That isolate in August was identified to  
5 be influenza A H5N1. The initial work was done at the  
6 National Influenza Center in Rotterdam, and it was  
7 confirmed a few days later at CDC. A few days after  
8 that, the Hong Kong Department of health invited CDC  
9 to assist in an investigation.

10           I won't go into too much detail on that  
11 investigation since it's already been discussed, but  
12 let me go over some of the key questions and the  
13 answers that we came away with at that time.

14           The first question was whether the virus  
15 showed any signs of reassortment, and work done by  
16 Sasha and others indicated that all the genes were  
17 avian.

18           The second question, and probably the  
19 leading hypothesis at the time, was whether the  
20 isolate was a laboratory contaminant, and again, based  
21 on some very strong epidemiologic and laboratory  
22 evidence, we quickly became convinced that, no, it was  
23 not a contaminate, but was a true infection.

24           The next question was whether the virus  
25 was related to the child's illness or perhaps simply

1 was in the child's throat for other reasons, and we  
2 quickly became convinced that it was the likely cause  
3 of the child's illness. The clinical course was  
4 consistent with viral pneumonia. The virus was  
5 identified in respiratory cells based on  
6 immunofluorescent antibody assays, and other pathogens  
7 were sought, but none were found.

8 Now, the source of the virus appeared to  
9 be infected poultry in Hong Kong. Just prior to the  
10 child's becoming ill, three outbreaks of influenza A  
11 H5N1 had been identified in chicken farms in Hong  
12 Kong, in the New Territory area. These outbreaks  
13 occurred toward the end of March up to the beginning  
14 of May, again, just before the time the child became  
15 ill.

16 And work done by Rob Webster's lab and at  
17 CDC showed that the isolates from the first chicken  
18 outbreak and from the child were virtually identical.

19 However, it was unclear how the child  
20 became infected. We believe that he was probably  
21 directly exposed to infected poultry feces through  
22 some exposure, but we could not detect what the  
23 exposure was.

24 Now, one of the first things that was done  
25 was to look for additional cases, and so all of the

1 hospitals in the area were alerted, and surveillance  
2 was increased, but no other active cases of disease  
3 were identified at that time.

4 As part of the study approximately 2,000  
5 blood samples were collected, and these came from  
6 several different groups. The first group were people  
7 who had close contact with the case, and this included  
8 family members, health care workers, classmates, and  
9 so on, laboratory workers at the Agricultural  
10 Department and at the hospitals and at the virology  
11 laboratory who worked with the virus, poultry workers  
12 both on farms and retail stalls, and then from a  
13 number of so-called controls. These were healthy  
14 adult blood donors, health children in vaccine trials,  
15 and so on.

16 And I won't go over those results as part  
17 of the second investigation because that's when they  
18 became available, and so the real question at that  
19 time was: what was the virus' pandemic potential?

20 And at the end of that investigation in  
21 September, we thought that the pandemic potential at  
22 that time was relatively low and that this appeared to  
23 be an unusual infection that occurred for reasons we  
24 didn't understand.

25 However, being mindful that this was a new

1 virus appearing in the human, surveillance was  
2 increased both in Hong Kong, but also in south China  
3 in the cities of Shenzhen, Guangzhou, and also in  
4 Guangdong Province, and then the development of  
5 serologic assays was begun at CDC, and Jackie Katz's  
6 group started working on microneutralization assays  
7 and ELISA and a Western Blot.

8 Things were pretty quiet except for in the  
9 laboratory for the next several weeks, and then on  
10 November 25th, the Hong Kong Department of Health  
11 notified CDC that a second case had been detected.  
12 This case occurred in a two year old boy who had an  
13 underlying ventricular septal defect.

14 On November 6th, he presented with an  
15 upper respiratory illness, and he was admitted to a  
16 hospital on the 7th, and two days later he was  
17 discharged doing relatively well.

18 As part of his admission work-up, a nasal  
19 pharyngeal swab was taken which grew out to A H5N1.  
20 On November 27th, CDC was invited by the Department of  
21 Health for a second investigation.

22 Now, when we got on the airplane, we knew  
23 of that one case. When we got off of the airplane, we  
24 were greeted with the news that there had been two  
25 additional cases.

1                   Now, the main public health question at  
2 the start of the investigation, and the one which  
3 remains now, is whether the new cases indicate an  
4 increased likelihood of an H5N1 pandemic.

5                   In order to answer that question, we broke  
6 it down into questions which could be answered perhaps  
7 a little bit more easily, and the first one was: is  
8 there evidence of increasing human-to-human  
9 transmission?

10                   Two, were the viruses being transmitted  
11 more efficiently than before?

12                   And, three, did they show any evidence of  
13 cumulative genetic changes or perhaps reassortment?

14                   So to conduct this investigation the usual  
15 sorts of things were done. A number of people were  
16 interviewed, including cases whenever we could.  
17 Medical records were reviewed, and a number of  
18 different sites were visited.

19                   In terms of analytic studies, several  
20 cohort studies were conducted basically looking at  
21 people who were exposed to or potentially exposed to  
22 virus and compared with people who were not, and then  
23 a case control study was instituted.

24                   In addition, a group led by Ken Shortridge  
25 and Rob Webster, with the assistance of the Department

1 of Agriculture and Fisheries, conducted a number of  
2 animal studies, and I think that Rob will be  
3 discussing these later on.

4 Now, in the cohort studies, we were very  
5 focused on one question. Is there evidence of human-  
6 to-human transmission?

7 And in answering that question, the major  
8 confounder or the thing that we had to take into  
9 account was whether the degree to which people could  
10 have been exposed to poultry, and so we conducted  
11 approximately ten different cohort studies, and these  
12 revolved around -- well, family members were just  
13 tested as a matter of course, but the formal cohort  
14 studies revolved around health care workers who took  
15 care of the people when they were both sick and  
16 infectious. There were two school-based cohorts.  
17 There was a cohort based on co-workers, and then there  
18 was one of the cases had actually traveled with a  
19 group of people to another country, and so we studied  
20 that group as a cohort.

21 And then finally, there were a large  
22 number of people who were exposed to poultry that we  
23 also studied. These were retail stall workers who  
24 handle chicken on a daily basis, and then the second  
25 group were the personnel involved in the large culling

1 operations of chicken.

2 Now, in the case control study, we focused  
3 primarily on defining other risk factors, and  
4 primarily factors associated with poultry. Now, there  
5 were two major difficulties with this study. As in  
6 any case control study, selecting controls is really  
7 the heart of the study, and in this instance we  
8 selected two to four controls per case, and they were  
9 relatively randomly selected, and if anyone wants more  
10 details, I can go into that later.

11 They were also age, sex, and neighborhood  
12 matched to the cases, and one blood specimen was  
13 collected from each control.

14 Now, the real difficulty of this study was  
15 that most of the interviews were conducted with  
16 surrogates, and that's because most of the cases were  
17 either children or they had died or they were  
18 intubated at the time.

19 So between November 6th and December 28th,  
20 there were 17 additional cases of H5N1 related  
21 disease. Sixteen of these cases were confirmed by  
22 culture, and one was serologically confirmed. The  
23 ages of the cases ranged from one to 60 years, and  
24 they were approximately evenly divided between males  
25 and females.

1                   Now, this slide here shows you a breakdown  
2 of cases by age group, and you can see that the first  
3 bar is the group one to four years of age, and you can  
4 see, by and large, these are relatively young people  
5 that became symptomatic from this infection.

6                   Now, this slide here represents the  
7 epicurve of these cases, and it's a little bit  
8 different than the one, I think, in your handouts, but  
9 basically the same, and what it shows is that there  
10 were a few cases in November, and then the majority of  
11 cases really began taking off in December.

12                   And you can also see from that line that  
13 on December 29th is when the large operations  
14 basically to kill all of the chickens in Hong Kong  
15 took place, and you can see that no additional cases  
16 occurred after that operation.

17                   Now, I don't know how well you can see  
18 this, but the cases were spread out relatively all  
19 over the Hong Kong area. Hong Kong itself is an  
20 island, and then to the north is the Kowloon area, and  
21 both of those are highly, very densely populated urban  
22 areas, and then further to the north is the New  
23 Territory's part of Hong Kong, and you can see that up  
24 around here, this is the Yang He Lang area of the New  
25 Territories, and this is where about 90 percent of the

1 domestic poultry farms are located. And so, again,  
2 you can see that the cases are spread throughout the  
3 entire area.

4 Now, of those 17, of those cases that  
5 appeared, eight people required mechanical  
6 ventilation, and of those people that were put on a  
7 mechanical ventilator, six of them died. One person  
8 still remains intubated, and they may have suffered a  
9 fair amount of neurologic damage, and then one person,  
10 a 19 year old woman has been successfully extubated,  
11 and she should do well.

12 Now, one of the striking things about the  
13 case fatalities is when you simply eyeball the cases,  
14 you can see that they're really concentrated among  
15 young adults, and so in this graph here what we have  
16 are the people who are over 18 years of age, and you  
17 can see that of the people 18 years or older, six of  
18 them died. So six out of seven people who are over 18  
19 who became infected and who became a case died. So  
20 about 88 percent of those people died as opposed to  
21 people under 18 years of age. Two out of 11 died, and  
22 that was very striking to us.

23 Now, again, during the middle of the  
24 current investigation is when the serology results  
25 surrounding the first case became available to us, and

1 basically you can see that in this first group over  
2 here, the case contacts, that none of four family  
3 members were seropositive, and these tests were based  
4 on the microneutralization assay, whereas about two  
5 percent of health care workers, less than one percent  
6 of classmates, and two percent of neighbors showed  
7 evidence of being infected by H5N1.

8 Also, among the laboratory workers we know  
9 of one person who was seropositive and who also was  
10 symptomatic.

11 These numbers stand in contrast to the  
12 poultry workers where you can see that five out of 29,  
13 about 17 percent of them, were seropositive for H5N1,  
14 again, in contrast to zero out of 18 swine workers and  
15 none out of the 419 controls.

16 So in terms of the cohort studies  
17 currently, we collected almost 2,900 questionnaires  
18 total and about 3,300 blood samples, and currently the  
19 serology are being run on these. We do not have  
20 results from those studies yet. We hope to have  
21 results on most of the cohort studies in about two  
22 weeks' time.

23 The same for the case control study. We  
24 were able to study 15 cases and enroll 41 controls,  
25 and again, we expect to have results in about two

1 weeks' time.

2                   So I think at this point in terms of the  
3 field investigations, it's too early to draw  
4 conclusions. However, we can make a number of  
5 observations, and the first one, and the one which  
6 everyone here really ought to take home, is to  
7 remember that there is a six month period between the  
8 first case and the second case. There is really a  
9 long, quiet period in which no additional cases were  
10 detected.

11                   The second observation is that the age  
12 distribution of people who became ill from this is  
13 quite unusual for influenza. The cases that we saw  
14 that were all hospitalized, except for one out-patient  
15 case, occurred predominantly in children and in young  
16 adults.

17                   Again, the mortality pattern, at least  
18 among the disease cases, was unusual in that the  
19 overall mortality was strikingly high, and again, we  
20 saw that mortality was concentrated among young  
21 adults.

22                   Now, based both on field evidence and  
23 laboratory evidence, there appears to be a quite close  
24 link between avian and human infections. Out in the  
25 field we saw that there was the occurrence of

1       contemporaneous disease in poultry and in humans. The  
2       molecular evidence from the laboratory studies  
3       indicates that, again, there is close relationship  
4       between the animal viruses and the human viruses.

5               And then the serologic studies from the  
6       first investigation suggest that people who are  
7       exposed to poultry were the group that by far was the  
8       most likely to be seropositive for H5N1.

9               And then finally, we see that there has  
10       been no additional cases after the culling operation,  
11       and so perhaps there was some effect there.

12              Now, in terms of transmission, at present  
13       it appears to be primarily from poultry to human, and  
14       it also appears to be relatively inefficient at this  
15       time, and we say that in part because we are dealing  
16       with 18 cases as opposed to hundreds or thousands of  
17       cases, as is typical with influenza.

18              However, in pointing this out, we also  
19       have to remember that the second appearance of this  
20       virus was associated with a cluster of cases as  
21       opposed to another single case, and also the data that  
22       we have now, even though it suggests that poultry-to-  
23       human transmission may be the predominant mode of  
24       transmission, indicates that human-to-human  
25       transmission clearly is possible and is probably

1           likely in a few of the cases that we know about.

2                       Hopefully, the data which will be  
3           available within the next few weeks will shed further  
4           light on this.

5                       And then the last point is that the  
6           current studies may clarify these points. They may  
7           not clarify these points, but one thing that they will  
8           do is help to establish some baseline data so that if  
9           additional cases appear in the future, we'll really be  
10          able to get a better sense of whether the kinetics of  
11          the transmission are changing more quickly.

12                      So I think that I will stop at this point.  
13          I don't know if there are any questions at this point  
14          or if you want to just go on to the next talk.

15                      DR. LEVANDOWSKI: Dr. Hall?

16                      DR. HALL: Yes. I just noted that at  
17          least in the 18th case there that there was isolate  
18          still available after 12 days or so of illness. Has  
19          this been a characteristic also, that they seem to  
20          shed this virus for a longer period than what would be  
21          expected for a 34 year old woman?

22                      DR. FUKUDA: Yeah. Case 18 is a very  
23          confusing case in many different ways. I think that  
24          there was another case in which we know that virus was  
25          shed for ten days, and so I think that -- and that

1       happened in a child, and so that gives some indication  
2       of how long these viruses may be shed.

3               Case 18 was a 34 year old woman who had an  
4       underlying history of lupus and quite severe lupus.  
5       She had been treated for nephritis in the past, and at  
6       the time she became ill, she was not on any  
7       immunosuppressive drugs, although she had been on both  
8       steroids and azathioprine in the past.

9               She lived by herself, and she didn't have  
10      any family members, and she came in paraplegic for  
11      unclear reasons, and she also had large decubiti on  
12      her body, and someone had sort of mysteriously  
13      telephoned the hospital that this person was sick, and  
14      apparently she had been in bed for about ten days, but  
15      there were no family members, and there was no other  
16      person to speak to to corroborate any history, and so  
17      it was very unclear when she became symptomatic.

18              If she was infected, it either had to have  
19      happened at home in some way that we don't understand  
20      or it had to be a nosocomial infection, and so this is  
21      part of the investigation which is still ongoing, to  
22      try to find out whether there is any possibility that  
23      she could have been infected in the hospital by  
24      another person that we don't know of at this time.

25              Otherwise we were not able to gain access

1 into her house because there were no family members to  
2 get permission for that, and so she will probably  
3 remain quite enigmatic as to what really happened and  
4 whether she really was a case or not, although she  
5 clearly had a lot of respiratory disease, but again,  
6 the respiratory disease was complicated by congestive  
7 heart failure.

8 CHAIRPERSON FERRIERI: Dr. Clements and  
9 then Dr. Edwards.

10 DR. CLEMENTS-MANN: I was just thinking  
11 that with this kind of virus that adults and children  
12 would be probably the same in their shedding pattern  
13 and that they wouldn't have prior immunologic  
14 background to be able to control the virus  
15 replication.

16 Sometimes in children they shed for, you  
17 know, for two weeks or so.

18 CHAIRPERSON FERRIERI: Dr. Edwards.

19 DR. EDWARDS: The association of the  
20 pneumonia presumably from the influenza and Reye's  
21 Syndrome is quite different than what one generally  
22 sees with Reye's Syndrome where it comes after an  
23 event of an influenza illness that has clearly been  
24 finished and is not ongoing. What evidence do you  
25 have from the liver involvement whether was it fatty

1       infiltration which was compatible with Reye's or is  
2       there evidence to suggest that this virus may not be  
3       restricted simply to the lungs, but may indeed have  
4       grown and caused cytopathic changes in the liver that  
5       are distinct from Reye's Syndrome?

6                   DR. FUKUDA:     Sure.     Those are good  
7       questions.

8                   I think that in reviewing his medical  
9       records, it was really clear to me that his  
10      predominant pathology surrounded his viral pneumonia  
11      and his inability to ventilate or to oxygenate, but in  
12      terms of the Reye's Syndrome, he was noted to have  
13      some central nervous system changes. His personality  
14      was different than it normally was in the emergency  
15      room, and this had just been noted, and he gradually  
16      became somewhat lethargic during the course of his  
17      illness before becoming intubated.

18                   There were biopsy specimens available both  
19      from liver and from kidney, and there were vacuolated  
20      changes on the liver biopsy, and there were similar  
21      changes on his renal biopsy, again, consistent but not  
22      pathopneumonic of Reye's Syndrome.

23                   And so I think that it would be a  
24      secondary diagnosis, but it did seem to have occurred  
25      early in the course of his illness.

1                   CHAIRPERSON FERRIERI:     I asked this  
2 question the last time we talked about avian flu here,  
3 about the pathology in the chickens, and I didn't feel  
4 I got a straight answer about that, the liver  
5 involvement in particular.

6                   Are there pathologic changes in the  
7 chickens who are infected with it or are they  
8 asymptomatic?

9                   DR. FUKUDA:     Well, Dr. Webster would be  
10 the preeminent person to speak on this, but this virus  
11 is highly pathogenic for chickens, and when you do an  
12 autopsy on the chickens, you see evidence of  
13 widespread hemorrhaging and necrosis in many if not  
14 most organ systems.

15                  CHAIRPERSON FERRIERI:     Yes, Dr. Karzon.

16                  DR. KARZON:     Was there opportunity to look  
17 for viremia or virus in visceral organs?

18                  DR. FUKUDA:     Dr. Sharif Zaki has some  
19 specimens, biopsy and autopsy specimens, at CDC and  
20 hopefully additional ones will be coming, and I think  
21 that he has done some staining studies looking for  
22 antigen and also will be doing additional ones and  
23 probably some in situ studies, and so we don't have  
24 evidence right now of antigen in other organs, but  
25 that's something that will be sought.

1 DR. KARZON: Amongst other things, I was  
2 thinking about the possibility of whether or not the  
3 H5 required exogenous protease for splitting the  
4 hemagglutinin.

5 DR. FUKUDA: Boy, I wouldn't be the right  
6 person to ask about that. I think Nancy maybe would  
7 be able to.

8 CHAIRPERSON FERRIERI: Dr. Cox or Webster  
9 or Dr. Kilbourne?

10 DR. WEBSTER: All of these viruses with  
11 the series of basic amino acids at the cleavage site  
12 in the hemagglutinin are cleaved by the furin series  
13 of enzymes that are found in chickens and are  
14 ubiquitous, and it's one of the properties of these  
15 viruses, and in human. I'll deal more with that  
16 later.

17 CHAIRPERSON FERRIERI: Thank you.

18 Other questions from the panel? Dr.  
19 Couch?

20 DR. COUCH: Maybe a question for Rob, but  
21 maybe Keiji knows. Were there further outbreaks  
22 recognized in the chicken farms between May and  
23 November? I know the virus was there. I think Rob's  
24 going to tell us, but were there further outbreaks  
25 recognized?

1 DR. FUKUDA: Well, there were additional  
2 chickens that were known to be infected, and I think  
3 Rob will probably discuss this in more detail, but,  
4 yes, there were a number of other chickens that were  
5 identified.

6 CHAIRPERSON FERRIERI: Yes, Ms. Cole.

7 MS. COLE: Have any of the wild bird  
8 populations been looked at at all? Because I know,  
9 you know, that the -- my understanding is influenza  
10 can be spread even from feathers on infected birds.

11 DR. FUKUDA: Right. I think Rob will be  
12 discussing that, and I'll leave that.

13 MS. COLE: Okay.

14 CHAIRPERSON FERRIERI: Others? Yes, Dr.  
15 Hall.

16 DR. HALL: Has there been previous  
17 outbreaks identified in the poultry farms of this  
18 particular virus, the same virus?

19 DR. FUKUDA: Not that I know of. I think  
20 that before the March to May outbreaks, I don't know  
21 that it had ever been identified in Hong Kong poultry  
22 before.

23 DR. COUCH: Well, I had one other  
24 question, and maybe Rob wants that one as well.

25 No outbreaks recognized was my

1 understanding, but does Ken Shortridge know that the  
2 chickens in Hong Kong were actually free of avirulent  
3 H5? Was that known, preceding the first outbreak?

4 DR. WEBSTER: Preceding the first outbreak  
5 there was no evidence in Hong Kong.

6 DR. COUCH: No evidence of H5, and the  
7 test --

8 DR. WEBSTER: But unfortunately an  
9 important point that I'm going to make and repeat,  
10 there was no surveillance done in this part of the  
11 world.

12 DR. COUCH: That was my next question.

13 DR. WEBSTER: How would anyone know?

14 DR. COUCH: So we don't really know for  
15 sure.

16 MR. WEBSTER: Either for nonpathogenic or  
17 pathogenic. So that's the problem. We weren't doing  
18 the surveillance. There were irrelevant viruses, and  
19 so we're left with nothing to work on.

20 CHAIRPERSON FERRIERI: Roland, will you  
21 proceed then with the program?

22 DR. LEVANDOWSKI: Okay, sure. If there  
23 are no other questions or, I guess, questions could  
24 come up later that Dr. Fukuda would need to respond  
25 to, we'll move on, and Dr. Klimov from the CDC will be

1 speaking about the antigenic and molecular  
2 characterization of the H5N1.

3 DR. KLIMOV: And I'm going to start with  
4 antigenic analysis of the strains isolated from  
5 humans, and totally we have in the lab right now 15  
6 viruses. Virus number 16 is in progress, and this  
7 table presents the hemagglutination inhibition test  
8 data for all 15 viruses I mentioned before, and this  
9 is one particular test, the data from one particular  
10 test.

11 And from this table you can see, first of  
12 all, that H3 viruses -- I mean H5N1 viruses could be  
13 clearly distinguished from the H1 or H3 viruses using  
14 the sheep antisera compared against H5 virus A/South  
15 Africa, and I should tell that this serum was  
16 developed, produced by Dr. Webster's group, and it's,  
17 you know, extremely useful in whole story of  
18 investigation of H5 viruses appeared in Hong Kong.

19 Secondly, you see that we used two ferret  
20 antisera prepared to the very first virus isolated in  
21 Hong Kong from the child, Hong Kong 156, and also we  
22 had another ferret antiserum prepared against another  
23 virus called Hong Kong 483, and both sera, you know,  
24 produced titers like 160 in this test.

25 As the second observation -- I mean the

1 next observation we can make from this test is that it  
2 looks like we have two antigenic groups of viruses  
3 circulating among humans, and this is especially clear  
4 from the comparison of the inhibition data using the  
5 Hong Kong 156 serum.

6 You can see that this group of viruses  
7 including, you know, we could call this group of  
8 viruses Hong Kong 156-like viruses. They have titers  
9 indistinguishable from the homologous titer, but  
10 another group of viruses have fourfold or higher  
11 decrease in titers, and we could call this group Group  
12 2 or group of Hong Kong 483-like viruses.

13 Next slide, please.

14 Here summary of data obtained by  
15 sequencing. This is phylogenetic tree for H5  
16 hemagglutinins, HA-1 domain, major antigenic domain of  
17 hemagglutinin, and the Hong Kong viruses are in color  
18 in this overhead.

19 First of all, we can see that all Hong  
20 Kong viruses belong to so-called Eurasian group of  
21 avian viruses, but not to the so-called North American  
22 group of avian viruses.

23 Second conclusion from this picture is  
24 that the closest relative to the Hong Kong viruses is  
25 the H5N1 virus isolated from goose in 1996, a year

1 ago, in Guangdong Province of China.

2 This genetic data also supports the idea  
3 that there are two genetic groups of viruses  
4 circulated in Hong Kong. This is Group 1, the Hong  
5 Kong 156-like viruses, and the second group is Hong  
6 Kong 483-like viruses.

7 Also, important to notice that each of  
8 these groups have genetically close chicken viruses  
9 within the group, and this data came from Dr.  
10 Webster's group, and again, you know, the  
11 collaboration with Dr. Webster's group is extremely  
12 important for understanding what the relationships  
13 between avian and human viruses.

14 Second group also has representatives of  
15 chicken viruses which are extremely genetically close  
16 to the human viruses.

17 I should mention that those groups, Group  
18 1 and Group 2, have major difference in terms of  
19 glycosylation sites. Group 1 does not have a  
20 glycosylation site at the position 156 of the  
21 hemagglutinin, while the representatives of Group  
22 Second have this glycosylation site at this position.

23 Next, please.

24 This chart just shows that representatives  
25 of both genetic antigenic groups circulated

1 simultaneously after November of 1997, and actually  
2 evenly caused the fatal cases. Three fatal cases were  
3 caused by representatives of Group 1 and three fatal  
4 cases were caused by representative of Group 2 of the  
5 human influenza viruses.

6 One essential feature, characteristics of  
7 the hemagglutinins of all these viruses is that all of  
8 them, all 15 at least we were able to analyze, have  
9 multiple basic amino acids at the cleavage site  
10 between the HA-1 and HA-2 domains of hemagglutinin,  
11 and this feature is associated with highly pathogenic  
12 H5 avian viruses.

13 And the group in Athens in the South  
14 Poultry Laboratory of FDA, and Michael Perdue is here,  
15 has shown that, indeed, all those viruses isolated  
16 from humans are highly pathogenic for chickens.

17 As to the neuraminidase, at this moment we  
18 have eight viruses sequenced, neuraminidase general  
19 sequence for eight viruses as related in Hong Kong,  
20 and you can see that all the viruses are pretty close  
21 to each other, from one to a few nucleotide  
22 differences between the viruses.

23 Also you can notice that the neuraminidase  
24 of the goose Guangdong virus is not as close to this  
25 group as in the case of the Cheng Xiowenian (phonetic)

1 gene. Unfortunately not too many neuraminidase  
2 sequences are published, but I repeat all the human  
3 cases are very similar in this gene.

4 All the viruses have 19 amino acid  
5 deletion in the stalk region of the neuraminidase, and  
6 this situation wasn't known before the human viruses  
7 in Hong Kong and chicken viruses in Hong Kong.

8 Just to summarize, you know, genetic  
9 analysis of internal genes, and Keiji already  
10 mentioned this, I should notice that all human viruses  
11 contain all eight avian-like RNA segments in their  
12 genus. In other words, all the viruses are pretty  
13 similar in all genes to the chicken viruses of H5N1  
14 subtype.

15 Also, there is a very close homology  
16 between all the viruses we were able to sequence, and  
17 here is the data for nine viruses for internal genes.  
18 Four viruses were sequenced completely in internal  
19 genes -- I mean including Hong Kong 156. This is  
20 comparison of all other viruses with Hong Kong 156,  
21 and for six viruses this comparison is done based on  
22 partial sequence data.

23 And you can see that there is extremely  
24 high homology between all those viruses from 1980, .4  
25 percent to 100 percent, but nonetheless I should

1 notice that the viruses are not absolutely identical.  
2 There is some variations.

3 Also, I have to say that there is no  
4 visible evidences of accumulation of mutations.  
5 Usually when viruses are circulating within a  
6 population, especially human population, we always see  
7 the accumulation of some, at least some nucleotide  
8 changes, but we don't find this yet at least in the  
9 group of human H5 viruses.

10 And this last overhead shows the data  
11 about the geometric mean titers in neutralization  
12 tests using, you know, using some sets of sera from  
13 children and young adults, and a group of sera from  
14 elderly people is in progress. This part of the study  
15 was done by Jackie Katz and group.

16 Actually this table just to show what is  
17 the sort of base level of H5 specific antibodies in  
18 the last population, and you can see that this is  
19 actually bottom line of the H5 antibodies among  
20 children, among young adults. At the same taste -- in  
21 the same taste you can see that there is definite  
22 level of antibodies against control H3N2 virus.

23 Thanks.

24 And as a summary, I'd like to say that  
25 there were two genetically and antigenically close

1 groups of human H5 viruses, genetically close, but  
2 nonetheless still visibly different, and those groups  
3 are different by glycosylation site, deposition 156 of  
4 the hemagglutinin.

5 Those groups are similar to two genetic  
6 groups of chicken viruses isolated approximately at  
7 the same time in Hong Kong. Both groups circulated  
8 simultaneously, since November, and caused the same  
9 number of fatal cases. In other words, they're  
10 probably equally pathogenic for humans.

11 Genetic analysis reveals that all human  
12 viruses of H5N1 type have multiple basic -- I mean  
13 acids -- at which sites of the hemagglutinin. All are  
14 high pathogenic for chickens. Are viruses have 19  
15 amino acid deletion in stock region of the  
16 neuraminidase. All viruses have internal genes close  
17 to the avian, but not to the human viruses, and all  
18 internal genes of those human viruses are pretty close  
19 to each other while being not identical.

20 Thank you very much.

21 DR. LEVANDOWSKI: Thank you, Dr. Klimov.

22 Are there questions or comments?

23 Dr. Kilbourne.

24 DR. KILBOURNE: In view of that 19 amino  
25 acid deletion in the neuraminidase, do you have any

1 evidence that that's functionally important in terms  
2 of reactivity with antisera or activity in cell  
3 detachment or anything like that?

4 DR. KLIMOV: We don't have our own data  
5 about this, but according to Dr. Alan Hey, the N1's  
6 neuraminidase from the H5N1 viruses is quite different  
7 from human N1 neuraminidases in the neuraminidase  
8 inhibition tests, quite different. That's probably  
9 the only information we have about.

10 DR. KILBOURNE: How different  
11 antigenically?

12

13 DR. LEVANDOWSKI: Dr. Couch?

14 DR. COUCH: I wanted to ask you. It  
15 wasn't clear to me whether we can label or should or  
16 should not label the Hong Kong Group 1 and the Hong  
17 Kong Group 2 as antigenically distinct.

18 DR. KLIMOV: At least according to the  
19 data we have this moment, there is evidence that at  
20 least with the ferret antiserum to Hong Kong 156 there  
21 is fourfold or higher decrease in the hemagglutination  
22 inhibition reaction, and also, as I mentioned, those  
23 groups --

24 DR. COUCH: There is in those two ferret  
25 sera, except that the ferret sera did not distinguish,

1 I mean, the human strains very well.

2 DR. KLIMOV: Yeah, in this particular case  
3 it does distinguish.

4 DR. COUCH: We've raised that question  
5 here before as to whether some selection of the ferret  
6 sera might have skewed the results a little bit. So  
7 that's a more direct way of asking the same question.

8 Do you have several ferret sera, more than  
9 one ferret serum?

10 DR. KLIMOV: We have, at this moment, we  
11 have only two of them available. We are working; we  
12 are obtaining other ones.

13 DR. LEVANDOWSKI: Dr. Hall, another  
14 question?

15 DR. HALL: I just wanted a clarification  
16 on your last table there about the level of H5  
17 antibodies, and I assume that ten is your lower limit.

18 DR. KLIMOV: Actually it should be lower  
19 than ten, yeah, of course, yeah.

20 DR. LEVANDOWSKI: Other questions or  
21 comments?

22 (No response.)

23 DR. LEVANDOWSKI: If not, we'll move on,  
24 and Dr. Nancy Cox from CDC is going to give a report  
25 on a recent trip to southern China for investigation

1 of activity with H5N1 viruses.

2 DR. COX: Thanks very much.

3 During the time that cases were being  
4 reported in Hong Kong, there was considerable interest  
5 in and speculation about whether H5N1 viruses might  
6 also be causing illness in south China, and the World  
7 Health Organization put together a mission which  
8 occurred from January 16th to 24th basically with the  
9 following objectives.

10 First of all, to review disease and  
11 virologic surveillance information that was available  
12 from south China and to determine just how  
13 surveillance was being conducted;

14 To enhance surveillance for influenza A  
15 H5N1 viruses both in hospitals and in out-patient  
16 clinics in Guangdong Province in south China;

17 Third, to enhance collaboration and rapid  
18 information exchange among the existing surveillance  
19 sites in south China and among WHO collaborating  
20 centers and with the WHO regional offices in  
21 headquarters in Geneva.

22 We also hope to strengthen influenza  
23 surveillance efforts in China as a whole and to  
24 convince authorities in China that influenza  
25 surveillance is something that needs to be supported

1 with additional resources by the Chinese authorities.

2 And we also hope to strengthen  
3 communications between animal and human influenza  
4 surveillance experts and ministries responsible for  
5 animal health and human health in China.

6 Our team was actually fairly large  
7 compared to some of the other missions, WHO missions,  
8 I've been on. There were 14 mission members, two from  
9 WHO headquarters in Geneva, two from the western  
10 regional Pacific office. There were two U.S.  
11 representatives, Dr. Couch and myself, and perhaps Dr.  
12 Couch will make some comments when I'm finished.

13 Dr. Kuniaki Nerome, who's also here, was  
14 a team member, and he might also like to make some  
15 comments when I've finished.

16 The other Japanese team member is an  
17 epidemiologist, Dr. Hirota, who was a very valuable  
18 member of the team.

19 We had two extremely valuable members from  
20 the special administrative region of Hong Kong, Dr.  
21 Margaret Chan, who's the Director of the Department of  
22 Health in Hong Kong, and Kay H. Mak, who's one of her  
23 key staff members.

24 In addition we had Dr. Guo Yuanji, who's  
25 the head of the National Influenza Center in Beijing,

1 the WHO collaborating national lab in Beijing, and Dr.  
2 Huang Hui, who is a member of the Ministry of Health  
3 in Beijing. These two individuals here are  
4 responsible for surveillance in Guangdong Province and  
5 in Cheng Xiowen, respectively.

6 I thought I should first orient you a bit  
7 so you would know where we were when I'm mentioning  
8 some of the places that we visited. This is a map of  
9 the influenza surveillance sites that are currently  
10 being supported financially and technically by CDC  
11 funding, and Hong Kong is about right here.

12 There are two surveillance sites in  
13 Guangzhou, which is the capital city of Guangdong  
14 Province. One of the sites is in the municipal  
15 antiepidemic station, and the second site is in the  
16 provincial station. So it's sort of the equivalent of  
17 a city health department and a state health department  
18 site.

19 The other place we visited was Dongguan,  
20 which is sort of halfway between Guangzhou and  
21 Shenzhen, and then we also visited Shenzhen, which is  
22 in one of the new economic zones, and it's really  
23 quite an interesting place to visit because of the  
24 development that's occurred there on a very rapid  
25 timetable, and it is reflected in the health care and

1 so on that you see there.

2 Next slide, please. Now, I wanted to remind  
3 you that since the technical and financial support and  
4 collaboration has been occurring with Dr. Guo Yuanji  
5 and the network of influenza laboratories in China,  
6 the number of influenza viruses from China that have  
7 become available for analysis by the WHO collaborating  
8 centers has increased quite dramatically so that  
9 during calendar year 1997, we had over 225 isolates to  
10 look at.

11 This is in contrast to the very small  
12 number of isolates that we were receiving prior to  
13 putting in place this collaborative work.

14 This program has been incredibly important  
15 to us because it has provided vaccine strains. I've  
16 shown here the recommended vaccine strains from 1988-  
17 89 season to the 1996-97 season, and strains which  
18 have come out of the China surveillance program are  
19 shown in red, and you can see that they've been very  
20 important in our vaccine strain selection over the  
21 past few years.

22 Okay. So back to the China mission. We  
23 visited a total of five laboratories while we were  
24 there. Some of them are very well equipped. For  
25 example, the Shenzhen municipal antiepidemic station

1 is extremely well equipped and well organized and  
2 staffed.

3 In contrast, the Dongguan municipal  
4 antiepidemic station is just getting set up to do  
5 influenza surveillance and will need some new  
6 equipment and so on.

7 We visited five hospitals as well, and we  
8 were actually allowed to delve into medical records,  
9 both computerized records and paper records. We also  
10 were able to go onto the wards, and Dr. Couch may want  
11 to make some comments about that.

12 There was a great deal of openness and  
13 hospitality shown to the WHO mission, and I feel like  
14 we learned quite a lot during this time.

15 We also visited a number of poultry  
16 facilities, and first on the list is the Guangzhou  
17 animal and plant quarantine station, where we learned  
18 about the ongoing surveillance that occurs among  
19 poultry that are being exported to Hong Kong and  
20 elsewhere.

21 And then we visited two chicken farms, but  
22 I must say that these were extremely well run, high  
23 tech chicken farms, and it really wouldn't be expected  
24 that one would see any problems in these particular  
25 chicken farms.

1                   We also visited a live chicken market in  
2                   Shenzhen, where chickens were being sold while we were  
3                   there. It was extremely clean and well run.

4                   I wanted to emphasize that we had contacts  
5                   at the very highest level of the Health Bureau of  
6                   Guangdong Province and also at the Ministry of Health  
7                   in Beijing.

8                   We presented recommendations both to the  
9                   Ministry of Health in Beijing and to the Guangdong  
10                  Bureau of Health. The Vice Minister of Health was  
11                  there for our briefing where we gave the  
12                  recommendations, and then the Chinese Minister of  
13                  Health flew back into Beijing to host a reception for  
14                  us the evening after our briefing.

15                  Our recommendations, I've tried to sort of  
16                  condense our recommendations and point out the ones  
17                  that I thought were most important here, and again,  
18                  others who were on the trip may wish to add to this  
19                  list.

20                  We recommended that there be integration  
21                  of virologic and disease based surveillance for  
22                  influenza, including some mortality studies if  
23                  possible.

24                  We recommend that they include culturing  
25                  of hospitalized patients with severe respiratory

1 illness. They had in the past been culturing mainly  
2 patients who were seen in out-patient clinics.  
3 Because of their interest in -- the Ministry of Health  
4 -- in this problem with H5N1, they had already issued  
5 directives to culture hospitalized patients, and our  
6 mission emphasized that this was absolutely crucial  
7 for identifying cases.

8 We recommended that they increase from 20  
9 to 40 per month to approximately 100 per month the  
10 number of cultures taken from patients with  
11 respiratory illness in each of the sites.

12 We recommended that this enhanced  
13 influenza surveillance continue for at least six  
14 months.

15 We recommended that they increase staffing  
16 levels to handle the increased number of specimens,  
17 and that they properly equip the start-up laboratories  
18 that were doing influenza surveillance for the first  
19 time.

20 We recommended that technology that had  
21 been developed specifically to detect antibodies to  
22 H5N1 viruses be transferred to laboratories in south  
23 China, and that this technology transfer be  
24 coordinated very carefully by WHO.

25 We recommended that all efforts be made to

1 decrease the interval between the collection of  
2 specimens and the receipt of isolates at the National  
3 Influenza Center in Beijing and then subsequently at  
4 the WHO collaborating centers. We're trying to just  
5 simply speed up the flow of information and specimens  
6 from the point of isolation to the point where the  
7 information is centralized and so on.

8 We recommended that there be increased  
9 coordination of influenza surveillance at the  
10 municipal, provincial, and national levels, and once  
11 again, that there be a free flow of information.

12 We recommended that all influenza  
13 surveillance sites be linked through Internet or  
14 Intranet as resources could be made available.

15 And we also recommended that there be  
16 increased communication between officials and  
17 scientists responsible for human and poultry health.

18 So in conclusion, I'd like to say that  
19 there was recognition at the highest level in the  
20 Chinese Ministry of Health of the importance of  
21 surveillance for influenza H5N1 viruses and disease.  
22 There was an infrastructure already in place mainly  
23 because of some resources that had been put in place  
24 in the past, and this infrastructure was really  
25 critical for this rapid response that was initiated by

1 the Chinese government to the H5N1 situation.

2 There was a very positive reception of the  
3 recommendations made by the WHO mission from the  
4 Ministry of Health in China, and finally, I believe  
5 that there is a need to review the efforts that are  
6 now being put in place for enhanced influenza  
7 surveillance in about a six month time period. So I'm  
8 hoping that it will be possible to have another  
9 mission return to south China and see what has come  
10 out of these efforts.

11 Okay. I think that concludes my talk, and  
12 I'd like to open the floor for questions.

13 DR. LEVANDOWSKI: Thank you, Dr. Cox.

14 Are there any questions or comments?

15 DR. COUCH: Would you like to add?

16 DR. COX: Certainly.

17 DR. COUCH: And maybe Kuniaki would have  
18 comments as well.

19 No, I enjoyed the meeting. It's the first  
20 time I've ever been to China. Interesting.

21 I described to my friends what did we  
22 learn about influenza, and this is perhaps a little  
23 bit too strong, but mainly we learned a great deal  
24 about what they don't know about influenza, and I  
25 think that partly is explained, as contrasting my

1       experience with having the opportunity to go to the  
2       Soviet Union a couple of times back in the '70s, is  
3       that influenza was a very high disease on the priority  
4       list for emphasis in the Soviet Union, and it clearly  
5       is not and has not been apparently in China.

6                So that there has been some surveillance  
7       going on. It's in the level of what they call a Class  
8       3 disease, and that's among transmissible diseases.

9                So that that is the major thing that I  
10       think explains the lack of the kind of quantitative  
11       data that we hoped we'd be able to see, but certainly  
12       you should say, to second what Nancy said, that I  
13       think we all had the perception that we were greeted  
14       openly. We could ask to go anywhere. They showed us  
15       a very fancy breeder farm that replaces all their  
16       chickens once a year with chickens imported from  
17       Arkansas.

18               (Laughter.)

19               DR. COUCH: And two people take care of  
20       10,000. It's all mechanized, you know, and so a great  
21       deal of the poultry industry is obviously very well  
22       done and very efficient, but you can't see all of the  
23       poultry industry in a very short period of time, and  
24       it was difficult to get a complete feel for the  
25       absence of H5N1, except that the poultry industry

1 people who spoke with us were very strong.

2 In fact, I was looking to see in my notes  
3 if I had brought this quote. This is directly out of  
4 one of their handouts they gave us. This is in the  
5 poultry industry.

6 "H5N1 subtype of influenza A virus has  
7 never been discovered in chicken flocks here, nor have  
8 we ever encountered avian epornitic" -- I don't know  
9 what that is unless it's an ornithine epizootic or  
10 something. It's a new word for me -- "never  
11 introduced by highly pathogenic virus."

12 So they deny ever having seen it at any  
13 time in the past, and the way the descriptions they  
14 make of the monitoring of the poultry industry would  
15 make it highly unlikely that they would miss it, but  
16 then that assumes that the level of monitoring the  
17 poultry industry is of the high level that they  
18 describe it to be and hope it would be, and of course,  
19 nothing is ever 100 percent perfect. So you have to  
20 leave a little bit of qualifiers, is that maybe  
21 something was there and they didn't know it.

22 Certainly I don't think any of us had the  
23 perception -- I certainly didn't -- I don't think  
24 anyone did that information was being suppressed, but  
25 it's quite possible with regard to the poultry

1 industry that something's down there and they simply  
2 didn't know about it.

3 But at any rate, they are very attuned.  
4 That poultry industry is very attuned toward now  
5 upgrading things and being sure that something that's  
6 obviously of very great importance economically to  
7 south China maintains itself clean and doesn't develop  
8 any kind of a bad reputation that would affect not  
9 only its domestic use, but the exportation industry.

10 So they're really responding and were  
11 responding before we got there in the poultry  
12 industry.

13 With regard to the hospitals, I was the  
14 one doing most of the running around the wards, as  
15 Nancy indicated, and we sort of learned on the trip as  
16 to how to get a little bit better at the data and  
17 might have profited a little more in the first couple  
18 of hospitals if we'd have had ourselves in gear for  
19 the last ones where we broke up in teams, and one  
20 group went to the record one, which she's indicated to  
21 you they opened up their records.

22 In opening up the records, we started  
23 trying to check. One of the things, the perceptions,  
24 that we picked up on the wards earlier is that they  
25 simply are not having children or adults die of virus

1 pneumonia or acute respiratory disease on their wards,  
2 and you reel off the numbers, you know, and they could  
3 say one virus pneumonia death in the last year.

4 How many have diagnoses made? And they'll  
5 reel off one and two cases with beds (sic) that  
6 contain 1,000 beds and 500, 400 children's beds, and  
7 things like that.

8 And the people who were going to the  
9 records rooms were pulling the computer records, and  
10 the discharge diagnoses were not there. I was on the  
11 floor, and the patients were not there.

12 So we were a little confused as to where  
13 the cases were that were certainly in our pediatric  
14 wards and our adult wards at any time of the year to  
15 some extent, and we don't have a clear explanation for  
16 that.

17 An explanation that was given by some of  
18 the people who know the WHO well in Beijing was  
19 suggested that a great deal of that kind of disease is  
20 maintained on an out-patient basis and with home care,  
21 and the descriptions -- see, this is a little bit  
22 anecdotal information -- the description was that the  
23 pattern of care, much like we're developing in our  
24 country now, is to focus on keeping people out of the  
25 hospital.

1           Well, as a result of that focus, why, I  
2           don't think there's any question that -- let me not  
3           say completely across the board because the pediatric  
4           hospital was attuned to acute respiratory disease --  
5           there's no diagnostic virology unless the specimens  
6           are picked up now -- excuse me. I should say that now  
7           they're studying in-patients, but in the past, no  
8           studying of in-patients. It's been an out-patient  
9           disease, no diagnostic virology, no orientation toward  
10          this kind of cause for disease of a patient that would  
11          have been admitted.

12                 So that while I think they are correct in  
13          saying that they have recognized no clinical cases of  
14          H5N1 in patients in the out-patient or in their  
15          hospitals, I came away feeling fairly strongly that if  
16          we're talking about a sporadic disease, 18 cases and  
17          a mini outbreak in Hong Kong or one or two or four  
18          sporadic cases that might have been seen in south  
19          China if this has been an ongoing thing, they would  
20          have missed it. The system was just not attuned to  
21          picking up an etiologic cause like that with a  
22          sporadic disease.

23                 But again now, the surveillance is going  
24          up at a higher level. Now it's including in-patients.  
25          So now a great deal of effort is being made to try and

1 improve that circumstance.

2 As Nancy said, one of the hopes out of the  
3 team is that the whole perception of surveillance for  
4 influenza and being attuned to influenza in in-  
5 patients and out-patients will now go up to a higher  
6 level that can be maintained.

7 The six month figure was sort of a  
8 compromise. You know, we were talking about a year or  
9 so, and then there was, well, that's not realistic at  
10 their level, and so forth, but they were talking about  
11 three months. So I don't think there's any question  
12 about the fact that they will accept the six month  
13 reputation -- recommendation, rather, and if H5N1  
14 should be identified, we were pretty strong about  
15 saying it should go longer than that.

16 So I think a lot more is going to be done  
17 to be looking in south China, without question. We  
18 came away with some reassurance, well, certainly a  
19 reassurance that there's no major 1918 flu or disease  
20 going on in south China. There's no question about  
21 that.

22 The sporadic H5N1 also in south China,  
23 they don't know, but now they might be able to find it  
24 out, although the level of sensitivity with  
25 populations that big is still going to be relatively

1 low compared to the way we might be looking for it in  
2 this country.

3 But I think progress has been made. I  
4 think I enjoyed the trip. I think it was worthwhile.

5 CHAIRPERSON FERRIERI: Thanks.

6 One question, and then we'll have to move  
7 on because I'm going to lose some of the virologists  
8 on the Committee before we get to discussion, and that  
9 won't be very good.

10 Dr. Hall.

11 DR. HALL: I just wondered if they have a  
12 vaccine for the chickens. I guess there was a vaccine  
13 that was utilized at some point in Mexico; is that  
14 correct?

15 DR. COUCH: I don't think so, but, Nancy  
16 or Rob, do you know about that? The Chinese have  
17 never had a vaccine for H5, have they?

18 DR. WEBSTER: The Chinese don't, but Mike  
19 Perdue might want to comment on that. There is a  
20 vaccine that was used essentially effectively in  
21 Mexico, and there are much more up to date vaccines  
22 available, recombinant vaccines, and we're going to  
23 hear very shortly about other vaccines.

24 So I'll leave that until later.

25 CHAIRPERSON FERRIERI: Thank you.

1 DR. LEVANDOWSKI: Okay. Thank you.

2 We'd better move on because we are getting  
3 somewhat behind.

4 Dr. Robert Webster from St. Jude's  
5 Children's Research Hospital will give us a summary of  
6 surveillance of H5 viruses in animals, and I'd like to  
7 especially thank Dr. Webster for being here because I  
8 didn't know until this morning that he was actually  
9 going to be able to make it because of his other  
10 activities.

11 So please, Dr. Webster.

12 DR. WEBSTER: Thank you.

13 I'm honored to be invited to this  
14 important meeting for the first time. I'm pleased to  
15 see that this panel is interested in animal influenza  
16 for the first time, and I would encourage you to be  
17 interested in animal influenza in the future. So  
18 that's my bottom line.

19 (Laughter.)

20 DR. WEBSTER: And so if I could have the  
21 first slide, please. If someone could switch on  
22 the -- how do I switch this light on? I can't seem to  
23 switch it off. That's okay.

24 I'd like to take a couple of steps back  
25 and just to remind you that there are 15 subtypes of

1 influenza A viruses that exist, and these 15 subtypes  
2 of influenza viruses occur in aquatic birds of the  
3 world. They're perpetuated there, and these viruses  
4 are divided into two clades, if you like, those in the  
5 Americas and those in the Eurasia.

6 So when we think about surveillance, long  
7 term surveillance, which I would encourage you to put  
8 into place throughout the world, it should be done in  
9 Eurasia and in the Americas.

10 I'm just going to share a little bit of  
11 surveillance that's been done on a year-to-year basis  
12 on this population of birds that maintain influenza  
13 viruses. These are the birds, the red knots and the  
14 ruddy turnstones that migrate annually from South  
15 America to the north slopes of Alaska. They stop off  
16 in Delaware Bay, and they poop out their influenza  
17 viruses on a yearly basis.

18 And this is the slide that I tell my  
19 students you should never show, but I'm showing you  
20 anyway, to give you some idea of the scope of  
21 influenza viruses that these little birds poop out  
22 each year.

23 And so we're interested in this meeting on  
24 H5 viruses. We see that in 1991 there was H5N2, '92  
25 H5N9, '93 H5N9, and we haven't time today to deal with

1       it, but this H5 virus found its way into the live bird  
2       markets in New York City, found its way into the  
3       poultry in Delaware, Del Marva, and also into the  
4       chickens in New Mexico, and caused a severe pandemic  
5       in chickens.

6               I also would like to draw your attention  
7       to this group of viruses H2N2. Where have we seen  
8       those before? In 1994, these birds were carrying  
9       H2N2, and from year to year they carry these viruses.

10              I can't get any interest in the Public  
11       Health Department around the United States to look for  
12       evidence of antibodies to these. Maybe now we'll get  
13       that done.

14              I'll stop preaching and move on.

15              (Laughter.)

16              DR. WEBSTER: So I want to introduce the  
17       players in Hong Kong. You'll notice that they're  
18       bright red in color, and these birds talk to each  
19       other, and they say things like this.

20              "Now we're famous," and the press giving  
21       them enormous attention. In the time I was in Hong  
22       Kong they didn't come off the front of the newspaper  
23       for about four weeks.

24              And so what was the source of this H5N1?  
25       We've actually heard quite a lot of this information.

1 So I'll go over it rather quickly.

2 The virus was first detected in the New  
3 Territories between March and May 1997, when three  
4 farms were affected with a highly pathogenic influenza  
5 virus. Six thousand eight hundred birds were  
6 involved, 70 percent mortality. Agriculture and  
7 Fisheries identified it as an H5 virus, and it was  
8 sent by Ken Shortridge to United States through Ames  
9 to be studied, and Dennis Senne showed that it was a  
10 very highly pathogenic virus.

11 In fact, this is one of the most  
12 pathogenic avian influenza viruses. It kills chickens  
13 inoculated in one day, and as we've heard from Dr.  
14 Klimov, it has a series of basic amino acids in the  
15 cleavage site of the hemagglutinin, which is  
16 absolutely critical for highly pathogenic viruses, and  
17 this permits passage from the respiratory tract and  
18 for the virus to become systemic and the virus to  
19 spread to every tissue in the body, and so the virus  
20 essentially caused leakage through every blood vessel,  
21 and the virus causes generalized paralysis and  
22 hemorrhage and death.

23 And we've heard most of these properties  
24 of this virus, and so I'll pass on. A very small  
25 number of changes between the chicken virus and the

1 human virus I think is the important point to make  
2 here.

3 The other point is that the chicken virus  
4 maintained its receptor specificity. The  
5 hemagglutinin of avian viruses differ in specificity  
6 from human viruses. Chicken viruses preferentially  
7 combined with alpha 2-3-6, alpha 2-3 terminal sialic  
8 acid, the human viruses with alpha 2-6.

9 Even after passage in the human, the  
10 viruses still maintain this binding characteristic of  
11 avian viruses.

12 And if we look at the hemagglutinin, and  
13 this is the top of the trimeric hemagglutinin, this is  
14 the groove that the sialic acid is bound in, and the  
15 chicken viruses had a carbohydrate attached to residue  
16 158, and this is diagrammatic. If we attach this  
17 carbohydrate sitting on here, we're going to influence  
18 the binding and probably the antigenicity, and as  
19 we've heard, this is an important difference between  
20 these viruses. This carbohydrate sitting at these  
21 three binding sites is an important difference.

22 And the other difference we've heard is  
23 the deletion in the stork, which is a useful marker,  
24 and time will tell whether it plays a role in  
25 pathogenicity.

1                   And so to Hong Kong. For those that have  
2                   been to Hong Kong, this is a typical picture of the  
3                   Hong Kong island, looking at this huge, modern complex  
4                   of buildings, and right in the middle of this we have  
5                   a live bird market, not one live bird market, but in  
6                   the whole of Hong Kong something like 300 live bird  
7                   markets, big ones, small ones, and ones that have a  
8                   few birds to those that have hundreds of birds.

9                   And time will only permit me to briefly  
10                  deal with some of the findings in Hong Kong. As  
11                  mentioned in conjunction with Dr. Shortridge, we  
12                  established an international group at the University  
13                  of Hong Kong to study influenza viruses. We got  
14                  started on the 23rd of December, and all of the birds  
15                  were killed on the 29th of December.

16                 And the markets in Hong Kong at that time  
17                 contained all of the different species, pigeons,  
18                 chickens, geese, ducks, Silkie chickens, pheasants,  
19                 wild ducks. You name the kind of bird; it was there.

20                 And I'll give you the take home message,  
21                 is that each one of these markets that we examined  
22                 contained H5N1 influenza viruses. Approximately ten  
23                 percent or more of the chickens in those markets were  
24                 shedding H5N1 influenza viruses.

25                 And there was a number -- we have not

1 processed all of the information yet -- there's quite  
2 a number of uncharacterized hemagglutinating agents.  
3 Maybe they're bacteria. We don't know at this time.  
4 The important feature is that each and every one of  
5 those markets had H5N1 in them.

6 And we'll go on.

7 And as I said, on the 29th-30th of  
8 December, the decision was taken to slaughter all of  
9 the birds in the markets and on the farms. All of the  
10 chickens were disposed of, and this happens to be a  
11 Silkie chicken they're disposing of at this time,  
12 these chickens that have the black meat.

13 And I'll just give you a couple of pieces  
14 of information about properties of these viruses found  
15 in the laboratory. Since they may originate from wild  
16 ducks or migrating birds -- and I would answer this  
17 question that was asked a moment ago directly. Hong  
18 Kong has a very high number of migrating birds. It's  
19 on the flyway between Siberia and Australia, and there  
20 is a large number of birds that over-winter there.

21 In the last week we studied, in  
22 conjunction with Professor Shortridge, large numbers  
23 of those birds, and in the middle of this time of the  
24 year, in the winter, we wouldn't expect to find many  
25 viruses. We found on H5N1 in hundreds and hundreds of

1 samples collected.

2 So that doesn't mean to say that those  
3 birds didn't bring the viruses in because during  
4 migration, even in Delaware Bay, you'll only find the  
5 viruses there in May. If you look in other times, you  
6 won't find them.

7 So these migrating birds probably played  
8 a role. If we inoculate these viruses into ducks, the  
9 original Hong Kong human strain and the chicken  
10 strain, they barely replicate it in ducks  
11 experimentally. There was the original chicken virus,  
12 gave 1.5 logs of virus in the trachea. The others,  
13 barely anything.

14 So these suggest to me that this virus  
15 came from ducks. It's been out of ducks for quite  
16 some time, and I would suggest that if it came from  
17 the wild birds, it's probably been in the domestic  
18 poultry for some time because it takes some time to  
19 accumulate those mutations leading up to a very, very  
20 pathogenic virus.

21 So the chances are it's been out of the  
22 migrating birds for quite a long time, maybe years.

23 Another question is what about pigs. Pigs  
24 are looked upon as the intermediate host between avian  
25 species and humans, and so we inoculated pigs under

1 quarantine conditions in laboratories and looked for  
2 the replication of the human and chicken virus. Both  
3 of them replicated, not to tremendously high titers,  
4 but it is important that these viruses replicated  
5 immediately in pigs.

6 So the potential is there for these  
7 viruses to spread to pigs if pigs and infected poultry  
8 are raised together.

9 And we've already heard that all of the  
10 viruses isolated, H5N1 viruses isolated in Hong Kong  
11 belong to the Eurasian lineage, and all of the avian  
12 viruses that we've characterized so far all belong to  
13 this Eurasian lineage, which says that it certainly  
14 wasn't brought in with those chickens that came in  
15 from Arkansas, Bob. These are viruses belonging to  
16 the Eurasian lineage.

17 And so in conclusion, this is where we  
18 stand. Chickens, the chicken ban is to be lifted. On  
19 the 7th of February, chickens will be reintroduced  
20 into Hong Kong. There are going to be some changes.

21 The majority of chickens eaten in Hong  
22 Kong come from the mainland, and so the questions are  
23 going to be answered now. Did any viruses of the H5N1  
24 come in from the mainland? Probably not.

25 There's going to be enormous surveillance

1 now to find out if this occurs. The structure of the  
2 markets in Hong Kong are going to be dramatically  
3 changed. So far the decision has been taken to  
4 reintroduce the chicken. No decision on reintroducing  
5 anything else, and I think there will be very careful  
6 thought given to the ducks and the geese and the other  
7 species that have H5 and, in theory, any other subtype  
8 of influenza.

9 So maybe separation of these species will  
10 play an important role in the introduction of viruses  
11 from avian species into humans.

12 So I think that was my last slide. Yes,  
13 indeed. I'd just like to conclude by saying that I  
14 think the Hong Kong authorities who decided to  
15 depopulate the markets probably prevented the next  
16 pandemic because if it had been allowed to continue,  
17 being an RNA virus, sooner or later it would have  
18 acquired that mutation to pass from human to human.

19 We can't give it up yet. It's going to  
20 take months and maybe a year before we can relax  
21 because this virus could still be taking over in the  
22 human population in Hong Kong.

23 And I'll stop there.

24 DR. LEVANDOWSKI: Thank you, Dr. Webster.

25 I think we have time probably for just one

1 question because we're really behind, and maybe we'll  
2 have to save the other questions and comments for the  
3 end and the discussion.

4 I'm not sure who's first. Dr. Estes.

5 DR. ESTES: Rob, it's been unclear to me.  
6 Were the poultry sick? You said about ten percent of  
7 the animals you could isolate virus from. Were those  
8 animals sick?

9 DR. WEBSTER: A very key question. In the  
10 markets we were studying, there was no disease. You  
11 know, when you have markets with hundreds of thousands  
12 of birds in them, you're always going to lose a small  
13 number, but, no, there was no evidence of excess  
14 mortality in these markets, and that's a key issue.

15 This virus that will kill a chicken in one  
16 day is not killing the chickens in those markets, and  
17 so there was no warning that these markets were  
18 infected with these viruses. In fact, these markets  
19 in my opinion are breeding places for influenza  
20 viruses, between the ducks and the geese that are  
21 transmitting them to the chickens, and even in the  
22 United States it's known very well that the live bird  
23 markets in New York City are also breeding places of  
24 influenza viruses.

25 But I can't answer your question. I do not

1 know why the chickens were not dying.

2 CHAIRPERSON FERRIERI: Is the practice not  
3 to bring a live chicken home and slaughter it at home  
4 rather than have it slaughtered at the market?

5 DR. WEBSTER: I'm sorry. I missed your  
6 question.

7 CHAIRPERSON FERRIERI: Is the custom to  
8 bring a live chicken home in Hong Kong and slaughter  
9 it there usually rather than in the market?

10 DR. WEBSTER: Most of the chickens are  
11 slaughtered in the market, but you do have the option.  
12 If you want to do your own and have it really fresh,  
13 you carry it on home, but most of the chickens are  
14 slaughtered in the market by the butcher as it were  
15 and you carry the freshly slaughtered bird home.

16 I think it's important to realize that  
17 essentially the whole of the population of Hong Kong  
18 was being exposed to this virus at any time, and you  
19 really couldn't rule out the possibility that this  
20 person or that person wasn't exposed.

21 Right around the corner from the Ramada  
22 Inn where I first stayed, there was a little stall,  
23 and certainly there was H5N1 in that stall.

24 DR. NEROME: Did you isolate the virus in  
25 the market?

1 DR. WEBSTER: Yes, to Dr. Nerome, to  
2 answer the question directly, yes, we isolated H5N1  
3 from every market that we examined, every market that  
4 we examined.

5 DR. LEVANDOWSKI: Okay. Thank you.

6 We were scheduled to have a break.

7 CHAIRPERSON FERRIERI: We can have a five  
8 minute break perhaps. I think that would be fair.  
9 Five minutes, please.

10 (Whereupon, the foregoing matter went off  
11 the record at 2:58 p.m. and went back on  
12 the record at 3:06 p.m.)

13 CHAIRPERSON FERRIERI: Could we all take  
14 our seats so we could resume the afternoon meeting,  
15 please? Could we please take seats at the table?

16 We're running a very tight schedule, and  
17 what makes it particularly difficult is that there are  
18 several people with burning questions, but it's not  
19 compatible with other members saying they need to  
20 leave by 4:30. So something has to give here, and so  
21 I vote in favor of your asking your questions, that we  
22 maximize the worth of our being here this afternoon or  
23 there's no point in having convened this session.

24 And so in order to have full participation  
25 and be able to respond to Dr. Levandowski's questions

1 for us from CBER, everyone has to feel fulfilled that  
2 their probing questions have been answered, and so  
3 we'll start then with Ms. Cole. You have some  
4 questions, and hopefully the responses will be very  
5 focused.

6 MS. COLE: The first question is do they  
7 use chicken manure fertilizer in China, and couldn't  
8 that spread the influenza?

9 DR. WEBSTER: They do, indeed, use chicken  
10 fertilizer in China, but an important piece of  
11 information I didn't provide you with is that we've  
12 done the destruction of this virus in feces from  
13 markets, and in one day as soon as it's dried the  
14 virus is destroyed.

15 So feces, dried, are not a real source of  
16 this virus.

17 MS. COLE: Okay. I've got one more, real  
18 quick.

19 CHAIRPERSON FERRIERI: Yeah, please. Go  
20 ahead.

21 MS. COLE: The H5N1 in China wasn't really  
22 noticed, I'm assuming, until a human was affected.  
23 Have any of our poultry workers in the United States  
24 been tested for seroconversion?

25 DR. COX: WE have not collected or tested

1 serum from poultry workers in the United States  
2 recently.

3 CHAIRPERSON FERRIERI: Any other  
4 questions?

5 DR. WEBSTER: We did look a number of  
6 years ago during the chicken Pennsylvania outbreak of  
7 H5N2 of humans that were working in those houses, and  
8 at that time we did not detect antibodies. Whether we  
9 were using the most sensitive techniques we're not  
10 sure. We need to go back and look again.

11 CHAIRPERSON FERRIERI: Thank you, Dr.  
12 Webster.

13 Dr. Clements-Mann.

14 DR. CLEMENTS-MANN: Yes, I'm just  
15 wondering if you know anything about the Mexico  
16 outbreak of H5N1.

17 DR. WEBSTER: H5N2. What was the  
18 question?

19 DR. CLEMENTS-MANN: H5N2. I was just  
20 wondering if there was any information whether there  
21 is any similarity of the H5.

22 DR. WEBSTER: The hemagglutinin is very  
23 similar, but it belongs to the North American lineage  
24 of H5 viruses, and that virus has essentially been  
25 taken care of in Mexico. There is still potentially

1 residual virus in Mexico, but by vaccinating with just  
2 a crude inactivated vaccine, they essentially  
3 controlled it.

4 CHAIRPERSON FERRIERI: Other questions for  
5 Dr. Webster or anyone else?

6 I mentioned earlier as I opened the  
7 session that several of you have burning questions,  
8 and you have to make the decision. Is it compatible  
9 with your concomitant desire to leave here at a  
10 certain hour?

11 (Laughter.)

12 CHAIRPERSON FERRIERI: Seriously, I want  
13 you all to feel that you've had a chance to get all of  
14 the information you can today from our experts here  
15 joining us.

16 Other questions? Otherwise I'll turn it  
17 back to Roland.

18 DR. LEVANDOWSKI: Okay. We have more  
19 information for you. The next section, we want to  
20 give some information about activities that are being  
21 done in relation to vaccine development, and first on  
22 the agenda is Dominick Iacuzio from NIAID. Dominick  
23 is going to talk to us about some vaccine trials that  
24 are planned with purified hemagglutinin vaccines.

25 DR. IACUZIO: Thank you, Roland, for

1       inviting me to be here. I know I don't have much  
2       time. So I'm going to try to move quickly through  
3       this.

4               My first slide is -- I apologize to those  
5       who know us very well, but for those I feel who are  
6       not very familiar with the NIAID and what our role is,  
7       we have a long history of supporting influenza and  
8       related viral respiratory disease research, both  
9       through intramural laboratories on the NIH campus,  
10      especially in the laboratory of infectious diseases  
11      with Dr. Robert Channick and Brian Murphy, and also  
12      through our extramural research facilities, through  
13      mechanisms of direct funding of grants for basic  
14      research and for clinical research, through various  
15      groups of mechanisms, including contracts and CRDAs,  
16      for example.

17              Over the years, the institute has  
18      supported various types of influenza research, and I  
19      just wanted to preface this because I thought that I  
20      need to explain why we chose the route that we did.

21              When the H5 was first isolated, only one  
22      company that I was aware of had an experience of  
23      preparing an H5 recombinant antigen. It was the  
24      Mexican Jalisco strain, which was just recently, you  
25      know, mentioned here, and back in August when the

1 first report of an H5, we realized that protein  
2 sciences at this time was probably the only choice.  
3 So we moved quickly.

4 Next slide, please.

5 Moved quickly to initiate a contractual  
6 agreement with Protein Sciences Corporation to  
7 accomplish a couple of goals.

8 One is to provide GLP grade recombinant  
9 HA, and the O stains for uncleaved HA antigen for our  
10 colleagues at the CDC, FDA, and USDA. I also learned  
11 some of the material was also shipped to our overseas  
12 colleagues at WHO and the NIBSC.

13 Also part of this contractual agreement,  
14 we decided that we needed to go ahead at this time  
15 since there were no available candidates to make a  
16 reassortant strain. Since this H5 was identified to  
17 be lethal, pathogenic to the avian, the poultry  
18 industry, the USDA had restrictions on working with  
19 this to provide -- which is through the, you know,  
20 traditional means of reassortant for inactivated  
21 vaccine.

22 The recombinant technique that Protein  
23 Sciences Corporation has pioneered allowed us, we  
24 felt, a quicker way under these circumstances to at  
25 least initiate an experimental recombinant HA H5

1 vaccine, and we also -- we decided at that time that  
2 we would move ahead and take this opportunity under  
3 this urgent and compelling need in December after we  
4 learned of additional cases, not knowing what would  
5 happen in the weeks to come. We would plan a Phase 1  
6 clinical study.

7 Next slide.

8 Part of the justification to even proceed  
9 was, like I said, our previous experience in working  
10 with Protein Sciences and with this particular  
11 product. A few years back, we were pursuing with  
12 Protein Sciences a different path. The experience was  
13 with H3N2 -- excuse me -- an H3 HA antigen, and we had  
14 actually five clinical studies that were conducted.

15 In addition, as you can see, the third one  
16 down, we also started the clinical work with an H1  
17 antigen, and so for a total of these, I guess, five  
18 studies over those I believe it was two years, over  
19 500 subjects were immunized with the recombinant HA  
20 vaccine.

21 So we had some idea of previous safety  
22 immunogenicity in young adults and in elderly and at  
23 various doses, 15 through I think we had gone up to --  
24 the highest was 135 micrograms.

25 Next slide.

1           A lot of this data I don't have time to  
2 summarize, except stating that all of this information  
3 has been published. There are four publications which  
4 are listed here regarding the various studies. Dr.  
5 John Treanor, who was here earlier in this room, had  
6 participated in several of these studies, as did Doug  
7 Powers from St. Louis.

8           Back to what this recombinant HA influenza  
9 vaccine is. It's a purified recombinant hemagglutinin  
10 monovalent Type A, and the Protein Sciences has worked  
11 with the CDC to correct HA genes cloned from CDC  
12 material, and that information was just recently  
13 published by the CDC in Science.

14           This recombinant protein vaccine is  
15 produce in a baculovirus expression vector in serum  
16 free spotopetera frugiperida insect cells.

17           For this particular H5, since this has  
18 only recently been manufactured, we have this  
19 information that's been shared by Protein Sciences,  
20 that initial analysis has shown there's full length  
21 glycosylated, uncleaved. I guess there is a portion  
22 of the recombinant HA, that is, that has recently been  
23 identified as also being cleaved with this H5. It may  
24 be something unique with this, but Bethany Wilkinson  
25 from Protein Sciences is here, and she could answer

1 more technical questions.

2           The molecular weight is 68,000. It's I  
3 think much greater than 95 percent pure, but that's  
4 for the specs. that they have written. It's trypsin  
5 digest resistant. It agglutinates red blood cells,  
6 induces hemagglutinin antibodies, and there is  
7 actually preclinical data on this particular H5  
8 recombinant HA that Dr. Mike Perdue from the USDA has  
9 recently completed some studies, and I believe he's  
10 here in the audience also, but because of time  
11 restraints, I really didn't have the time to get him  
12 up here to talk about his data.

13           Since the decision had to be made early,  
14 we learned of the additional three and four cases on  
15 December 6th, and quickly we decided that as  
16 apparently as the cases started to be tallied, that  
17 something had to be done.

18           We at that time decided, based on the  
19 capabilities of scaling up of the recombinant HA and  
20 conferring with Protein Sciences and also reviewing  
21 the data I had just passed in front of your eyes  
22 quickly on the HA H1 and H5, that we decided that a  
23 ten micrograms per half a mil dose would be  
24 appropriate for a Phase 1 type study.

25           The company, of course, in filing their

1 master file with the FDA, which they recently, I  
2 believe, have just submitted, will include -- has  
3 included -- is including, I believe, the final  
4 sterility testing and animal safety test and identity  
5 test.

6 Now on to what we want to do with this  
7 material. The NIAID is sponsoring a multi-center  
8 trial, and primarily it is for the adults and  
9 laboratory workers and health care personnel.

10 Again, to go to the top, we decided on two  
11 doses of a ten microgram per half a mil dose. The two  
12 doses are separated by a three week interval. That's  
13 a compromise, and I could discuss that later on if  
14 possible, the decision why we went ahead with that.

15 The primary endpoints, of course, for this  
16 Phase 1 would be safety and immunogenicity, and we  
17 would assay the immunogenicity by the gold standard  
18 being, of course, discussing this with Nancy Cox, the  
19 virus neutralization assay, but also, we would also  
20 conduct ELISAs, and Protein Sciences, I think, is  
21 working with Mike Perdue on working out the problems  
22 with the HA1 assay.

23 Currently we started off with two sites,  
24 to immunize the workers at the CDC and the FDA through  
25 the NIH site, but since that time, there have been

1 additional inquiries to also have the laboratory  
2 workers immunized with this experimental vaccine.

3 So we're up to five sites now, and there  
4 are two or more international sites -- actually there  
5 are two sites that we have contacted or been contacted  
6 by who are interested in participating in this study,  
7 and we're working out the details.

8 We realize that this is an opportunity to  
9 gather information on a novel antigen which hasn't  
10 been seen in the human population before with a two  
11 dose regimen, but we also recognize that it's also an  
12 opportunity to gather more information than what can  
13 be gathered in a simple Phase 1 type study.

14 So there are plans, and we are working  
15 with John Treanor and others in our vaccine evaluation  
16 units to design a Phase 2 sort of study. Basically  
17 what the idea is is to vary the concentration of the  
18 primary dose, vary concentrations of the boosting  
19 dose, and also to look at the intervals between the  
20 two doses.

21 And that's all I have to say right now.

22 DR. LEVANDOWSKI: Okay. Thank you.

23 Is there time for a question? Shall we  
24 take questions now, Dr. Ferrieri? I'm asking you for  
25 advice.

1 CHAIRPERSON FERRIERI: Yeah, I think --

2 DR. LEVANDOWSKI: The time is very short.

3 CHAIRPERSON FERRIERI: I think that would  
4 be appropriate, you know, a question or so.

5 Mary Lou.

6 DR. CLEMENTS-MANN: From what I remember  
7 about the previous vaccine trials, the dose required  
8 to induce a good immune response I thought was around  
9 45 micrograms, and also alum was required as an  
10 adjuvant.

11 DR. IACUZIO: In the first study, alum was  
12 used with a 15 micrograms and then without, and  
13 actually in that study the alum was not -- you know,  
14 we didn't see a difference, and the decision was, you  
15 know, not to go with alum anymore, except for that  
16 first study.

17 The 45 microgram dose, I believe, looked  
18 as good as or better than the current inactivated  
19 vaccine, but we also recognize that that was a single  
20 dose regimen. I guess the rationale here is that this  
21 would -- a novel antigen, that we would need two  
22 doses, and that subsequent studies, I believe, show  
23 that 15 micrograms was as equivalent.

24 Is that true, you know, Bethany, from what  
25 I remember?

1                   So that was sort of our decision. Yes.

2                   DR. LEVANDOWSKI: Dr. Huang.

3                   DR. HUANG: Has it been done or are you  
4                   planning to use this to protect chickens against the  
5                   human isolate that killed a chicken in one day?

6                   DR. IACUZIO: Actually that has been done,  
7                   and Mike Perdue has actually done that clinical study.  
8                   Mike, do you want to?

9                   DR. PERDUE: I'll say something quickly.

10                  Yes, a single does in two week old birds  
11                  of about six micrograms was 100 percent effective at  
12                  preventing disease and death in these birds, but I  
13                  would echo what Dr. Webster referred to earlier, that  
14                  vaccination of chickens is actually pretty easy  
15                  against these subtypes. Within the H5 subtype, you  
16                  can protect against lethal disease very readily by a  
17                  variety of activation techniques.

18                  DR. LEVANDOWSKI: Would you please  
19                  identify yourself for the recorder?

20                  DR. WILKINSON: Sure. Bethany Wilkinson  
21                  from Protein Sciences.

22                  And we are, in fact, trying to license  
23                  this for immunization of chickens throughout the  
24                  world, and we were trying to emphasize in China and  
25                  Hong Kong and wherever we think this might be a

1       problem, but possibly the U.S.    There are some  
2       questions and recommendations for vaccinating chickens  
3       in the U.S. just as a potential insurance against any  
4       kind of problems here.

5                   DR. COUCH:   What kind of vaccine is being  
6       used in Mexico?   Just an egg grown vaccine in a  
7       conventional way?

8                   DR. WEBSTER:   This was an inactivated  
9       allantoic fluid.

10                  DR. COUCH:   Allantoic fluid inactivated?

11                  DR. WEBSTER:   Purified, treated, and not  
12       standardized and used.

13                  DR. LEVANDOWSKI:   Okay.   I think -- oh,  
14       Dr. Poland, do you have a question?

15                  DR. POLAND:   I may have missed this, but  
16       I heard a description of two different clades of the  
17       virus.   Does this particular recombinant -- would be  
18       sufficient for either of those or is it directed more  
19       toward one?

20                  DR. PERDUE:   Mike Perdue again.

21                  Probably so.   I think Dr. Webster would  
22       agree.   Actually we've done some studies with turkey,  
23       Wisconsin 68, a North American lineage sort of  
24       prototype, and it protects against the Hong Kong 156  
25       quite adequately in a kill vaccine, as does Dr.

1 Webster's original turkey RM-83 construct, I think, in  
2 the fowl pox regular vaccines.

3 So within a subtype they're probably going  
4 to protect very well against lethal disease. Now,  
5 replication of the virus in shedding is a different  
6 story. You would certainly want to be as close to the  
7 original isolate to vaccinate it as you could.

8 DR. WEBSTER: This raises a very important  
9 question. I mean if we're thinking of vaccines for  
10 H5N1, there's a difference in protecting people from  
11 death and from infection. Please have to keep that in  
12 mind as the dose that may be considered in the face of  
13 pandemic.

14 DR. LEVANDOWSKI: Okay. Thank you.

15 We're going to move on now and talk about  
16 some of the other activities that are going on in  
17 terms of reassortants and reagents that are being  
18 produced to try to support vaccines, and first Dr.  
19 Nancy Cox is going to speak about what's going on in  
20 CDC.

21 DR. COX: Now, I'd like to start by saying  
22 there's really a lot of work on vaccine development  
23 going on in many laboratories around the world, and  
24 I'm going not to steal anyone else's thunder. I'm  
25 just going to go through some of the special

1       considerations for vaccine candidate development that  
2       we have for these H5 viruses.

3               First of all, we must consider safety of  
4       laboratory personnel and the environment. We wanted  
5       to do everything we possibly could to protect  
6       laboratory workers and to eliminate the possibility  
7       that the virus could get out and infect birds in the  
8       United States.

9               There are strict USDA regulations  
10       requiring Level P3 plus containment for working on  
11       these highly pathogenic or influenza strains that are  
12       highly pathogenic for birds, and the P3 plus simply  
13       means that in addition to the regular P3 requirements,  
14       you have to shower out.

15              We have been, first of all, attempting,  
16       and many other people around the world have been  
17       attempting, to identify surrogate apathogenic avian  
18       viruses that could be used either without modification  
19       or after reassortment with APR-8 or other strains and  
20       would make suitable vaccine candidates without any  
21       further manipulation.

22              We also and many other people have been  
23       working on strategies to remove the multiple basic  
24       amino acid cleavage site in the hemagglutinin. This  
25       cleavage site, as we've heard, is associated with

1       these highly pathogenic strains, and we would then be  
2       trying to rescue that modified hemagglutinin gene back  
3       into an appropriate genetic background.

4               In addition to the usual testing that goes  
5       on, we feel that testing potential vaccine candidates  
6       for pathogenicity in animal models will have to be  
7       done this year, and we would expect that any potential  
8       vaccine candidates might be well tested in chickens  
9       and mice and possibly ferrets.

10              Of course, in addition, we need to  
11       consider that the growth and processing  
12       characteristics of these vaccine candidates need to be  
13       suitable for vaccine production.

14              So first of all, I mentioned that we were  
15       looking for related apathogenic avian viruses that  
16       were antigenically as similar as possible to the  
17       recently isolated human strains, and the one that has  
18       been explored in greatest detail is the  
19       A/duck/Singapore 97 H5N3 strain, and in many respects  
20       it does look like a suitable candidate, except that it  
21       has the wrong neuraminidase.

22              I would say that the studies are still  
23       preliminary. We're trying to get antiserum with a  
24       high enough titer to this duck/Singapore virus ferret  
25       antiserum so that we can do cross-tests, and we have

1 had a bit of difficulty, as have other laboratories,  
2 in getting a good ferret antiserum for these studies.

3 The second approach I mentioned was that  
4 we would make a human avian or a human or an avian-  
5 avian transfectant after modifying the HA cleavage  
6 site. So what we're trying to do is to rescue the N1  
7 of the Hong Kong strain into a PR-8 background or into  
8 the mallard background.

9 And if we go on to the next overhead, what  
10 we've actually done at CDC to date is by using the X-  
11 31 H3N2 Aichi PR-8 reassortant and crossing that with  
12 a Hong Kong 156, we've made a 7:1 H3N1 reassortant,  
13 which will have a suitable genetic background for  
14 rescue of the modified HA gene from the Hong Kong 156  
15 or Hong Kong 483 prototype strains.

16 We've also used the A/mallard/New York 78  
17 H3N2 reassortant which we received from Brian Murphy,  
18 and this virus contains its internal genes -- its  
19 internal genes are avian genes, and we've reassorted  
20 that with the Hong Kong virus and have a 7:1 H3N1  
21 reassortant, which also would be a suitable genetic  
22 background for rescuing the modified H5 HA genes.

23 Next, please.

24 Using site directed mutagenesis, we've  
25 actually altered the multiple basic amino acid

1 cleavage site, and I won't go through all of the  
2 details here, but we're modifying it in a couple of  
3 different ways, actually three different ways, but  
4 I've simplified it here so that we're converting this  
5 site to the avirulent cleavage site and also to the  
6 cleavage site typical of those found in human strains.

7 We now have PCR products with T3 promotor  
8 sequences and restriction enzyme sites, which have  
9 been constructed from cloning and generation of  
10 transcripts for gene rescue.

11 We have been working fairly closely with  
12 Averon in discussions of strategies for altering the  
13 cleavage site and rescuing the HA genes, and in the  
14 future we will be trying to rescue the modified HA  
15 genes using our 71 reassortants and anti-H3 antiserum  
16 to select the H5 HA and will be using qualified cell  
17 lines, and hopefully they would be suitable for  
18 vaccine manufacture.

19 Again, we would need to test the candidate  
20 vaccines for attenuation, and we are continuing our  
21 assessment of the duck Singapore virus as a candidate  
22 vaccine strain.

23 And what we've also been trying to do is  
24 to provide nucleic acid to companies and other  
25 interested parties who would have alternative

1 strategies for vaccine development. So we provided  
2 the PCR product or the full length H5 HA gene to  
3 Protein Sciences and will be providing similar  
4 materials to Drs. Harriet Robinson and Dick Compans.  
5 We provide also nucleic acid to Averon for their work,  
6 which I think will be discussed a bit later.

7 So probably unless there are any pressing  
8 questions, we'd want to move on and maybe questions on  
9 vaccine development could be handled at the very end  
10 of the presentations to save time.

11 CHAIRPERSON FERRIERI: Thank you, Dr. Cox.

12 DR. LEVANDOWSKI: Okay. Thanks.

13 Next, John Wood from NIBSC will speak to  
14 activities that have been going on in England.

15 DR. WOOD: I apologize that this is a  
16 handwritten overhead, but I only found out it was on  
17 the agenda yesterday afternoon.

18 The activities at our institute, first of  
19 all, to make ferret antisera for strain  
20 characterization, and as Nancy indicated, this is no  
21 mean task. It's quite difficult with these pathogenic  
22 viruses to produce good antisera. So we have produced  
23 three reasonable antisera so far, and also we have a  
24 high premium in our sheep serum against chick/Scotland  
25 59, which is an H5N1 virus, and this is really broadly

1 reactive against all of the H5s that we've examined.  
2 So it's a very good diagnostic reagent.

3 In terms of vaccine strains, we are trying  
4 to produce a reassortant between the duck/Singapore 97  
5 virus that Nancy just mentioned, the H5N3 subtype, and  
6 a swine virus, swine/Eire 97, H1M1.

7 Can I just have the next overhead, please?

8 This just shows phyllagentic tree of the  
9 H5 hemagglutinin gene, and here we have the Hong Kong  
10 156 and chicken/Hong Kong HAs here, and here we have  
11 duck/Singapore, which is genetically quite closely  
12 related antigenically with the ferret sera from NIBSC  
13 and from Millhill, is also closely related to Hong  
14 Kong 156.

15 The next one, please.

16 The N1 neuraminidase, these are the N1s  
17 from a variety of Hong Kong viruses. This is an N1  
18 from turkey/England, which is a pathogenic strain. So  
19 we couldn't use this virus to donate the N1, not  
20 easily anyway.

21 And these are swine viruses isolated from  
22 Ireland, and these are H1M1s of avian origin. So the  
23 N1 is quite close to an avian N1. So we're taking one  
24 of these viruses to donate the N1.

25 Could I go back to the first one, please?

1                   Now, we've been trying since the beginning  
2 of January to do this, and it has proved to be quite  
3 difficult. One of the difficulties is that the swine  
4 virus grows nearly as well as PR-8, and the duck virus  
5 is really very bad. So you have an unbalanced ratio  
6 of virus infectivity, and we don't have very good  
7 antiserum reagents to inhibit the -- sorry. The N1  
8 should be underlined there -- to inhibit the H1  
9 hemagglutinin, which is of avian origin, and the N3  
10 neuraminidase from the duck/Singapore virus.

11                   So it has proved to be quite difficult.  
12 We've seen PCR evidence that we have an H5N1  
13 reassortant, but actually getting that to clone out  
14 has been quite difficult.

15                   Moving on to reagents to test vaccine  
16 potency, and this is for the future, we've used the  
17 Protein Science baculovirus H5 hemagglutinin to  
18 immunize sheep, and we have one sheep that's actually  
19 being let out this week back in England, and this has  
20 very good antibody against H5 hemagglutinin and could  
21 be a very useful serum reagent in the SID test.

22                   Obviously the antigen we would use depends  
23 upon the vaccine that's used in the future because the  
24 antigen has to be antigenically a very good match with  
25 the vaccine.

1                   And then finally, serological tests.  
2           You've heard that HI tests are -- there are  
3           difficulties measuring antibodies in human sera to H5  
4           using the hemagglutination and inhibition tests, and  
5           the virus neutralization test is the one that's been  
6           used routinely, but the problem with that is that you  
7           need a containment lab to do this in. We would really  
8           like to have a serological test that you could do  
9           easily.

10                   And one possibility is to use the single  
11           radial hemolysis test. We have some provisional data  
12           that this works with H5s. What we have to do is to  
13           establish without question that it's measuring  
14           specifically antibody to the hemagglutinin and not to  
15           internal proteins. So this is ongoing work at the  
16           institute.

17                   Thank you.

18                   DR. LEVANDOWSKI: Thank you, John.

19                   I'm next on the list, and I'm going to be  
20           extremely brief about our activities. Many of the  
21           things that have been mentioned already are activities  
22           that we will be involved in also, including work to  
23           develop reassortant viruses.

24                   One thing that has not been emphasized,  
25           but probably should be, the laboratories that are

1       involved in producing reassortants every year,  
2       multiple sites are involved in this process, and one  
3       of the reasons for that is that often time is very  
4       short to try to produce the reassortants, and a little  
5       bit of luck is involved in it.

6                But in addition, the reassorting process  
7       itself is somewhat different at different locations  
8       and for reasons that aren't entirely explained.  
9       Reassortants that result sometimes are somewhat  
10      different in their antigenicity.

11              So we think it's probably important that  
12      multiple laboratories are involved in this kind of  
13      activity in order that at the end of the day at the  
14      time that we'd like to have it, we do have something  
15      we think is antigenically appropriate, not to mention  
16      the fact that it can be useful for vaccine production.

17              Our own experience has highlighted  
18      something that perhaps needs some further discussion  
19      in the influenza community generally, and that's the  
20      difficulty in finding laboratory space that may be  
21      considered appropriate for working with some of these  
22      different types of strains in order not only to  
23      protect the laboratory workers, but also to protect  
24      the environment.

25              And in that regard, I think that in

1 discussions that we've had going on that are related  
2 to the development of reassortants, some  
3 considerations have been expressed that we need to  
4 think about what's happening in terms of the producers  
5 of the vaccine and their needs as well in terms of  
6 these strains and how they will be -- how the workers  
7 in their facilities may be exposed to working with  
8 them.

9 In terms of other things that we're doing,  
10 we are doing -- we also are making reagents for the  
11 H5. As Dominick indicated, he's made available; he  
12 and NIAID have made available to us the purified  
13 hemagglutinin from Protein Sciences, and we, too, have  
14 immunized sheep and have sheep that are ready for  
15 bleeding probably within the next week.

16 Those reagents will be very useful not  
17 only for the purposes of making vaccines, but as they  
18 have been used in the past, they can also be used for  
19 surveillance purposes. So it may support that.

20 Our concerns overall are that this may be  
21 a reagent that's useful initially, but we're not  
22 really certain whether it will be the final reagent  
23 that needs to be made and will want to continue to  
24 observe closely, and as other strains come up, we'll  
25 probably want to get some experience with those, as

1 well.

2 I think I probably won't say anymore than  
3 that at this point because the time is so short, and  
4 we do want to have some discussion from the Committee  
5 on the comments.

6 Before going to that, there is a section  
7 that we have reserved here for comments from  
8 manufacturers, and I do know that we have one of the  
9 manufacturers. Avera are very interested in giving  
10 a brief presentation about their activities with H5  
11 strains, and I'm not sure who's doing it at this  
12 point. I think it will be Dr. Sing Chung Lee from  
13 Avera.

14 DR. LEE: In collaboration with CDC,  
15 Avera is developing vaccines against the pathogenic  
16 H5N1 virus. In our studies to fulfill the pandemic  
17 preparation we tried to complement CDC and the FDA's  
18 strategy by preparing live attenuated vaccine  
19 candidate which could be otherwise used for -- also safe  
20 substituted for manufacturing of the inactivated  
21 vaccine.

22 Also, Dr. Cox just mentioned we are  
23 collaborating with CDC, particularly Dr. Sabarwal  
24 (phonetic) and Dr. Cox, also Dr. Klimov (phonetic).

25 CDC has provided us materials, sequencing

1 information which we need for our approach. In return  
2 Averon is providing materials and reagents to CDC  
3 which may help CDC to develop their recombinant  
4 vaccine.

5 In addition, we have also collaborated  
6 with Dr. Adams and Dr. Hietala from UC-Davis, and Dr.  
7 Perdue from USDA for P3 plus containment and for  
8 animal intestine.

9 We've taken two approaches to develop the  
10 vaccine candidates. The first approach is based on  
11 using antigenic, and very similar, but not pathogenic  
12 avian strain, as Dr. Nancy Cox just mentioned to you,  
13 which is A/duck/Singapore 97 virus.

14 What we are doing there is we take this  
15 virus and try to reassort it into our stable  
16 attenuated code adapted influenza virus master strain  
17 vagrant to generate candidates which bearing HA agent  
18 from the low pathogenic avian strain and the remaining  
19 genes from the code adapted master strain.

20 The similar approach which we are taking  
21 is based on also -- similar also Dr. Cox just  
22 mentioned -- to mutate the HA gene in vitro and then  
23 use the recombinant technology to transfect the  
24 mutated HA gene together with the latent for NA genes  
25 into the code adapted master strain vagrant.

1           Our rationale behind our approach is based  
2           on our experience with reassortant vaccines based on  
3           code adapted influenza virus. We have generated more  
4           than 30 vaccine candidates, and we show they are safe  
5           and efficacious in human. They are known  
6           transmissible. They are genetically very stable, and  
7           importantly, they are capable of indicating higher  
8           titers in eggs and the chicken (inaudible).

9           And in addition, reassortant vaccine were  
10          prepared from both avian and human isolates.

11          I will briefly describe to you about the  
12          recombinant approach and how we use this technology to  
13          generate the reassortant virus. Basically it is a two  
14          stage transfection of the HA and the NA agents of wild  
15          type virus into the code adapted virus vagrant.

16          So initially we have a 7:1 intermediate  
17          and subsegment (phonetic) of wild type gene where it  
18          is transfecting to the 7:1 intermediate to generate a  
19          6:2 master strain, which we occur (phonetic) just for  
20          our testing.

21          Averon has quite a bit of experience with  
22          this recombinant approach for generation of vaccines.  
23          In fact, we were able to generate five different  
24          recombinant candidates from both type A and type B  
25          wild type viruses in three different master donor

1 virus strains that included a code adapted type A and  
2 type B master strain in the PRA virus that could be  
3 used for the inactivated vaccine.

4 The recombinant approach is rapid,  
5 controllable process, and it is important in pandemic  
6 situation that timing may be an important issue, how  
7 fast we could prepare the vaccine.

8 In addition, the recombinant approach  
9 require on work with RNA template. So we don't need  
10 to work with the infectious virus. That could reduce  
11 the risk of contaminating with the higher pathogenic  
12 H5N1 virus.

13 Dr. Cox just mentioned we have quantified  
14 vaccine cell line capable of transfecting the  
15 influence of also RNP, and in addition, we also have  
16 experience on the code adapted recombinant  
17 reassortants in Canadian (phonetic) trial.

18 Let me briefly discuss with you about our  
19 mutagenesis strategy, which is kind of identical or  
20 similar to what Dr. Cox just mentioned to you.  
21 Basically, also you know we have two subgroups from  
22 the Hong Kong isolate. We prepare three candidates  
23 from both subtypes -- subgroups. I'm sorry.

24 So in the candidate one, basically we need  
25 the five basic amino acid, and then we can decide. In

1 the candidate two, we are adding one serum in bag,  
2 into the construct of candidate one. So the candidate  
3 two, we are looking like no pathogenic H5 cleavage  
4 site.

5 In candidate three, we totally change the  
6 cleavage site of the high pathogenic H5N1 HA into our  
7 H2 subtype cleavage site like sequences.

8 All construct was carefully designed based  
9 on attenuation, based on stability, and based on  
10 viability of the construct.

11 Let me give you an update of the progress  
12 which we made and the fact that we were able to use  
13 the P3 plus facilitated the use of Davis one week or  
14 so ago, and we made some progress there. I just want  
15 to single out we were able to transfect the modified  
16 HA into the code adapted virus background to generate  
17 a 7:1 intermediate. I think this is nice correlation  
18 with CDC's colleague, CDC about their approach. In  
19 fact, they were also able to make a 7:1 virus, and I  
20 think together we will be able to make any recombinant  
21 vaccine.

22 In addition, we also been able to  
23 transfect the NA into the code adapted virus  
24 background. Right now what we try to do is to take  
25 the 7:1 intermediate and try to transfect the second

1 wild type of gene into this construct to make  
2 candidates, which we plan to test in animal, first in  
3 chicken and in ferrets for its safety before we could  
4 consider it for testing safety in human.

5 The first approach I just mention to you  
6 is, in fact, what happens is we are applying our USDA  
7 permission to work with low pathogenic A/duck  
8 Singapore strain. However, the permit is still  
9 pending, and we're hoping we could get the permission  
10 as soon as possible so we could start the first  
11 approach.

12 Thank you for your attention.

13 DR. LEVANDOWSKI: Okay. That concludes  
14 our presentations, and I will turn the rest of the  
15 time over to you, Dr. Ferrieri.

16 CHAIRPERSON FERRIERI: Thank you very  
17 much, Roland.

18 Is there anyone else from industry who  
19 wanted to make a statement at this time?

20 Protein Sciences has been adequately  
21 represented or not? Any further comments?

22 DR. WILKINSON: I'm sorry?

23 CHAIRPERSON FERRIERI: I said do you feel  
24 that you've been adequately represented or do you have  
25 any other comments from Protein Sciences?

1 DR. WILKINSON: I think we've been  
2 adequately represented, but if there are anymore  
3 questions, we could address those.

4 CHAIRPERSON FERRIERI: Thank you.

5 Any questions? Dr. Couch.

6 DR. COUCH: Well, maybe we know the  
7 answer, but we've heard a good bit about the ongoing  
8 efforts of Protein Sciences and Avera. Do any of the  
9 manufacturers have any involvement so far in the  
10 laboratory at even early stage of thinking and looking  
11 at H5N1 possibilities? The current manufacturers.

12 DR. VOGDINGH: No, we don't.

13 DR. COUCH: Zero.

14 CHAIRPERSON FERRIERI: Well, I'm quite  
15 impressed with what we've heard from these other two  
16 firms and the progress that has been made.

17 Yes, Dr. Levandowski.

18 DR. LEVANDOWSKI: Could I just mentioned  
19 that in response to that question I think there is  
20 some progress that has been made in the sense that  
21 there are ongoing discussions as there always are with  
22 the manufacturers so that they understand where things  
23 stand in terms of what might be required of them when  
24 the time comes.

25 This goes on all year long, and it's part

1 of our regular interactions with manufacturers. They  
2 need to be in the loop, so to speak, on all of the  
3 issues.

4 DR. COUCH: Could I ask another question  
5 then? It might be a little bit more specific.

6 CHAIRPERSON FERRIERI: You bet.

7 DR. COUCH: I assume that the  
8 manufacturers, and as we would, are committed to  
9 preparing the trivalent vaccine that will be available  
10 for the market this fall. If we should make a  
11 decision that we'd like to have trial vaccines for  
12 development purposes, how much lead time to do have to  
13 have for ordering the egg to make additional vaccine,  
14 or is that feasible at the present time?

15 CHAIRPERSON FERRIERI: Would one of you  
16 like to --

17 DR. COUCH: Well, Bill points out it kills  
18 eggs, but you'd have to tamper with that, an earlier  
19 harvest or altered antigen to prevent that. That  
20 would be a qualifier for the vaccine preparation.

21 CHAIRPERSON FERRIERI: Yeah, that's quite  
22 a qualifier.

23 Yes, Dr. Edwards.

24 DR. EDWARDS: how widely available are  
25 these strains? Are there restrictions placed on who

1       you will send these strains to and how are they being  
2       managed?

3                   CHAIRPERSON FERRIERI:   Good question.

4                   DR. COX:   That's an excellent question,  
5       and there have been very extensive international  
6       discussions about the distribution of these particular  
7       strains, and the discussions were important because  
8       not only is there a danger to human health, but  
9       there's a very well defined danger to animal health.

10                  And so it was decided that the strains  
11       should be distributed to only those laboratories that  
12       get a USDA permit and have the proper facilities for  
13       containment.

14                  So that's part of the equation and part of  
15       the reason that the manufacturers haven't been sent  
16       these strains in advance.  They simply don't have P3  
17       plus level containment to be able to work on these  
18       strains.

19                  Roland, would you like to add anything to  
20       that?

21                  DR. LEVANDOWSKI:  No, I think that sums it  
22       up.  I guess that's something that I should have been  
23       saying, but I'm sort of assuming this, being a little  
24       bit -- what should I say? -- sleepy right now.

25                  (Laughter.)

1 DR. LEVANDOWSKI: From all of the  
2 preparations that have been going on, but, yes, that's  
3 true, and I think I alluded to that. I maybe didn't  
4 make it direct enough in my other comments.

5 There is a concern about containment for  
6 these strains, and that would be a reason that the  
7 manufacturers would not be working with these unless  
8 there's a serious intent to do something and we know  
9 they can do it safely and they know that they can do  
10 it safely.

11 CHAIRPERSON FERRIERI: We have two  
12 critical questions to address today, but before we  
13 start on that, I might say we're only five minutes off  
14 from the schedule that was prepared for us, and there  
15 are some of you who think I prepare the schedule. I  
16 don't.

17 But this is an opportunity for those of  
18 you who have felt that your questions have not yet  
19 been delivered to do so. Dr. Broome, would you care  
20 to open up a few? You had some questions on your  
21 mind. Do you still have them?

22 DR. BROOME: Well, one question I'd like  
23 to pursue a little further is a question that Bob had  
24 raised earlier as to the magnitude of the difference  
25 between Group 1 and Group 2 human strains of Hong

1 Kong, and I'm a little puzzled by the ferret antisera  
2 results because it appears that the Group 2 antisera  
3 titers are higher for the Group 1 strains than the  
4 Group 2.

5 I just wondered if someone could explain  
6 that to me.

7 DR. COX: We have very little experience  
8 with ferret antisera to these strains. Ferrets are  
9 having to be boosted. So in other words, a single  
10 intranasal infection doesn't produce a high enough  
11 titer.

12 It does look like the antiserum to the  
13 Hong Kong 483, which is a representative of Group 2,  
14 does a better job in inhibiting all of the viruses  
15 both in Group 1 and Group 2. So we have to explore  
16 that further with additional antisera.

17 We also need to have, as I mentioned  
18 before, the ferret antisera to the duck Singapore  
19 strain and see if its antigenic profile matches well  
20 enough and if the antiserum to it will inhibit viruses  
21 in both Group 1 and Group 2.

22 I think that some animals experiments  
23 would be very useful in looking at cross-protection.  
24 Although they might not be definitive for humans, they  
25 certainly would be very interesting, and I think those

1 experiments will be ongoing.

2 CHAIRPERSON FERRIERI: Anything else,  
3 Claire, you can think of at the moment?

4 DR. BROOME: Well, I have lots of  
5 questions about the animal epidemiology, but maybe it  
6 would be helpful to just try --

7 CHAIRPERSON FERRIERI: Well, I like  
8 that --

9 DR. BROOME: -- to sort out the -- you  
10 know, is there anything further that can be said about  
11 the antigenic similarity or dissimilarity of Group 1  
12 and Group 2 because I think that's absolutely key in  
13 sort of saying whether we know what candidate you  
14 would even propose?

15 CHAIRPERSON FERRIERI: Dr. Cox, do you  
16 want to address that?

17 DR. COX: We have gone ahead and modified.  
18 Because we don't have a definitive answer at this  
19 time, we're pursuing prototypes of both Group 1 and  
20 Group 2, and I think that's about all I can say at  
21 this time.

22 We will have additional ferret antiserum  
23 within the next couple of weeks, and hopefully those  
24 sera will help answer the questions, but we are  
25 pursuing prototypes of both groups so that we'll have

1           them available.

2                       DR. APICELLA:   Which one --

3                       CHAIRPERSON FERRIERI:   Dr. Apicella?

4                       DR. APICELLA:   Excuse me.  You know, which  
5           one is the strain that the gene has been sent out on?  
6           Is that 156 or 483?

7                       PARTICIPANT:   One, fifty-six.

8                       DR. APICELLA:   One, fifty-six?

9                       PARTICIPANT:   Are you talking about the  
10          Protein Sciences'?

11                      DR. APICELLA:   Yeah.

12                      PARTICIPANT:   It's 156 or 157.

13                      DR. COX:   One, fifty-six.

14                      DR. APICELLA:   And Averon also, I assume,  
15          the same.

16                      CHAIRPERSON FERRIERI:   The same strain,  
17          Dr. Cox?

18                      DR. COX:   They have 156 and 483.

19                      Dr. Apicella, and then we'll get back to  
20          you, Dr. Couch, or did you want to pursue that point  
21          now?

22                      Mike.

23                      DR. APICELLA:   This is maybe a naive  
24          question from a pathogenic bacteriologist, but this is  
25          for Dr. Webster.  If I was told that I had a strain

1 that killed an animal in a day, I'd be thinking about  
2 a toxin. Has anyone looked at these strains for super  
3 antigen production or some other antigen that could  
4 act as a toxin in the animal?

5 DR. WEBSTER: No. The actual mechanism of  
6 death in the chicken is not well established. There  
7 are lots of things to be done. Whether a cytokine  
8 induced or -- no, I can't answer the question.

9 CHAIRPERSON FERRIERI: Patients who have  
10 died have not had hemorrhagic diathesis, have they?

11 DR. COUCH: No.

12 CHAIRPERSON FERRIERI: Have they had  
13 hemorrhagic diathesis, all of them?

14 DR. COUCH: Most common virus disease  
15 leading to disseminated intravascular coagulation is  
16 influenza.

17 CHAIRPERSON FERRIERI: And you think that  
18 prevails here in these patients, as well?

19 DR. COUCH: Well, apparently the first  
20 case also had DIC, in Reye's Syndrome, DIC.

21 CHAIRPERSON FERRIERI: They're complicated  
22 by the other problems going on.

23 DR. FUKUDA: Actually, you know, several  
24 of the cases have had coagulopathies associated with  
25 their case. They haven't had overt bleeding, but

1 their PTA PTTs have been off and low platelets.

2 CHAIRPERSON FERRIERI: Could we pursue a  
3 couple of quick animal questions, Dr. Webster? I  
4 didn't quite remember whether or not ducks were  
5 positive and were susceptible to these strains, and  
6 they were not sacrificed in the first tier of what's  
7 been going on, the massacre going on in Hong Kong, the  
8 chicken massacre.

9 DR. WEBSTER: You ask a very important  
10 question because when these highly pathogenic chicken  
11 viruses are put into ducks, there's no pathogenicity  
12 at all, but the ducks usually shed. Experimentally  
13 the ones that were inoculated shed very low titers,  
14 but there's differences in breeds of ducks.

15 The important thing is both ducks and the  
16 geese can be infected totally nonpathogenic. Part of  
17 the history of these pathogenic avian influenza  
18 viruses where the ducks is not a figure.

19 CHAIRPERSON FERRIERI: Because --

20 DR. WEBSTER: A wonderful passenger in  
21 these markets for having the virus.

22 CHAIRPERSON FERRIERI: Well, we're  
23 interested in reservoirs that might persist after the  
24 chickens have been eliminated. The chickens will  
25 continue to be monitored, but many of us can't help

1 forget the photos of chickens who had managed to  
2 survive the first attempt to eliminate them and ran  
3 around Hong Kong, and they were sick.

4 The potential was there perhaps to infect  
5 other animals. Do you know if rodents have been  
6 cultured? Are rodents susceptible to the virus?

7 DR. WEBSTER: There has been surveillance  
8 done in conjunction with Dr. Shortridge. Mice and  
9 rats and dogs and cats have been tested, and there is  
10 no evidence at this time that they're susceptible.

11 So I would like to comment on this  
12 infected chickens running around Hong Kong. I think  
13 the press was rather irresponsible. I think the  
14 authorities in Hong Kong did a fantastic job in  
15 getting rid of the chickens in the markets in a very  
16 short time, and if you can imagine trying to get rid  
17 of 1.6 million chickens in one day and to train people  
18 to do that and not have a few misfortunes, then you're  
19 just God-like, and it can't be done.

20 There were very few incidences of chickens  
21 running around Hong Kong. I can assure you there  
22 weren't, and they have very successfully removed  
23 infected chickens from Hong Kong.

24 There are chickens on the ground in Hong  
25 Kong. They are not infected as far as we know with

1 H5N1.

2 CHAIRPERSON FERRIERI: Thank you. That's  
3 very reassuring, Dr. Webster, and I agree with you  
4 that unfortunate photos got into the newspapers.

5 Other comments from the panel or shall we  
6 move on?

7 Dr. Broome, yes.

8 DR. BROOME: I just wondered if you could  
9 clarify how a virus which resulted in 70 percent  
10 mortality in the chicken farms would be found in all  
11 of the bird markets with no apparent illness.

12 DR. WEBSTER: That's a question that we  
13 have to resolve scientifically over the next several  
14 months. There are several possibilities.

15 We're talking about a different breed of  
16 chickens. We're talking about the -- you saw the  
17 pictures of the chickens. These are red chickens. We  
18 use white chickens in the United States to determine  
19 pathogenicity.

20 There are genetic differences between  
21 these birds. I can't give you an answer at this time,  
22 but I think within a matter of months when we resolve  
23 the complete picture of what was going on in these  
24 markets we will come up with an answer on that  
25 subject.

1 CHAIRPERSON FERRIERI: Dr. Clements-Mann.

2 DR. CLEMENTS-MANN: Yes. I just was  
3 wondering, given -- I mean, just in the preparedness  
4 spirit, wouldn't it make sense to go ahead and prepare  
5 the reassortants or at least to make a prototype  
6 inactivated vaccine and do some clinical testing just  
7 to be that far ahead while we do have time?

8 I just wondered what the real plan is  
9 there.

10 CHAIRPERSON FERRIERI: Who wants to take  
11 that one on? Dr. Cox looks interested, but --

12 (Laughter.)

13 DR. COX: We're asking for advice from the  
14 Committee actually no that. I think that there's a  
15 general consensus that this would be a prudent thing  
16 to do, and we hope that there is agreement here in  
17 this room that we should proceed with the preparation  
18 of suitable vaccine candidate strains and they should  
19 be used in clinical trials.

20 CHAIRPERSON FERRIERI: This is a great  
21 entre then for us to move directly to the level of  
22 urgency, three words of the day, the rest of the day.

23 Number one, please comment on the need for  
24 immediate production of H5N1 vaccines for general use  
25 or for use in developmental clinical trials.

1                   Who wants --

2                   DR. WEBSTER: I have to leave to catch a  
3 plane.

4                   CHAIRPERSON FERRIERI: Sorry.

5                   DR. WEBSTER: I would urge you to go ahead  
6 immediately with the preparation of vaccines. You  
7 have no assurance that these viruses are not still in  
8 Southeast Asian, either in human or in the duck  
9 population. So you have a window of opportunity to do  
10 it. Do it now.

11                  CHAIRPERSON FERRIERI: Thank you, Dr.  
12 Webster. We appreciate all of your contributions  
13 today.

14                  How do you feel about this, Dr.  
15 LaMontagne?

16                  DR. LaMONTAGNE: Well, I think it's  
17 actually important to try to develop some of these  
18 vaccines. That's why we took the steps that we took  
19 in December to get at least a purified HA vaccine  
20 produced.

21                  I think there are logistic problems, some  
22 of which Nancy mentioned a moment ago, which the  
23 manufacturers are quite sensitive to and the USDA is  
24 quite sensitive to in terms of making an inactivated  
25 influenza vaccine in the conventional manner.

1           So I think working through around the  
2 strategies that were described by the Avera group and  
3 by the Protein Sciences group and also by the CDC and  
4 FDA groups, I think we will be able, one hopes, in the  
5 next several weeks to really make a decision as to  
6 whether or not we can make a conventional inactivated  
7 vaccine along the lines that Dr. Clements-Mann was  
8 suggesting.

9           I think we do need to do that, and we're  
10 fully prepared to try to do whatever we can to see  
11 that that happens.

12           CHAIRPERSON FERRIERI: Dr. Kilbourne, what  
13 is your reflection on this point?

14           DR. KILBOURNE: Well, I agree absolutely  
15 with both Dr. Webster and Dr. LaMontagne. I think  
16 that what always happens and what's happened in the  
17 past every time we have this kind of pandemic alert is  
18 there's an enormous amount of interest by everybody in  
19 the situation, and the minute it starts to ebb away,  
20 the interest ebbs away and the momentum.

21           I would urge even if somebody promised you  
22 there was never going to be another case of H5N1, that  
23 this should be a paradigm for doing some of the kinds  
24 of clinical trials that I think were mentioned by  
25 others.

1                   You have the opportunity to put a  
2                   potentially dangerous antigen which need not be in  
3                   dangerous form when it is used in trial by the methods  
4                   we heard about and put it into a completely  
5                   susceptible population, immunologically virgin, and  
6                   find out how they will respond, and we can bring other  
7                   changes on this.

8                   So I would think not only should a vaccine  
9                   be made, but there should be clinical trials of this  
10                  vaccine.

11                  CHAIRPERSON FERRIERI: We'll get to the  
12                  second point in a moment.

13                  Dr. Karzon, what are your thoughts on  
14                  this?

15                  DR. KARZON: I would like to commend the  
16                  early entry into this project on the part of the NIH  
17                  with a new actor in vaccine development at the  
18                  operational level at any rate, if I remember, and the  
19                  CDC, and what I would like to ask, if I vote for  
20                  progressing, which I will, I'd like to know how long  
21                  it's going to take.

22                  There are so many if, buts, and ands in  
23                  the development of this process which are unknown. It  
24                  would be very useful to be able to project what we  
25                  will learn in trying to do something about it if we

1 wish to.

2 CHAIRPERSON FERRIERI: Nancy or John,  
3 would you like to respond?

4 DR. LaMONTAGNE: Well, David, that's very  
5 difficult to predict. I mean if your question really  
6 relates to whether or not we can go ahead and make a  
7 conventional inactivated vaccine of the traditional  
8 formulation that we've been using in the United States  
9 for the last 20 years or so, I think that's going to  
10 depend on which antigen one selections, and the  
11 choices are limited and perhaps not ideal if one were  
12 to go ahead today.

13 I mean, you're either going to have to use  
14 something like the A/duck/Singapore strain or another  
15 A virulent virus of that type.

16 So I think once that decision on what  
17 antigen to include, I think it could move fairly  
18 rapidly assuming that we could get it produced in a  
19 reasonable way. I don't see that that would be  
20 necessarily a problem.

21 But I think you're talking about months  
22 rather than weeks or days before one would have such  
23 a vaccine.

24 CHAIRPERSON FERRIERI: Nancy, do you have  
25 a little comment on that?

1 DR. COX: Yes, I agree. It will be months  
2 before we can get appropriate candidates, get them  
3 tested for safety, and actually get some clinical  
4 trials underway.

5 CHAIRPERSON FERRIERI: FDA has not asked  
6 us for a formal vote on this, but I really feel that  
7 it would be important for us to get the sense of the  
8 Committee. The strength of our thoughts on this could  
9 give a great deal of impetus and support to all of the  
10 federal agencies involved, and I would like a show of  
11 hands of the Committee members and the temporary  
12 voting members who are moving ahead for immediate  
13 production, appreciating what "immediate" means, that  
14 it's not going to be days or weeks.

15 Could I get a feeling for the support of  
16 moving ahead with the production of the H5N1?

17 (Show of hands.)

18 CHAIRPERSON FERRIERI: That's superb.  
19 It's unanimous, and we'd like to convey the strength  
20 of our thinking on this point.

21 This is not an impetuous approach. I  
22 think we've got to be prepared. Our thinking reflects  
23 the considerable data we've heard at this time and  
24 some of the preliminary information we heard back in  
25 December.

1 I'd like to -- yes, Dr. Eickhoff.

2 DR. EICKHOFF: Could you sort of put a  
3 qualifier around this, or at least I would like to put  
4 a qualifier around this?

5 CHAIRPERSON FERRIERI: Sure. Let's hear  
6 it.

7 DR. EICKHOFF: First of all, I think this  
8 is a golden opportunity in many ways for us to learn  
9 a great deal. I absolutely think we should go ahead,  
10 find a suitable candidate or two, make vaccine for  
11 clinical testing, test it, determine the safety and  
12 efficacy in humans, and come to a point of being ready  
13 to go if there should be a pandemic. We're all set  
14 and ready to make vaccine for public use.

15 We'll learn a whole lot in the process,  
16 but I don't -- I mean, the qualification that I would  
17 put on it was that I don't think we're anywhere near  
18 making a decision to make a vaccine for widespread use  
19 in the population.

20 CHAIRPERSON FERRIERI: That is implicit in  
21 our thinking, in our recommendation, but I value your  
22 having brought that up.

23 No, it's not a push to do anything  
24 impetuously at all, but to be prepared.

25 Shall we move on then to the next

1 question? Dr. Snider?

2 DR. COUCH: Well, I'd like to say, Pat, if  
3 I could, I think an important component of that is not  
4 waiting for each step to get completed before we take  
5 the next step. We need a time frame that's out here  
6 which might commit us to the Singapore or the  
7 recombinant out of Britain or the best one that's come  
8 out of the current effort, you know, as we go ahead,  
9 and when the time frame is there for preparing what we  
10 want to call something like a conventional vaccine for  
11 these trials.

12 CHAIRPERSON FERRIERI: Yeah.

13 DR. COUCH: That needs to be set up, and  
14 the manufacturer certainly needs to be a part of those  
15 discussions.

16 CHAIRPERSON FERRIERI: Yes, absolutely.

17 Dr. Snider.

18 DR. SNIDER: Well, I just wanted to make  
19 explicit what I think other people were implying, and  
20 that is that when we say we'll learn an awful lot, we  
21 don't mean just in terms of scientific information,  
22 but in terms of pandemic preparedness. So I think  
23 it's important to move forward for what we will learn  
24 hopefully in time to allow us to move more  
25 knowledgeably, more rapidly to deal with an actual

1 pandemic situation.

2 So it's not just adding to the body of  
3 scientific knowledge.

4 CHAIRPERSON FERRIERI: Well, that's true,  
5 and I wonder if you could expand upon that point, how  
6 you would view the nature and scope of any clinical  
7 trials that would be in the future. This is premature  
8 for us to discuss it in the kind of detail we're used  
9 to hearing, but perhaps we could open up the subject  
10 at least, and it would be helpful for CBER.

11 What would be your notion of the nature  
12 and scope of this to move forward in a bigger way  
13 towards ultimate licensure? What would your  
14 requirements be?

15 DR. SNIDER: I think there are a lot of  
16 things to tease apart, but let me just start with some  
17 basic assumptions.

18 That we were to move forward with  
19 developing vaccines that we determine were safe and  
20 immunogenic in the experimental animals.

21 Subsequently we would move into Phase 1  
22 trials in humans, to look at safety and immunogenicity  
23 in humans.

24 Whether we move further in this particular  
25 setting, I think it's difficult right now to say. It

1 will depend upon further developments. If the  
2 question is that we believe that in a risk assessment  
3 that there still remains a significant risk that,  
4 let's say, H5N1 is likely to present a threat, if that  
5 further information unfolds, then we clearly have to  
6 move to the point of doing the Phase 2 and Phase 3  
7 trials.

8 And one of the questions would be how many  
9 people need to be included. It seems to me -- and  
10 what kinds of people need to be included. Certainly  
11 we need to think about all the different age groups.  
12 We need to be sure the children are included, that  
13 young adults, and the older adults or elderly are  
14 included because we all know they respond differently  
15 to the vaccines or potentially could.

16 CHAIRPERSON FERRIERI: What about  
17 occupational risk? Should we think that one of the  
18 natural groups to focus on as we move forward would be  
19 poultry workers?

20 DR. SNIDER: Yeah, I think in terms of  
21 thinking about who would be suitable candidates,  
22 clearly one would think in terms of those who are most  
23 likely to be exposed, and in the end I think you're  
24 going to talk about at least several hundreds if not  
25 maybe a few thousand people.

1                   CHAIRPERSON FERRIERI: Right.

2                   Dr. Couch, do you have some reflections on  
3 this particular point?

4                   DR. COUCH: No, I think I've heard  
5 everything Dixie was saying and I agree that the kind  
6 of trials we're talking about were the model for those  
7 trials I think Roland alluded to earlier, that a lot  
8 of us were in the middle of, the swine trials and  
9 followed up by the USSR trials, and the dose  
10 responses, the two doses, the different age ranges are  
11 an essential component of that, and that both is a  
12 scientific exercise, but it's a very important  
13 prelude, public health exercise to where we might go.

14                   And I guess I have to view my view. Rob's  
15 not here. As Dixie says, what is the assessment of  
16 the risk? We can't assess that risk. We actually  
17 don't know what it is, and that makes the decision for  
18 us, I think. It's because we don't know that that  
19 risk does, indeed, exist, and therefore, we have to  
20 move forward with preparation and hope that it  
21 disappears.

22                   But at the present time we don't know.

23                   CHAIRPERSON FERRIERI: Right.

24                   Dr. Clements-Mann.

25                   DR. CLEMENTS-MANN: I was just also

1       wondering and thinking ahead of some of the approaches  
2       that will put us in the question of whether to move  
3       forward with, that it also might be prudent to get  
4       some proof of concept with, for instance, the  
5       baculovirus expressed recombinant, you know, with a  
6       different -- you know, with an acceptable virus for  
7       challenge or, you know, to get some efficacy data just  
8       so that one could extrapolate from a vaccine that has  
9       been shown to be protective with a different  
10      hemagglutinin, you know, into future use.

11                   CHAIRPERSON FERRIERI: Other points? Yes,  
12      Dr. Kilbourne and then Dr. LaMontagne.

13                   DR. KILBOURNE: I think there's a much  
14      larger issue at stake here. I'm a little concerned  
15      that the conversation right now perhaps rightly is  
16      focusing on H5N1, but actually in 1971 right after the  
17      ability to transfer high yield characteristics to  
18      vaccine viruses was discovered, it was suggested that  
19      we prepare a bank or library of all the existing  
20      finite, non-Andromeda strain antigens which surround  
21      us in animals and prepare high yield reassortants in  
22      advance.

23                   It's a little frustrating for some of us  
24      to see us going through these motions now in 1998. We  
25      could already have such a vaccine.

1           I think the kind of evidence I've heard  
2 this morning from Dr. Perdue and others of the cross-  
3 antigenic relationships within subtype is a critical  
4 thing to appreciate because one of the criticisms of  
5 this kind of approach has been that you always have to  
6 have the exact match.

7           I think in terms of the safety  
8 considerations of personnel, laboratory workers, and  
9 everything, you don't have to have the exact match.  
10 As Dr. Webster himself pointed out before leaving,  
11 there may be enough heterovariant relationship to  
12 prevent death or serious illness.

13           So I really hope that -- and I mention  
14 this because the Pandemic Planning Committee, which  
15 has been leading a life of quiet desperation now for  
16 five years and is now getting a gleam in its eye with  
17 this revival of interest, has been considering these  
18 things very seriously. I think ultimately this group  
19 is going to have to think about this and advise us.

20           CHAIRPERSON FERRIERI: Thank you.

21           Dr. LaMontagne.

22           DR. LaMONTAGNE: I was just going to add  
23 basically the following thought, and that is that I  
24 think I agree totally with Bob and his conclusion that  
25 we don't know what the risk is. So as a consequence,

1 I think we have to proceed along two pathways.

2 One of them is the recognition that the  
3 reliance is, in fact, the worst case scenario ensues,  
4 and that is we have H5N1 coming back. The public  
5 health reliance will be on those interventions which  
6 have been certified and with which we have experience,  
7 namely, the conventional inactivated influenza  
8 vaccines that we all know and use.

9 So there has to be an effort to try to  
10 produce that kind of vaccine, and in parallel, I would  
11 go along very strongly with what Dixie and others have  
12 said, and that is including Ed's recent comments,  
13 about looking at other approaches, but I think we have  
14 to recognize that the major tool we will have will be  
15 the inactivated vaccines that are currently licensed,  
16 and that has to be reflected in our priorities.

17 CHAIRPERSON FERRIERI: Dr. Broome.

18 DR. BROOME: I agree with what John's  
19 saying, but I guess I'm still remembering our sort of  
20 egg dilemma from the morning, and it seems to me that,  
21 yes, we need to go ahead with candidate vaccines  
22 through production and Phase 1 and 2 testing, but that  
23 still doesn't address the time delay if, in fact, a  
24 worst case scenario ensues in terms of large scale  
25 production.

1                   I'm certainly not an influenza virologist  
2                   or vaccinologist, but it just occurs to me whether we  
3                   shouldn't also be investing substantial efforts in  
4                   tissue culture alternatives or other ways in which you  
5                   could avoid the egg dilemma.

6                   CHAIRPERSON FERRIERI:     Any scientific  
7                   response to that latter point?

8                   No one would disagree with you, Claire.  
9                   What do we have cooking?

10                  Dr. LaMontagne.

11                  DR. LaMONTAGNE:   Well, I mean, obviously  
12                  if we have alternative sources, that would be  
13                  wonderful, but I just remind the group that the  
14                  production of influenza vaccines and embryonated eggs  
15                  is a long, established, and quite well developed  
16                  industrial process, and that if you're really talking  
17                  for large amounts of vaccine that might be required to  
18                  counteract a pandemic event, I think this is what  
19                  you're going to -- you're going to have to deal with  
20                  this one, I think, in eggs unfortunately.

21                  DR. COUCH:       Yeah, I think Mary Lou  
22                  emphasized that a minute ago. This is an opportunity  
23                  for new approaches to be in the comparative database,  
24                  and that's how you develop your credibility for the  
25                  future.

1                   CHAIRPERSON FERRIERI:    Well, I'm very  
2                   impressed today with what we've heard, the cooperation  
3                   that's been international, bringing together data from  
4                   many, many sources, the U.S. cooperation among at  
5                   least three federal agencies, the interaction with  
6                   industry.  It is all very impressive and has taken  
7                   place over a short time.

8                   So on behalf of the Committee members, I  
9                   want to thank you all for educating us superbly today  
10                  and, again, congratulate you for all that you've  
11                  accomplished under enormous stress and time deadlines.

12                  I think we'll be hearing more from all of  
13                  you in the relatively near future.

14                  I'd like to now turn the meeting over to  
15                  Ms. Cherry for the open public hearing.

16                  MS. CHERRY:  At this time we will see if  
17                  there is anyone in the audience that wishes to make a  
18                  statement.

19                  Yes, would you come forward and state your  
20                  name.

21                  MR. PETERSON:  Paul Peterson from Biochem  
22                  Vaccines in Canada.  We're producer of about half of  
23                  Canada's vaccine using the traditional egg approach.

24                  I just wanted to make a comment on Dr.  
25                  Broome's    comments    earlier    about    alternative

1 approaches. We're pursuing tissue culture technology  
2 for inactivated vaccine. We're in late stage trials  
3 right now for this process.

4 We don't know where we're going with the  
5 H5N1, but everyone in this room knows of the potential  
6 of where this could go. So I just wanted to say that  
7 we have initiated a program to evaluate growth  
8 potential and possibility of vaccine production for an  
9 H5N1 vaccine using our tissue culture technology.

10 So we all hope that the traditional egg  
11 approach and attenuated strains that could be used in  
12 the traditional way will be successful, but I just  
13 wanted to state that as an approach to have a Plan C  
14 if things go wrong or to remind everyone like with the  
15 situation with not only the egg supply and the  
16 logistics of egg supply, but also to remind everyone  
17 that our egg supply is also susceptible to this virus.

18 If something really bad happens, I just  
19 wanted to make people aware of that. So we are trying  
20 to do our best to pursue, you know, Plan D if  
21 something really bad happens.

22 Thank you.

23 CHAIRPERSON FERRIERI: Thank you very  
24 much.

25 MS. CHERRY: Is there anyone else who

1 would like to make a statement?

2 (No response.)

3 MS. CHERRY: If not, then I'll declare the  
4 open public hearing closed.

5 CHAIRPERSON FERRIERI: Thank you, Ms.  
6 Cherry.

7 Again, I want to thank the Committee  
8 members and also for the leadership displayed by Dr.  
9 Roland Levandowski, and we'll adjourn for today.

10 (Whereupon, at 4:30 p.m., the meeting was  
11 adjourned.)