

UNITED STATES OF AMERICA

+ + + + +

DEPARTMENT OF HEALTH AND HUMAN SERVICES

PUBLIC HEALTH SERVICE

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EDUCATION AND RESEARCH

VACCINES AND RELATED PRODUCTS ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

FRIDAY, NOVEMBER 20, 1998

+ + + + +

The Committee met in Versailles Rooms I and II, Holiday Inn, Bethesda, Maryland, at 8:00 a.m., Patricia L. Ferrieri, M.D., Chair, presiding.

PRESENT:

- PATRICIA L. FERRIERI, M.D., Chair
- NANCY CHERRY, Executive Secretary
- REBECCA E. COLE, Member
- ROBERT S. DAUM, M.D., Member
- KATHRYN M. EDWARDS, M.D., Member
- DIANNE M. FINKELSTEIN, PhD, Member
- HARRY B. GREENBERG, M.D., Member
- CAROLINE B. HALL, M.D., Member
- ALICE S. HUANG, PhD, Member
- KWANG SIK KIM, M.D., Member
- STEVE KOHL, M.D., Member
- GREGORY A. POLAND, M.D., Member
- DIXIE E. SNIDER, JR., M.D., MPH, Member

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

visit our website at:  
[www.nealrgross.com](http://www.nealrgross.com)

NEAL R. GROSS  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

OPEN

PRESENT: (continued)

Invited Participants:

- ROBERT BREIMAN, M.D.
- ROBERT CHANOCK, M.D.
- NANCY COX, PhD
- THEODORE EICKHOFF, M.D.
- EDWIN KILBOURNE, M.D.
- BRIAN MURPHY, M.D.
- GEOFFREY SCHILD, PhD
- ROBERT WEBSTER, PhD
- PETER WRIGHT, M.D.

DR. ROLAND LEVANDOWSKI, Speaker

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

I-N-D-E-X

	<u>Page</u>
Announcements, Introductions .....	4
Open Public Hearing.....	6
SESSION VIII Open Session:	
Introductions and Objectives .....	6
Dr. Roland Levandowski, FDA	
Pre-clinical studies .....	23
Dr. Brian Murphy	
Clinical Studies .....	66
Dr. Peter Wright	
Open Public Hearing .....	94
Presentation of Questions for Discussions .....	94
Dr. Roland Levandowski	
Committee Discussion .....	95

**NEAL R. GROSS**  
 COURT REPORTERS AND TRANSCRIBERS  
 1323 RHODE ISLAND AVE., N.W.  
 WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

## P-R-O-C-E-E-D-I-N-G-S

(8:02 a.m.)

CHAIRPERSON FERRIERI: Good morning, everyone. Good morning. I'd like to call the meeting to order, if you could all takes seats, please. I'm not in fine singing form this morning to sing "You Are My Sunshine," but the equivalent of that plea is in our thoughts as we resume our seating here.

Members who have been designated by Mrs. Cherry, please take your seats, and then the audience. Good morning. I'm Patricia Ferrieri, the Chair of the Vaccines and Related Biological Products Advisory Committee. We are in open session this morning, and I'd like to start by making introductions.

It looks like some of our members aren't here, but you will see them joining us shortly, I hope. I'm from the University of Minnesota Medical School. I'd like to start at Dr. Greenberg's end at my far right, if you could give your name and institutions, please.

DR. GREENBERG: Dr. Harry Greenberg, Stanford University and the Palo Alto V.A. Hospital.

DR. EDWARDS: Kathy Edwards, Vanderbilt University, Nashville, Tennessee.

DR. SNIDER: Dixie Snider, Centers for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Disease Control and Prevention.

2 DR. KIM: Kwang Sik Kim, Children's  
3 Hospital Los Angeles.

4 DR. HALL: Caroline Hall, University of  
5 Rochester, Rochester, New York.

6 DR. KOHL: Steve Kohl, University of  
7 California San Francisco.

8 DR. HUANG: Alice Huang from Cal Tech.

9 MS. COLE: Rebecca Cole, Consumer  
10 Representative from Chapel Hill, North Carolina.

11 DR. DAUM: I'm Robert Daum from the  
12 University of Chicago.

13 MS. CHERRY: Nancy Cherry, FDA.

14 DR. EICKHOFF: Ted Eickhoff, University of  
15 Colorado, Denver.

16 DR. SCHILD: Geoffrey Schild, NIBSC,  
17 United Kingdom.

18 DR. CHANOCK: Robert Chanock  
19 Allergy/Infectious Diseases Institute, NIH.

20 DR. MURPHY: Brian Murphy, the same.

21 DR. WRIGHT: Peter Wright, Vanderbilt.

22 DR. COX: Nancy Cox, CDC.

23 DR. WEBSTER: Rob Wester, St. Jude  
24 Children's Research Hospital.

25 CHAIRPERSON FERRIERI: Thank you. As we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 continue during the morning, I would like to advise  
2 everyone that FDA has set this schedule and the timing  
3 -- the timeline here, and committee members are taking  
4 this very seriously and have planes to catch.

5 So we will adjourn at one o'clock, whether  
6 we are through or not. Those of you who watched the  
7 hearing yesterday know how very gracious Henry Hyde  
8 can be, but I haven't taken lessons from him, but  
9 occasionally I'll refer to Dr. Edwards as my  
10 distinguished and gentle colleague from Tennessee.

11 Well, we'll start with the open public  
12 hearing. I'll turn this over to Mrs. Cherry.

13 MS. CHERRY: At this time, this is an  
14 opportunity, if there is anyone in the audience that  
15 wishes to come forward and make a statement.

16 If there is no one, then we will proceed  
17 with the meeting.

18 CHAIRPERSON FERRIERI: Thank you. The  
19 session is on live attenuated influenza virus  
20 vaccines, a rather general session, and the  
21 introduction and objectives will be presented by Dr.  
22 Roland Levandowski from FDA. Good morning, Roland.

23 DR. LEVANDOWSKI: Good morning. Thank  
24 you, Dr. Ferrieri. If you can't hear me, I'm told  
25 that I'm sometimes not close enough to the microphone.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 So if I'm fading out, would you give me a push and  
 2 remind me to get up here, because I do want people to  
 3 hear what I've got to say. Could somebody turn on the  
 4 first slide, please, or can I do that from here?  
 5 Thank you.

6 We're here this morning to talk about live  
 7 attenuated influenza virus vaccines. Clinical trials  
 8 completed during the current inter-pandemic period  
 9 have demonstrated the feasibility of producing live  
 10 influenza vaccines for the prevention of naturally  
 11 occurring influenza.

12 In the future, product license  
 13 applications for live influenza vaccines may be  
 14 brought before the Committee for specific advice.  
 15 However, today we are asking the Committee to consider  
 16 and discuss in a very general sense some aspects with  
 17 known or theoretical capability to affect the safety  
 18 of live influenza vaccines.

19 The large scale of live influenza vaccines  
 20 in the United States, particularly in pediatric  
 21 practice, has already been discussed at other earlier  
 22 meetings of public health and medical organizations.  
 23 The potential exposure of a large segment of the  
 24 population to a potentially transmissible and  
 25 infectious agent prompts us to raise issues of generic

1 interest to the individual and society.

2 Some of the issues for discussion this  
3 morning have been raised previously by members of the  
4 medical and pharmaceutical communities involved in the  
5 exploratory work. However, it seems appropriate to  
6 revisit some topics, particularly where additional  
7 information has accumulated and understanding is more  
8 profound.

9 In order to focus the discussion, I will  
10 first list the issues, and I will then briefly present  
11 some background information. The speakers who come  
12 after me this morning will address specific topics in  
13 greater depth.

14 This slide shows the issues for  
15 discussion. By the way, the Committee has in front of  
16 them a packet that should have these slides, so that  
17 if you're having trouble seeing the screen from where  
18 you are, you can refer to the information packet.

19 This slide shows the issues for  
20 discussion. We ask that the Committee first comment  
21 on markers used to predict the attenuation of life  
22 attenuated influenza virus vaccines.

23 It is now possible to understand  
24 attenuation at the molecular level. However, life  
25 influenza vaccines will undoubtedly face the regular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 challenge of keeping up with antigenic changes, just  
2 as the inactivated vaccines do now.

3 If genetic composition has any  
4 unpredictable expressions, then what phenotypic  
5 markers would be needed to ensure the reliability of  
6 life influenza vaccines?

7 We also ask the Committee to comment on  
8 biological containment for the development and  
9 manufacture of live attenuated influenza virus  
10 vaccines. When new strains that appear with the  
11 potential to spread widely, what control measures will  
12 prevent the premature release of a strain of unknown  
13 pathologic potential?

14 Please keep in mind that manufacturing  
15 facilities are very specifically designed to protect  
16 products from contamination and not to protect the  
17 environment from the product.

18 The experience of reintroduction of the  
19 H1N1 influenza A viruses in man in 1977 perhaps  
20 illustrates the concern. Genetic analysis of the 1977  
21 H1N1 strain shows very clearly that it is related to  
22 viruses from the 1950s and does not represent a strain  
23 that was undergoing continued evolution in nature.

24 The observation is so striking that this  
25 H1N1 virus has been described as being frozen in time,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 with the implication being that it quite literally  
2 went from prolonged storage in a freezer into a  
3 population susceptible after 20 years' absence of the  
4 strain.

5 The non-trivial nature of the event is  
6 demonstrated by the fact that H1N1 influenza A viruses  
7 have continued to circulate, have produced epidemics,  
8 and have undergone substantial natural antigenic  
9 evolution during intervening years.

10 We also ask the Committee to comment on  
11 the introduction of new influenza virus strains, and  
12 I'll emphasize strains, and subtypes into the  
13 community in the form of live attenuated influenza  
14 virus vaccines.

15 For example, if introduction of a new  
16 strain is purposeful, what is the probability that a  
17 natural reassorting event would produce a  
18 nonattenuated strain? If the situation arises that a  
19 strain with potential to spread widely is identified  
20 but remains confined to a small geographic region,  
21 what safety concerns should be entertained in  
22 conducting clinical trials?

23 A similar situation has already been  
24 encountered with the H5N1 viruses in Hong Kong, which  
25 caused infections in man but failed to spread to other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 areas of the world.

2 Finally, we ask the Committee to comment  
3 broadly on possible clinical consequences of live  
4 attenuated influenza virus vaccines, including  
5 secondary bacterial infections and hypersensitivity  
6 reactions.

7 What information should be gathered or  
8 what studies should be performed to understand the  
9 incidence of adverse experiences that might logically  
10 be expected to occur with influenza viruses grown in  
11 eggs?

12 I'll begin by reviewing some general  
13 features of influenza viruses and vaccines. Influenza  
14 A and B viruses produce the febrile respiratory  
15 illness that we call influenza or grippe, and during  
16 the winter months of most years influenza A and B  
17 viruses produce epidemics in the United States that  
18 are characterized by serious morbidity and mortality.

19 Unlike influenza B viruses, the influenza  
20 A viruses can be divided into a large number of  
21 antigenically distinguishable subtypes based on  
22 characterization of the hemagglutinins and  
23 neuraminidases of the viruses.

24 Although influenza B is almost entirely  
25 confined to human populations, influenza A viruses

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 infect many animal species, and birds in particular  
2 serve as the natural reservoir for preservation of the  
3 15 known hemagglutinin subtypes and nine known  
4 neuraminidase subtypes.

5 Currently, two subtypes of influenza A are  
6 present in human populations, the H3N2 viruses that  
7 first appeared in 1968 and H1N1 viruses that  
8 reappeared in 1977 after a 20 year absence. H2N2  
9 viruses have also circulated widely in human  
10 populations, but were last found during the years  
11 between 1957 and 1968.

12 More recently, the H5N1 influenza A  
13 viruses in Hong Kong during 1997 demonstrate  
14 definitively that additional subtypes of avian origin  
15 can infect man and cause serious respiratory illness  
16 and death.

17 This figure is the cartoon structure of an  
18 influenza virus, and it shows the eight gene segments  
19 of the virus and the structural proteins of the  
20 nucleocapsid and lipid envelope. The genetic  
21 complement of an influenza virus determines the host  
22 range of the virus and appears to be optimized for  
23 specific hosts. Thus, human influenza viruses appear  
24 to be optimized for persistence in man, and animal  
25 strains appear to be optimized for their animal hosts.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The segmented nature of the influenza  
2 virus genome also has distinct implications for the  
3 ability of influenza viruses to persist in the  
4 environment. In particular, mixed infections permit  
5 shuffling of gene segments to produce new combinations  
6 that may alter the phenotypic characteristics. It's  
7 what we refer to as reassortment.

8           This slide shows -- I don't know if that's  
9 in focus; it's kind of fuzzy to me. So maybe someone  
10 could see if they could focus that. This slide shows  
11 the full gene complement, polymerases, PB1, PB2 and  
12 PA, hemagglutinin, nucleoprotein, neuraminidase,  
13 matrix and NS of the H1N1, H2N2, and H3N2 viruses in  
14 man during the 20th Century using the H1N1 strain as  
15 the root.

16           At least twice, in 1957 and again in 1968,  
17 reassorting events involving human and avian influenza  
18 A viruses resulted in pandemics of influenza with  
19 rapid dissemination of the new reassortant viruses.  
20 In both events, new avian influenza virus genes were  
21 introduced into human influenza virus strains.

22           It is possible that the transfer of the  
23 hemagglutinin gene alone was sufficient to establish  
24 the new reassortant viruses in man, but the fact that  
25 one of the polymerase genes was also exchanged on each

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 occasion suggests that other properties affecting the  
2 host/parasite interaction could be transferred  
3 independently.

4 As has been determined, for the recent  
5 Hong Kong H5N1 influenza A viruses, a full complement  
6 of avian origin genes in the absence of -- where there  
7 was a full complement of avian genes in the absence of  
8 reassortment with a human influenza A virus, that may  
9 very likely explain the failure of the H5N1 viruses to  
10 spread in man.

11 Now reassorting, however, also offers  
12 advantages in controlling influenza viruses.  
13 Influenza viruses are readily reassorted in the  
14 laboratory, and it is frequently possible to select  
15 progeny viruses with attributes from a wild type  
16 influenza strain and a donor with specific desirable  
17 properties.

18 For example, reassortant viruses capable  
19 of high growth in eggs and having the hemagglutinins  
20 and neuraminidases of new wild type strains have  
21 become increasingly important to permit production of  
22 inactivated vaccines to keep up with increasing  
23 demand.

24 Likewise, the ability to produce  
25 reassortants between wild type strains and strains of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 greatly reduced virulence has permitted the  
2 development of live attenuated influenza virus  
3 vaccines.

4 The next series of slides describe the  
5 clinical features of naturally occurring influenza  
6 virus infections in man. Influenza viruses are spread  
7 among people both by infected aerosols and by direct  
8 contact with the infected secretions of other persons  
9 or animals.

10 The infectious dose is variable, and it  
11 relates partly to the previous immunologic experience  
12 of the host and partly to the number of infectious  
13 units in the inoculum. The viruses replicate  
14 predominantly in the respiratory epithelium, but they  
15 may also be found in monocytes, macrophages and  
16 polymorphonuclear leukocytes.

17 The illness produced by influenza viruses  
18 consists of a well described constellation of local  
19 respiratory and systemic symptoms, and these symptoms,  
20 of course, include sore throat, sneezing, nasal  
21 obstruction, nasal discharge, cough, fever, malaise,  
22 myalgias and headaches.

23 The pathophysiology underlying these  
24 symptoms relates to the replication of the viruses and  
25 the host responses to them. For example, influenza

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 virus infection is associated with release of a number  
2 of inflammation inducing and augmenting mediators,  
3 including interleukin-1 and TNF alpha.

4 It has been well documented that bacterial  
5 pneumonia is more frequent following an acute episode  
6 of influenza virus infection, and the bacteria found  
7 as the cause routinely include hemophilus influenzae,  
8 staphylococcus aureus and streptococcus pneumoniae.

9 In addition, infections of the paranasal  
10 sinuses and the middle ear are also increased in  
11 frequency following influenza virus infections, and  
12 may be associated with the same types of bacteria.

13 The association of bacterial infection  
14 with influenza may be a result of the effects of  
15 influenza viruses on host defenses. Very prominently,  
16 even in uncomplicated influenza virus infections,  
17 respiratory tract clearance mechanisms are disrupted  
18 by damage to the ciliated respiratory epithelial  
19 cells.

20 Chemotaxis, phagocytosis, bacterial  
21 killing and other functions of leukocytes are impaired  
22 by influenza virus infection, and alterations in the  
23 cell surface also occurs, as shown by the enhanced  
24 ability of bacteria to adhere to infected cells and to  
25 grow in the respiratory tract.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Other less common complications include  
2 primary influenza virus pneumonia that may occur with  
3 or without concurrent bacterial pneumonia. The  
4 development of viral pneumonia reflects the ability of  
5 influenza viruses to replicate at temperatures of 37  
6 Centigrade and above in the trachea, branchiae and  
7 alveoli.

8 Disorders outside the respiratory tract  
9 during and following acute influenza virus infections  
10 have been reported anecdotally very infrequently; but  
11 extrapulmonary disorders have been linked to influenza  
12 virus infections on the basis of serological evidence  
13 of a recent influenza virus infection or recovery of  
14 a virus from the respiratory tract.

15 Myositis, myocarditis and pericarditis  
16 have been associated in a few instances with the  
17 recovery of an influenza virus from the affected  
18 tissues, and a variety of neurological syndromes,  
19 including Reyes syndrome, encephalomyelitis,  
20 meningitis and Guillain-Barre syndrome, have been  
21 reported following an acute episode of influenza, but  
22 in the vast majority of patients no influenza virus  
23 has been recovered from neural tissue.

24 Death is noted here as a rare complication  
25 of influenza, which it is as a percent of all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 influenza virus infections. However, since influenza  
2 is such a widespread illness, the absolute toll of  
3 tens of thousands of excess deaths during influenza  
4 epidemics is actually quite substantial.

5 All of these examples of what influenza  
6 viruses can do in man, of course, are exactly what  
7 live vaccines should not do if they are to be  
8 valuable.

9 The primary means of controlling influenza  
10 for more than 50 years has been inactivated influenza  
11 virus vaccines. The inactivated vaccines work mainly  
12 by producing systemic IgG type antibodies to the  
13 hemagglutinins of influenza viruses. Mucosal  
14 secretory IgA type antibodies are not as reliably  
15 induced, and little or no evidence for cytotoxic T-  
16 cells can be demonstrated in response to an  
17 inactivated influenza virus vaccine.

18 Although systemic antibodies do not  
19 necessarily prevent subsequent infection, inactivated  
20 vaccines have repeatedly demonstrated efficacy in  
21 reducing the incidence of complications, including  
22 pneumonia and otitis.

23 Live influenza vaccines have received  
24 attention, because they more nearly mimic natural  
25 infections by replication in the respiratory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 epithelium of the host, and they induce a wider array  
2 of immunologic responses, including local mucosal  
3 antibodies and cytotoxic T-cells.

4 Because of this potentially broader immune  
5 response, it has been hoped that live influenza  
6 vaccines may offer advantages in protective efficacy.  
7 The search for attenuated influenza viruses for use in  
8 vaccines has extended over more than 30 years. This  
9 table lists only a few of the viruses that have been  
10 explored for use as donor strains for vaccines studied  
11 in clinical trials.

12 A/Puerto Rico/8/34, which is known to many  
13 simply as PR8, and A/olcuda/57 are primary examples of  
14 strains that were passed multiple times at typical  
15 permissive temperature of 33 Centigrade to result in  
16 attenuation of the donor strain for man.

17 In the case of both the PR8 and the  
18 A/olcuda donor strains, attenuation of reassortants  
19 was somewhat unpredictable, and viruses clinically  
20 more virulent than the original wild type strain were  
21 occasionally produced. However, techniques to  
22 precisely define the genetic composition of the  
23 resulting reassortants were not available at the time  
24 of the clinical studies, and it may be that failures  
25 of attenuation were related to retention of wild type

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 virus genes conferring virulence.

2 The passage history for the so called cold  
3 adapted strains is better recorded. Detailed  
4 information exists on the strains of origin and on the  
5 passage histories to produce the attenuated master  
6 viruses used for reassorting.

7 These strains are called cold adapted,  
8 because they have undergone multiple passages at  
9 increasingly lower temperature to yield viruses that  
10 are characterized by the ability to replicate at 25  
11 Centigrade but not at 39 Centigrade.

12 A multitude of influenza A and influenza  
13 B virus cold adapted reassortants have been tested in  
14 clinical trials, and the data to date suggests that  
15 the cold adapted strains are well attenuated and  
16 unlikely to revert to virulence by way of spontaneous  
17 mutation.

18 In the case of the A/Leningrad virus,  
19 master strains at two passage levels have been used  
20 for different purposes. One master strain passage 17  
21 times before reassorting appeared to produce clinical  
22 symptoms suggestive of influenza in a relatively high  
23 proportion of children. However, an additional 30  
24 passages of the A/Leningrad virus resulted in a strain  
25 with much greater attenuation for use in children.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The experience, I think, emphasizes that  
2 the passage history of the donor strain significantly  
3 controls the attenuation phenotype.

4           The last column of the table gives a rough  
5 indication of the number of persons who have received  
6 one of the live influenza vaccines made with  
7 attenuated donor viruses. While very few people have  
8 received the PR8 or A/okuda reassortants, the  
9 experience with cold adapted strains such as the Ann  
10 Arbor and the Leningrad strains is quite broad in  
11 terms of special risk groups and age groups receiving  
12 vaccine and, in particular, children.

13           The most extensive clinical experience  
14 with live attenuated viruses is in the former Soviet  
15 Union and Russia where some number of millions have  
16 received live influenza vaccines. Some information on  
17 the experience there has been published in recent  
18 years, and has been generally favorable on the safety  
19 and efficacy of the live vaccines; but more detailed  
20 data would be useful for assessment of rare types of  
21 adverse events.

22           The need for replication of live influenza  
23 vaccines in the host requires a degree of host  
24 susceptibility to infection. It also implies the  
25 theoretical possibility that coinfection by a wild

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 type virus and a vaccine virus could result in viruses  
2 with the virulence of the wild type, but the  
3 hemagglutinin and neuraminidase of the vaccine virus.

4 Examples to prove the feasibility of  
5 reassorting in man include multiple demonstrations of  
6 H3N1 and H1N2 viruses. However, the fitness of the  
7 H3N1 and the H1N2 viruses may be limited, since they  
8 do not appear to have persisted for very long when  
9 identified.

10 In the same vein, a reassortant virus of  
11 a new influenza A subtype, even if attenuated, raises  
12 the possibility of introduction of that new subtype,  
13 particularly if the immunological experience of the  
14 population as a whole is important to limiting  
15 transmission of vaccine viruses. As noted earlier,  
16 the return and persistence of H1N1 influenza viruses  
17 was made possible partly by the development of a large  
18 20-year cohort of susceptible people.

19 As a final comment related to vaccines,  
20 whether or inactivated or live, all current vaccines  
21 are produced in the allantoic fluids of chickens' eggs  
22 -- that is, the egg white. While purification  
23 processes have been devised to remove egg proteins and  
24 lipids, even minute residual amounts of contaminating  
25 egg proteins appear to be sufficient to cause

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 reactions -- cause the rare anaphylactic and  
2 anaphylactoid type reactions in sensitized individuals  
3 who receive an injection of inactivated vaccines.

4 It has been very clearly shown that  
5 inhalation of egg proteins can be sensitizing with  
6 sufficient exposure. Although the dose response  
7 relation for sensitization is currently not defined  
8 for live influenza vaccines, the experience from  
9 clinical trials with vaccines produced as infected egg  
10 allantoic fluid harvests suggests that sensitization  
11 and hypersensitivity responses may be very rare.  
12 However, since live influenza vaccines are  
13 administered by way of the airways and are likely to  
14 be repeatedly administered, sensitization would seem  
15 to be at least theoretically plausible, as it could be  
16 for any vaccine produced in eggs.

17 This concludes my remarks, and the  
18 speakers who follow are going to elaborate on some of  
19 the other more specific details.

20 CHAIRPERSON FERRIERI: Thank you very  
21 much, Roland.

22 The next speaker is Dr. Brian Murphy from  
23 the NIH, and he will speak on pre-clinical studies  
24 with live attenuated vaccines.

25 DR. MURPHY: I wanted to thank Peter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Reeves for asking me to come and speak today. A lot  
2 of the data I'm presenting was actually done by Mary  
3 Lou Clements, who we had a few moments of silence for  
4 yesterday, and I'm proud to be able to present some of  
5 this to you today for your consideration.

6 I don't want to repeat anything that  
7 Roland has said. He gave an excellent review of the  
8 virus. I just wanted to point out one thing, that  
9 immunity to influenza is conferred by antibodies to  
10 the hemagglutinin and the neuraminidase.

11 This is very important. These genes must  
12 come from new variants as nature deals them to us.  
13 Okay. The way the influenza virus eludes antibodies'  
14 immunity induced by prior infections is a result of  
15 antigenic shift and antigenic drift.

16 Antigenic shift is simply the acquisition  
17 of point mutations within the HA and the NA  
18 glycoproteins, and this is antigenic shift. Excuse  
19 me. This is right. You acquire a new hemagglutinin  
20 neuraminidase.

21 Drift is where you accumulate point  
22 mutations in the epitopes of protective antigens.  
23 This occurs continually. These viruses appear, become  
24 the predominant strains. This occurs, and Roland  
25 indicated in our -- in the human population it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 occurred in '57, '68, and then we had the unusual  
2 reoccurrence of the h1N1 virus and reappearance of it  
3 in 1977.

4 That's all the history of influenza  
5 viruses I'm going to talk about today.

6 Now the rest of the talk I'm going to be  
7 talking about the cold adapted A/Ann Arbor virus  
8 developed by Dr. John Massab. This is a result of an  
9 extensive series of studies that have been done by the  
10 intramural and extramural branch of NIAID and various  
11 vaccine evaluation units throughout the United States.

12 Although I'm predominantly talking about  
13 this particular donor virus, the point that I'm going  
14 to make, I think, for the Committee's perspective, can  
15 be thought of as a way to evaluate any particular  
16 candidate live attenuated virus that might come before  
17 the Committee. Okay?

18 So the general scheme of developing a live  
19 attenuated virus vaccine is to take a donor virus,  
20 mate it with a wild type virus. In this case, we're  
21 talking about an H3N2 wild type virus, and this is a  
22 virus that can be either an antigenic drift strain or  
23 an antigenic shift strain.

24 You mate it, and then you isolate  
25 reassortant viruses that contain the hemagglutinin and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 neuraminidase of the wild type virus, because you want  
2 to develop antibodies that will protect you. These  
3 are systemic and mucosal antibodies that will protect  
4 you against this particular virus infection.

5 The attenuating mutations should be  
6 present on these other genes. Okay? This can be done  
7 for any virus, H1N1, and I'm going to be describing a  
8 series of H3N2 and H1N1 reassortants that have been  
9 generated over a period of about 15 years, and we will  
10 look at some of their properties.

11 Now the first question that was asked --  
12 I have actually an earlier form of the questions  
13 before they were -- I had five questions rather than  
14 four, and my slides reflect that, but they're very  
15 similar to the questions that Roland -- these are like  
16 the first draft or the second or third draft. Roland  
17 gave you the fourth draft.

18 Are there adequate markers to predict the  
19 attenuation of cold adapted vaccines for use during  
20 periods of antigenic shift -- drift here. The answer  
21 to that is absolutely yes. Okay?

22 These are the markers that are associated  
23 with this particular virus. It's a temperature  
24 sensitive virus. It's cold adapted, which means it  
25 replicates efficiently at 25 degrees, in contrast to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 wild type virus.

2 Now the other marker is that, if it has  
3 this particular gene constellation, two genes from the  
4 new wild type virus, six genes from the donor virus,  
5 this is a marker of attenuation. These have all been  
6 attenuated in rodents and ferrets, if they have this  
7 set of markers.

8 I think we can really basically consider  
9 this set of markers. Now will this predictably -- If  
10 a virus contains this set of markers, will this  
11 predictably attenuate a virus for humans? This is the  
12 first series of questions I'm going to be addressing.

13 Now to understand the genetic base of  
14 attenuation of this virus, extensive studies were done  
15 to evaluate the genes that are associated with  
16 attenuation. I'm going to give you a two-minute or  
17 one-minute review of this.

18 Take the cold adapted virus. Take wild  
19 type virus and then you take the cold adapted donor  
20 virus in this case. Actually, this is a reassortant  
21 that contains the same hemagglutinin and  
22 neuraminidase.

23 You mate these, and you devolve single  
24 gene reassortants, one gene from the cold adapted  
25 virus, all the others from the wild type, and you look

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 at this. This particular virus was looked at in  
2 ferrets, in hamsters and humans.

3 From an analysis, an extensive analysis,  
4 of this and from an extensive analysis of the sequence  
5 analysis done by Nancy Cox and her colleagues, the  
6 following three genes were associated as single genes  
7 as contributors to the attenuation of this virus.

8 Two genes confer the ts phenotype. These  
9 are the PB2 gene and the PB1 gene. The PA gene  
10 confers the cold adapted phenotype. Each of these  
11 genes are independent attenuating mutations.

12 We did not evaluate -- and this was a  
13 deficiency of our study. We did not evaluate various  
14 combinations of other genes, because this is too  
15 extensive an analysis. You can think of all the  
16 possible combinations of reassortant viruses you can  
17 generate. But it's very clear that you have at least  
18 three independent attenuating mutations, and the data  
19 was suggestive that there is an independent  
20 contribution by the NS and the M proteins as well --  
21 the M genes as well.

22 There are -- There's a total of six  
23 mutations in these three genes that are associated  
24 with these genotypes, as far as we know.

25 Now what properties of an attenuated virus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 do you need to evaluate in its reassortants? The  
2 reason influenza viruses are so complicated is you  
3 don't just approve a product. You have to approve a  
4 process, and the process is the passage of a set of  
5 six genes to a new wild type virus, and then  
6 evaluating the properties of those reassortants.

7 This has been extensively. Okay? These  
8 are the properties that we've looked at: Attenuation,  
9 infectivity, genetic stability, transmissibility and  
10 efficacy, efficacy in young children, adults and  
11 elderly. I won't go into these properties right now.  
12 I will show you the evidence that shows that the set  
13 of six genes and the markers are associated with the  
14 consistent transfer of these properties.

15 Infectivity: Infectivity is determined by  
16 doing dose response curves, different dilutions of the  
17 different quantities of virus and the cold adapted  
18 reassortant administered to humans, and the percent  
19 infected are determined.

20 You can determine a 50 percent infectious  
21 dose. Now are we able to reproducibly transfer a  
22 property of infectivity? The answer is absolutely  
23 yes. In adults, these adults generally are  
24 individuals or, obviously, are individuals who all had  
25 prior experience with influenza viruses. Pediatric

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 subjects represent immunologically naive subjects in  
2 this case.

3 In the adults, the property of infectivity  
4 for a variety of H1N1 and H3N2 viruses was very  
5 reproducibly attenuated. H3N2, H3N1 viruses, H3N2  
6 very similar levels of infectivity, same for the  
7 pediatric titrations. I'm sure more of these exist  
8 out there now, but I think we can very comfortably say  
9 that the virus transfers the property of infectivity  
10 in a reproducible manner.

11 How about safety level, replication,  
12 genetic stability and transmissibility? This also has  
13 been reproducibly transferred. The safety -- In this  
14 case, we've just illustrated systemic illness here,  
15 in each case cold adapted reassortants. I don't know  
16 whether you can read it, but these are two H3N2  
17 reassortants representing drift strains, and the same  
18 thing for the two H1N1 viruses.

19 This study right here actually represents  
20 a situation that mimics a pandemic situation in that  
21 the population lacked antibody to the H1N1 surface  
22 glycoproteins, but had prior experience with other  
23 related genes of the influenza virus.

24 Clearly, highly attenuated in humans,  
25 reproducibly so. Now here is the point, and Roland

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 raised the important question, is this virus going to  
2 cause secondary bacterial infections. Not only is it  
3 direct data that Peter Wright will talk about, but the  
4 reason it is not associated with significant secondary  
5 bacterial infections is because it's highly restricted  
6 in replication in the respiratory tract of humans.

7 I think we can see that wild type viruses  
8 generally grow about five logs. These viruses  
9 generally grow mean titers of around two logs. Okay?  
10 Also, the reason these viruses are poorly  
11 transmissible from human to human is because they grow  
12 so relatively inefficiently.

13 We're lucky, because although they're this  
14 restricted in replication, they're still able to  
15 induce a highly protective immune response, and I will  
16 demonstrate some of the data on that subsequently.

17 The level of replication is in part a  
18 function of the level of prior experiences humans have  
19 with the viruses. Wild type virus in adults will have  
20 a pattern of replication achieving titers of  $10^5$ .

21 In seronegative individuals, absolutely  
22 naive, no experience with influenza viruses, a cold  
23 adapted virus will grow about at  $10^3$  and will have a  
24 replication maybe growing a little longer than the  
25 wild type virus. Seronegative adults' pattern looks

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 like this. The elderly actually have -- in our  
2 experience, have replicated the virus to the least  
3 extent.

4 Okay. Are these viruses genetically  
5 stable? I just want you to focus in on the upper part  
6 of this curve, a variety of H3N2, H1N1 viruses, six  
7 genes to the CA, no genes from the wild type except  
8 for the hemagglutinin and neuraminidase, various adult  
9 and pediatric populations, a large number of isolates  
10 tested. Much larger numbers have been tested other  
11 than this. This just illustrates it.

12 In every case the CA and the ts property  
13 of this virus was maintained. Now this is amazing  
14 that the ts property is stable as this. I've tested  
15 viruses that contained mutations on the PB2 and the  
16 PB1, ts mutants on the PB1, and the first volunteer  
17 that I gave it to we had revertants in both genes.

18 This virus we've given -- has been given  
19 to thousands of individuals, and we've not seen a  
20 revertant, as far as we could tell, on either of these  
21 two genes. I think it's because this is remarkable  
22 genetic stability and I'm surprised by it, but I think  
23 it's because there are nontemperature sensitive  
24 attenuation mutations that restrict the replication of  
25 this virus, making viruses that have lost the ts

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 phenotype less likely to emerge as predominant  
2 populations.

3 Okay. The property of genetic stability  
4 is reproducibly transferred. Same thing, lack of  
5 transmissibility has been studied, and this has also  
6 been shown to be -- the lack of transmissibility has  
7 also been shown to be reproducibly transferred to go  
8 along with the 6/2 gene constellation. This was done  
9 to two H1N1 viruses and H2N3 viruses. These are the  
10 number of infected vaccinees. These are their  
11 contacts, and it just simply does not spread.

12 The reason it doesn't spread is very  
13 simple. The monovirus that is generated in the  
14 respiratory tract of humans is around  $10^3$ . The human  
15 infectious dose for adults is  $10^5$ . In that context,  
16 this virus is not going to efficiently transfer. That  
17 doesn't mean it won't occur, but it's certainly not an  
18 efficient process.

19 Okay. Efficacy: I'm not going to go into  
20 a large amount of efficacy data. The important point  
21 I want to make here is that the property of efficacy  
22 has also been reproducibly transferred with the set of  
23 six genes. These studies that I've been talking about  
24 have all been done with monovalent vaccines.

25 This is the pre-clinical evaluation of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these cold adapted viruses, whereas the current  
2 studies are being done with trivalent vaccines. So  
3 we're talking about efficacy as it applies to  
4 monovalent vaccines.

5 I don't -- We don't really need to go too  
6 much into this, except this is studies that have been  
7 done in adult volunteers with challenge -- who were  
8 challenged. This is the percent reduction in virus  
9 shedding. I think you can see it's relatively high  
10 level of virus shedding and protection against  
11 systemic illness, a high level of protection, and in  
12 this case there were seven or eight different -- six  
13 different viruses that were tested, reproducibly  
14 transferring this property.

15 This is also true if you look at  
16 seropositive adults, adults not selected to have low  
17 HAI titers. You can protect individuals with these  
18 attenuated viruses, reproducibly, H1N1, H3N2 viruses  
19 in this setting.

20 Pediatric populations: What you do in a  
21 pediatric population -- you don't challenge them with  
22 wild type virus. You simply give them the second dose  
23 of the attenuated virus and, because it grows well in  
24 that population, you can quantitate the amount of  
25 virus that is secreted by the individuals.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           In this case, there's a high level of  
2 reduction of virus shedding, again a reproducible  
3 transfer of this property. It's getting pretty  
4 boring, actually, isn't it? I mean, I recently  
5 studied this, and the last time I kept on saying why  
6 am I doing another one.

7           John Treanor did a very interesting study,  
8 and he was looking at how can these viruses be used in  
9 the elderly. Okay? Since the inactivated vaccine is  
10 licensed, he did a controlled trial where he gave some  
11 elderly the inactivated, and the inactivated plus  
12 live, and there were a number of subjects.

13           The efficacy scores in three separate  
14 circumstances, in three separate epidemics that were  
15 tested, were approximately 60 percent efficacy above  
16 that conferred by the inactivated vaccine alone.

17           Again, what I'm just pointing out here is  
18 the efficacy of this virus in this particular context  
19 also has been reproducibly transferred with this set  
20 of six genes.

21           Okay. These are the number of times  
22 safety has been looked at, infectivity,  
23 immunogenicity, these various other properties. You  
24 can look at it, number evaluated, number of times  
25 demonstrating the property, an extremely reproducible

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 set of findings.

2 I can say that I tried many vaccines. I  
3 mean, I have more failures than anybody I can imagine  
4 with this particular virus. This is the only virus  
5 that survived that had a pattern like this. We look  
6 at temperature sensitive viruses, host range,  
7 reassortants, a variety of other things. This is the  
8 vaccine's history right now.

9 I won't go into this right now. I think  
10 all these points have been made.

11 Now question 1(b): Are there adequate  
12 markers to predict the attenuation of the cold adapted  
13 live virus vaccines for use during periods of  
14 antigenic shift? The answer, I think, is yes. Okay?

15 This is certainly true for -- There's  
16 information that exists for H1 and H3. The H1, as I  
17 said, is a 1957 example, mimicked the pandemic, and  
18 all the studies that are done in young infants who are  
19 immunologically naive mimic a pandemic situation,  
20 i.e., individuals who have no prior experience with  
21 influenza viruses.

22 This has been tested multiple times. A  
23 lot of the data I presented previously had that  
24 information.

25 Okay. So that's what this is. Now is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there information for subtypes other than H1 and H3?  
2 The answer is no, and I think that one of the projects  
3 of NIAID, and we're initiating this now, is to develop  
4 experience with the cold adapted and activated  
5 vaccines bearing new and different hemagglutinin  
6 subtypes that could appear in the human population in  
7 the future.

8 Question 2: Comment on the level of  
9 attainment necessary for the development and  
10 manufacture of these vaccines.

11 Influenza A and B vaccines -- viruses are  
12 BL-2 viruses. There's really -- This virus has been  
13 given at  $10^7$  infectious units to 12,000 individuals to  
14 date. There really are no safety issues that you have  
15 that are related to the manufacture of this particular  
16 product.

17 In fact, it's analogous to the situation  
18 where -- like the vaccinia virus, which is a BL-2  
19 virus, but the attenuated derivatives of vaccinia are  
20 basically -- now have been considered by the NIH  
21 safety committee to be having -- to be BL-1 agents.  
22 I would say there's enough experience to consider  
23 suggesting that these viruses could be similarly  
24 classified.

25 At the time of a pandemic -- okay? -- you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have a different situation. I think most people  
2 believe for influenza viruses, especially since what  
3 happened in 1997 where the H5 virus entered the human  
4 population and was associated with a high level of  
5 mortality, that people do not want to work with the  
6 hemagglutinins that have a highly cleavable phenotype,  
7 which is the phenotype that's associated with that  
8 mortality.

9 So I think that a major goal would be to  
10 work with viruses for both making inactivated vaccines  
11 as well as making live attenuated virus vaccines that  
12 have altered -- that have hemagglutinins that lack the  
13 phenotype of high cleavability. In that context, I  
14 think that we can work very safely with these viruses  
15 under the current practices of containment.

16 Now this is the major -- last question I'm  
17 going to be addressing. That is the -- Please comment  
18 on the risks associated with the use of live  
19 attenuated virus vaccines within the community. I'm  
20 giving you my opinion here, and I will show you why  
21 I've formulated this opinion.

22 I'm first going to be talking about the  
23 interpandemic situation, and this is what we have been  
24 in, an interpandemic situation, for the last 30 years.  
25 So this is the major situation that we really need --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 this is a major situation, the predominant situation  
2 with influenza viruses in the human population.

3 What do we know about the epidemiology of  
4 influenza viruses that would help us in our analysis  
5 of the risks? These are the viruses -- This is the  
6 evolution of the virus. This is very similar to what  
7 Roland showed you earlier.

8 H1N1 viruses in 1934 appeared in the --  
9 this is the first human virus. It came in around  
10 1918. This virus persisted to 1957, the time at which  
11 it disappeared, and it reassorted with an avian  
12 influenza virus and gave you the H2N2 virus. Four  
13 genes from this particular H1N1 virus persisted. Four  
14 new genes were entered into the human population.

15 IN 1968 two new genes came in, PB-1, PA.  
16 These are the two virus -- This virus then  
17 mysteriously reappeared in the population in 1977.  
18 These two viruses now co-circulated. These are the  
19 genes that are present now in the human population.

20 So the question is what gets added by  
21 putting -- What genes get added by putting the cold  
22 adapted virus -- Okay, and again you can look on this  
23 as an example of any kind of live attenuated virus  
24 vaccine -- into the human population?

25 These are the genes that are present. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have to remember, the H2N2 virus, Ann Arbor virus, is  
2 a -- It's an H2N2 virus, and these are the genes that  
3 it has. Okay?

4 Now the only gene -- okay, lineal  
5 descendants, this gene, this gene, this gene, this  
6 gene and this gene are already present. Its lineal  
7 descendants are present in the human population.  
8 Okay? So you're not introducing anything that humans  
9 have not seen. Humans have seen this PB-2 gene. It  
10 circulated in 1957 -- this PB-2 gene, from '57 to '68,  
11 and then it left the human population.

12 Does introducing this particular gene  
13 represent a threat? The answer is almost certainly  
14 not. This gene is related -- although we give it a  
15 nice little black color here, this is 98 percent  
16 related by amino acid sequence to the other proteins.  
17 It's basically the same gene, no special situations.  
18 No special virulence has been associated with this  
19 particular gene.

20 I can see, if you have an individual who's  
21 co-infected with a wild type virus and this virus, if  
22 you get a wild type virus out of it, that's what you  
23 basically could get out of it in that situation. It's  
24 a wild type virus that would be circulating -- that  
25 could be circulating in the population as well. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 don't believe that it represents a significant risk.

2 How about in the pandemic situation? Just  
3 consider, we have an H5N1 virus appearing in the human  
4 population in 2000-something. Okay? At the same  
5 time, we have -- these genes are also present in the  
6 population. Let's just say this virus appears.s

7 Now when this particular virus appears,  
8 there's a special committee that's been -- that  
9 exists, a pandemic planning committee, that will make  
10 a decision that this particular virus has shown an  
11 epidemic behavior pattern that indicates it is highly  
12 likely, almost certainly to come into, to spread and  
13 cause a worldwide pandemic.

14 At that point in time -- okay? -- it would  
15 be perfectly reasonable to introduce this particular  
16 virus into communities that this virus has not yet  
17 come into, because there's a 100 certainty that this  
18 virus will appear in that community.

19 Under circumstances where you have cluster  
20 infections that occurred with the swine flue in 1976  
21 and in the H5N1 infection that occurred in Hong Kong  
22 in 1997, it is not necessary to, obviously, start --  
23 It would be not recommended by this pandemic committee  
24 who has learned by experience that you would not use  
25 a virus such as this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Once a new virus has declared its pandemic  
2 potential, it will come into your community, and it  
3 will be associated with a tremendous amount of  
4 disease. In the pandemic setting, live attenuated  
5 viruses offer the greatest opportunity for protecting  
6 the human population.

7           So it would be -- On a risk benefit level,  
8 you would go ahead and clearly use this virus under  
9 that particular circumstance.

10           Now these two questions, next two  
11 questions, are going to be addressed by Dr. Wright.  
12 I'm not going to go into them. I'm just going to  
13 conclude my talk with addressing those first three  
14 questions.

15           CHAIRPERSON FERRIERI: Thank you very  
16 much, Dr. Murphy. Please stay at the podium. We'll  
17 see if the panel here at the table has questions for  
18 you. Dr. Greenberg?

19           DR. GREENBERG: Brian, in your last  
20 question, which may be perhaps the most important, at  
21 least to me, the question is, once a new pandemic  
22 strain has declared its pandemic potential. Are there  
23 definitions of what that declaration is other than the  
24 committee saying there's a declaration?

25           That's going to be the key --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Well, okay. No. Obviously,  
2 there's no definition for that particular thing, but  
3 you would think that the committee would use a variety  
4 of types of information, the evidence of spread in  
5 multiple geographic locations. This would be a  
6 criteria that was not satisfied in either the New  
7 Jersey epidemic or the Hong Kong epidemic.

8 You might -- There might be geographical  
9 limits spreading over distances of thousands of miles.  
10 I don't know, but it's very clear. You'll know it.  
11 When the H1N1 virus appeared in '57, you knew it was  
12 going to be coming over here, because it was spreading.  
13 in a -- like an atomic bomb. It was spreading in  
14 concentric circles around the point from which it  
15 appeared. The same thing happened in '57, and the  
16 same thing happened in '68.

17 That's the nature of a pandemic virus or  
18 a virus which appears in which there's a large  
19 percentage of the population is susceptible. There's  
20 a point of origin, and then it spreads by -- you know,  
21 bi-directionally, multi-directionally throughout the  
22 world, reaching 60-70 percent of the population first  
23 year, 90 percent by its second pass through the  
24 population.

25 CHAIRPERSON FERRIERI: Dr. Edwards?

1 DR. EDWARDS: Brian, sometimes it seems  
2 that the H3N2 reassortants appear to grow more readily  
3 in humans, and sometimes H1N1. How do you explain the  
4 variability in the immunogenicity of the cold adapted  
5 strains, depending upon what their hemagglutinin and  
6 neuraminidase may be?

7 DR. MURPHY: I simply don't know. Okay?  
8 It's as simple as that. I think there is some  
9 variability, but the real answer to that is, even  
10 though there is some variability, what is shared and  
11 what is common to all of these viruses, basically, is  
12 what I described to you today.

13 There are reproducible sets of properties  
14 that are conferred by the set of six genes. There's  
15 some variability in terms of infectivity,  
16 immunogenicity, etcetera, but in general the means and  
17 the immunogenicity, etcetera, that is provided is a  
18 consistent property of these viruses.

19 I have no idea why you had the  
20 variability, but I do know that you do have the mean  
21 and the general set of properties that are  
22 transferred.

23 CHAIRPERSON FERRIERI: Dr. Cox from CDC,  
24 do you have anything to add to this point?

25 DR. COX: You also see variability in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 immunogenicity of the inactivated vaccines, and we  
2 don't understand that either. So I don't think it's  
3 anything very different from what we're seeing with  
4 the inactivated vaccines and differences that you get  
5 through having different gene constellations.

6 CHAIRPERSON FERRIERI: Thank you. Dr.  
7 Kohl?

8 DR. KOHL: Dr. Murphy, thank you for such  
9 a lucid description. Can you give us a feel for the  
10 time parameters we're talking about in terms of when  
11 a new virus bursts on the scene to when it's obvious  
12 that it becomes a pandemic potential or declared a  
13 pandemic by whatever definition, and how long it takes  
14 to gear up a new live attenuated vaccine?

15 DR. MURPHY: Nancy might -- or Rob might  
16 remember this a little bit better, but let's say in  
17 1957 when the H2N2 virus appeared. My recollection is  
18 that it appeared around February of the -- It was  
19 first noticed in February, and then by the summer,  
20 that summer, we started having outbreaks in the United  
21 States. Okay?

22 These were like in August -- July/August,  
23 a lot of the -- in Boy Scout camps around the United  
24 States, we had severe infections, mortality, etcetera,  
25 occurring in kids at camp. So we're talking about a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 five-month period of time.

2 The time it takes to do the old  
3 reassortment process and to prepare these vaccines is  
4 five or six months. This is one of the reasons NIAID  
5 right now is trying to anticipate and set up programs  
6 to anticipate the occurrence of pandemic viruses and  
7 make seed lots and make master seeds, test them in  
8 humans, that can be used in that setting.

9 The pandemic -- In the interpandemic  
10 setting, you generally have -- The system that  
11 generally works now would be applied to these. You  
12 would make recommendations that new hemagglutinins or  
13 neuraminidases would be incorporated into the  
14 preparations, but that's what we're hoping for in a  
15 pandemic situation.

16 CHAIRPERSON FERRIERI: Dr. Schild?

17 DR. SCHILD: Brian, do you want to say a  
18 few words about the techniques in the laboratory for  
19 selecting these desirable reassortants, natures of the  
20 antisera that you might use to suppress the unwanted  
21 reassortants and the substrates, cell substrates in  
22 which those manipulations occur?

23 DR. MURPHY: Right. The cell substrates  
24 that these manipulations generally have been done  
25 primary chick kidney tissue cultures. This virus has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 basically been plagued and cloned and passaged in  
2 primary chick tissue cultures, and these are generated  
3 from SPF eggs, etcetera.

4 The antisera -- I don't know what John  
5 Massab has used, and I don't know what the company  
6 would use, but these would basically be animal  
7 antisera made to the H2N2 donor virus that are used,  
8 made in an animal, hopefully would be done in an SPF  
9 animal, and it would be used in the generation of such  
10 viruses.

11 I think that would be something that the  
12 FDA would be very carefully -- would want to look at,  
13 and to make sure that, you know, the substrates as  
14 well as the antisera that are used for the selection  
15 would meet all of the appropriate criteria.

16 CHAIRPERSON FERRIERI: This is a wonderful  
17 opportunity for questions. We have the time, and we  
18 have several people at the table who have raised their  
19 hands. Dr. Snider, you're next.

20 DR. SNIDER: Yes. Dr. Murphy, does the  
21 cold adapted virus grow in birds? I was just  
22 wondering. I would assume it may not, since the  
23 temperature is higher, but my limited understanding of  
24 influenza is that a lot of the reassortment may be  
25 occurring in birds and other animals, not that anybody

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is going to go out and vaccinate birds with this, I  
2 presume. But if it got into birds, would it be  
3 sustained?

4 DR. MURPHY: No. The answer to that would  
5 be, it would not be sustained in a bird population.  
6 This particular virus would not be sustained in a bird  
7 population, for two reasons.

8 One is the body temperature of a bird, as  
9 you know, as you alluded to, is around 41 or 42.  
10 These viruses shut off at 38. They would not be able  
11 to grow in the core temperature of the bird.

12 Second, these viruses are not adapted for  
13 efficient replication in the intestinal tract of  
14 birds, which is the major site of replication of  
15 viruses in the birds, and they are actually spread in  
16 birds by cloacal secretions where these viruses appear  
17 at titers of  $10^8$ , etcetera.

18 So I don't think that these particular  
19 viruses represent a threat to our avian, agricultural  
20 animals.

21 CHAIRPERSON FERRIERI: Well, that's a very  
22 important point to make, though. Not everyone knows  
23 that information, I don't imagine.

24 DR. MURPHY: Maybe Rob would like to  
25 comment. You know, he knows a lot more about this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 than I do, and he could -- If you want to get another  
2 opinion on that, I would suggest Rob might want to  
3 comment.

4 CHAIRPERSON FERRIERI: Dr. Webster.

5 DR. WEBSTER: I think the point has  
6 already been made that the high temperature of the  
7 bird would make it impossible for this sort of  
8 transmission or reassortment to take place. I'd feel  
9 quite reassured for the agricultural purposes that  
10 these present no problem.

11 CHAIRPERSON FERRIERI: Yes, Dr. Kilbourne?  
12 And I haven't forgotten you.

13 DR. KILBOURNE: Well, I think this may be  
14 true of the virus itself as it goes in, but what about  
15 the genes that the bird may -- the bird's virus may  
16 acquire from that virus?

17 I think Brian himself has shown you can  
18 have reversion of even the PB-2 gene on a limited  
19 number of hamster passages. So I think the  
20 possibility is still there. Although the virus per  
21 se, the CA virus, may not be acquired and propagated  
22 to birds, certainly, genes might be introduced into  
23 the avian gene pool.

24 DR. MURPHY: I think that is correct, but  
25 I think you just have to know that that same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 opportunity exists for the human influenza viruses  
2 that are currently circulating in the population.  
3 These have -- and these have a greater opportunity for  
4 gaining access into the avian population, because  
5 people actually sneeze and cough when they are  
6 infected with wild type viruses, in contrast to this  
7 cold adapted virus where there basically are  
8 relatively minimum symptoms.

9 So even though the wild type viruses exist  
10 out there, they really haven't presented a -- human  
11 viruses haven't presented a problem to our domestic  
12 poultry.

13 DR. KILBOURNE: As far as we know.

14 DR. MURPHY: Right.

15 CHAIRPERSON FERRIERI: We'll continue this  
16 theme. Dr. Webster?

17 DR. WEBSTER: Continuing to respond to Dr.  
18 Kilbourne, the reverse direction, the transmission of  
19 avian influenza viruses into a human gene pool, we  
20 know, has occurred twice. Roland pointed it out.  
21 Brian pointed it out.

22 We've looked at other times, and this is  
23 a very rare event. The transmission of avian/animal  
24 genes into the human gene pool does occur, but it's a  
25 very rare event.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRPERSON FERRIERI: Dr. Breiman, and  
2 then Dr. Daum and Dr. Huang.

3 DR. BREIMAN: Thanks. Dr. Murphy, you had  
4 alluded to -- in a pandemic setting, speed may be very  
5 much an issue in terms of being able to provide  
6 vaccine. There are a couple of questions that  
7 occurred to me related to what we're talking about  
8 today.

9 One is whether the cold adapted live  
10 attenuated approach would offer a selective advantage  
11 in terms of speed or yield from eggs that might be  
12 relevant in terms of providing enough vaccine quickly.

13 I guess the other issue that had occurred  
14 to me, too, and it's come up lately, is the question  
15 of bio-containment, as you talked about. I wondered  
16 about the issue of altering the high cleavability  
17 phenotype before providing it to the manufacturer, and  
18 how easy that is, whether that's something that can be  
19 done in the setting where speed is of the essence.

20 DR. MURPHY: Absolutely not. I mean,  
21 that's one of the reasons why we're trying to work out  
22 -- Dr. McGuinness and colleagues at NIAID are trying  
23 to do what we would consider an anticipation of this.  
24 So that all of the procedures that need to be done and  
25 have in place can be anticipated and put into place.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           There's no way that this can all be done  
2 in the time context that you have at the emergence of  
3 a pandemic virus. It's absolutely essential that our  
4 institute sort of play a very proactive role in trying  
5 to develop the reagents that are necessary for use in  
6 this setting.

7           You had one question that I think I  
8 forgot.

9           DR. BREIMAN: Just the selective advantage  
10 of cold adapted virus.

11          DR. MURPHY: Oh, the viruses don't grow  
12 any better in eggs than the inactivated vaccine.  
13 Inactivated vaccine -- but they can probably -- It  
14 would be likely that they would be more infectious and  
15 maybe be able to be used at lower dose.

16          Currently, there are -- You get  
17 approximately -- I could be wrong. Roland, you might  
18 know this, or **somebody** else might know this. You  
19 might get two to **three** doses of an inactivated vaccine  
20 per egg.

21          These **viruses**, you probably can get  
22 something in the order of a magnitude of 20 to 30  
23 doses per egg. So you have a small advantage. The  
24 main advantage in the pandemic setting of the live  
25 versus the inactivated is its greater immunogenicity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in that setting. That has to be remembered, and that  
2 has to be appreciated.

3 CHAIRPERSON FERRIERI: Dr. Huang?

4 DR. HUANG: Would you tell us a little bit  
5 more about the cold adaptation process and whether the  
6 virus is cloned, and what passage levels that you use?

7 DR. MURPHY: Okay. Now this again was  
8 John. John Massab did this. The virus is a cloned  
9 population, biologically cloned, not cloned in a  
10 molecular sense.

11 I got to apologize. I forget the exact  
12 number of passages. My recollection -- Nancy, you  
13 might remember this better, but it's in the vicinity  
14 of about 45 passages sequentially going lower  
15 temperatures in primary chick tissue, with a cloning  
16 at the end to ensure genetic homogeneity.

17 Then, of course, all of the reassortant  
18 viruses that are generated from the donor virus --  
19 okay? -- are cloned -- they would be cloned,  
20 biological cloned, in the chick substrates. So that's  
21 the best I can say. This is, again, primary chick  
22 tissue culture this was all done.

23 DR. HUANG: Well, knowing what you know  
24 now, would it make any sense at all for recreating a  
25 cold adapted strain by site-specific mutagenesis and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 deletion and making temperature sensitive markers in  
2 every segment?

3 DR. MURPHY: I would -- The answer to that  
4 is, you know, it would be fun to try. I've tried  
5 that. I've put in three ts mutations into the PB2  
6 gene, and following one passage in hamsters, it  
7 reverted.

8 It absolutely flabbergasted me. I could  
9 not believe it. I was, as I'll say, flabbergasted.  
10 You can try that. This particular process we are  
11 talking about is a 17 year process. Okay? So if you  
12 generate a new donor strain, 17 years.

13 It's going to be a tough project to ask an  
14 individual to sort of enter into.

15 CHAIRPERSON FERRIERI: Dr. Daum.

16 DR. DAUM: I think sort of along the same  
17 lines is something that, not being an influenza  
18 person, I'd like you to clarify that, I think, flicked  
19 by maybe a little too quick for me.

20 That is that I think you said that there  
21 were six genes that mediate attenuation of the  
22 strains. I wasn't clear whether they were all  
23 necessary or sufficient, and there was one slide where  
24 you talked about reversion of that thing.

25 I think you were talking phenotypically,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and I wondered --

2 DR. MURPHY: Yes.

3 DR. DAUM: -- if each of the six genes had  
4 been looked at, and if any of them revert.

5 DR. MURPHY: Okay. First of all, there  
6 are three genes, the PB1 gene, PB2, and PA, have been  
7 individually identified as attenuating genes -- Okay?  
8 -- clearly and statistically identified.

9 The contributions of the other genes as  
10 individual genes have not been identified as major  
11 attenuating. What has not been done is doing a study  
12 where you took the three genes such as the NP, NS and  
13 N, put it together, and see whether that is an  
14 attenuating mutation. My guess is it would be, based  
15 on the unbelievable genetic stability of this  
16 particular virus.

17 Now your second question is have viruses  
18 been taken out of vaccinees and each of the genes that  
19 are associated with attenuation been sequenced. Okay?  
20 Is that correct?

21 You're right about the phenotypic. The  
22 phenotypic stability has been checked for the ts  
23 phenotype and the CA. These have been maintained. So  
24 there really hasn't been a compelling reason to go  
25 back and look and understand the genetic basis of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 reversion, because we haven't seen that, which is  
2 again quite remarkable.

3 I don't know whether -- Nancy, have you --  
4 Do you know anybody who has sequenced -- done a  
5 systematic sequence analysis of viruses that have come  
6 out of the respiratory tract of vaccinees?

7 DR. COX: We really haven't done that for  
8 the U.S. developed live attenuated vaccines, but we  
9 have done a lot more with the Russian vaccines that  
10 were developed by a very similar process, and we've  
11 looked very carefully for the markers of attenuation -  
12 - or the amino acid changes that were identified in  
13 the cold adapted viruses, and they are very stable.

14 CHAIRPERSON FERRIERI: Thank you. Dr.  
15 Patriarca. I'm sorry, you've been very patient.

16 DR. PATRIARCA: Thank you. Peter  
17 Patriarca, FDA.

18 Brian, thanks a lot. Brian, I have two  
19 questions related to the community aspect of this and  
20 whether widespread use of these vaccines could pose a  
21 public health hazard in terms of, as you pointed out,  
22 introduction of new genes into the human population or  
23 at least genes that haven't been around for quite a  
24 while.

25 I have two questions related to that.

1 First, I think that the slide that you showed about  
2 what genes could and would be introduced, I thought,  
3 was very illustrative and reassures me, at least, that  
4 this probably will not pose any kind of a public  
5 health problem. But related to that, I'm wondering  
6 whether -- When you talk about these genes, you're  
7 generally speaking about these in terms of isolation,  
8 and I'm wondering whether the introduction of some of  
9 these genes could -- whether there could be an  
10 interaction between the genes that were introduced and  
11 the genes that were in the other wild circulating  
12 strain.

13 In other words, interaction between two  
14 genes -- could that confer some sort of virulence that  
15 would not otherwise be expected?

16 My second question that's related to that  
17 is whether anyone has done the experiments where they  
18 actually created reassortants that would represent  
19 potential viruses that could be introduced into the  
20 human population and whether those had been tested in  
21 animal models, and are they, in fact, still  
22 attenuated?

23 DR. MURPHY: Right. First of all, the  
24 single gene experiment that was done where we looked  
25 at introducing a single gene in the context of a wild

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 type virus -- okay? -- mimics this from the Ann Arbor  
2 virus -- mimics a situation of introducing at least  
3 one of the genes.

4 This was done as a systematic study, first  
5 of all, and every one of the reassortants that was  
6 tested and made in that context was either less -- had  
7 less replication in an experimental animal or was  
8 equivalent. Okay, that's one.

9 The introduction -- The possibility of  
10 recombination of viruses -- okay? -- is going -- It's  
11 occurring now in the human population with genetic  
12 exchange between the H1N1 and the H3N2 viruses. Now  
13 there was a nice paper that Roland selected to hand  
14 out to the Committee which describes the particular  
15 reassortants that have appeared in the human  
16 population, and Roland indicated in his talk that such  
17 viruses, although they've appeared and sometime have  
18 appeared and been isolated under several different  
19 years -- you know, you could see the same virus,  
20 suggesting that it might have epidemiologically  
21 spread. It actually died out. It did not become a  
22 predominant, had no unusual properties that existed.

23 So reassortment will occur. Okay? It has  
24 occurred between wild type viruses. Okay? It's been  
25 documented, but nothing unusual, unexpected has come

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 from such genetic exchange.

2 Nancy, would you have any information in  
3 addition to that?

4 DR. COX: We don't -- We have just a bit  
5 of unpublished information. We've just documented  
6 another instance of reassortment between H1 and H3  
7 viruses in China. We're still attempting to sort out  
8 the origin of all of the genes, but there has been  
9 another reassortment. But in each case where we have  
10 documented reassortment between H1 and H3 viruses  
11 circulating among humans, we have seen no increased  
12 virulence or other unusual properties, and in each  
13 case in the past those viruses have not persisted in  
14 nature and have died out.

15 So there doesn't seem to be a selective  
16 advantage to those reassortment events so far.

17 DR. MURPHY: Right.

18 CHAIRPERSON FERRIERI: Dr. Kim, and then  
19 Dr. Kohl.

20 DR. KIM: To, I guess, make the story a  
21 little bit complete, I just want to find out whether  
22 there is any -- has been any change as a result of a  
23 attenuation or combination of a genetic elements  
24 susceptible to antiviral agents.

25 DR. MURPHY: The only antiviral agent, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think, right now that's licensed in humans is  
2 amantadine. I think the cold adapted virus, as far as  
3 I -- ramantadine. I believe that the H2N2 virus is  
4 fully susceptible to amantadine and ramantadine, but  
5 I'm not absolutely certain of that.

6 Is the answer yes to that? Okay.

7 CHAIRPERSON FERRIERI: Dr. Kohl is next,  
8 then Dr. Webster, and then we'll have to close the  
9 question and answer period.

10 DR. KOHL: I'm still fixated on the time  
11 frame and the pandemic scenario. Let's say there's an  
12 H11 floating around in a Chinese chicken, and you'd  
13 like your people to be prepared proactively with a  
14 strain ready to roll.

15 What's the odds that you will pick up a  
16 heretofore unseen hemagglutinin in Chinese chicken and  
17 have that ready to roll when this thing bursts on the  
18 scene? How much of a surprise are these strains?

19 DR. MURPHY: Okay. You know, obviously,  
20 it's a very good question, an important question. The  
21 history of influenza virus in humans is that there is  
22 cycling of the viruses in humans. The only viruses,  
23 really, that have appeared in humans and have been  
24 maintained in humans are H1s, H2s and H3s.

25 So that's the experience to date. So the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question is can other viruses gain access, too? The  
2 only real experience we have, in addition to these, is  
3 what occurred in Hong Kong. Clearly, H5 virus can  
4 infect and do tremendous damage in humans.

5 The answer to the question is I think  
6 that, if you were being for completeness, you would  
7 probably want to make representative strains from H4  
8 through H15, but the government doesn't -- you know,  
9 doesn't have unlimited sources of money, etcetera.

10 So what they would do is they're getting  
11 a committee together to try and select out particular  
12 strains that would represent specific threats. I'll  
13 give you some examples. H5 would certainly be a  
14 category, H7 which has a highly cleavable  
15 hemagglutinin.

16 H2 virus right now we should be prepared  
17 for, because that virus has not circulated in the  
18 human population since 1968, and everybody under the  
19 age of 30 is basically fully susceptible to an H2,  
20 virus with an H2 hemagglutinin, and that there are  
21 other selected viruses that might be chosen based on  
22 epidemiological patterns in animals, what viruses are  
23 appearing in pigs, etcetera.

24 So what we're doing now is sort of making  
25 a priority list of hemagglutinins and then we're going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 to -- we're planning -- I hope that we maintain the  
2 resolve to go through and identify select  
3 hemagglutinins, make candidate inactivated vaccines,  
4 candidate live attenuated virus vaccines, and do the  
5 clinical trials to see whether the set of properties  
6 that I exhibited up here for the live attenuated virus  
7 can also be seen with viruses bearing quite distinct  
8 hemagglutinins from the ones we've tested.

9 I hope that answers your question.

10 CHAIRPERSON FERRIERI: Dr. Webster.

11 DR. WEBSTER: Brian, I want to return to  
12 the same theme, the H5N1 in Hong Kong. One of the  
13 saving graces there was that the H5N1 in humans wasn't  
14 transmitted from human to human.

15 DR. MURPHY: Right.

16 DR. WEBSTER: Now if we had been using a  
17 cold adapted virus at that time, and given that the  
18 nuclear protein in some of the other genes that we  
19 know about are involved in permitting host range  
20 transfer, and that is not a ts characteristic of the  
21 virus, would you be happy, comfortable, in using a  
22 cold adapted vaccine in the face of this emerging  
23 situation?

24 DR. MURPHY: Would I -- Are you asking me,  
25 if I had a cold adapted virus in my hand, would I give

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it to individuals who are having a -- who are being  
2 seeded by H5N1 viruses?

3 DR. WEBSTER: No. If the cold adapted  
4 vaccine was used -- being used in the world and was  
5 being used in Hong Kong, because that's the time when  
6 it might have been used, and this emerging situation  
7 occurred.

8 DR. MURPHY: Now this is a very important  
9 question, and I don't have an answer for that. Okay?  
10 I do not have an answer for that, and I don't think  
11 there is an answer for that.

12 You know, the answer for that, Rob, is  
13 that wild type viruses are going to be circulating.  
14 That system, that situation, will reproduce itself at  
15 some point in time with the naturally occurring wild  
16 type viruses.

17 Should we be denying the benefit of a  
18 virus -- an immunization procedure that has the  
19 possibility of protecting, to the extent that it's a  
20 cold adapted virus, for the possibility of generation  
21 of a reassortment and in a very unusual situation?

22 My answer to that is this is a complicated  
23 question that a committee like this would have to make  
24 their judgment on. I would go ahead and use this  
25 virus vaccine, knowing that that particular situation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can occur with the wild type viruses, and it is a  
2 risk. It's a risk that we would have to make a  
3 decision on whether to take or not.

4 CHAIRPERSON FERRIERI: Operating under the  
5 principle that one more question won't kill us, Dr.  
6 Hall, one last, brief question.

7 DR. HALL: Yes. One of the questions was  
8 what Dr. Webster was asking, the difference in  
9 potential infectivity between the wild, the H5 and if  
10 it were in a reassortant. But the other thing in the  
11 transmissibility -- You mentioned, Brian, that the  
12 reassortant virus is likely -- unlikely to be  
13 transmitted, because the shedding is about  $10^3$  and a  
14 HID-50 of about  $10^5$  for an adult. But the child, the  
15 HID-50 may be at 3 or even less than that  $10^3$  or so.

16 Is that -- What do we know about the  
17 transmissibility then in the young, unprimed child?

18 DR. MURPHY: That's a very good -- That  
19 would be -- As you know, being a pediatrician, if a  
20 virus can spread, it will spread in a dayroom setting,  
21 and that's the best place to look at that particular  
22 question.

23 Peter Wright has a tremendous amount of  
24 experience giving these cold adapted viruses in  
25 exactly that setting, and maybe if the Chairperson

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 doesn't mind, I would refer that question to Peter.

2 CHAIRPERSON FERRIERI: Do you have an  
3 answer, Peter?

4 DR. WRIGHT: I'll answer it in the course  
5 of my -- I'll give you the information we have in the  
6 course of my presentation.

7 Basically, the short answer is we have not  
8 seen transmission in young seronegative children,  
9 either in the family setting or in the daycare  
10 setting.

11 DR. HALL: Is there any reason to think  
12 that the survival of this virus in the environment is  
13 any different than the wild virus?

14 DR. MURPHY: No, I think it would be -- I  
15 think you could consider it being comparable to the  
16 wild type virus. It would be reasonable.

17 DR. HALL: In terms of fomites is what I  
18 meant, you know.

19 DR. MURPHY: Right. You have to remember  
20 that it's starting out at a lower titer than the wild  
21 type virus. So the time that it gets down to a  
22 noninfectious level would be sooner than wild type  
23 virus, but I don't think it has any special problems  
24 that would -- properties that would alter its rate or  
25 kinetics of inactivation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRPERSON FERRIERI: Thank you very  
2 much, Dr. Murphy. We won't have many minutes for  
3 questions for Dr. Wright, but we'll do the best we  
4 can.

5 The next presentation, clinical studies  
6 with live attenuated vaccines, by Dr. Peter Wright  
7 from Vanderbilt. Would you like someone to assist you  
8 in putting on your transparencies?

9 DR. WRIGHT: Perhaps. I initially  
10 declined, but perhaps --

11 CHAIRPERSON FERRIERI: I think that would  
12 be more efficient, Peter. We all wear many hats, as  
13 you know, both here and at home.

14 DR. WRIGHT: Perhaps it will in terms of  
15 my being at the microphone. I appreciate the  
16 opportunity to speak to you.

17 I'm going to limit my discussion very  
18 largely to the questions posed by Roland for the  
19 Committee, so that I'm not going to address in any  
20 extent questions of efficacy nor really review in  
21 great detail the very large clinical experience with  
22 this vaccine.

23 When the question was first posed to me of  
24 reviewing this, I really had nightmares of trying to  
25 go through what is a very, very large database of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 overall safety. So I'm going to focus initially on  
2 just a few issues that relate to safety and relate to  
3 the kind of symptoms that might be seen with the live  
4 attenuated vaccine that might, in turn, have  
5 implications for the questions asked, and then go  
6 rather more specifically to the questions.

7 If we can have the first overhead, Roland.

8 I'm putting this up for two reasons. I'm  
9 going to present one table on the clinical symptoms  
10 associated with wild type influenza in young children,  
11 and I'm also going to point out that this, I think,  
12 was the first paper that pointed out protective  
13 efficacy of a live attenuated vaccine and point out  
14 that the date was 1977.

15 So perhaps this vaccine that -- we've  
16 heard various accounts of how long it's been in  
17 preparation, but we've known at least now for 21 years  
18 that this is a vaccine that could protect against  
19 influenza.

20 We can have the next slide, and  
21 concentrate on the issues here. These are the  
22 clinical observations in 24 seronegative children.  
23 I'll show another slide briefly later that has a  
24 slightly enlarged number, but just to say when you  
25 isolate influenza from children, they will be very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 symptomatic, and this in turn is different than what  
2 we'll see with the cold adapted vaccine.

3 So that virtually all have fever, cough,  
4 low grade fever and cough, and over half of them, 13,  
5 had fever greater than 103. In terms of secondary  
6 bacterial infection, you have with naturally occurring  
7 influenza in young children no trouble at all  
8 demonstrating associated otitis or pneumonia, six  
9 children out of 24. So a quarter of the naturally  
10 infected children had either otitis or pneumonia.

11 We went through -- WE collectively went  
12 through a very large number of Phase I trials in  
13 children and adults, and if we can have the next  
14 overhead, the first large study was one that Kathy  
15 Edwards did.

16 This was a trial in individuals 1-65 years  
17 of age, and involved 5,000 individuals receiving  
18 something in the order of 12,00 doses of vaccine  
19 divided between placebo inactivated and live  
20 attenuated vaccine. These vaccines were given  
21 repeatedly over a four-year period.

22 If we can have the next slide: Again I'm  
23 going to concentrate on this particular table. If  
24 it's hard to read from the back, I will point out  
25 what, to me, are the only things that I really want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 emphasize.

2 That is that, if you give the vaccine to  
3 a large enough group of people, you will see the  
4 emergence of a significant association of sore throat,  
5 coryza and lethargy, and a lesser but still  
6 significant association with headache and muscle ache  
7 in the group receiving cold adapted vaccine as  
8 compared to control.

9 I can point out that one will also see  
10 with inactivated vaccine local reactions in excess of  
11 those seen when a placebo is given intramuscularly.

12 We can have the next slide. We now come  
13 to at this point the seminal paper in our  
14 understanding of the potential of the live attenuated  
15 vaccine published with Dr. Belshe as the first author,  
16 a group assembled by the manufacturer of the vaccine  
17 and, most importantly, by NIAID which provided a great  
18 deal of the support for the conduct of this trial.

19 We can have the next slide. Again, I want  
20 to concentrate here on clinical symptoms, and what you  
21 will see is very similar to the observations in the  
22 broader age range that Dr. Edwards studied, this  
23 involving children 15 to 59 months of age.

24 Again, in the first several days after  
25 administration of the vaccine, there was excess

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 rhinorrhea and nasal congestion that reappeared on  
2 about day eight or nine, and there is one day in which  
3 there was an excess of fever. But these are, I would  
4 present, very much milder symptoms, and really the  
5 clinical assessment of these symptoms would be that  
6 they were very tolerable, and I don't think that  
7 anybody in consideration of the vaccine has thought  
8 that these minor upper respiratory symptoms would form  
9 a contraindication to the administration of the  
10 vaccine.

11 They do, however, perhaps raise the  
12 question of associated bacterial infections in some of  
13 the specific questions, and that's my major reason for  
14 presenting them, not to, in a definitive way, review  
15 the overall data on safety of this vaccine.

16 So if we can go to the next slide, and  
17 we'll start at the top. I want to come back to my  
18 assessment of the implications for transmissibility  
19 and reassortment of attenuated and wild type  
20 influenza, and to point out, as has already been  
21 pointed out, that in a variety of settings  
22 reassortment can be seen.

23 Reassortment can occur between wild type  
24 influenza strains. This has already been commented  
25 on. The particular paper I'm quoting is one that Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Kendall and Cox had in a symposium, and it's obviously  
2 felt to be important in the emergence of novel strains  
3 by shift, either in man or in animal reservoirs.

4 Reassortment has also been documented  
5 during the simultaneous administration of bivalent A,  
6 H3N2 and H1N1 cold adapted vaccines. That's work that  
7 we did, published in Vaccine, and in fact the  
8 reassortment occurred, to me, still at a strikingly  
9 high level when one considers that simultaneous  
10 infection of a single cell is necessary to demonstrate  
11 reassortment.

12 We were, by enzymatic characterization of  
13 the neuraminidase and by classical methods of  
14 characterizing the hemagglutinin, able out of 212  
15 plaques picked from young children shedding virus  
16 after having been given a bivalent H3N2, H1N1  
17 preparation, demonstrate H3N1 plaques and H1N2  
18 plaques. In fact, the overall percentage of  
19 reassortments in the total plaques characterized was  
20 25 percent.

21 Reassortment at a lower level, eight in  
22 340 clones -- and this is work done by Dr. Younger,  
23 Dr. Treanor and Dr. Patricia Whitaker-Dowling; Dr.  
24 Younger and Dr. Dowling are here -- demonstrate that  
25 when they gave a cold adapted and live -- this is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 wild type H1N1 -- that the only virus recovered had a  
2 wild type phenotype.

3 So they gave a cold adapted and a wild  
4 type virus simultaneously. This was an extension of  
5 some work that they had done in tissue culture and in  
6 animal models, demonstrating that the cold adapted  
7 vaccine appeared to have a negative dominant effect  
8 over wild type virus, and it would out-compete wild  
9 type virus.

10 They characterized the internal genes and  
11 found reassortment at a lower but still significant  
12 level and, as I say, this study was done to explore  
13 the concept that the CA vaccine can be dominant over  
14 the wild type virus.

15 The co-administration of CA and wild type  
16 virus in this particular case did not lead to  
17 significant decrease in illness, but the study was  
18 small in number, and they point out a number of the  
19 limitations of the design of the study in actually  
20 demonstrating this fact.

21 This remains, I guess, at least a  
22 potential for the cold adapted vaccine in the face of  
23 the established epidemic that not only will be more  
24 immunogenic, but one may see an effect of vaccine more  
25 rapidly after administration, perhaps even within

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 days, than is seen with inactivated vaccine.

2 I next turn to the case of the Mongolian  
3 camels. This is a report of Dr. Schultacek, and in  
4 Mongolia a PR-8 virus reassortant was used as a  
5 partially UV inactivated vaccine in 1978. I don't  
6 know the whole history of this.

7 What he has demonstrated is that a PR-8  
8 virus has continued to circulate in camels and  
9 children in Mongolia, and to demonstrate reassortment  
10 with other strains. So this is an example where not  
11 the cold adapted vaccine that we're talking about  
12 here but apparently an incompletely inactivated  
13 vaccine introduced a variant hemagglutinin and other  
14 genes into the population.

15 They also pointed out that in Mongolia  
16 this has been accompanied over roughly, I think, from  
17 1978 to 1991 by very little antigenic drift, and  
18 raised a question in the article of maybe this 1950s  
19 H1N1 virus wasn't in the freezer, but they propose,  
20 although I'm not sure that I understand the  
21 mechanism, that in populations with very low density  
22 there may be long term circulation of virus with very  
23 little antigenic change.

24 So these are the examples, that I'm aware  
25 of, of reassortment occurring. It can occur.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Reassortment, as has been pointed out, between the  
2 cold adapted vaccine and a wild type virus would  
3 likely attenuate the resulting reassortant virus, as  
4 the CA attenuating mutations are in several genes, and  
5 Brian has already made this point.

6 Maybe we can move it up a little bit, just  
7 for the people in the back, almost through this page.

8 The evidence against virus transmission,  
9 either between young children in the family or daycare  
10 center, is really -- although limited number, is quite  
11 strong. So that the reassortment would likely have to  
12 occur in the vaccinee. I think that the changes of  
13 this virus being transmitted to other people is small.

14 If we can have the next overhead, we will  
15 demonstrate what I'm aware of as the available  
16 information.

17 The information at the top is from the  
18 large trial that Dr. Belshe reported, and the data was  
19 shared by him and by Mark Wolfe, the statistician. So  
20 there were a fair number of seronegative vaccinees who  
21 had antibody rises, and there were seronegative family  
22 contacts identified, and none of the family contacts -  
23 - the numbers you can see here -- had a serologic  
24 rise.

25 In addition, we went back through our data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in Phase I studies at Vanderbilt with seronegative  
2 placebos in close daily contact for usually a ten-day  
3 period, with vaccinees shedding CA vaccine. So we  
4 only took studies in which seronegative placebos were  
5 identified in which vaccinees had clearly shed virus.

6 Some of these studies were done with  
7 either monovalent or bivalent A viruses. Some of them  
8 were done with trivalent, which would have a B  
9 component.

10 So you see the numbers here. There were  
11 two people in whom we recovered virus on either one or  
12 two days. Neither of these experienced an antibody  
13 rise, and I think it is conceivable that they were  
14 infected. We isolated virus from them, but could not  
15 confirm it by antibody rise, and in general in young  
16 children the concordance demonstrating infection and  
17 demonstrating an antibody response has been very high.

18 Furthermore, I think by analogies with  
19 some of the thinking that's going on with live  
20 attenuated polio vaccine now and its potential to  
21 continue to circulate, even if occasional circulation  
22 occurs, this virus falls very well below the threshold  
23 that is necessary to sustain circulation through --  
24 sustain transmission through more than an immediate  
25 contact, and there are rules that have been developed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in terms of making a decision about whether any kind  
2 of sustainable transmission may occur with a live  
3 attenuated vaccine, and this would certainly, by my  
4 estimation, fall well below that.

5 So I would contend that the lack of  
6 transmissibility of the CA virus virtually eliminates  
7 the risk of spread as a result of vaccination. There  
8 is some work currently ongoing -- I really cannot  
9 comment on the number of individuals or results --  
10 looking at the safety of live attenuated vaccines in  
11 HIV-infected volunteers.

12 There has not, to my knowledge, been  
13 extensive examination of the vaccine in other  
14 immunocompromised individuals. The HIV population is  
15 perhaps the population most likely to be unidentified  
16 and to be considered a candidate for vaccines,  
17 although the current screening techniques in the  
18 United States are really very efficiently identifying  
19 most or a high percentage of HIV infected children.

20 Reassortment as a result of simultaneous  
21 infection with CA and wild type virus can occur, but  
22 would confer no selective advantage, and the only  
23 scenario which we've already raised in which concern  
24 would be raised would be the transfer of a novel H or  
25 N gene from attenuated to wild type virus by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 reassortment.

2 This would be a setting in which the novel  
3 genes had been introduced in CA vaccines in  
4 anticipation of an epidemic that did not materialize,  
5 and I think, Brian, this is obviously something that  
6 all of us are concerned about, and Brian has talked a  
7 bit about what the guidelines might be for the  
8 introduction of a live attenuated vaccine.

9 So that is my take, if you will, on these  
10 questions. So we can go on to the next overhead.

11 We'll talk now about the damage that  
12 influenza can cause to the epithelial surface. Wild,  
13 type influenza causes loss of ciliary activity and de-  
14 epithelization, which leads to increased bacterial  
15 superinfection in the upper respiratory tract with  
16 otitis and in the lower respiratory tract with  
17 pneumonia.

18 The paper that I talked about from our  
19 group is one of only, obviously, many, many that has  
20 documented that.

21 The association of influenza infection  
22 with otitis media has been made on many grounds,  
23 certainly on a epidemiologic basis, by the  
24 observations of protection from otitis media provided  
25 by both inactivated and live attenuated vaccines, by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 changes in middle ear findings on experimental wild  
2 type challenge in adults, so that Dr. Hayden's group  
3 at the University of Virginia has been doing studies  
4 in which they can quite consistently find, at the very  
5 least, changes in middle ear pressure and occasionally  
6 frank otitis during the course of wild type influenza  
7 virus challenge.

8 To my knowledge, these sort of detailed  
9 studies of middle ear pressure have not been done in  
10 adults during cold adapted vaccine. They could be  
11 done, but I will make the point at the end that I  
12 think they would not be terribly informative or  
13 terribly likely to show otitis.

14 Influenza replicates in cells lining the  
15 respiratory cavity, and studies in isolated primary  
16 epithelial cells is an area that we're interested in,  
17 that Dr. Couch is interested in. We are now trying to  
18 study in more detail the subtype and the extent of  
19 damage caused in this system.

20 What we can say is a growth of live  
21 attenuated influenza A and B cold adapted vaccines in  
22 primary epithelial cells, as it is in man, is  
23 hundredfold less than wild type virus. Actually, that  
24 finding of a limited growth of attenuated respiratory  
25 vaccines has extended in our hands also to live

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 attenuated respiratory syncytial virus and  
2 parainfluenza-3 virus vaccines.

3 Administration of live attenuated  
4 influenza vaccines is associated with a slight  
5 increase in upper respiratory symptoms in children and  
6 adults, but no increase in otitis media. This seems  
7 to me to be the most discriminating way of looking at  
8 this question of whether secondary bacterial infection  
9 may occur with a cold adapted vaccine, and I'll show  
10 you these tables in just a minute.

11 We don't think that there's any  
12 possibility of secondary bacterial pneumonias, if only  
13 because all of the evidence from the shutoff  
14 temperature of the vaccines, the temperature at which  
15 the vaccines will grow, and the absolute lack of any  
16 association of lower respiratory symptoms, even cough,  
17 with administration of the vaccine suggests that its  
18 replication in the lower respiratory tract is either  
19 nonexistent or very, very limited.

20 There was an article distributed in the  
21 infant rat model in which wild type H3N2 strains in  
22 the neonatal rat were associated with a facilitation  
23 of bacteremia and meningitis due to H-flu, and there  
24 was some data in that table on the -- in that paper on  
25 the cold adapted vaccines, and I've summarized that on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the table.

2 So maybe we can go to the next overhead,  
3 and then I'll come back to the conclusions.

4 So the studies of H-flu bacteremia in the  
5 infant rat, an interesting model developed primarily  
6 to look at systemic H-flu disease and a model in which  
7 not getting bacteremia is dependent on dose,  
8 demonstrated that, with the addition of wild type --  
9 these are two different H3N2 strains -- you could  
10 quite frequently get bacteremia and meningitis, which  
11 otherwise you would have to give a higher dose of the  
12 H-flu to get.

13 The cold adapted vaccines, although there  
14 were perhaps one instance in which bacteremia was  
15 demonstrated, did not cause meningitis, and a very  
16 similar experience in my summary of the table in that  
17 paper is seen with the A/Victoria where again  
18 bacteremia in combination with wild type influenza was  
19 common, and meningitis was seen with a cold adapted  
20 vaccine. There was very little evidence for either of  
21 these bacterial invasions.

22 The data, again courtesy of Mark Wolfe at  
23 Emmis Corporation, in terms of the episodes of febrile  
24 otitis documented with a physician's visit in the ten  
25 days after vaccination -- and I've also seen the

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information for ten to 42 days after vaccination.

2 This was a two-year study. In Year One  
3 two doses of vaccine were given. A single dose was  
4 given in Year Two. This is the trivalent cold adapted  
5 vaccine, and no significant increases in otitis in  
6 vaccinees were seen either with their very first dose  
7 or vaccine, their second dose in the same year or a  
8 dose in the next year.

9 So this -- if we can go back maybe to the  
10 conclusions. It would seem to me that live vaccine  
11 intranasally would carry a low probability of  
12 sustained epithelial damage, primarily based on the  
13 lack of association of administration of live  
14 attenuated influenza vaccines in young children with  
15 otitis media, based on the detailed daily studies, in  
16 some cases with pneumatic otoscopy and tympanometry  
17 that we performed in Phase I studies, and the much  
18 larger database and discriminatory power of these  
19 large Phase III studies.

20 Experimental models for influenza  
21 challenge and monitoring of middle ear status exists  
22 in adults, but the limited replication of virus in  
23 adults with prior exposure to related influenza  
24 strains, I don't think, would make the latter a very  
25 discriminatory model. They are simply not going to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 replicate enough virus to, I think, learn very much  
2 from that, although that's something the Committee  
3 could consider, and it's not a hard study, basically,  
4 to do.

5           The restriction in the growth of the cold  
6 adapted vaccine in the lower respiratory tract makes  
7 the possibility of pneumonia very low, and primary  
8 epithelial cells have shown limited growth with all  
9 live attenuated respiratory vaccines evaluated, and  
10 may be a model for screening newly introduced  
11 variants.

12           I do have one -- you can go to the next  
13 slide. I do have one growth curve comparing the  
14 growth in MDCK in these primary respiratory epithelial  
15 cells derived from adenoidal tissue, and you can see  
16 that the wild type H3N2 grows above six logs, the  
17 cold adapted below four logs, wild type H1 slightly  
18 less growth but again a two log reduction in titer  
19 with a cold adapted vaccine, and the same seen with  
20 the B.

21           With the exception of the wild type H1N1,  
22 this virus grows as well in these primary epithelial  
23 cells as it does in MDCK, and you actually don't see  
24 in cold adapted -- with a cold adapted the  
25 differentiation in MDCK that you do in human tissue of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 epithelial origin that has representation in ciliated  
2 cells used in producing cells and is representative,  
3 I think, of -- as a closer representation of what goes  
4 on in the nose as we can get.

5 So we'll go to the next overhead, and go  
6 through at least my assessment of this question of  
7 hypersensitivity reactions to influenza vaccine.

8 Egg allergy is reported to be common in  
9 childhood. Estimates of .5 percent, but much rarer in  
10 adults, although you do find individual adults who are  
11 extremely sensitive to ingested eggs. It's directed  
12 both against egg yolk proteins and egg white,  
13 ovalbumin ovomucoid, perhaps more to egg yolk proteins  
14 in the assessment of an allergy to ingested eggs.

15 Inactivated influenza vaccine produced in  
16 embryonated eggs contains measurable amounts of  
17 ovomucoid, ovalbumin. These are the amounts in a  
18 recent paper just published in The Journal of  
19 Pediatrics from one manufacturer.

20 A group at Johns Hopkins and the  
21 University of Arkansas had identified a group of egg  
22 allergic subjects documented by history, skin test,  
23 and/or a reaction to oral challenge, including a  
24 number with anaphylactic reactions to ingested egg.

25 All of these individuals were given

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inactivated influenza vaccine intramuscularly without  
2 any adverse reactions, including those with the  
3 history of anaphylactic reactions; nor was there any  
4 good correlation of skin test reactivity with any  
5 reactions with the influenza immunization.

6 This same group had done a study of MMR,  
7 which contains probably much less egg protein, but  
8 does contain egg proteins in that it's grown in chick  
9 embryo fibroblasts, the measles and mumps component,  
10 and again there was no -- There were some adverse  
11 reactions seen, but absolutely no association with a  
12 history of egg allergy.

13 The recommendations for both vaccines was  
14 that it could be given to egg allergic subjects  
15 without skin testing or other than the usual  
16 precautions for anaphylactic and reactions that would  
17 be common to any physician's office or setting in  
18 which vaccines were being administered.

19 Now that's not the recommendation of the  
20 Red Book, but just to say that the actual injection of  
21 egg protein, although in smaller amounts than would be  
22 contained in the live attenuated vaccine, has been  
23 well tolerated by a group of individuals well  
24 documented to be egg allergic.

25 There is a literature on using

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 aerosolized, small particle ovalbumin to sensitize  
2 the airway of mice, and I would point out that the  
3 cold adapted vaccines have been given either by nose  
4 drops or large particle aerosol which specifically is  
5 designed to exclude penetration into the lower airway.

6 So there is this model that exists, but in  
7 discussion with an individual at Vanderbilt who is  
8 working actively with this model with the respiratory  
9 syncytial virus, he said that in virtually all  
10 investigators' hands, it must be preceded by an  
11 intraperitoneal priming with ovalbumin. So just  
12 giving repeated doses of ovalbumin to the mouse  
13 without intraperitoneal priming is very unlikely to  
14 lead to sensitization.

15 There is in the literature a paper on IgE  
16 and IgG binding in proliferative epitopes ovomucoid  
17 described in egg allergic patients, and there was this  
18 interesting report that was sent to the Committee  
19 documenting allergies to an extensive, prolonged  
20 inhalant exposure to aerosolized egg solution in a  
21 bakery.

22 Basically, some meat rolls were being  
23 sprayed with a high pressure, I presume, relatively  
24 small particle generating spray, and it was said that  
25 it could be readily appreciated in the environment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that egg was being sprayed everywhere, if you will;  
2 and a high percentage of the individuals developed  
3 some respiratory symptoms associated with this  
4 exposure.

5 All immunoprecipitants were to yolk  
6 components and none were to ovalbumin, and this seems  
7 to me to have been an exposure that was really both  
8 quite extensive and different than I would -- than I  
9 visualize the exposure with the vaccine.

10 This is a point that I made, that delivery  
11 to the lower respiratory tract is really very small.  
12 We have looked at this in primates with using  
13 technetium sulphur colloid and scanning, and I know  
14 similar studies have been done in more detail by the  
15 manufacturer of the vaccine, and at least by this  
16 technique you really cannot demonstrate any particles  
17 getting into the lung, although you do find that 30-40  
18 percent of the dose ends up being ingested, ultimately  
19 runs down the back of the throat and is swallowed.

20 That's a bit higher if you do it by drops,  
21 but that's true both by spray and by drops.

22 Certainly, the live attenuated vaccines,  
23 as they're currently prepared in embryonated eggs,  
24 would have large amounts of egg protein. They are  
25 basically, as I understand the final product,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 predominantly in egg allantoic fluid.

2 I would point out that no immediate  
3 allergic manifestations have been reported, including  
4 in the largest series of yearly immunizations, the  
5 12,000 immunizations that we talked about, nor in the  
6 larger studies that I'm aware of that have been done  
7 by the manufacturer.

8 Live attenuated influenza vaccine has been  
9 given to a small number of asthmatics at Vanderbilt  
10 without alteration in pulmonary function, work done by  
11 Dr. Gruber, a colleague, and my understanding is that  
12 Aviron has studied a number of additional asthmatics,  
13 again without finding any evidence of altered  
14 pulmonary function or lung reactivity.

15 We in several studies have given patients  
16 with cystic fibrosis live attenuated influenza  
17 vaccines with -- who had existing respiratory  
18 compromise, sometimes quite marked, with no adverse  
19 reactions.

20 So I think we're now -- Is there one more  
21 kind of conclusion to this section, and then I am  
22 finished.

23 So my conclusion would be that the  
24 delivery of egg allantoic fluid into the upper  
25 respiratory tract would seem to carry a lower

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 probability of hypersensitivity reactions.

2 People with egg allergy can be identified  
3 and could be challenged. However, there is no  
4 evidence from other vaccines that hypersensitivity  
5 corresponds with allergy to ingested eggs.

6 Obviously, some consideration could be  
7 given to lowering the concentration of egg protein by  
8 putting the vaccine in another excipient, and this  
9 might be considered in the manufacturing process.

10 So this is, obviously, my personal  
11 assessment of the questions that were posed, and I'm  
12 happy, with time permitting, to answer questions and,  
13 if not, people can ask me or digest what I've said.

14 CHAIRPERSON FERRIERI: Thank you very  
15 much, Peter. I had a question for you, a general one,  
16 without referring to any single product, and it's  
17 tangential to your presentation. But given  
18 information that there's lesser replication of the  
19 attenuated live virus in adults, is it your  
20 understanding that the immunogenicity is unaltered in  
21 adults compared to children? So the antibody take --

22 DR. WRIGHT: No. The immunogenicity in  
23 adults, I think, almost certainly is altered and is  
24 lower, and the best evidence for that is really the  
25 study that Dr. Edwards did.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I think -- So the immunogenicity is lower  
2 than that seen with the inactivated vaccine, if you  
3 simply look at HAI serum antibody titers.

4 CHAIRPERSON FERRIERI: How does that  
5 translate in terms of protection then?

6 DR. WRIGHT: It's a complicated story. I  
7 think the best summary of it or the aggregate would be  
8 that the two vaccines over a four-year period against  
9 two H3N2 and two H1N1 epidemics in successive years  
10 was virtually equivalent -- was equivalent in terms of  
11 virus isolation.

12 There were more antibody rises associated  
13 with illness seen in live vaccine recipients. Some of  
14 that may have related to the fact that with  
15 inactivated vaccine there was already a high  
16 preexisting antibody, and demonstration of antibody  
17 rises in that setting, we think, is more difficult.

18 Kathy may have some -- anything that she  
19 would like to add. I think the overall conclusion,  
20 which was perhaps a bit disappointing at the time, was  
21 that the two vaccines behaved equivalently.

22 Several changes have taken place in the  
23 product since that study was done that may influence  
24 its immunogenicity and efficacy, and the major one,  
25 and really the major one, is that the vaccine is now

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 being given as a large particle aerosol instead of  
2 drops.

3 It would appear that immunogenicity of the  
4 vaccine is slightly better at least when given by this  
5 route, which may lead to a more uniform distribution  
6 of virus in the upper respiratory tract.

7 CHAIRPERSON FERRIERI: Thank you, Peter.  
8 We have time for one question before the break. Who  
9 would like -- Carol, and you've been so nice about not  
10 always getting your hand recognized when you first put  
11 it up. Please.

12 DR. HALL: Peter, thank you very much. It  
13 was an excellent summary, well done.

14 I just wanted to mention that, first of  
15 all, I think you've given us very good evidence that  
16 the use of these vaccines would be effective in  
17 preventing the secondary bacterial infections which  
18 are of great concern. But in children most of this is  
19 probably related to the upper respiratory tract, the  
20 otitis and sinusitis, etcetera, and in terms of  
21 hospitalization that one of the potential advantages  
22 of this vaccine may be the prevention of pneumonia,  
23 which is not secondary bacterial pneumonia.

24 In other words, in children it's different  
25 than what's generally described or what Roland

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 described as the primary viral pneumonia, but most of  
2 these children are admitted with a general  
3 interstitial viral pneumonia that may be  
4 indistinguishable from RSV.

5 The suggestion would be that, since it  
6 does not grow there, that that is the major case of  
7 hospitalization, I think, in young children would be  
8 prevented. Would you agree with that?

9 DR. WRIGHT: Yes, I would certainly hope  
10 so. People can correct me if I'm wrong. I think that  
11 the specific endpoint of lower respiratory tract  
12 disease has not yet been addressed in the studies.  
13 Paul, I might ask if -- I mean, the protection is  
14 against febrile influenza illness, against otitis,  
15 with its representative live attenuated vaccine.

16 DR. MENDELMAN: Bob Belshe presented it --

17 CHAIRPERSON FERRIERI: Excuse me. Your  
18 name and place?

19 DR. MENDELMAN: Sorry. Paul Mendelman  
20 from Avron, Mountain View, California.

21 In response to Peter's question, Bob  
22 Belshe presented the year two efficacy data for the  
23 pediatric protective efficacy trial at ICAAC on  
24 September 27th, and in Year Two where A/Sydney was the  
25 predominant strain, there were eight cases of lower

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 respiratory tract illness which were either pneumonia  
2 or bronchitis or significant wheezing episodes, and  
3 all eight cases were in the placebo group.

4 CHAIRPERSON FERRIERI: Thank you. We have  
5 time for a very brief question from the panel. Dr.  
6 Kim.

7 DR. KIM: One question. From the summary  
8 data regarding Dr. Belshe and from Vanderbilt Phase I  
9 studies, this question relates to the issues which  
10 Caroline Hall raised earlier. It's that you indicated  
11 that transmission was almost negligible based on  
12 looking into the population of seronegative family,  
13 contacts or exposed placebos.

14 Was there any children being included or  
15 this is just the general population, including  
16 children and adults?

17 DR. WRIGHT: No. All of the data that I  
18 presented, in contrast to what Brian presented, was  
19 from young children who had never experienced  
20 influenza before. So I think in children you do have  
21 this question of you're really approaching the  
22 infectious dose.

23 This is something that we saw with the  
24 rotavirus vaccine as well, that it was not  
25 transmitted, and this we think of as a classically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 easy to transmit virus.

2 So I guess a hypothesis might be that,  
3 having symptomatology associated with virus shedding  
4 facilitates transmission, both with rotavirus and --  
5 So the fact that these children were not sneezing and  
6 coughing may have contributed to the lack of spread in  
7 that they had really very minor symptoms.

8 I would submit that the same might be true  
9 with the fact that the rotavirus vaccine does not  
10 spread substantially.

11 CHAIRPERSON FERRIERI: Thank you very  
12 much, Peter.

13 We'll now break and resume our meeting at  
14 10:20. Thank you very much.

15 (Whereupon, the foregoing matter went off  
16 the record at 10:07 a.m. and went back on the record  
17 at 10:28 a.m.)

18 CHAIRPERSON FERRIERI: I'd like to  
19 reconvene the meeting, if the Committee members would  
20 please return to the table. People in the hall could  
21 please be brought in. Please come to the table and  
22 resume seats in the audience.

23 We always take a little more time than we  
24 say we will, but I usually know what effect that will  
25 have on the rest of the program, and I think we're in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 good shape and can give adequate attention to all of  
2 the questions posed for the Committee by Roland  
3 Levandowski.

4 First, we'll start with the open public  
5 hearing, and for that I'll turn it over to Ms. Cherry.

6 MS. CHERRY: Now that the presentations  
7 have been heard, is there anyone that wishes to make  
8 a statement?

9 CHAIRPERSON FERRIERI: Okay, this is the  
10 formal open public hearing session, but seeing no one,  
11 we'll proceed with the program. Thank you, Nancy.

12 I would like to remind the Committee  
13 before we return to Roland and his issues for  
14 discussion that this is an overall approach that we  
15 are examining. We are confining ourselves to the  
16 specific issues raised by FDA for us, and even though  
17 some of our questions were rather tangential at times,  
18 those are not the things that we are being asked to  
19 address today.

20 So, Roland, could you please begin, and  
21 also nudge us, if you think we're not being  
22 sufficiently targeted.

23 DR. LEVANDOWSKI: Okay. Well, thank you.  
24 I don't actually have any further comments at this  
25 point, but I think we would like to hear the

1 Committee's discussion. There have been -- Even the  
2 questions that have been asked this morning, I think,  
3 were illuminating and very helpful for all of us to  
4 hear, but we do want to have the Committee spend as  
5 much time as possible on these issues.

6 So all I'm going to do, I think, is to put  
7 the overhead up here that reiterates the questions.

8 CHAIRPERSON FERRIERI: Yes, please, and  
9 then I'll take them one by one, and we should be able  
10 to deal with them.

11 The first issue for discussion by the  
12 Committee, and I would encourage all of the invited  
13 participants who are not regular members of our  
14 Committee to contribute to this -- we need your input  
15 as experts in this area.

16 The first question is to comment on the  
17 markers used to predict the attenuation of live  
18 attenuated influenza virus vaccines.

19 I'll entertain anyone who wishes to lead  
20 off. We've heard about these markers regarding the -  
21 - that are phenotypic in nature, specifically the  
22 temperature sensitivity, the cold adaptation, and the  
23 6/2 gene constellation, and the attenuation in rodents  
24 and ferrets that has been studied as well.

25 So are these adequate markers to predict

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the attenuation and the ongoing maintenance of  
2 attenuation? Who would like to -- Dr. Greenberg,  
3 please.

4 DR. GREENBERG: I guess Brian would be the  
5 person to readdress this. Was it your feeling that,  
6 if you were going forward, that there's enough data in  
7 the past that you can be sure of attenuation if you  
8 have a 6/2 constellation with any new influenza and a  
9 cold adapted and ts phenotype, or do the animal  
10 markers also need to be done for any new virus that  
11 you create?

12 CHAIRPERSON FERRIERI: Dr. Murphy?

13 DR. MURPHY: Briefly, we've never -- We've  
14 never seen a virus, basically, that had the ts, ca and  
15 6/2 gene constellation that didn't behave in a  
16 reasonably predictive way, with some of the  
17 variability that we talked about before.

18 So that's the data. I think there  
19 probably are -- I think I discussed 11 in my talk.  
20 Since I've stopped working on these viruses, there's  
21 been at least probably seven or eight more that have  
22 been studied, well up into double figures now.

23 I don't know of a circumstance that we  
24 have not achieved a predictable phenotype. That's the  
25 best I can say.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRPERSON FERRIERI: Thank you. Yes,  
2 Dr. Schild?

3 DR. SCHILD: This has been a very long  
4 term project. I remember it many years ago, probably  
5 25 years ago, getting off the ground, and I think  
6 those involved should be congratulated on the quality  
7 of the work and the long term commitment and the  
8 imagination.

9 As Brian Murphy mentioned to us at the  
10 beginning, this approach involves a strategy, not just  
11 a "one of" licensing of a vaccine. We've heard a lot  
12 of very good information on the stability of the  
13 temperature, the temperature adapted phenotype, which  
14 is very impressive. However, given that this is a  
15 strategy and that currently, based on epidemiological  
16 surveillance, strain characterization and so on, there  
17 seems to be a need to change the composition of  
18 influenza, trivalent influenza vaccine at least once  
19 a year.

20 The question does arise, how much clinical  
21 information is going to be desirable in relationship  
22 to the testing of each successive new vaccine  
23 composition? We haven't actually heard very much of  
24 that.

25 We've heard a lot of impressive historical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 data. I think that would be an important issue to  
2 discuss.

3 The other thing I would -- I think is  
4 quite interesting is that, although we know that there  
5 are three of the genetic components of this virus  
6 which are relevant to the attenuated phenotype, it's  
7 not quite clear to me the extent to which we know the  
8 point mutations in those genes that do concern the  
9 attenuation fully, and to the extent to which we have  
10 studied the long term stability of those genetic  
11 modifications on passage, on long term serial passage.

12 The evidence that the phenotype is  
13 maintained on passage is good, but what about the  
14 genotype? I think those are still interesting  
15 questions.

16 CHAIRPERSON FERRIERI: Yes. Thank you,  
17 Dr. Schild. I had hoped someone would press on the  
18 genotype. Brian, would you like to take off on this  
19 challenge?

20 DR. MURPHY: It's a very good question.  
21 The second question I'll address first regarding the  
22 genotype.

23 The only point mutation that's  
24 unequivocally been identified with a phenotype that's  
25 been conferred by the cold adapted virus is the point

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 mutation that exists in the PB-2 gene, because it has  
2 been possible to take that particular mutation and  
3 transferred through a process of reverse genetics into  
4 another virus, and then to show in this other virus or  
5 in another background it was able to confer a ts and  
6 attenuation phenotype.

7 Now so that's one amino acid that is  
8 unequivocally known to be associated with a phenotype.  
9 The reverse genetic systems for all of the other genes  
10 do not exist at this point in time.

11 So I think we have to wait, to some  
12 extent, until we get that information.

13 DR. SCHILD: Would that be an area of high  
14 priority for future research?

15 DR. MURPHY: I would say it would be an  
16 area of priority. I think we are always much better  
17 served when we understand the genetic basis of  
18 attenuation of viruses, and then you can look at those  
19 particular elements during all phases of manufacture  
20 and production and testing in humans.

21 So that I would agree with you. We should  
22 seek that, and I really hope that the basic scientists  
23 who are doing that generate the systems that permit  
24 the efficient expression of all the other genes of  
25 influenza in our reverse genetic system.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Regarding your -- So I think that needs to  
2 be done. Regarding the limited -- the quantity of  
3 experimentation that needs to be done on an annual  
4 basis, I don't know whether -- You know, with this  
5 particular virus or viruses in general. Is that what  
6 you mean? See, that's a complicated question, whether  
7 -- I want to make sure the Chair wants us to get into  
8 questions related to the cold adapted virus or to talk  
9 in general about --

10           DR. SCHILD: This is a general principle,  
11 the thing made in the laboratory or a new strain  
12 selected on the basis that --

13           DR. MURPHY: Right. I understand the  
14 question.

15           DR. SCHILD: -- how much clinical  
16 evaluation do you need to prove that the efficacy and  
17 lack of transmissibility --

18           DR. MURPHY: Right. I think it's a very  
19 important question, and --

20           CHAIRPERSON FERRIERI: I will entertain  
21 expansion on this point, Brian.

22           DR. MURPHY: Okay. The process that's  
23 been -- that was presented in terms of demonstrating  
24 a reproducibility of a set of phenotypes over and over  
25 again, I think, builds a basis for credibility and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 predictability that will serve to limit the extent of  
2 testing that needs to be done with new reassortants as  
3 they are generated using a similar approach containing  
4 similar sets of genes and genetic information.

5 Now what the exact number of volunteers  
6 per new reassortant would be needed to be evaluated,  
7 what that number is -- I could give you my opinion,  
8 but that will be decided by groups such as yourselves.

9 I think that limited numbers of studies --  
10 if this is a virus that is planned to be used in  
11 children, adults and elderly, I think a limited safety  
12 virus replication ability in a limited number of such  
13 individuals. I think that you can get the information  
14 reliably with group sizes as small as 20.

15 I don't think you need to go to 100, to  
16 1,000, to 2,000, but there will be a lot of discussion  
17 on that particular point, and I think that the  
18 information that's been provided indicates that these  
19 studies in the future can be restricted in scope.

20 There can't be no testing. You don't need  
21 to demonstrate efficacy or else you'll never be able  
22 to get a vaccine out in time to have it be beneficial.  
23 So it's going to be a judgment by a group such as  
24 yourself to figure out exactly how to go about making  
25 recommendations for -- and I think limited numbers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 How limited that is, I will leave that up to you.

2 CHAIRPERSON FERRIERI: Would you like to  
3 comment on this specific point, Dr. Kilbourne?

4 DR. KILBOURNE: Yes, I would.

5 CHAIRPERSON FERRIERI: Please.

6 DR. KILBOURNE: When we get into finite  
7 numbers here about how many should be tested --

8 CHAIRPERSON FERRIERI: Can everyone hear  
9 Dr. Kilbourne?

10 DR. KILBOURNE: You can't hear? Okay.  
11 That's my new hearing aid. I sound like I'm  
12 screaming. Am I?

13 CHAIRPERSON FERRIERI: You're doing fine.  
14 The back of the room will appreciate it.

15 DR. KILBOURNE: I can hear me fine.  
16 Perhaps that's all that's important.

17 First of all, I'd like to clarify whether  
18 Brian or others or the Committee entertain the idea  
19 that with every change in vaccine, which presumably  
20 might be as often as every year or every two years  
21 from my knowledge at this point, will there be a new  
22 clinical trial necessary?

23 I think Dr. Schild was driving at that  
24 point as well. I don't think there's an answer on the  
25 table about that yet. Are we sufficiently assured

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about the stability of the genotype underlying the  
2 phenotype that's been presented so that we can simply  
3 add new HA and NA genes every year with the assurance  
4 that these will be attenuated viruses?

5 I hope we will not draw the conclusion  
6 that we don't have to do that, because I think we're  
7 talking about gene recombinatorial ratios here that  
8 are enormous. I think we already know from other  
9 studies that even the combination of HA and NA can --  
10 which might occur with a recombinational event within  
11 the vaccinees -- can alter the replication abilities  
12 of the virus. That is, the NA actually may facilitate  
13 HA cleavage.

14 So there are lots of things going on here  
15 potentially. So I think this point really should be  
16 clarified early on as to whether we are starting with  
17 a premise that annual reconstruction and retrials are  
18 necessary.

19 CHAIRPERSON FERRIERI: Well, we haven't  
20 been challenged with that from FDA at this point, but  
21 I personally am very pleased that you brought it up,  
22 because I share the concerns about the recombinatorial  
23 events, and I would throw back to Roland whether it  
24 was his expectation that we would focus on that or  
25 not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           It's tangential but critical, but maybe  
2 not one he wanted to address today.

3           DR. LEVANDOWSKI: I would say I think that  
4 this issue is one that applies to whether the markers  
5 that are used for predicting attenuation apply or not.  
6 I think this is the kind of discussion that we would  
7 benefit from very greatly.

8           CHAIRPERSON FERRIERI: Great. Who would  
9 like to further this theme? Dr. Snider?

10          DR. SNIDER: Well, I think there is  
11 another aspect that we have to think about, and that  
12 is before we even get to the numbers of people -- I  
13 mean, assuming we would do some testing, and before we  
14 get to the numbers, there's the question of the types  
15 of people who would be included; because the  
16 recommendations for the high risk groups, for example,  
17 for influenza are the normal adults.

18          There's another issue that was raised at  
19 the last ACIP meeting about a very large group of  
20 people with what appears to be a risk factor for  
21 influenza as well as complications, and that is  
22 smokers.

23          So I don't know what the answers to these  
24 are, and maybe the issue could be approached more  
25 generically such as the studies that are now being

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 done with HIV infected and so forth. And if we come  
2 up with negative answers, we'll feel comforted, but we  
3 still are going to face the question of year in and  
4 year out with the change, of what the composition of  
5 any tested group or any clinical trial group would be.

6 CHAIRPERSON FERRIERI: Further thoughts on  
7 this point? Dr. Chanock, what is your opinion?

8 DR. CHANOCK: I'm very much of the same  
9 mind as Brian, and I agree that there is a need for  
10 testing of each product as it emerges as the vaccine  
11 of the year. But I think that there's one point that  
12 should be emphasized right up front before we get into  
13 that.

14 That is, there's been concern about  
15 changing -- you know, gene modifications that occur in  
16 reassortant and so forth. I think you all have to  
17 remember that the same six genes from the same pool of  
18 virus will be introduced into the reassortant each  
19 time you do it.

20 So those genes are fixed. They're stable,  
21 and there's no evidence from what has occurred in the  
22 past that they do undergo any significant change in  
23 the very limited amount of exposure in passage in the  
24 laboratory.

25 So Geoffrey is agreeing with me here, and

1 I feel very happy that he is, because he's a very  
2 severe and rigorous critic. So I think we could  
3 dispense with that as a problem.

4 The problem is the two genes that are  
5 introduced from the wild type. Ed Kilbourne has  
6 introduced the question of NA, the neuraminidase  
7 facilitating cleavage of a virus that doesn't have  
8 what is called a cleavable signature at the cleavage  
9 point.

10 This is a recent paper that appeared at  
11 PMA just a few months ago. If that be the case, I  
12 think that the initial studies in the laboratory which  
13 will reveal what the growth potential -- what the  
14 growth kinetics of the virus are in various types of  
15 tissue at different temperatures and in small animals  
16 and, as Peter has shown us, it may be possible to  
17 short circuit this and go right to epithelial cell  
18 cultures -- I think that as you go along, you'll be  
19 looking for and be very sensitive to any changes and  
20 any differences that might be observed.

21 Thus far, they haven't been observed, but  
22 I think you can -- but you have to be on a fast track,  
23 and you have to do this thing expeditiously in a  
24 timely fashion. Otherwise, you don't have a vaccine.

25 CHAIRPERSON FERRIERI: Dr. Schild?

1 DR. CHANOCK: Wait a second. Let me --

2 CHAIRPERSON FERRIERI: Sorry.

3 DR. CHANOCK: So my feeling is that  
4 limited testing by people who know what they're doing,  
5 things proceeding very quickly, lock step going from  
6 one phase to the next, I think, within a few months  
7 you'll have your answer, and you'll be able to then  
8 expand the activity, expand the use of the vaccine in  
9 larger populations. But as of now, I feel that the  
10 attenuation mutations that are built into the six  
11 genes of the donor virus produce -- when transferred  
12 into a reassortant bearing new antigens of the  
13 epidemic or pandemic strain have been -- the effects  
14 have been very reproducible and consistent and  
15 predictable.

16 I think it's astounding. I don't think  
17 there's any other system in the pharmaceutical  
18 industry or in vaccine development or in existing  
19 vaccines that would allow you to be so confident, of  
20 course with the proviso that the early tests might  
21 indicate that this is not the case. But up to now, I  
22 think this has been a very predictable situation.

23 CHAIRPERSON FERRIERI: Thank you. Dr.  
24 Schild, did you want to retort to anything there, and  
25 then we'll go back to Dr. Kilbourne.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SCHILD: I don't disagree with Dr.  
2 Chanock. I just want to -- This is why I asked the  
3 question about do we know enough about the precise  
4 genetic control of virulence in terms of point  
5 mutations.

6 You would be able to use that information,  
7 if we had more of it, to complement limited clinical  
8 trial evaluation. There's a very good precedent for  
9 this now with polio vaccine where, because of many  
10 years of research work, we know precisely which point  
11 mutations in the genome of the polio virus Type III  
12 control virulence.

13 Every time a new bunch of vaccine is made,  
14 you can actually look at that population of vaccine  
15 virulence and determine the proportion of those which  
16 have the right genome at the particular position.

17 DR. CHANOCK: The man who did that is  
18 sitting in back of the auditorium.

19 DR. SCHILD: Dr. Chumakov has done some  
20 wonderful work on this, and that routinely applied.

21 Thinking into the future, those sort of  
22 strategies could be used for influenza, but there  
23 would be a certain amount of research work necessary  
24 to further pinpoint the precise lesions.

25 DR. CHANOCK: Well, I would submit that

1 this could be done very quickly. As Brian indicated,  
2 there are six -- I counted seven mutations in those  
3 three genes.

4 DR. MURPHY: It might be additional genes,  
5 though, that we don't really know about.

6 DR. CHANOCK: I understand, but of those  
7 that we know are major contributors, we have those --  
8 we know the mutations in each of these proteins.

9 This could be tested very quickly by  
10 sequence analysis, going back to viruses recovered  
11 during the preceding 18 studies. You would have a  
12 very good idea of how stable these mutations are, not  
13 necessarily in production but at least at the distal  
14 end of the virus that is recovered from the infected  
15 vaccinees, and this could be done.

16 I mean, materials are in the freezer. The  
17 analysis can be performed, and I think that question  
18 can be answered.

19 CHAIRPERSON FERRIERI: Dr. Kilbourne,  
20 could you contribute to this?

21 DR. KILBOURNE: Well, everything that Dr.  
22 Chanock said before, I have no particular quarrel  
23 with, but he's defining the virus that goes into  
24 people, not the virus that may come out of people or  
25 may recombine in the field; because the acquisition

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 there of a fortuitously evil neuraminidase in terms of  
2 the cleavage phenomenon or other intergenetic  
3 interactions cannot be predicted.

4 I am very worried about categorical  
5 statements that mutations will not happen or the  
6 implication that we have stability here. We have,  
7 certainly, stability of phenotype, and I think it's  
8 been truly remarkable and, as Bob says, it might be  
9 unprecedented. But we have to be concerned about the  
10 next possibility, and that is for interaction in the  
11 field with something else. So far, it ain't happened.

12 CHAIRPERSON FERRIERI: Yes, please, Dr.  
13 Murphy. Don't feel neglected on this side of the  
14 room. We're composing a concerto on this side right  
15 now, even though it sounds dissonant, and I want to  
16 continue this theme. Please, Brian.

17 DR. MURPHY: I wanted to just clarify  
18 something that -- in my presentation. The work that  
19 I presented was done mostly with monovalent vaccines,  
20 and so the experience that I presented there has to be  
21 limited in that context.

22 What Ed is talking about here is a  
23 situation where you are putting in a bivalent  
24 preparation, and you're coming up with reassortants  
25 that -- None of the data that I have specifically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 addresses issues related to that, and I think that  
2 information about what can come out of that needs to  
3 be considered and addressed.

4 I can make a very simple suggestion here  
5 of a way to address a specific concern that Ed had  
6 discussed, the possibility of interactions of  
7 glycoproteins that would lead to more cleavable  
8 phenotypes, which has been associated with virulence.

9 That would be very simple to do by looking  
10 at viruses that come out of volunteers or experimental  
11 mixtures of hemagglutinins for their ability to grow  
12 in the presence or absence of MDCK cells with trypsin,  
13 because that would indicate whether a more cleavable  
14 phenotype has been derived.

15 I would suggest that and make  
16 recommendations to people who are actively studying  
17 this that they could look at this particular property  
18 to address that one concern that Ed has brought up,  
19 which is a real concern and something that just needs  
20 to be addressed experimentally.

21 CHAIRPERSON FERRIERI: Dr. Cox, would you  
22 like to contribute to this?

23 DR. COX: Yes. I'd just like to make a  
24 couple of comments about the ease with which we can  
25 look for the presence of the particular amino acid

1 changes that have occurred in the viruses and monitor  
2 for what is coming out of individuals who have been  
3 infected.

4 As I mentioned earlier, we've actually  
5 done a lot more of that with Russian live attenuated  
6 vaccines in our own laboratory than we have with the  
7 U.S. vaccines, and it's very, very easy to devise our  
8 FLP strategies or sequencing strategies. So these  
9 things can be monitored with relative ease, if you  
10 have the person power and the sequencing power to do  
11 so.

12 The other thing that I would like to say  
13 is that we also attempt to keep tabs on the viruses  
14 that are circulating in nature, with particular  
15 attention to the hemagglutinin and neuraminidase  
16 genes. So we do look for particular characteristics  
17 of those genes.

18 Now that this recent paper has come out  
19 showing certain amino acids associated with this  
20 enhancement of cleavability of HA in the  
21 neuraminidase, we'll be looking for those kinds of  
22 changes in viruses circulating in nature.

23 So I think that many of the issues that  
24 have been brought up are extremely important, and we  
25 need to put in place ways of monitoring what's going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on, and we certainly can do that.

2 CHAIRPERSON FERRIERI: Thank you, Nancy.  
3 How does the rest of the Committee feel? Is there  
4 consensus about this direction, which is not so  
5 daunting and can be carried out? Would anyone like --  
6 Bob Daum?

7 DR. DAUM: Now that the concerto has been  
8 heard, at least in its opening melody, it sounds like  
9 there's an impressive amount of data that is  
10 phenotypically driven that the attenuation mutants are  
11 rock stable, and I'm impressed by Dr. Chanock's and  
12 Dr. Murphy's comments about that.

13 At the same time, of course, as Dr. Cox  
14 points out, the molecular biology era sort of caught  
15 up with this whole process, and I think it's now time  
16 to get that information about what genotypic changes  
17 underlie these phenotypic changes.

18 It sounds also to me, listening to  
19 everybody's comments, that it would be pretty easy to  
20 do with modern techniques, as you point out. I would  
21 get it, because if something does go wrong, I don't  
22 think we'll know where to start digging in terms of  
23 where the problem might be.

24 So I think there's enough information that  
25 the phenotype is stable. I'm impressed by that. At

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the same time, I'm mindful of the comments that I  
2 think the genotypic information gathering and the  
3 transfer experiment that was described, which sounds  
4 simple but elegant, of the one amino acid mutant that  
5 is known and produced attenuation in the recipient --  
6 that kind of stuff needs to be done so the  
7 underpinnings will be there, and I would encourage  
8 people to high prioritize and resource that research.

9 CHAIRPERSON FERRIERI: Thank you. Alice  
10 Huang.

11 DR. HUANG: I think that the experience  
12 and the data and the exposure levels are  
13 extraordinarily impressive. For thinking about the  
14 future, we should focus very hard on one cold adapted  
15 strain and really understand that in as much depth as  
16 we can.

17 I think that, even thinking about  
18 sequencing, we shouldn't be daunted by that, but there  
19 are other techniques that are faster. Heteroduplex  
20 formation and single stranded nuclease will tell you  
21 if you have the change that you expected to be there  
22 and, obviously, the migration of the segments also  
23 gives you a quick read on what's going on.

24 I think this is tremendously reassuring,  
25 and I think that getting more of the markers, pretty

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 much what Dr. Daum has said, is well worth it and that  
2 we should indeed move ahead.

3 Obviously, we're not going to have a year  
4 or two to test these strains, but if we know the  
5 background strain that we're using, the cold adapted  
6 strain that we're using, then that really gives us  
7 some assurance of what is going on and, obviously, if  
8 we're going to have trivalent vaccines, that adds  
9 another level of complication.

10 I really want to congratulate the workers  
11 who have spent the time on this issue, and I think  
12 that we certainly should move ahead with it. I see a  
13 lot of advantages, and I think that the markers that  
14 we have are useful. They just need to be  
15 characterized even better, if that's possible.

16 CHAIRPERSON FERRIERI: Dr. Kohl.

17 DR. KOHL: A comment and a question.

18 I guess we somehow have arrived at the  
19 precedent that, with inactivated vaccines, they're not  
20 licensed as new vaccines every year, and they don't go  
21 through extensive clinical trials. In fact, I don't  
22 even know if they go through any clinical work before  
23 they're put into many of us.

24 It seems like there's a consensus that has  
25 evolved from the other side of the room that there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 will have to be some clinical trials, at least safety.  
2 I'm astounded by the number of 20. I wouldn't take a  
3 vaccine that only 20 people were given previously. So  
4 I don't know what the right number is, but --

5 So that makes it a very different kind of  
6 a time frame, and especially in the situation of drift  
7 where you won't have these things in the refrigerator  
8 pretested, but where you're going to have to do it  
9 every year, I presume.

10 My question -- Anyway, that's the comment.  
11 The question is: Given all the work that's been done  
12 with the current ts cold adapted strain and all the  
13 other hemagglutinins and neuraminidases that have been  
14 put in it, have there ever to date been any surprises?  
15 Have there been any viruses that have grown to an  
16 unusual titer? Have there been any surprises in  
17 animal models, etcetera?

18 CHAIRPERSON FERRIERI: Peter Wright.

19 DR. WRIGHT: There are a number of us who  
20 could answer that. I think there have been no  
21 surprises in terms of increased growth or increased --  
22 or any signs of increased virulence.

23 In fact, we were trying to add up the  
24 number of H1N1 and H3N2 reassortants that have, in  
25 fact, been looked at in adults or in young children,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N.W.  
WASHINGTON, D.C. 20005-3701

1 and it's -- Let me guess, it's between 15 and 20 H3N2  
2 and 10-15 H1N1, and the precise numbers, obviously, we  
3 could arrive at.

4 What has been seen and hasn't been  
5 directly commented on yet is that, when you make a  
6 multivalent/trivalent vaccine with the influenza A and  
7 B components, there have been examples where, at least  
8 to a single dose, the response to one or the other  
9 component in terms of immunogenicity has been more  
10 limited than would have been predicted from the  
11 monovalent preparation.

12 Interference is the term that's been used..  
13 to describe it. The feeling is that, if you use the  
14 current doses of -- current amount of virus in a dose  
15 and give two doses of vaccine, that one overcomes  
16 that.

17 It was an issue in the first year of the  
18 large study that was commented on, and two doses were  
19 given, and then the response to all three components  
20 was acceptable, although lower to the H1N1 than it had  
21 -- than to the other two, and certainly the response  
22 to a single dose of the H1N1 was lower than  
23 anticipated.

24 That is an issue. I think that's a bit of  
25 an issue with the inactivated vaccine as well in terms

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of a highly reproducible level of immunogenicity from  
2 year to year. It's an issue that at this point we are  
3 assuming or presuming is overcome by giving two doses  
4 of vaccine, at least on the initial exposure to this  
5 type of preparation.

6 I think that's reasonable, but that's an  
7 area where we have less experience, and this  
8 interference has been a phenomenon that's been seen  
9 more than once, and is not entirely predictable in  
10 terms of either the strain or, certainly, the reason  
11 for it.

12 CHAIRPERSON FERRIERI: I don't wish to  
13 ignore you, Diane. If we could just have a response  
14 here from Brian.

15 DR. MURPHY: A quick response and a  
16 surprise was, when we gave doses that were  
17 significantly higher than  $10^7$ , seven, five, eight  
18 logs, we saw reactions in individuals that were  
19 typical of influenza reactions where we saw some  
20 febrile responses, some headaches.

21 So I think that, based on that experience  
22 and, when you go back in the literature, you can see  
23 similar types of reactions described with the okuda  
24 strain and other viruses that have been given and they  
25 were given at high doses, and this is one of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 reasons -- one of the thinking that leads to the limit  
2 of  $10^7$  as an acceptable dose of this particular virus  
3 vaccine.

4 CHAIRPERSON FERRIERI: Dr. Finkelstein.

5 DR. FINKELSTEIN: I just want to make a  
6 comment about the n of 20 as well. I wasn't -- It  
7 wasn't clear to me what kind of a safety study you  
8 could do with a n of 20, because you're not going to  
9 pick up any kind of untoward events that happen less  
10 than about 20 percent of the time or some very large  
11 number, and you would not be able to get sort of the  
12 profile that we get with the very large studies that  
13 we do, and you would not be able to pick up the more -  
14 - less common -- more rare but very worrisome  
15 complications.

16 DR. MURPHY: Again, you have to think of  
17 this not in terms of a single product but in terms of  
18 a process, and that every single unit that comes out  
19 on a yearly basis has a history of similar such  
20 preparations.

21 So every single unit that gets produced on  
22 an annual basis does not have to go through the  
23 extensive testing that you do. Like, for example, the  
24 inactivated vaccines that are currently licensed right  
25 now do not go through huge trials of efficacy, safety,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 etcetera. I don't even actually know if they go  
2 through any trials. Do they? Are they limited?

3 DR. COX: No.

4 DR. MURPHY: So I think that, when I talk  
5 about an n of 20 -- and don't -- That's a thought of  
6 somebody on this side of the table, and you don't --  
7 I've -- We've done a lot of studies in humans with  
8 these viruses, and what you would learn from 20  
9 children who are seronegative to a virus tells you is  
10 absolutely predictive of whether -- Strange as this  
11 might seem, and I know there are a lot of skeptics,  
12 but we can identify viruses that are unacceptable for  
13 further evaluation when they're given to seronegative  
14 humans in small numbers.

15 The n of 20 -- you guys can decide that,  
16 and FDA will figure out what they want. Don't take  
17 that, but this is the point that I think is important.  
18 Small numbers, intensively studied in the context of  
19 a vast experience with tens of thousands of  
20 individuals tested before, give us lots of  
21 reassurance.

22 DR. FINKELSTEIN: I would understand that  
23 if you felt that we had the experience that you're  
24 talking about that this model was well tested of  
25 altering it from year to year, which is what I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is the case right now for the current vaccine which is  
2 used. But it would seem to me that it might be  
3 valuable to get a couple of years of good -- you know,  
4 randomized clinical trial experience to really feel a  
5 sense of confidence that the model works before you go  
6 into the second stage of just doing it on 20 people  
7 and using your experience.

8 CHAIRPERSON FERRIERI: Dr. Levandowski?

9 DR. LEVANDOWSKI: Yes. I would just like  
10 to bring up something else along the lines of  
11 attenuation. We've been talking mostly about the two  
12 ends, the genetic or attenuation markers, and then  
13 clinical trials. But maybe there's a middle ground.

14 If it's possible, I'd like to hear maybe  
15 some additional comments from those who know about  
16 attenuation and animal models. Brian Murphy and Dr.  
17 Kilbourne and Dr. Schild maybe might have some  
18 thoughts about that, or others.

19 CHAIRPERSON FERRIERI: Okay. Let's pursue  
20 that for a little bit. Who would like to start on  
21 that? Dr. Murphy.

22 DR. MURPHY: The vaccines that have been  
23 made up and tested to date have been evaluated in  
24 ferrets as a part of their routine evaluation.  
25 Ferrets are one of the only animals that get sick with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 wild type influenza viruses. They sneeze, and they're  
2 not really happy, although I've never seen one  
3 actually sick.

4 The ferret data has been totally  
5 compatible with the cold adapted phenotype, ts  
6 phenotype and 6/2. If they have those sets of  
7 properties, they're attenuated in ferrets.

8 That is a reproducible phenotype. It can  
9 be used -- I wouldn't -- I mean, I would be happier  
10 doing limited numbers of studies in humans and putting  
11 them back into ferrets.

12 CHAIRPERSON FERRIERI: One hundred  
13 ferrets, Brian?

14 DR. MURPHY: I don't think we need to do  
15 that many ferrets. You know, maybe 20 would be a good  
16 number.

17 CHAIRPERSON FERRIERI: Let us get back to  
18 the point. Dr. Schild, would you like to add to this  
19 discussion, this specific point?

20 DR. SCHILD: We have a number of animal  
21 models. None of them are ideal, but we should make  
22 the best value of what resources we have in that  
23 respect. I think they do have valuable potential as  
24 pre-clinical models for attenuation.

25 CHAIRPERSON FERRIERI: Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SCHILD: Perhaps there should be  
2 additional work on identifying better animal models.

3 CHAIRPERSON FERRIERI: Dr. Kilbourne, do  
4 you have a comment on this?

5 DR. KILBOURNE: Well, I'll take the  
6 opportunity not to comment, but simply to insert a  
7 plea that we not make comparisons between the amount  
8 of testing that has been done for inactivated vaccines  
9 versus a replicating agent, which is a new ballgame,  
10 the first vaccine ever introduced into the human  
11 respiratory tract where it has all the potentials for  
12 replication, recombination, etcetera.

13 I think the issues are quite different in  
14 the two categories.

15 CHAIRPERSON FERRIERI: Dr. Webster, do you  
16 have an opinion on this specific point?

17 DR. WEBSTER: Well, we were talking about  
18 animal models, and I don't think you can do better  
19 than the ferret model. That's what we have to work  
20 with initially.

21 CHAIRPERSON FERRIERI: Roland, is that  
22 carried as far as you would like? I think we -- Go  
23 ahead.

24 DR. LEVANDOWSKI: Well, I might ask  
25 further if -- Let's take the ferret model. What are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the, I guess, maybe the detrimental aspects of that  
2 model or are there parts of that model that would not  
3 reflect what we would want to know in terms of  
4 attenuation of an influenza virus?

5 There are ways to determine, and there  
6 have been criteria that have been set up, to look at  
7 infection of ferrets, looking at their illness,  
8 monitoring temperature, looking at virus in the upper  
9 airway and the lower airway.

10 My understanding previously was that there  
11 was some correlation between attenuation and what  
12 happens in the ferret, but are there aspects that are  
13 unpredictable in the ferret model?

14 CHAIRPERSON FERRIERI: Dr. Murphy again.

15 DR. MURPHY: I say this with the admission  
16 that I've never given an influenza virus to ferrets.  
17 It's always been done by John Massab as part of these  
18 studies. But the ferrets have a different body  
19 temperature than humans. They're 40 degrees, 39-40  
20 degrees.

21 So it's going to be very difficult to  
22 characterize exactly the level of effect of  
23 temperature on replication of the virus in an animal  
24 whose body temperature does not mimic that of humans.

25 I'll just say parenthetically, we've given

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these viruses to chimpanzees, and chimpanzees are not  
2 a good model for -- who have the same body temperature  
3 as us. They're not a good model for influenza.  
4 Ferrets are the best we have, really.

5 CHAIRPERSON FERRIERI: We have audience  
6 participation. Could you give your name and group?

7 DR. MONTO: Arnold Monto, University of  
8 Michigan. We have extensive experience, as you might  
9 guess, with the ferret model and the live attenuated  
10 vaccines.

11 The question was raised about surprises,  
12 and have there been any surprises. Yes, there have  
13 been surprises back in the Seventies before the  
14 ability to identify the 6/2 constellation was  
15 available. Those viruses -- and there's a paper I  
16 have in front of me -- I'm sorry I don't have a  
17 transparency -- which showed that five of these  
18 without the 6/2 constellation were, in fact,  
19 underattenuated in humans and also showed  
20 underattenuation in ferrets.

21 So there is, based on this blind  
22 experiment when we didn't know what we were dealing  
23 with, a very good correlation between the ferret model  
24 and humans.

25 I might add parenthetically that I don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think you're going to get answers to the issue of  
2 interference, if that is what is going on, in terms of  
3 immunogenicity, and I think that has to be kept as a  
4 separate issue. But in terms of the safety, you're  
5 not going to be able to do very much better than we  
6 have been able to demonstrate in the past with the  
7 ferret.

8 CHAIRPERSON FERRIERI: Dr. Poland.

9 DR. POLAND: I'm sorry to interrupt. Dr.  
10 Monto, before you leave, I wonder if you could just  
11 tell us the reference to that paper.

12 DR. MONTO: Journal of Infectious  
13 Diseases, December 1982, page 780. The authors are  
14 Massab, Kendall, Abrams, and Monto.

15 CHAIRPERSON FERRIERI: Thank you very  
16 much. Dr. Snider, we'll keep this brief, and then  
17 we'll be moving on to the second point -- second  
18 question.

19 DR. SNIDER: Well, I just wanted to  
20 reemphasizes again that, when this Committee and FDA  
21 have to address **issues** down the line in terms of who  
22 the vaccine would be indicated for and, given what we  
23 know about the epidemiology of influenza and given  
24 what few papers -- admittedly, few papers I have read  
25 about the study subjects, there's not a good match in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 terms of those who would be at high risk, having been  
2 in the trials.

3 I'm not suggesting that we have to do that  
4 every year in order to prove the point, but somewhere  
5 in the repertoire of a trivalent vaccine, it seems to  
6 me, there ought to be a study looking at all of those  
7 populations that we would put a high priority on  
8 vaccinating and not just normal subjects.

9 CHAIRPERSON FERRIERI: Thank you very  
10 much. I'm sure they'll put that under advisement.

11 I won't attempt to summarize everything we  
12 have said. It will be in the public record. You've  
13 heard considerable discussion on the issue, ranging  
14 from the phenotypic characterization to the enthusiasm  
15 for the genomic characterization and changes that  
16 conceivably could occur, as well as some discussion of  
17 the studying on a year to year basis what transpires.

18 The second question is to comment on the  
19 biological containment for the development and  
20 manufacture of live attenuated influenza virus  
21 vaccines.

22 You heard discussion of this in terms of  
23 the laboratory containment, and I would like to hear  
24 your reactions, the Committee as well as the  
25 consultants' thinking on this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Dr. Edwards?

2 DR. EDWARDS: I wondered if Roland could  
3 comment a little bit about the safety containment for  
4 the generation of the inactivated vaccines that exist,  
5 and has there been any studies of people who work in  
6 those plants and some evidence of communicability that  
7 currently exists with the current strains?

8 DR. LEVANDOWSKI: Well, I'll try to answer  
9 that, but it's going to be very vague at best. As you  
10 know, the strains are changed almost every year, and  
11 the manufacturing facilities seem to continue.

12 I don't know what the absentee rates are  
13 at the plants during the manufacturing season for  
14 influenza virus vaccines, but the old saw is that  
15 everybody who works with influenza doesn't get  
16 influenza, and somehow there's this notion that  
17 there's a subliminal exposure to the viruses as  
18 they're being handled in the eggs, and that may be, I  
19 guess, a form of the live virus vaccine.

20 There are not -- The measures that are  
21 taken in the production facilities, as I mentioned at  
22 the outset, really are to protect the product.  
23 They're to protect the material coming out of the egg,  
24 the allantoic fluid harvest, and not really so much to  
25 protect the workers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I don't know whether there is anybody from  
2 manufacturing who would like to comment, but really,  
3 except for being able to immunize the workers with  
4 current vaccines, I don't believe that there are any  
5 other measures that are used routinely. I think that  
6 all manufacturers do offer that but, of course, if  
7 they're working with a new strain, it may be that  
8 it's, you know, not quite the match of the vaccine you  
9 would like to have.

10 CHAIRPERSON FERRIERI: Dr. Murphy, you had  
11 addressed this point, I believe, in your presentation.  
12 Do you have anything further to say?

13 DR. MURPHY: No. No, it's a BL-2 agent.  
14 It's been given to humans at doses of  $10^7$ . It grows  
15 to  $10^8$  in the eggs. That's the information we have to  
16 work with. Highly attenuated in humans, won't --  
17 doesn't transmit.

18 CHAIRPERSON FERRIERI: Dr. Eickhoff, and  
19 I apologize for not recognizing you at the end of the  
20 previous discussion.

21 DR. EICKHOFF: No problem. I think the  
22 lack of containment -- or containment should not an  
23 issue when -- even with what we all recognize as human  
24 pathogens. But I know when H5N1 came along, there  
25 certainly were containment issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I wonder if Dr. Levandowski would like to  
2 address those, or Dr. Webster.

3 CHAIRPERSON FERRIERI: Dr. Webster, why  
4 don't you start?

5 DR. WEBSTER: It goes almost without  
6 saying that under these circumstances containment  
7 facilities would have to be used. For the  
8 introduction of a new subtype for the production of  
9 inactivated or attenuated vaccines, those things would  
10 have to be taken into account.

11 We have to keep in mind that's a very good  
12 question about the production of an inactivated  
13 vaccine. These, until they're inactivated, are live  
14 and non-attenuated. So it applies to both kinds of  
15 vaccines.

16 CHAIRPERSON FERRIERI: Did you wish to say  
17 anything further, Roland, before we move on?

18 DR. LEVANDOWSKI: No, except that I think  
19 it is a very thorny issue. There may be great  
20 differences between strains that are currently  
21 circulating in people. The H1N1 and H3N2 type strains  
22 considerations could be considerably different, as  
23 they might have been for a brand new subtype.

24 CHAIRPERSON FERRIERI: Dr. Greenberg?

25 DR. GREENBERG: I was -- My question was

1 similar, the containment issue. I assume Roland is  
2 concerned about it for brand new hemagglutinins and  
3 not for the year-to-year drift. Is that correct, that  
4 you were asking advice about what would happen like  
5 in 1997?

6 DR. LEVANDOWSKI: Well, the question is a  
7 little bit nebulous, isn't it, but it's meant to be  
8 open. So that if there were concerns in either  
9 direction that would be entertained or should be  
10 entertained, we would certainly like to hear those  
11 comments from you.

12 DR. GREENBERG: The only other point I  
13 have is that the master donor cold adaptive strain is  
14 an H2 strain which has not circulated since 1968.  
15 It's obviously been worked with since that time, and  
16 there is a fair -- So that virus represents an example  
17 of introducing a hemagglutinin that is not around, and  
18 that hasn't spread.

19 So that gives me a fairly good feeling  
20 that this is not a dangerous situation.

21 CHAIRPERSON FERRIERI: Would anyone -- I  
22 think that we have consensus on this. Would anyone  
23 like to speak contrarily to this point? And we can  
24 accommodate the vagueness. That's fine.

25 Yes, Dr. Breiman?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. BREIMAN: Thanks. I was actually just  
2 going to ask Dr. Webster for a clarification. Do you  
3 mean that containment would have to be followed in the  
4 research setting or within production facilities, in  
5 which case, if it's within production facilities, even  
6 if we're talking about the current approach,  
7 inactivated approach, I don't think that most  
8 manufacturing settings are geared to do that.

9 So I don't know what kind of steps would  
10 have to be taken and how that also would slow down the  
11 process that we talked about before.

12 DR. LEVANDOWSKI: Could I just qualify  
13 something that I said earlier about the production  
14 facilities. I don't mean that there are no measures  
15 in place for protection of the workers. Of course,  
16 most of the critical processes occur under conditions  
17 of laminar flow and controlled air flow, and there's  
18 a lot of very careful planning that goes into building  
19 the facilities to do that.

20 I don't mean to suggest by my comments --  
21 they were a little bit flippant perhaps. I don't mean  
22 to say that there's nothing done in current  
23 manufacturing facilities that keeps -- serves as a  
24 barrier between the workers and the product.

25 CHAIRPERSON FERRIERI: Yes, and barrier

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clothing is used as well. Any further comment on  
2 that? Dr. Webster?

3 DR. WEBSTER: We would be concerned -- It  
4 would depend on the subtype that we were thinking  
5 about. If it was an H5 or an H7 that is highly  
6 pathogenic in chickens, in the eggs, that could  
7 destroy the eggs where the vaccine is being produced,  
8 then it would be a problem. We would have to decide  
9 this ahead of time.

10 Under normal circumstances, it would not  
11 be a problem.

12 CHAIRPERSON FERRIERI: Thank you. With  
13 your permission then, Committee members, we'll move on  
14 to question 3 on the screen. Please comment on the  
15 introduction -- and we touched on this theme  
16 throughout the past several minutes -- the  
17 introduction of new influenza virus strains and  
18 subtypes into the community in the form of live  
19 attenuated influenza virus vaccines.

20 At various points we've indicated that the  
21 risk appears to be very minimal, but I would entertain  
22 more in depth discussion of this point.

23 Dr. Hall, thank you.

24 DR. HALL: Pertinent to this, I think, is  
25 the yearly posed clinical studies that people seem --

1 there's consensus that would need to be done with a  
2 new -- with the new yearly reassortant virus.

3 What I'd like to ask is what would be the  
4 real goals of these clinical trials with each new  
5 vaccine, assuming that we had to do that, whether it's  
6 20 or 100 or whatever else; because, certainly, within  
7 that you could tell whether it's safe, whether it's,  
8 you know, genetically stable even and its  
9 immunogenicity.

10 I'm very encouraged by the techniques that  
11 have been suggested here of determining whether there  
12 is a change or whether the pathogenicity, and how  
13 closely that correlates will still have to come. But  
14 that's apt to occur, I would think, at a very low  
15 level.

16 So that doing 20 or 100 or whatever else  
17 is probably not going to determine that. That still  
18 doesn't abrogate, I think, the concern that what is  
19 going to happen in the subsequent epidemic or period  
20 of activity.

21 You would have to have so many people  
22 immunized in the circulation of the wild virus that  
23 it's only after years that you could tell whether this  
24 is going to be truly a problem, because it's probably  
25 going to occur at a very low rate.

1           So I think we'd have to sort of  
2 differentiate that the purpose of a yearly clinical  
3 trial would be quite different than trying to say that  
4 this is not going to be a problem in terms of  
5 reassortant virus or in the subsequent years, and that  
6 would be maybe years until we will know that.

7           CHAIRPERSON FERRIERI: Don't you think  
8 that the response in the study should be adjusted to  
9 what is happening in the community, and the  
10 epidemiology of what might be circulating and any  
11 surprises would drive the engine in terms of what is  
12 done and the intensity of this?

13           Dr. Hardegree?

14           DR. HARDEGREE: In terms of the  
15 reassortants, some people have suggested that there  
16 might be mathematical models that could be introduced.  
17 We heard Dr. Wright talk about a rule that people may  
18 be looking at polio transmission.

19           Are there any models that anyone knows  
20 that have been applied to this or that could be  
21 applied to anything to deal with the potential for  
22 reassortants?

23           CHAIRPERSON FERRIERI: Thanks, Carolyn.  
24 Anyone in the audience would like -- please, and give  
25 your name and where you're from.

1 DR. WHITAKER-DOWLING: My name is Pat  
2 Whitaker-Dowling. I'm from the University of  
3 Pittsburgh.

4 I have done some studies that are  
5 unpublished looking at mixed infections of wild type  
6 virus and the cold adapted vaccine. What I find is  
7 there's a very strong selection in cell culture for  
8 reassortants that contain the m gene of the vaccine,  
9 which we found in cell culture experiments and in  
10 animals is a dominant gene.

11 We did a small human trial, as Dr. Wright  
12 referred to, and we saw the same kinds of reassortants  
13 coming out in the humans.

14 CHAIRPERSON FERRIERI: Thank you very  
15 much. In response then to Dr. Hall's question and Dr.  
16 Hardegree's, do we have any other answers from the  
17 panel here on my left? I see you, but I'm looking at  
18 this side of the room right now. Dr. Wright?

19 DR. WRIGHT: Well, I --

20 CHAIRPERSON FERRIERI: Don't quote Dr.  
21 Edwards' work, though. We'll let her do it.

22 DR. WRIGHT; No, I don't -- I don't know  
23 that what I say is going to be very profound, except  
24 that I think that this is a fairly promiscuous virus  
25 that in birds and animals, in man is exploring

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 opportunities for reassortment all the time, and that  
2 I doubt we're going to contribute substantially to  
3 that effort on the part of influenza virus by what we  
4 do with cold adapted vaccines.

5 CHAIRPERSON FERRIERI: Dr. Edwards?

6 DR. EDWARDS: Actually, I just wanted to  
7 see what your thoughts were for what that meant, the  
8 predominant.

9 DR. WHITAKER-DOWLING: Well, I don't know  
10 whether Dr. Murphy would agree with me, but there is  
11 some evidence that the m gene confers some  
12 attenuation, too, from Dr. Murphy's own work. He  
13 found, initially using a Korea wild type background,  
14 that the m gene conferred attenuation.

15 We certainly find in cell culture that it  
16 does confer reduced growth capacity, that m gene. So  
17 we think that, actually, if this reassortant  
18 predominance occurs in the human population, as we  
19 have indication that it will, that you're actually  
20 going to be generating attenuated viruses,  
21 preferentially.

22 CHAIRPERSON FERRIERI: Yes, Dr. Kilbourne,  
23 and then Dr. Snider.

24 DR. KILBOURNE: Well, I have to register  
25 my ever exception here. I mean, we should be reminded

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that you would take two viruses of equal pathogenicity  
2 and make one which is more virulent or you can take a  
3 virulent one and an attenuated one, and most of the  
4 time you tend to lessen the virulence when you do  
5 that, for statistical reasons and the gene  
6 reshuffling.

7 It's been shown experimentally by Rott  
8 and Schultacek some years ago, it's been shown in our  
9 laboratory that you do not necessarily arrive at a  
10 reassortant of intermediate virulence. In other  
11 words, I think a scenario that, if your ca virus  
12 escapes into the community, it's just going to make  
13 the wild type a nicer virus doesn't necessarily  
14 follow.

15 I think that should be kept in mind,  
16 because we have to consider all possibilities in terms  
17 of future litigation and so forth when this is  
18 released.

19 CHAIRPERSON FERRIERI: Dr. Snider.

20 DR. SNIDER: Well, Dr. Hall posed a  
21 question that I don't think got completely answered,  
22 and I would like the answer to it, too, in terms of  
23 that we are going to do the clinical study, at least  
24 at the beginning on an annual basis, what are we going  
25 to look for?

1 I was under the impression that we were  
2 primarily looking to see that the virus indeed  
3 remained attenuated in terms of its replication and  
4 that excretion was the same as had been experienced in  
5 previous studies.

6 Prior to that, of course, people had  
7 suggested that we would look at the genotype and make  
8 sure that that had remained stable, as everyone  
9 expects it to do so. Then it seems to me that one  
10 would ask the question about whether we wanted to look  
11 at not rare but the common adverse reactions and  
12 ensure that they were in the same ballpark as one  
13 would expect, based on previous experience.

14 That's about all, it seemed to me, that  
15 you could get out of any small study that would be  
16 feasible to do, but if there's other things, I'd like  
17 to know -- that we ought to be looking for, I'd like  
18 to know what they are.

19 CHAIRPERSON FERRIERI: Dr. Wright?

20 DR. WRIGHT: It's not here before you, and  
21 in some ways it wasn't the topic of this particular  
22 meeting. But I would respectfully submit that most of  
23 the past 20 years we have done that experiment, either  
24 on small or large scale.

25 So I am hesitant to think that we will

1 learn anything from small trials at this point with  
2 the currently circulating H3N2, H1N1, nb viruses.  
3 What you can learn from a small trial, I think you've  
4 summarized.

5 We do build on what we know. We can look  
6 very precisely at the amount of shedding of each of  
7 the strains, if we give a trivalent vaccine, but  
8 obviously, we can't identify untoward effects.

9 The experience with these vaccines -- I  
10 know it's not entirely satisfying to an epidemiologist  
11 -- is that, in fact, we have -- Almost everything that  
12 we wanted to know about this virus, we probably knew  
13 after the first -- in some ways the first several  
14 years of testing in terms of the safety pattern and  
15 the replicative pattern and so forth.

16 One would think that, as one got to larger  
17 numbers, one would see an expansion and rarer events  
18 would emerge, but so far that has not been the case  
19 with this or, I would submit, with rotavirus vaccine  
20 or a number of other live vaccines that have been  
21 looked at.

22 You really can discriminate a lot on the  
23 basis of small numbers of carefully studied  
24 individuals.

25 CHAIRPERSON FERRIERI: Frequently, not

1 satisfied the statisticians either. Dr. Finkelstein?

2 DR. FINKELSTEIN: I would just say that it  
3 might be useful to actually put some thought into what  
4 trials you would design and what you would want to be  
5 looking for, both for the more common profile of side  
6 effects that maybe aren't that clear at this point,  
7 since you're giving it in kind of a new fashion.

8 Also, I think it would probably be useful  
9 to urge a surveillance that would be in place  
10 afterwards as well, and to sort of put some thought  
11 into the design of the surveillance, what you're going  
12 to be looking for, considering this is all a new  
13 approach.

14 CHAIRPERSON FERRIERI: Dr. Greenberg, and  
15 then Dr. Poland.

16 DR. GREENBERG: I was struck by the idea  
17 of mathematics. Do you have any idea of about how  
18 many -- This seems like perhaps a strange question,  
19 but how many new genes are -- How much more genes of  
20 influenza would you be adding to the United States if  
21 you vaccinated everybody? Is it a drop in the bucket  
22 or would there be a substantial --

23 So if each child gets 10<sup>7</sup> doses, every  
24 child in the United States, would you be adding a lot  
25 of new influenza genetic information to the burden

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that year or does each child actually have  $10^9$ . So,  
2 in fact, you're only adding 1/100th more genetic  
3 information?

4 I'm just trying to get a feeling of how  
5 much you're going to change what's going on out there,  
6 if you really had a vaccine going on each year.

7 CHAIRPERSON FERRIERI: Dr. Murphy?

8 DR. MURPHY: Everybody gets infected with  
9 these influenza viruses, wild type viruses. The wild  
10 type viruses grow one-thousandfold more efficiently  
11 than the attenuated viruses.

12 Not every kid gets infected every year  
13 with a wild type virus, but the magnitude of total  
14 number of viruses that exist out there will actually  
15 likely go down if a virus that grows one-thousandfold  
16 less well is used and it prevents an infection or  
17 modifies an infection that occurs at almost 100  
18 percent frequency.

19 CHAIRPERSON FERRIERI: Dr. Poland.

20 DR. POLAND: I was going to mention the  
21 same idea that Diane did, and I think is maybe worthy  
22 of discussing a little broader.

23 That is, we have a very good surveillance  
24 system for picking up new, if you will, natural  
25 reassortants, and it seems to me logical that, as long

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as there is biologic plausibility for some degree of  
2 possible harm from these, that that same surveillance  
3 system could be, if you will, additionally tooled to  
4 provide surveillance for any unexpected surprises for  
5 these reassortants.

6 The second thing that I wanted to ask is  
7 a point of information. Is there any evidence that  
8 either kind of these natural reassortants or manmade  
9 reassortants -- that the genetics of that have been  
10 such that there's -- it has ever conferred antiviral  
11 resistance, for example, to amantadine or ramantadine,  
12 and do we understand the genetics of that resistance  
13 so that -- Please, inform me.

14 CHAIRPERSON FERRIERI: Dr. Cox?

15 DR. COX: Yes. We do understand the  
16 genetics of resistance to amantadine and ramantadine  
17 very well, and these viruses do not have the mutations  
18 that confer resistance. So that sort of answers that  
19 question.

20 I did also want to make a comment in  
21 response to the issue of doing surveillance for  
22 possible reassortants. I had mentioned a couple of  
23 times previously that we had actually done quite a lot  
24 of work with the Russian cold adapted viruses.

25 One of the reasons that we got interested

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in this initially is that we understood that the live  
2 attenuated Russian vaccines were being used rather  
3 widely in their populations, and we were very  
4 interested to see if the introduction of these viruses  
5 into the Russian population would have an effect on  
6 the circulation of cold adapted genes and reassortment  
7 and so on.

8 So we actually were looking at -- During  
9 our surveillance, we were looking at the internal  
10 genes as well as the hemagglutinin and neuraminidase  
11 genes in viruses isolated from Russia. We actually  
12 saw -- We had rather limited numbers of viruses,  
13 admittedly, but we didn't see any evidence that these  
14 internal genes of the live attenuated vaccines were  
15 being reassorted and then transmitted in the strains  
16 that were circulating.

17 CHAIRPERSON FERRIERI: Any further  
18 comments on this specific question we're addressing?  
19 Dr. Kohl?

20 DR. KOHL: I'm concerned about the worst  
21 case scenario, and the worst case scenario, to me, is  
22 we get our H7 strain that we're worried about and we  
23 put it into a reassortant, and we give it to a  
24 minority of the population, which is what happens in  
25 this country when we immunize against influenza, but

1 millions of people, although it's still a minority of  
2 the population.

3 We then get a new recombinant between a  
4 circulating, already wild vaccine and this H7, which  
5 gives us a virulent H7 recombinant that we now have  
6 basically introduced and is circulating in a partially  
7 immunized population.

8 We have created then our own epidemic. To  
9 me, that's the worst case scenario. What's the  
10 response? Is that -- How likely is that? Can it ever  
11 happen?

12 CHAIRPERSON FERRIERI: Well, Robin Cook  
13 may have the answer. Who would like to seriously  
14 address this? Dr. Webster?

15 DR. WEBSTER: The worst case scenario is  
16 the worst case scenario. The likelihood, in my  
17 opinion, is very, very small. I mean, if we're going  
18 to have an H7 put into this vaccine, we're going to  
19 put one in that has -- doesn't have the basic amino  
20 acids in the hemagglutinin.

21 We're going to have the six segments from  
22 the attenuated virus, and the possibility of producing  
23 the monster strain -- you can't completely rule it  
24 out, but the likelihood, in my experience of having  
25 made very many of these reassortants, is very unusual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 to make something that would be this monster strain.

2 CHAIRPERSON FERRIERI: Dr. Hall?

3 DR. HALL: I just wanted to have Nancy, if  
4 she would, clarify the part about the resistance to  
5 ramantadine or amantadine in the reassortants, in that  
6 are you saying that if, say, somebody who received the  
7 vaccine was already on ramantadine or being treated  
8 with ramantadine or amantadine, that under the  
9 pressure of that, that the virus would not be able to  
10 become resistant?

11 DR. COX: No, no. I wasn't implying that.  
12 I was saying that the virus -- the cold reassortants  
13 are not themselves resistant.

14 DR. HALL: Right.

15 DR. COX: Of course, it is possible that  
16 an individual who was on amantadine or ramantadine  
17 would generate resistant strains while on these drugs.  
18 That's true if they get wild type virus or anything  
19 else.

20 DR. HALL: Has that been looked at within  
21 the in vitro at all, whether these viruses are, when  
22 subjected to ramantadine or amantadine? Certainly,  
23 that could happen in the high risk patient, that you  
24 may actually end up with both of those, the vaccine  
25 and ramantadine or amantadine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. COX: I don't know if it's been done  
2 in vitro. I don't know if anyone has done those  
3 particular studies, but certainly, it would be a  
4 similar situation, although the transmissibility would  
5 be different, but we do have the situation in nursing  
6 homes all the time where wild virus is introduced into  
7 a vaccine or partially vaccinated population.

8 The population is put on ramantadine or  
9 amantadine prophylactically, and some people are  
10 treated. Resistant virus can arise, and then  
11 subsequently be spread.

12 The potential for spread of these viruses  
13 is just much lower than the potential for spread of a  
14 wild type virus.

15 CHAIRPERSON FERRIERI: Do you wish to add  
16 to that, Dr. Murphy?

17 DR. MURPHY: I'd like to respond to Dr.  
18 Kohl's question, if I may. Is there something else to  
19 be said about this?

20 CHAIRPERSON FERRIERI: Use the microphone  
21 before you address Kohl's question. Dr. Snider, did  
22 you want to address this issue of in vitro studies?  
23 Do you know of any that have been done? Is that what  
24 your hand was up for?

25 DR. SNIDER: It's about the issue that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Nancy was speaking to.

2 CHAIRPERSON FERRIERI: Yes, please go  
3 ahead.

4 DR. SNIDER: And my question was -- It  
5 just occurred to me -- do we screen the strains that  
6 we use for vaccines each year for resistance? It  
7 seems to me, we probably don't, because it's  
8 irrelevant for inactivated; but maybe we do.

9 If we don't, it certainly would seem that,  
10 for live attenuated, you would want to do that.

11 CHAIRPERSON FERRIERI: Nancy -- Dr. Cox?

12 DR. COX: We actually screen all the  
13 viruses -- all the foreign viruses that we get for any  
14 resistance, and a goodly proportion of the U.S.  
15 viruses, but normally we don't do that screening until  
16 after vaccine strain selection has already taken  
17 place. So we don't do it in advance.

18 CHAIRPERSON FERRIERI: Thank you. Now Dr.  
19 Murphy is going to expand on the monster strain of Dr.  
20 Kohl.

21 DR. MURPHY: Right. I think that, if we  
22 get into the situation that Dr. Kohl describes, we'll  
23 be lucky. This is an unusual comment probably.  
24 You're wondering what is this person talking about,  
25 but it's this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The implication in that is that we've been  
2           able to generate a live attenuated virus vaccine fast  
3           enough, deliver it to a large percentage of the  
4           population in the U.S., before the virus comes to the  
5           United States. Okay? And that knowing, you know, the  
6           epidemiology and the transmission of the wild type  
7           viruses, if this appears in February, you know, the  
8           chances of us manufacturing, etcetera, being able to  
9           develop a large number of doses to give to the human  
10          population -- if we're lucky, we'll get it done by  
11          August or July or something of that -- You know, we'll  
12          be extremely lucky.

13                 So let's say we introduce it at that point  
14          in time. Okay? It's unlikely that the wild type  
15          virus is circulating in our population at that time,  
16          because they're not going to -- The other viruses are  
17          -- The wild type virus is not generally epidemic then,  
18          but let's say they are, and let's say we do generate  
19          a reassortant, as the one that you described.

20                 What's happening, though, is that at the  
21          same time that particular reassortant is being  
22          generated in extremely low numbers, this wild type  
23          virus is invading us from on both coasts, coming in on  
24          airlines, on Boeing jets, etcetera, and is seeding the  
25          United States population in multiple different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 locations.

2 So as I say, I think that if we get the  
3 virus and distribute it fast enough to be in the  
4 situation that you are describing, I would say that we  
5 would be doing our job as public health sort of  
6 individuals, and I would actually be extremely  
7 pleased that, if we could even be in this situation of  
8 being able to look at that scenario.

9 I think our past experience has been the  
10 vaccines have always been introduced after the virus  
11 has gotten into the population.

12 CHAIRPERSON FERRIERI: Dr. Breiman.

13 DR. BREIMAN: It's interesting that the  
14 real doomsday scenario may be our inability to respond  
15 to a pandemic rather than these other issues.

16 DR. MURPHY: Absolutely.

17 CHAIRPERSON FERRIERI: Yes.

18 DR. BREIMAN: But I think -- I mean, I  
19 just want to go back to one basic question for my own  
20 understanding, again of something that Dr. Webster  
21 said, that all important basic amino acid segment from  
22 HA genes that presumably be removed.

23 Is there a potential that through some  
24 sort of recombinational event, that they could be  
25 reinserted and then become again, you know, virulent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from that standpoint?

2 Then, as Dr. Kilbourne had suggested  
3 earlier, we can't always assume that the ultimate  
4 combination that results from a natural reassortant  
5 might be intermediate. I mean I suppose that one  
6 could imagine a Kohl -- we'll have to call it a Kohl  
7 bug, I guess, could arise from that, that would in  
8 fact be very transmittable and potentially lethal.  
9 But I think not, without that basic amino acid  
10 segment.

11 CHAIRPERSON FERRIERI: Dr. Kilbourne.

12 DR. WEBSTER: I'm going to -- Oh, sorry..  
13 Did you want me to respond?

14 CHAIRPERSON FERRIERI: I'm sorry. It was  
15 Dr. Webster you were addressing it to?

16 DR. BREIMAN: Well, you brought it up,  
17 but maybe Dr. Kilbourne is the right one to respond.  
18 I don't know.

19 CHAIRPERSON FERRIERI: Well, I'd be happy  
20 to have both of you respond. Let's have Dr. Kilbourne  
21 go first, and then we'll get back to you, Dr. Webster,  
22 and please use the microphone.

23 DR. KILBOURNE: The point I wanted to make  
24 had to do with the --

25 CHAIRPERSON FERRIERI: Louder, please, Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Kilbourne.

2 DR. KILBOURNE: A rather facile assumption  
3 is that -- is a standard scenario for pandemic  
4 introduction. We've had four instances of potential  
5 pandemic introduction, and the scenarios have been  
6 duplicated only twice, in '57 and '68. That is,  
7 something comes in. It spreads rapidly, encompasses  
8 the globe within a year.

9 In 1976 we had a virus introduced at Ft.  
10 Dix. It went from person to person through at least  
11 seven generations. It went nowhere after that. In  
12 1997, as we all know, we've had a zoonotic  
13 introduction in Hong Kong which went no place.

14 So we have different scripts that may be  
15 followed by the virus, and it's not simplistically  
16 that the virus will emerge. If it's a new subtype,  
17 away we go.

18 So I think that's important and relevant  
19 to the question Dr. Kohl and Dr. Murphy were  
20 discussing. That's the only point I wanted to make  
21 here.

22 CHAIRPERSON FERRIERI: Fine. Dr. Webster?

23 DR. WEBSTER: First, to return to Dr.  
24 Kilbourne. There are many more introductions of avian  
25 and animal viruses into human populations than we ever

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 realized. Most times, these are dead ends, and the  
2 number of times they go on is extremely limited.

3 To answer the question about the  
4 connecting peptide, the basic amino acids in the  
5 hemagglutinin and the possibility of repair in the  
6 human population, I'd like to turn that one over to  
7 Dr. Cox, because some of their people have done --  
8 made the appropriate reverse genetic virus to preclude  
9 this happening.

10 CHAIRPERSON FERRIERI: Dr. Cox, and we'll  
11 be wrapping up this particular question very soon.

12 DR. COX: Okay. When we -- It was obvious  
13 that we needed at least to make experimental vaccines  
14 to the H5 virus and, of course, this Committee had  
15 made a very strong recommendation that experimental  
16 vaccine, H5 vaccines, be made.

17 We very carefully looked at the basic  
18 amino acid cleavage site, and it's reasonable trivial  
19 to remove it; but the possibility that it would be  
20 reinserted after replication in eggs or in humans was  
21 considered.

22 So we made some additional alterations,  
23 based on a study that had been done by Mike Purdue and  
24 his colleagues which suggested a mechanism for  
25 insertion of the multiple basic amino acids. So we

1 altered some additional nucleotides that would make it  
2 less likely for the secondary structure to form that  
3 was postulated to make it possible for this insertion  
4 to take place.

5 It doesn't mean that it couldn't happen,  
6 but what we also did was to make sure that there would  
7 be studies in chickens and studies done in ferrets and  
8 so on and so forth. So there would be a lot of  
9 intermediate safety steps before the virus would ever  
10 be used outside of strict biocontainment facilities  
11 and so on.

12 So I think, although it's rather  
13 laborious, we've learned a great deal. We've really  
14 taken a lot of these things into consideration in the  
15 sort of dress rehearsal that we've had, and we  
16 certainly don't have all the answers, but we know a  
17 lot more about the steps we would have to take if we  
18 ever have to deal with one of these highly pathogenic  
19 avian strains again.

20 CHAIRPERSON FERRIERI: Thank you. I think  
21 where we are is that we take seriously the possibility  
22 that an undesirable strain could emerge, but based on  
23 all of the data available, we've been reassured by our  
24 experts that this is most unlikely and that we would  
25 be prepared to deal with it, if something should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 occur.

2 Let us move on then to the last question  
3 that Dr. Levandowski is posing for us: Please comment  
4 on possible clinical consequences of live attenuated  
5 influenza virus vaccines, including secondary  
6 bacterial infections and hypersensitivity reactions.

7 So I'd like the Committee and guests to  
8 comment on very obvious possible secondary infections,  
9 pneumonia, sinusitis, otitis media, and then any of  
10 the allergic reactions, including acute  
11 hypersensitivity reactions Type I, that could occur.

12 We've heard considerable information from  
13 Dr. Wright's presentation that should help us. Dr.  
14 Hall?

15 DR. HALL: I think, from the evidence  
16 given and data that we do have, that it's clear that  
17 these would reduce the bacterial complications that  
18 occur, as we've mentioned earlier. Most of those, I  
19 think, would be, obviously, in the upper respiratory  
20 tract.

21 I think it's impressive that in the  
22 studies even from Hayden -- I guess it's actually  
23 Walker who did the studies looking at the effect of  
24 otitis or middle ear pressures again -- this is the  
25 tool they were using, because it was adults and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 experimental influenza.

2           Granted that that does not equate to  
3 otitis media, but there's a pretty good incidence --  
4 that in their group -- This was a study for an anti-  
5 neuraminidase trial, but in the placebo group, 73  
6 percent, I think it was, had abnormalities in middle  
7 ear pressures as adults, and that there are data that  
8 also Peter has shown that actually in children otitis  
9 media is reduced with the vaccine.

10           So I think all of those would be very  
11 reassuring.

12           CHAIRPERSON FERRIERI: Other comments from  
13 our clinicians, pediatricians? Dr. Kim, and then Dr.  
14 Daum.

15           DR. KIM: I guess a question is that are  
16 there any specific features of influenza virus that  
17 contributes to these kind of complications, compared  
18 to, let's say, other respiratory viruses?

19           For example, looking to data published in  
20 RSV, a story appears to be similar that, if you  
21 decrease the RSV infection, then secondary bacteria  
22 infections which is otitis media can be noticeably  
23 reduced.

24           My question is that are there any specific  
25 features of this virus that makes them different from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other respiratory viruses?

2 CHAIRPERSON FERRIERI: Dr. Wright, and  
3 then perhaps Dr. Murphy.

4 DR. WRIGHT: I think, although I'm not  
5 sure that I have absolute proof -- certainly, there's  
6 a lot of data to suggest that influenza in some way  
7 more than other viruses leads to de-epithelialization  
8 and loss of ciliary activity in the respiratory tract.

9 That is assumed to predispose to secondary  
10 bacterial infection, both by interrupting this sort of  
11 escalator that carries mucous and pathogen out of the  
12 lower respiratory tract and out of the middle ear and  
13 also through just allowing invasive events to occur.

14 I don't think it's exclusive to influenza,  
15 but I think it's more a characteristic of influenza.  
16 It certainly seems to be in these primary epithelial  
17 cells that we're looking at when compared to RSV, for  
18 example, which we've looked at.

19 I think that that is what -- that is  
20 thought to be the mechanism, and one nice example is  
21 perhaps in the chinchilla model that Scott Gebink has  
22 worked on where he tries to get a pneumococcal otitis.  
23 Using pneumococci alone, he has to directly inject the  
24 pneumococci through the tympanic membrane, and then it  
25 will establish a middle ear infection.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           If you first infect with influenza, we  
2 demonstrated that you could then simply put the  
3 pneumococci into the nasopharynx, and you would get  
4 pneumococcal otitis, and that this pneumococcal otitis  
5 could be prevented either with a pneumococcal vaccine  
6 or with an influenza vaccine.

7           It doesn't get quite at why it's different  
8 than the other viruses, but that it does this, I  
9 think, is almost inescapable.

10           CHAIRPERSON FERRIERI: Dr. Murphy, would  
11 you like to add to this?

12           DR. MURPHY: Just a -- The bad thing about  
13 influenza compared to RSV, which is actually a more  
14 severe infection, is that influenza changes its  
15 hemagglutinin. So it keeps on having more and more  
16 opportunities to do the same thing, which it does very  
17 well.

18           So that where you might have one or two  
19 severe RSV infections, you'll have more than that with  
20 the influenza viruses. So that's what differentiates  
21 influenza viruses.

22           The other point is that influenza virus  
23 grows unbelievable well in the respiratory tract of  
24 humans. We've calculated -- We've measured yields of  
25 virus up to the levels of  $10^7$  per ml. of wash, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 means that this virus is growing to  $10^8$  infectious  
2 units in the respiratory tract epithelium.

3 This is a tremendous level of viruses. In  
4 the pathological studies they have done, Peter talks  
5 about epithelial desquamation. Back in 1957, they did  
6 a -- Molder and Herse did an unbelievable study where  
7 they looked at pathology in the respiratory tract of  
8 humans infected with the H2N2 virus, and it completely  
9 desquamates the epithelial cells.

10 CHAIRPERSON FERRIERI: Let me refocus the  
11 discussion and the point number 4 from FDA. We have  
12 seen data presented by Dr. Wright comparing vaccinees  
13 who received the live cold adapted vaccine, lots of  
14 children, comparing them with placebos and looking at  
15 endpoints such as otitis media and other possible  
16 adverse events.

17 Have you seen anything that would lead you  
18 to believe that we should have more concerns? What is  
19 your interpretation of the extant data?

20 Dr. Daum, and then we'll get back to Dr.  
21 Kilbourne, I believe.

22 DR. DAUM: I'd like to hear some more  
23 discussion about this beyond what I have to say as  
24 well. But there's certainly a consensus of data,  
25 mostly in the stuff that Peter presented, that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 likelihood of the vaccine virus being associated with  
2 bacterial infection seems low.

3 On the other hand, it also seems like, if  
4 this was the sense of all of the available data, that  
5 we could use some more. The H-flu story -- for  
6 example, the animal work that you presented -- I mean,  
7 there's clearly a tremendous difference in the  
8 bacteremia rate with the wild type and the vaccine  
9 virus strains, but there was still some bacteremia in  
10 the animals that got the cold adapted vaccine.

11 There are now better animal models of  
12 pneumococcal disease than there were, and these could  
13 perhaps be exploited to look at some of these issues,  
14 at least in vitro, as well.

15 I think it's unlikely that there's going  
16 to be a problem here, but I would like to hear some  
17 more discussion and think perhaps about how we would  
18 approach this as a medical community to ensure that  
19 this really won't be a problem.

20 CHAIRPERSON FERRIERI: Dr. Kilbourne?

21 DR. KILBOURNE: Unfortunately, I don't  
22 think there's any way to do this in advance of a  
23 massive release of virus into the population, because  
24 I think the problem here -- Well, basically, you have  
25 a cytonecrotizing virus which is not temperate, in any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sense. If it's replicating in the respiratory tract,  
2 no matter at what level,  $10^2$  or  $10^6$ , it's destroying  
3 epithelial cells.

4 It also has direct effect on  
5 polymorphonuclear leukocytes, which hasn't been  
6 mentioned in terms of the pathogenesis. I think the  
7 further point is that we have different levels of  
8 bacterial colonizations in different populations at  
9 different times a year. So I think that there has to  
10 build up quite a big experience to really test this.

11 My first encounter with influenza virus  
12 professionally was in 1947 at Ft. Monmouth when the so  
13 called FM-1 strain emerged, so called mild strain of  
14 virus. I have in my pocket a slide I could show you,  
15 if time permitted, and probably doesn't, showing that  
16 concomitant with the subsidence of that epidemic, a  
17 direct increase in the number of patients admitted to  
18 the hospital carrying group A streptococci followed by  
19 an epidemic of streptococcal pharyngitis.

20 That is wild type virus, but it seems to  
21 me that we have to -- This is a very real concern, and  
22 I don't know how you address it with a relatively  
23 small scale clinical trial on an annual basis.

24 CHAIRPERSON FERRIERI: Dr. Kohl, and then  
25 Dr. Edwards.

1 DR. KOHL: Peter, I believe when you  
2 presented your data -- Dr. Wright -- that you  
3 presented data on otitis media in vaccinees and  
4 controls, and the statistics were ns on all of them.  
5 But it went by kind of quickly, and I think it looked  
6 like there were a higher number of otitises trending  
7 at least in all the vaccine groups compared to the  
8 control groups. Am I remembering that right?

9 DR. WRIGHT: Yes. In the first year, the  
10 p was .3 with, obviously, a rather large end.

11 DR. KOHL: What was the n in those? You  
12 didn't tell us that -- roughly. Hundreds? Thousands?

13 CHAIRPERSON FERRIERI: It's in the tables  
14 attached to the --

15 DR. MURPHY: It was like 20 -- There were  
16 20 otitises out of about 1,000 vaccinees versus six  
17 out of like 450 or so. That's approximately the  
18 numbers.

19 DR. WRIGHT: Yes, that's correct. So one  
20 would have had to had to go a good deal larger to  
21 demonstrate any kind of a significant effect. In the  
22 second year, there was no effect. The p value was  
23 .10, and as I think was commented on, there was  
24 efficacy in the second year after the second year  
25 immunization in the vaccinated group.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

That's what I could tell you. I mean, from the available data, I think you could calculate a relative increase -- you know, the upper limit in the relative increased risk.

I think it's quite striking, given that if you look at 24 children who have natural flu, you can find six otitises, and that probably is roughly the percentage of children who experience natural influenza who get otitis, and this vaccine was extraordinarily successful in preventing otitis during the period of reinfection.

So I'm not saying that, if you went to 100,000 people, you couldn't demonstrate an association with otitis, but I'm simply saying that it's extraordinarily rare and would appear to be safe with those constraints.

CHAIRPERSON FERRIERI: Thank you, Peter. Dr. Edwards?

DR. EDWARDS: I think that in the clinical trial that we conducted in over 5,000 people receiving 10,000 doses of vaccine, there were around 250 children that were between the ages of one and 15, and the bulk of the participants in that trial were normal, healthy adults.

We spent considerable effort looking at

1 reactions that Peter showed you, but also really  
2 making certain that, if there were any febrile  
3 reactions that occurred around the time of vaccine  
4 administration, that people called, that cultures were  
5 taken for other agents and looking very, very  
6 carefully for other kinds of explanations for febrile  
7 illness.

8 It's quite clear there will be more runny  
9 nose. There will be some people who will have a bit  
10 more fever, but I think that that was a lot of people  
11 to really look at very carefully, and I feel very  
12 comfortable that we looked very completely for severe  
13 reactions that may be associated with bacterial super-  
14 infection after vaccine administration.

15 CHAIRPERSON FERRIERI: Kathy, could you  
16 extend this to your experience with any  
17 hypersensitivity reactions, because I want to include  
18 that in our discussion on this point?

19 DR. EDWARDS: No, there were really no  
20 patients that I felt fell into that particular  
21 picture. So I really don't think I can comment.

22 I do think it's interesting that we did  
23 have one Guillain-Barre in an inactivated vaccine  
24 recipient, for what that's worth.

25 CHAIRPERSON FERRIERI: The issue being, if

1 you're using an aerosol, creating an aerosol, will  
2 those who have egg allergy be at risk, and is there  
3 data from your presentation, Peter? My interpretation  
4 was that the risk was extraordinarily low, but I  
5 wonder if you could comment on this specific point,  
6 hypersensitivity to the egg derived live attenuated  
7 vaccine?

8 DR. WRIGHT: I guess I see two components  
9 that maybe are, in some way, balancing each other out.  
10 I'm reassured by this recent publication, I think,  
11 this month in Pediatrics.

12 Hugh Sampson from Little Rock is the  
13 primary author, and also previously looked at MMR  
14 where intermuscular administration of inactivated  
15 vaccine, which he demonstrated had a component still  
16 of egg contamination, did not cause hypersensitivity  
17 reactions. Both with MMR and with the flu vaccine,  
18 there seemed to be no correlation with a history of  
19 allergy to ingested egg or an intolerance of ingested  
20 egg.

21 So one is giving a higher dose in this  
22 case of egg protein. One is giving by a route -- I  
23 preface all this by saying I'm not an allergist --  
24 that I would not think would be as likely to be  
25 sensitizing as the systemic injection.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 All of those things, you kind of balance  
2 against each other, and you do point out that in  
3 Kathy's study and in the large study in young  
4 children, there were no immediate or anaphylactic  
5 reactions, nor have they been seen in any of the other  
6 smaller studies that have been done.

7 I can't exclude it. One could do the kind  
8 of study that Dr. Samson has done with the live  
9 attenuated vaccine. He, obviously, has a group of egg  
10 allergic patients who have agreed to be in several  
11 different kinds of trials at this point.

12 That would be my only sort of operative  
13 suggestion, but I'm really not sure. I think what he  
14 would tell you is there's no relationship of egg  
15 allergy with hypersensitivity, and to date  
16 hypersensitivity has not been a problem with this  
17 vaccine.

18 CHAIRPERSON FERRIERI: Thank you, Peter.  
19 Dr. Breiman.

20 DR. BREIMAN: I guess, with repeated  
21 dosage over time, we may learn more about that, but I  
22 was interested also in, I guess, a relevant issue in  
23 terms of clinical consequence, although it's not  
24 hypersensitivity. I apologize if I missed this in  
25 Peter's presentation.

1                   What do we know about, especially from, I  
2 guess, the Russians, about the impact on immune  
3 response when the vaccine is given repetitively, year  
4 after year? Is there actually a tolerance that  
5 develops as a result of being less likely to see the  
6 vaccine virus systemically following, again, repeated  
7 respiratory doses?

8                   DR. WRIGHT:       That's, obviously, an  
9 interesting question and one that was a potential  
10 concern. You see a difference, certainly, in the  
11 amount of virus replication with the primary  
12 administration to young children, as opposed to the  
13 secondary administration in young children or the  
14 administration in adults, anybody who is experienced  
15 with influenza.

16                   There's several studies that I think are  
17 reassuring at this point. Probably most reassuring is  
18 that the second year of the large trial also showed  
19 efficacy, and that data is -- I don't know whether  
20 your Committee has heard it, but it's been referenced  
21 here, and it is encouraging data that this isn't just  
22 a one-time after the primary infection when you get a  
23 substantial replication of virus.

24                   In terms of immunogenicity, one of the  
25 best studies I know is one that Bill Gruber did in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 patients with cystic fibrosis and their families.  
2 What happened was in the first year the mean antibody  
3 response was clearly higher to the inactivated  
4 vaccine, but over three years of successive vaccine  
5 administration, in point of fact, the two -- the mean  
6 titer of antibody, serum antibody, in these two groups  
7 in the inactivated and live vaccine group were  
8 virtually identical.

9 So the live vaccine actually rose slowly  
10 over time. The inactivated vaccine showed an initial  
11 higher peak and then kind of plateaued.

12 We don't -- We think that mucosal antibody  
13 is important in protection against this virus, and we  
14 now have some specific data to bear on that in young  
15 children. So I don't know that -- I would not say  
16 that -- We have a very rough correlation of  
17 protection, and serum antibody titer of one to 32 or  
18 one to 40 is protective against infection.

19 I think that will prove to be different  
20 with a live attenuated vaccine, because there are  
21 other components of immunity that you're stimulating.

22 CHAIRPERSON FERRIERI: As an extension of  
23 that question, Peter, are there any animal data that  
24 might address this point of constant exposure, so that  
25 in the Russian populations, say, you would have 15 to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 20 years exposure with live attenuated vaccine.

2 Is there any data from any direction, in  
3 vitro, animal, in vivo, that you're going to have  
4 induction of tolerance because of the exposure at the  
5 nasal mucosa?

6 DR. WRIGHT: Brian would be probably the  
7 best one to speak to any relevant animal data, Nancy  
8 to the Russian data. But one of the things that the  
9 influenza field has been sort of struggling with over  
10 a long period of time is the "Hoskin's effect," where  
11 in a school population in Britain there was a  
12 suggestion that vaccination over time led to  
13 progressively less protection from the vaccine.

14 This was inactivated vaccine, and that the  
15 end result in the unvaccinated group over a period of  
16 time was comparable. That study is open to a lot of  
17 interpretive debate, and I think so far, either from  
18 Kathy's study where vaccine was given over a four-year  
19 period with no obvious diminution in the protection  
20 afforded and from everything else we know, I think  
21 that's not a phenomena that we're at least aware of  
22 for anything that's been done so far.

23 CHAIRPERSON FERRIERI: Dr. Cox, could you  
24 comment on the Russian experience?

25 DR. COX: I don't think there has been

1 studies done over longer periods of time than the  
2 Vanderbilt study in Russia where immunogenicity was  
3 monitored. So I don't really think there are Russian  
4 data relevant to answer this question.

5 CHAIRPERSON FERRIERI: Dr. Edwards, do you  
6 wish to add further to this point? Dr. Wright has  
7 referred to your studies over a period of four years.  
8 Is there anything you would wish to add?

9 DR. EDWARDS: No, other than I started out  
10 with black hair at the beginning of that, and look at  
11 me now.

12 DR. KOHL: What's the control?

13 DR. WRIGHT: I started out with hair.

14 CHAIRPERSON FERRIERI: Well, we'll have to  
15 do an in depth study here.

16 Yes, Dr. Huang?

17 DR. HUANG: I've been forced by the  
18 gentleman to my right to ask this question, and that  
19 is whether the cold adapted -- the Honorable Gentleman  
20 on my Right -- whether the cold adapted strain has any  
21 relation to Reyes Syndrome, if that's known, and if  
22 taking aspirin causes that.

23 CHAIRPERSON FERRIERI: Who would like to  
24 answer whether the CA strains have any relationship?  
25 Do we have any data over a period of years?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WRIGHT; No, we have no cases of Reyes  
2 syndrome. We have not used aspirin, and would not  
3 recommend aspirin after the administration of this  
4 live vaccine, particularly with a B component.

5 CHAIRPERSON FERRIERI: Very good point.  
6 Go ahead.

7 DR. MURPHY: In response to that is that,  
8 looking at rare complications such as Reyes syndrome  
9 which is rarely associated with influenza virus  
10 infections, clearly associated but it's rare, it's  
11 very difficult in limited trials to get information on  
12 that, as you are aware.

13 I think the only relevant sort of vaccine  
14 related experience that relates on the effect of  
15 vaccination on rare sequelae or rare responses to  
16 infections is the studies with SSPE, the live  
17 attenuated measles virus vaccine when it was  
18 introduced.

19 It has clearly and unequivocally reduced  
20 the incidence of SSPE, and I think that, when we're  
21 looking at long term consequences with rare events  
22 that are associated with wild type infections, I think  
23 the precedents would suggest that they are less likely  
24 to occur following use of a live attenuated virus  
25 vaccine, but it is something that needs to be looked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at and evaluated.

2 CHAIRPERSON FERRIERI: Thank you. Mrs.  
3 Cole?

4 MS. COLE: Wouldn't Pepto Bismal have to  
5 be included as well? That's considered one product  
6 that can also cause it and, when a child has got the  
7 flu, if they're throwing up or have diarrhea, that  
8 would probably be one of the first things that Mom is  
9 going to grab.

10 DR. WRIGHT: It does have aspirin in it.  
11 So it would be --

12 MS. COLE: That would have to be --

13 DR. WRIGHT: -- excluded.

14 MS. COLE: Yes, but that's not really made  
15 clear to the public, that Pepto Bismal can also be  
16 considered to produce the same effect that aspirin  
17 can, Reyes syndrome.

18 DR. WRIGHT: Right. I think that's  
19 probably true. Physicians don't prescribe Pepto  
20 Bismal a lot, but I know that it is --

21 MS. COLE: I'm not talking about  
22 prescription. I'm --

23 DR. WRIGHT: -- obviously on the shelf and  
24 is used.

25 MS. COLE: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WRIGHT: It's used by parents, but  
2 probably relatively infrequently with physician  
3 advice. But that doesn't get away from the need to  
4 increase awareness.

5 Reyes syndrome is very curious. We simply  
6 have not seen Reyes syndrome for at least ten years,  
7 and it so disappeared that to attribute it entirely to  
8 the change in prescribing habits vis a vis not using  
9 aspirin may not be correct. It just simply  
10 disappeared.

11 It came, and many diseases do this, and  
12 then it went away again. Clearly, it was associated  
13 with the use of aspirin, and the advice about not  
14 using aspirin with influenza and influenza-like  
15 illnesses is very rational and should be followed, but  
16 that is also to say still that probably some aspirin  
17 is being used, and we're simply not seeing Reyes  
18 syndrome. Other pediatricians may want to comment.

19 CHAIRPERSON FERRIERI: Thank you. Any  
20 final points before I sum up on this issue? Dr.  
21 Kilbourne, you'll have the last remark.

22 DR. KILBOURNE: There's been no comment  
23 yet that I've heard about the immunosuppressed members  
24 of our population who are growing in number at both  
25 ends of the age spectrum. Dr. Wright mentioned some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 studies in progress on HIV, and I think it would be  
2 most illuminating to wait for those results.

3 It's already been demonstrated -- I think  
4 Nancy Cox is one of the authors with Alan Kendall --  
5 that in an immunocompromised child your mutation rate  
6 of the virus is greatly enhanced, and you get the  
7 evolution of new genotypes.

8 This has been seen, of course, earlier  
9 with poliomyelitis in the live vaccine. I think I'd  
10 just like to be sure the Committee distinguishes  
11 between the different possible uses of the virus -- or  
12 the vaccine.

13 Will it be limited to certain populations?  
14 Will it be a supplement to the current vaccine, in  
15 addition to, in other words, as a tandem immunization?  
16 Will it complement inactivated vaccine? Will it be  
17 replacement for inactivated vaccine or will it simply  
18 remain as an alternative stratagem for immunization?

19 Seems to me that much depends on the  
20 decision that one makes about the use of the vaccine  
21 before one goes into the other considerations.

22 CHAIRPERSON FERRIERI: Those are all  
23 important points, Dr. Kilbourne. They are out of the  
24 purview of what we've been given to examine today. I  
25 think that we should address those issues at sometime

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in the future, if FDA wishes to present them.

2 I do not wish to suppress interest in your  
3 question. I think we should be thinking about those  
4 issues in order to bring ideas to some future  
5 convening of a group that includes experts like  
6 yourself.

7 So in summary on the last point  
8 challenged by Dr. Levandowski, we've not heard -- No  
9 one here has brought up data that would suggest that  
10 the clinical consequences are evident, any adverse  
11 events, nor any clinical consequences in the data  
12 available to date on the live CA influenza virus  
13 vaccines.

14 At this point, I would like to open it up  
15 for any audience participation or questions. We do  
16 have a few more minutes, or anything further that Dr.  
17 Roland Levandowski would like to say.

18 DR. LEVANDOWSKI: Well, I would  
19 particularly just like to thank the Committee and  
20 experts for all of the very helpful comments, and also  
21 our speakers earlier this morning for some very  
22 excellent presentations.

23 I think this has been exactly what we've  
24 been hoping for in terms of getting some of these  
25 issues discussed and getting opinions of the broader

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

medical community to help us in our thinking about what we should be looking for.

CHAIRPERSON FERRIERI: Thank you, Roland.

Again, is there anyone who would like to say something from the audience? One, two, three.

Well, thank you all for a very wonderful session, and we hope to talk about this issue again.

Thank you, Committee members.

(Whereupon, the foregoing matter went off the record at 12:24 p.m.)

**CERTIFICATE**


This is to certify that the foregoing transcript in the  
matter of:                   **CENTER FOR BIOLOGICS EDUCATION AND  
RESEARCH VACCINES AND RELATED PRODUCTS  
ADVISORY COMMITTEE MEETING**

Before:                       **FOOD AND DRUG ADMINISTRATION**

Date:                         **NOVEMBER 20, 1998**

Place:                        **BETHESDA, MD**

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

  
\_\_\_\_\_

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701