

mc

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE
61st MEETING

Thursday, December 10, 1998

8:05 a.m.

Double Tree Hotel
Ballrooms I and II
1750 Rockville Pike
Rockville, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

P A R T I C I P A N T S

Blaine F. Hollinger, M.D., Chairperson
Linda A. Smallwood, Ph.D., Executive Secretary

MEMBERS

John M. Boyle, Ph.D.
Norig Ellison, M.D.
Richard J. Kagan, M.D.
Marion A. Koerper, M.D.
Jeanne V. Linden, M.D.
Mark A. Mitchell, M.D.
Kenrad E. Nelson, M.D.
Kwaku Ohene-Frempong, M.D.
David F. Stroncek, M.D.
Joel I. Verter, Ph.D.

NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

NON-VOTING INDUSTRY REPRESENTATIVE

Donald H. Buchholz, M.D.

TEMPORARY VOTING MEMBER

Paul R. McCurdy, M.D.

CONSULTANT

Mary E. Chamberland, M.D.

C O N T E N T S

<u>AGENDA ITEM</u>	<u>PAGE</u>
Statement of Conflict of Interest: Linda A. Smallwood, Ph.D.	5
Welcome and Opening Remarks: Blaine F. Hollinger, M.D.	7
Committee Updates	8
HCV Lookback Guidance: Paul Mied, Ph.D.	8
Malaria Deferral: Mark Heintzelman, Ph.D.	25
Analyte Specific Reagents, Final Rule: Leonard Wilson	37
Supply Updates on Plasma Derivatives: Mark Weinstein, Ph.D.	47
Open Public Hearing	56
I Workshop Summaries	67
Donor Suitability Workshop: Andrew Dayton, M.D., Ph.D.	67
Blood Licensing Workshop: Mary Gustafson	77
II Hepatitis B Anti-Core Re-Entry (Anti-HBc)	81
Introduction and Overview: Robin Biswas, M.D.	81
Serology of Hepatitis B: Cathy Cantilena, M.D.	66
Presentation of AABB Proposal: Roger Dodd, Ph.D.	101
Presentation of FDA Proposal and Questions for the Committee: Robin Biswas, M.D.	113
Open Public Hearing	114
Open Committee Discussion	118

C O N T E N T S (Continued)

III End User Notification Initiatives for Plasma Derivatives 166

 Intro and Background:
 Mark Weinstein, Ph.D. 166

 Review of Advanced Notice Proposed Rule:
 Steven Falter 170

 Voluntary Notification:
 Jason Bablak 184

 Recent Experience with Previous Notification:
 Bruce M. Ewenstein, M.D., Ph.D. 204

Open Public Hearing 210

Committee Discussion and Recommendations 215

Adjournment 246

1 allow meeting participants to objectively evaluate any
2 presentation and/or comments made by participants.

3 The interests are as follows: Dr. William Hoots
4 receives consulting fees from regulated firms, including the
5 Genetics Institute, Bayer, and Baxter. In the event that
6 the discussions involve specific products or firms not on
7 the agenda for which FDA's participants have a financial
8 interest, the participants are aware of the need to exclude
9 themselves from such involvement and their exclusion will be
10 noted for the public record.

11 Screenings were conducted to prevent any
12 appearance, real or apparent, of conflict of interest in the
13 committee discussions. A copy of the appearance
14 determination addressed in this announcement is available by
15 written request under the Freedom of Information Act.

16 With respect to all other meeting participants, we
17 ask, in the interest of fairness, that they address any
18 current or previous financial involvement with any firm
19 whose products they wish to comment upon.

20 Are there any declarations to be made at this
21 time? If there are none, I would like at this time to
22 introduce to you the members of the Blood Products Advisory
23 Committee. As I call your name, would you please raise your
24 hand. Dr. Blaine Hollinger, Chairman. Dr. Marion Koerper.
25 Dr. Norig Ellison. Dr. David Stroncek. Dr. Paul McCurdy.

1 Ms. Katherine Knowles. Dr. Donald Buchholz. Dr. Kwaku
2 Ohene-Frempong. Dr. Richard Kagan. Dr. Joel Verter. Dr.
3 John Boyle. Dr. Jeanne Linden.

4 We have some members of our committee that are
5 absent today: Dr. Rima Khabbaz. And Dr. Mary Chamberland
6 is sitting as a representative from CDC. Dr. Chamberland,
7 would you raise your hand, please? Dr. Chamberland is
8 sitting as a special consultant to the committee. She will
9 participate in the discussion, but she will not be voting.

10 We also have absent today Dr. Corey Dubin.

11 Tomorrow, we will have as guests of the committee
12 Dr. William Hoots, Dr. Craig Kessler, and Dr. Margaret Rick.

13 I would also just like to announce that the
14 committee members have been given a list of tentative dates
15 for the Blood Product Advisory Committee meetings in 1999.
16 Those dates are March 25 and 26, June 17 and 18, September
17 16 and 17, December 9 and 10. Again, these are tentative
18 dates, and you may check through the usual manner to
19 determine if these dates have been confirmed.

20 At this time, I would like to turn over the
21 proceedings of the meeting to the Chairman, Dr. Blaine
22 Hollinger. Thank you.

23 DR. HOLLINGER: Thank you, Dr. Smallwood.
24 Welcome, everyone. This is probably the best time to have a
25 meeting of this committee when all the media and everything

1 is down on the Hill. So we should bring up very
2 controversial issues today.

3 [Laughter.]

4 DR. HOLLINGER: We do have several topics today.
5 We're going to start out with some committee updates. This
6 will be followed by some workshop summaries, two workshops
7 particularly which have a great deal of interest to all of
8 us, the Donor Suitability Workshop and the Blood Licensing
9 Workshop. And then we're going to go back to something
10 we've talked about before about a year ago and revisit the
11 hepatitis B anti-core re-entry with perhaps an algorithm to
12 look at, and finally, today there will be some discussion on
13 end-user notification initiatives for plasma derivatives.
14 So that will take place today. There will be a couple of
15 issues for the committee in regards to the discussion and
16 recommendations. Other parts of it are just for information
17 only.

18 So we'll start out then with the committee
19 updates, and Dr. Mied will give us an update on HCV Lookback
20 Guidance from, I presume, the meeting they just had
21 recently. Is that right, Paul?

22 DR. MIED: That's correct. Thank you, Dr.
23 Hollinger.

24 [Slide.]

25 This morning I will provide the committee with an

1 update on HCV lookback. Specifically, I'll describe the FDA
2 revised guidance for industry document and review the
3 recommended time frames for implementation of HCV lookback
4 by the industry. Then I'll summarize the actions of DHHS
5 and the blood industry to implement HCV lookback and the
6 current status of the lookback effort, and conclude by
7 giving you an overview of the resolutions on HCV lookback
8 approved by the Advisory Committee on Blood Safety and
9 Availability just two weeks ago.

10 [Slide.]

11 On September 23, 1998, FDA issued a revised
12 guidance for industry document on HCV lookback. The FDA
13 recommendations contained in this revised guidance document
14 are provided to enable quarantine and disposition of units
15 from prior collections from donors with repeatedly reactive
16 screening tests for anti-HCV. Additionally, FDA recommends
17 that consignees of certain blood and blood component units
18 collected since January 1, 1988, which were anti-HCV
19 negative or untested be notified when donors subsequently
20 test repeatedly reactive for anti-HCV in a licensed multi-
21 antigen screening test and reactive in a licensed or
22 investigational supplemental test. This notification would
23 enable recipients to be informed that they had been
24 transfused with units that may have contained HCV so that
25 they may obtain further medical counseling.

1 This document was provided on the CBER home page
2 for comment and for implementation on September 23rd and
3 published in the Federal Register with a notice of
4 availability on October 21st. Additionally, the guidance
5 document was mailed to all blood establishments on November
6 20, 1998.

7 Now, since the comment period never really closes
8 on guidance documents, comments on the revised guidance will
9 be evaluated by FDA as they are received and changes made as
10 warranted.

11 [Slide.]

12 The September 23rd revised guidance document
13 replaced the March 20, 1998, guidance for industry which had
14 undergone major revision by FDA in response to comments
15 received from the industry and the public following its
16 issuance in March. As a result of these significant changes
17 in the guidance, FDA made known its intention to reissue
18 comprehensive guidance on HCV lookback at a public meeting
19 of the Blood Products Advisory Committee in June 1998. The
20 March 20th guidance was withdrawn on September 8th by a
21 notice on the CBER Website, and the revised guidance was
22 issued on September 23rd.

23 FDA withdrew the March 20th guidance so that blood
24 establishments would not be compelled to implement the
25 previous outdated guidance which recommended that

1 notification of consignees start by September 20, 1998. The
2 blood industry was aware that this action did not signal
3 discontinuation of the lookback initiative.

4 [Slide.]

5 In accordance with good guidance practices, FDA
6 incorporated into the revised guidance the previous
7 recommendations on product retrieval from the July 1996 memo
8 to blood establishments. Thus, the revised guidance
9 document supersedes that memo. This major revision brought
10 the agency's recommendations regarding the two parts of
11 lookback--product retrieval and recipient notification--into
12 one comprehensive guidance document.

13 [Slide.]

14 With respect to implementation of HCV lookback by
15 industry, the time frames for the retrospective lookback in
16 the revised guidance issued on September 23, 1998, are as
17 follows:

18 Blood establishments should begin notification of
19 consignees as soon as feasible and within six months of the
20 date of issuance of the revised guidance--that is, by March
21 23, 1999.

22 Blood establishments should complete all
23 notifications of consignees within 18 months of the date of
24 issuance of the revised guidance--that is, by March 23,
25 2000.

1 [Slide.]

2 A transfusion service should begin notification of
3 the recipient when notified by the blood establishment and
4 should complete all notifications of recipients within one
5 year following receipt of notification from the blood
6 establishment--that is, by March 23, 2001, for the last of
7 the notifications received.

8 [Slide.]

9 Now, FDA's role in the implementation of HCV
10 lookback has encompassed the development of the initial
11 guidance document in March, the revised guidance document in
12 September, and also rulemaking on HCV lookback. And FDA's
13 involvement in HCV lookback implementation will continue.
14 Now that the revised guidance has been published, we will
15 continue to establish policy through guidance by responding
16 to comments on the revised guidance document and conducting
17 inspectional surveillance of lookback activities. We will
18 also assist other DHHS components in evaluating the
19 effectiveness of the public outreach and targeted lookback
20 programs. FDA is also committed to establishing
21 requirements for HCV lookback through the rulemaking
22 process. A draft proposed rule is awaiting DHHS and OMB
23 clearance. FDA will publish the proposed rule, respond to
24 public comments, issue a final rule, and conduct oversight
25 of implementation of HCV lookback procedures by the

1 industry.

2 [Slide.]

3 CDC's role in implementing HCV lookback includes
4 the publication of a morbidity and mortality weekly report,
5 recommendations for prevention and control of HCV infection
6 and HCV-related chronic disease, which contains guidelines
7 on counseling and treatment. This MMWR was issued on
8 October 16, 1998. CDC has developed and mailed educational
9 materials to physicians as part of a general notification
10 program directed at providers. Similarly, they have
11 developed public education messages regarding the risks
12 associated with transfusion prior to July 1992. And CDC is
13 developing strategies to evaluate the success of the various
14 public outreach and targeted lookback efforts.

15 Now, the current policy for identification
16 transfusion recipients at risk for HCV infection includes
17 direct notification of recipients of blood from donors who
18 had subsequently tested repeatedly reactive on a
19 multiantigen screening test, that is, the EIA 2.0 or 3.0,
20 with a reactive supplemental test result, as I mentioned,
21 and also general notification of all persons transfused
22 before July 1992.

23 [Slide.]

24 I'm now going to summarize the current status of
25 HCV lookback driven by EIA 2.0 and 3.0--that is, what people

1 are currently doing.

2 Blood establishments have implemented targeted HCV
3 lookback programs prospectively, or based on current donor
4 testing, and retrospectively, or based on review of records
5 of historical donations tested using EIA 2.0 or 3.0. They
6 have established written SOPs for lookback based on current
7 and historical donations. They have been identifying prior
8 collections from donors who were reactive on multiantigen
9 screening and supplemental tests and have been performing
10 additional tests on stored samples or, in some cases, on
11 fresh donor samples. Now that the MMWR has been issued,
12 some of the larger and independent blood banks are beginning
13 to notify consignees.

14 The American Red Cross is also preparing to begin
15 notifying consignees. I should point out that some smaller
16 blood banks actually had started to notify consignees before
17 the MMWR was issued. Some blood establishments are doing
18 the lookback and the notifications in stages, first the RIBA
19 2 positives, then the RIBA 2 indeterminates, with additional
20 testing as needed. There currently are evaluations underway
21 to determine the utility of going back to first-generation
22 EIA or EIA 1.0--that is, prior to the availability of the
23 multiantigen screening test--in the targeted lookback
24 effort.

25 The military and some private sector blood banks

1 have indicated they are considering doing lookback on EIA
2 1.0 repeatedly reactive donors. Some blood banks have
3 initiated lookback based on EIA 1.0.

4 Now, while there are no outcome data available yet
5 for many of these efforts, I'm going to summarize the
6 limited information we've been able to obtain regarding the
7 current status of lookback based on EIA 1.0. The military's
8 experience is that many of their donors are first-time
9 donors with no prior donations to go back and look for, so
10 the number of lookbacks they have to do is considerably
11 lower compared to the civilian population. They are doing
12 lookback based on unconfirmed 1.0 repeat reactives. They
13 have no supplemental test results and no frozen samples
14 dating back to 1.0 screening, and it's difficult for them to
15 call donors back in for additional testing. Most of their
16 facilities have begun the retrospective review of records.

17 The American Red Cross presently intends to do
18 lookback for EIA 1.0 repeat reactives, but only for those
19 with reactive supplemental test results, which at that time
20 was the investigational RIBA 2. Their projections are that
21 because of extending the lookback to first-generation EIA,
22 the number of repeat donors and components to look back on
23 and the number of recipients to be traced and notified will
24 increase by about one-third.

25 A major blood center in the Southwest is doing

1 lookback not only for all EIA 1.0 repeat reactives that are
2 RIBA positive and indeterminate, but also for all
3 unconfirmed EIA 1.0 repeat reactives, which actually make up
4 the majority of their repeat reactives. Since they no
5 longer have stored samples for additional testing, they are
6 attempting to recall donors by letter for retesting.
7 Overall, their lookback volume has increased 60 percent,
8 from 12,000 repeat reactives to 19,000 repeat reactives, by
9 extending the scope of their lookback to include EIA 1.0.

10 Another major blood center in the Midwest has
11 stored samples for their EIA 1.0 repeat reactives, and they
12 are preparing to do consignee notifications based on
13 additional testing using EIA 2.0 and RIBA 2 on those stored
14 samples. Extending the lookback to first-generation EIA has
15 nearly doubled the volume of their lookback effort. So you
16 can see that lookback based on EIA 1.0 is being approached
17 in a variety of different ways.

18 The Advisory Committee on Blood Safety and
19 Availability met in Washington, D.C., on November 24, 1998,
20 in response to the Seventh Report of the House Committee on
21 Government Reform and Oversight. The Advisory Committee
22 discussed progress in the implementation of HCV lookback and
23 the possibility of extending the targeted lookback program
24 to include recipients of blood from donors subsequently
25 identified as repeatedly reactive by the single-antigen-or

1 EIA 1.0--screening test for anti-HCV that was licensed in
2 1990.

3 [Slide.]

4 On November 24th, the Advisory Committee approved
5 the following resolutions:

6 One, the Secretary of Health and Human Services
7 should recommend legislation that would lower barriers to
8 the use of federal databases for locating individuals at
9 risk of hepatitis C infection.

10 [Slide.]

11 Two, the Department of Health and Human Services
12 should allocate sufficient additional resources to permit
13 the Centers for Disease Control and Prevention to work with
14 state and local health departments to facilitate education,
15 testing, and referral programs for individuals at risk of
16 hepatitis C infection.

17 [Slide.]

18 Three, the Department of Health and Human Services
19 should investigate supplemental sources of financial support
20 to facilitate prompt completion of targeted lookback for
21 individuals at risk of transfusion-transmitted hepatitis C
22 infection.

23 [Slide.]

24 Four, the Health Care Financing Administration
25 should remove financial barriers to testing of individuals

1 identified by current government standards as being at risk
2 of hepatitis C infection.

3 [Slide.]

4 Five, the Secretary of Health and Human Services
5 should take all necessary steps to ensure completion of
6 current lookback programs within the currently recommended
7 time frames.

8 [Slide.]

9 Six, the Advisory Committee on Blood Safety and
10 Availability supports Recommendations 1A and 3 of the
11 Seventh Report of the House Committee on Government Reform
12 and Oversight. Recommendation 1A states that, "The
13 Secretary of Health and Human Services should take the lead
14 in coordinating the federal public health response to the
15 hepatitis C epidemic, including implementation of a research
16 plan." Recommendation 3 states that, "Federal educational
17 campaigns on HCV infection should be launched immediately."

18 [Slide.]

19 Seven, the current targeted lookback program
20 should be expanded to include recipients of blood from
21 donors subsequently identified as repeat reactive by the
22 single-antigen (EIA 1) screening test for hepatitis C
23 infection that was licensed in 1990.

24 [Slide.]

25 Eight, implementation of the prior motion

1 regarding expanding the targeted lookback program to EIA 1.0
2 should be deferred until the Public Health Service has had
3 an opportunity to review it and to present options for its
4 implementation and evaluation to the Advisory Committee at
5 its next meeting.

6 [Slide.]

7 Now, when the Advisory Committee on Blood Safety
8 and Availability meets in January, they will examine options
9 for extending the targeted lookback. Some of these options
10 are to perform lookback based on EIA 1.0 repeat reactive
11 RIBA 2 reactives--that is, positives only or positives and
12 indeterminates, at the committee's discretion. As an
13 alternative, to look back based on unconfirmed EIA 1.0
14 repeat reactives without a supplemental test result.

15 Should there be lookback for unconfirmed EIA 1.0
16 repeat reactives? If the answer is yes, it might be
17 reasonable to consider limiting the lookback based on the
18 signal-to-cutoff ratio in cases where supplemental testing
19 has not been doing--in other words, perform lookback on a
20 subset of the EIA 1.0 repeat reactives to capture the vast
21 majority of the true positives and minimize the unnecessary
22 false recipient notifications. And, of course, instead of
23 donor-triggered notification, there is always the option of
24 proceeding with direct notification of all identifiable
25 recipients of blood transfusions prior to 1992.

1 So these are just a few of the options for
2 extending the scope of the lookback for HCV to EIA 1.0, and
3 the complete discussion of the pros and cons of these and
4 other options will occur at the January meeting of the
5 Advisory Committee on Blood Safety and Availability.

6 Thank you.

7 DR. HOLLINGER: Thank you, Paul, for that very
8 complete update on the lookback issue.

9 Are there any specific questions? I know we can
10 go through the whole thing, but I think maybe I'll just see
11 if there are any specific questions. Yes, John?

12 DR. BOYLE: Just one question. Can you give us
13 some sense of how many cases of transfusion-transmitted HCV
14 we've had in the past year reported? In other words, what's
15 the magnitude of the problem?

16 DR. MIED: No, I don't have a feel for the
17 magnitude of the problem. I'm sorry. I don't have that as
18 numbers.

19 DR. HOLLINGER: My understanding is that the CDC
20 has had no cases of transfusion-transmitted HCV reported
21 since 1995.

22 DR. BOYLE: In other words, no cases have
23 presented since that time?

24 DR. HOLLINGER: Well, you're talking about since
25 that period of time and looking at it, people who have

1 received transfusions since 1995.

2 DR. BOYLE: Right. But the question is,
3 presumably it goes back, you could have a case presenting
4 this year that had received the transfusion at a prior time.
5 Is that correct?

6 DR. HOLLINGER: Oh, that's a different issue.

7 DR. BOYLE: Yes. I was just trying to see how
8 many individuals are at risk showing, presenting now, next
9 year, and the following year. Is there a reporting system
10 in place that identifies cases, in this case, HCV, that are
11 presumed to be transfusion-transmitted?

12 DR. MIED: Yes, there's a reporting system in
13 place. As to what the numbers would be that come into that
14 reporting system, I really--I don't have a feel for it.

15 DR. BOYLE: Okay. Thank you.

16 DR. HOLLINGER: Just anecdotally, from just seeing
17 patients who are coming in to us to talk about their
18 disease, you see a lot of patients who have had blood
19 transfusions in the '60s, '70s, and sometimes in the '80s.
20 But I must tell you, it's a rare person that I've been
21 seeing who has received a transfusion from '88--I think this
22 was said in '88, since--from '88 onward. For some reason or
23 another, I just--I mean, I'm sure it occurs, but--it clearly
24 has occurred. I've had some. But most of them have been
25 much further back. It may just be because they're being

1 identified now as they're older, and the younger ones are
2 other things that have just not been identified.

3 Yes, Ken?

4 DR. NELSON: There's a comment you made based on
5 the Sentinel County surveillance because--I mean, not all
6 cases are reported. Is that what that's based on?

7 DR. HOLLINGER: Just from what I understand from
8 the CDC. Mary, maybe you can tell me. You should know.

9 DR. CHAMBERLAND: Sorry, I missed the beginning of
10 the question. CDC has in place a couple systems of
11 surveillance for cases of hepatitis; however, the rarity of
12 transfusion-transmitted hepatitis C over the last several
13 years has made it very difficult for CDC surveillance
14 systems to have adequate sensitivity to detect these kinds
15 of cases, and there have not been cases detected for the
16 last several years, as best I know.

17 DR. HOLLINGER: Yes, Paul? Dr. McCurdy?

18 DR. McCURDY: I unfortunately missed one of the
19 earlier comments, too, and it may be redundant. But our
20 NHLBI REDS study came out with a figure based on
21 seroconversions of around 1 in 100,000, and with 12 million
22 transfusions a year of red-cell products, that's about 120
23 cases that one would expect. There are a few more from
24 perhaps platelet transfusions or something in that
25 neighborhood. But it's probably not much--I mean, it may be

1 more, may be less, depending on the window period cases.

2 But that's a rough estimate.

3 DR. HOLLINGER: Yes, we hear that number all the
4 time, but I got to tell you, Paul, where are the cases? You
5 know, I hear that number, and 120, somebody ought to have a
6 case out there to see that, and it's just not been reported.
7 It's very confusing to me. It could be--

8 DR. McCURDY: That's accurate.

9 DR. HOLLINGER: Yeah, yeah. Yes, please, Dave?

10 DR. STRONCEK: I'd like to say for the transfusion
11 services and the blood banks, the issue on the EIA 1.0 is a
12 very difficult one. I'm very supportive of the efforts of
13 the Blood Safety and Availability Committee to tackle that,
14 and it sounds like they're head in the appropriate
15 direction. Does this committee need to make a resolution to
16 support them or anything in any way? There seems to be
17 overlap between the responsibilities of the two committees.

18 DR. HOLLINGER: What would you suggest?

19 DR. STRONCEK: Well, I guess it would be prudent
20 for us to wait to see what their outcome is, and then I
21 imagine--I think they're headed in the right direction. I
22 think I'm very supportive of the efforts to look back on
23 people that have EIA positive assays and that have not been
24 confirmed.

25 DR. HOLLINGER: I think I would be, too. I like

1 the idea of just on the 1's, anyway, of using the
2 supplemental test if they have it, or if they have samples,
3 go back. I would support also sticking with the cutoff
4 ratios of 3.5 or so, to look at those. I'm not sure I'd
5 support the unconfirmed ones at this point just because of
6 the massiveness of it and the large number of false
7 positives in that population.

8 Who's paying for all this, the lookback, by the
9 way, Paul?

10 [Laughter.]

11 DR. HOLLINGER: Because it must be very expensive.
12 Do we know, do we have any idea what kind of records are
13 available for finding these patients? What was required,
14 what were the regulations that were required of blood banks
15 or transfusion services for having records of who received
16 blood transfusions and so on? And are these records on
17 computers, or are they just in paper files somewhere,
18 archived off in storage centers or what?

19 DR. MIED: From what I hear, Dr. Hollinger, the
20 answer is yes. They're in all forms, and it's just very
21 difficult to search through. You're talking about records
22 from the blood transfusion--

23 DR. HOLLINGER: Yes. I mean, that's a very
24 mammoth--and right now the blood banks are primarily paying
25 for this; is that correct? The blood services?

1 DR. MIED: No, I'm not really sure who's paying
2 for it at that level. I am getting a feel for the
3 massiveness of the job that the blood establishments have
4 before them. We're talking, for a large blood center,
5 thousands of letters going out and thousands of records for
6 repeat reactives being searched. It's an enormous
7 undertaking.

8 DR. HOLLINGER: It must be, yes.

9 Dave, yes, please?

10 DR. STRONCEK: Well, I'm a little concerned,
11 though, about the non-uniformity right now of the response
12 of the blood industry to the EIA 1. It seems like many
13 blood centers are doing--taking a different course, and I
14 don't think that looks good for anybody. And I don't think
15 the public would understand it. I would prefer that the
16 blood industry take the correct path and not necessarily the
17 cheap path. And if it's appropriate to go back--it might be
18 expensive, but if it's appropriate for them to go back and
19 look at all EIA 1 positives, even if they're not tested in a
20 confirmation assay, then I think that's what should be done.

21 DR. HOLLINGER: Thank you, Dave. Okay. I think
22 we'll go on. Thank you.

23 The next committee update is on malaria deferral.
24 Dr. Heintzeman?

25 Dr. HEINTZELMAN: Good morning.

1 [Slide.]

2 I'm going to review our current draft document for
3 updating the malaria guidance that we have. Guidance for
4 industry, recommendations for donor questioning regarding
5 possible exposure to malaria.

6 [Slide.]

7 Specific recommendations. FDA's current thinking
8 regarding recommendations for deferring blood donors at
9 increased risk for malaria are as follows:

10 [Slide.]

11 Many of you will recognize this as a compilation
12 of prior guidance rolled into a modernization. One,
13 permanent residents of nonendemic countries who travel to an
14 area considered endemic for malaria by the Malaria
15 Epidemiology Section, CDC, U.S. Department of Health and
16 Human Services, should not be accepted as donors of whole
17 blood and blood components prior to one year after departure
18 from the endemic area. After one year has passed since
19 departure from the malarious area, such otherwise suitable
20 prospective donors may be accepted provided that they have
21 been free of unexplained symptoms suggestive of malaria and
22 regardless of whether or not they have received antimalarial
23 chemoprophylaxis.

24 [Slide.]

25 Two, prospective donors who have had malaria

1 should be deferred for three years after becoming
2 asymptomatic.

3 Three, immigrants, refugees, citizens, or
4 residents of endemic countries should not be accepted as
5 donors of whole blood or blood components prior to three
6 years after departure from the area. After the three-year
7 period, otherwise suitable prospective donors may be
8 accepted if they have remained free of unexplained symptoms
9 suggestive of malaria.

10 [Slide.]

11 Four, persons who may possess partial acquired
12 immunity to malaria, such as those that have resided in a
13 malarious region--immigrants, refugees, citizens, or
14 residents of endemic countries--should not be accepted as
15 donors of whole blood or blood components for a period of
16 three years since their last visit to a malarious area.

17 [Slide.]

18 Five, we are considering an additional question:
19 In the past three years, have you been outside the United
20 States or Canada? Many blood banks already ask this.

21 If the answer is affirmative, follow-up questions
22 such as this second-tier level: In the past year, have you
23 visited any rural areas in Mexico, including resorts located
24 in rural areas?

25 If a prospective donor gives an affirmative answer

1 to this question and if the rural area is located in a
2 Mexican state considered at risk for malaria by the CDC,
3 then the donor should be deferred for one year from the date
4 of departure from the area.

5 [Slide.]

6 Finally, these recommendations, if accepted, would
7 apply to donations of whole blood and blood components.

8 We have a couple of additional topics or areas
9 that we're considering under discussion. I should advise
10 you that we have been in discussions with representatives
11 from the Centers for Disease Control to develop this
12 proposal, and they have had some valuable input into this.
13 One of the items, the additional items, is to define the use
14 of the term "resident" to mean a person that has resided in
15 an area for longer than one year. And, secondly, to review
16 concerns about dusk-to-dawn activities and the risk of
17 acquiring malaria in malarious areas during those times.

18 That's a summarization of where we are currently
19 with this document.

20 DR. HOLLINGER: Thank you.

21 Any questions from the committee? Yes?

22 DR. ELLISON: I didn't understand your last
23 comment about dusk-to-dawn activities.

24 DR. HEINTZELMAN: There's concern that the
25 Anopheles mosquito activity is peak in low-light hours. I

1 think that's pretty well recognized. And there are certain
2 times when people may have gone into a malarious area when
3 they're at--say during peak sunlight hours such that there
4 would be little, if any, risk of a mosquito biting them and
5 transmitting the disease. And, currently, there is guidance
6 out there that's been discussed that suggests if you were
7 even to enter a malarious area at a time other than from
8 dusk to dawn, that such was at very low risk for acquiring
9 malaria.

10 That's the rationale for it. The difficulty is,
11 as you undoubtedly have recognized, quantifying when dusk
12 and when dawn occur. It's intriguing to even ask when was
13 sunrise today here in our own area and to hear a variety of
14 answers that come out because it is clearly on the basis of
15 the person that's involved in these activities would be
16 rather opinionated. And so that is a difficult area.

17 Mary Chamberland has been a part of our
18 discussions and may be able to further that.

19 DR. CHAMBERLAND: Essentially what we're trying to
20 strive for here is to have the guidance to blood collection
21 agencies be in line with the guidance that CDC gives out to
22 travelers vis-a-vis the need for malaria prophylaxis. When
23 travelers are going to malarious areas or questionable areas
24 and contact CDC or consult the Yellow Book about whether or
25 not they need to take prophylaxis, some of this hinges on

1 whether or not they will be engaged in being outdoors in
2 daytime versus evening or nighttime because, as was stated,
3 mosquito activity really does not occur until evening hours.

4 So what we'd like to do, there should be some
5 consistency between deferral and prophylactic
6 recommendations, and we're going to have to work on trying
7 to bring those in line. I agree it can be a little
8 complicated.

9 DR. MITCHELL: Yes, I agree that that is very
10 complicated, and I think that, again, we're at the point
11 where we're not going to be getting a large number of people
12 who are going to say, well, you know, I was there during the
13 daytime but I left at 7 o'clock at night and it started
14 getting dark. I don't expect we're going to get a lot of
15 people like that. From my perspective, we should err on the
16 side of caution and take that out altogether, and consider
17 that anybody who has been in a malarious area is at risk.

18 The other question that I had was about the
19 residents who had been away from a malarious area from three
20 years and then went back to visit, you know, one year later,
21 then they're deferred for another three years. Is that the
22 way that--

23 DR. HEINTZELMAN: I believe that's the way it's
24 stated in number four.

25 DR. MITCHELL: Okay. And why would that be? That

1 seems to be--

2 DR. HEINTZELMAN: Well, the issue of concern is
3 that they may have, as a result of their residence in an
4 endemic area, may have developed a level of immunity to the
5 organisms, and when they have left and then returned, they
6 may be recently infected and have a level of parasitemia in
7 their blood that hasn't yet suppressed, though they may
8 possibly be asymptomatic. That's the rationale for it.

9 DR. MITCHELL: So you're considering that a
10 resident would be assumed to have some level immunity. Is
11 that--

12 DR. HEINTZELMAN: Well, we do identify that now as
13 someone in our--in number three, a person that is at risk,
14 yes.

15 DR. MITCHELL: Thank you.

16 DR. HOLLINGER: Ken?

17 DR. NELSON: As one who for the last 25 years has
18 not been able to donate blood because I've gone to Thailand
19 several times and yet have never taken malaria prophylaxis
20 because the area in Thailand that I visit in the Central
21 Valley there's no malaria. And I notice that you break it
22 down fairly completely for Mexico, but not for--because
23 Mexico is commonly visited, et cetera, but not for other
24 countries. And I'd like to get a sense of as the guidelines
25 for blood donation are in place now, how is it working both

1 in terms of the blood supply and the transfusion-transmitted
2 malaria? Because I can see that one could make the
3 guidelines much more accurate, precise, realistic, and
4 increase the number of donors, and I guess with
5 international travel increasing at a very dramatic rate over
6 the next decade, we may find that nobody in this room could
7 be a blood donor by having, you know, visited a country
8 where there was endemic malaria. But in many countries,
9 particularly in Asia, malaria endemicity is focal. It's not
10 in the big cities; it's not year-round, et cetera. And
11 there are many, many visitors who are essentially at no risk
12 of malaria.

13 I just don't have a real feel for how the current
14 guidelines are working in terms of the blood supply and the
15 risk to recipients.

16 DR. HEINTZELMAN: Well, I think one of your
17 questions was what's the rate of malaria associated with
18 blood transfusion, and the last number that I saw was that
19 that was about 1 in 4 million donations, approximately.

20 DR. NELSON: So about three per year, is what
21 you're saying?

22 DR. HEINTZELMAN: Roughly.

23 DR. CHAMBERLAND: Yes, folks at the malaria branch
24 have been reviewing reported cases of transfusion-induced
25 malaria for the last 30 years or so, and on average, there

1 are one to two cases of transfusion-induced malaria reported
2 each year. There have been ten in the period 1990 to 1998.

3 DR. NELSON: And were those cases people who met
4 these guidelines or people who the guidelines should have
5 but didn't prevent from donation? Is that known?

6 DR. HEINTZELMAN: Both. I believe that the
7 investigations were conducted in those cases, and in some of
8 the situations, the guidelines, if they had been--if the
9 individual that donated blood had abided by the guidelines
10 as presented to them, they would have been prevented from
11 donating. So that's true, and that's a problem that we face
12 in all of our areas.

13 DR. NELSON: What about the other side of the
14 equation? How many donors who walk in the door--you may not
15 have those figures, but maybe somebody who is running a
16 blood bank in the audience could give us that. I could see,
17 you know, that depending on the population you looked at,
18 that could be a real problem.

19 DR. HEINTZELMAN: We recognize that. We've heard
20 that from many of the representatives from blood banks. And
21 part of--it may not have been obvious as I went through it,
22 but part of the fifth question, the second tier, addresses
23 rural areas in Mexico. And what we begin to identify is
24 that--you know, as you said, there is a tremendous focal
25 nature to malaria and a variety of areas that we might

1 consider to be endemic, and that being the case, we were
2 trying to begin to tease out the separation from urbanized
3 and rural areas where there was such risk. Some of these
4 resorts are clearly very urbanized that are in Mexico.
5 There's a large number of travelers in the United States
6 that go to Mexico for a variety of reasons, and by
7 separating rural resorts from urbanized ones in these areas,
8 we are beginning to identify the focal nature of malarial
9 transmission.

10 DR. NELSON: In Thailand, there are areas of
11 mountainous areas rather than--I mean--

12 DR. HEINTZELMAN: There will be easy areas to
13 start and much more difficult areas to go to, I'm sure.

14 DR. OHENE-FREMPONG: There were several references
15 to symptoms suggestive of malaria, and if I remember
16 correctly, some of them would be going back over like a
17 three-year period. Would you give some examples of how
18 somebody will be questioned as to whether they've had
19 symptoms suggestive of malaria? It seems to me that it's so
20 vague that most people would have had some febrileness
21 within a three-year period?

22 DR. HEINTZELMAN: Yes, that's true, and if that
23 febrile illness was associated with travel to an area where
24 malaria was endemic, then it may be a step on the
25 conservative side to defer them. But that is the intent of

1 it.

2 The symptoms themselves are generally fairly well
3 recognized by people that live in these areas because it's
4 such a common disease.

5 DR. HOLLINGER: What's the incubation period for
6 malaria?

7 DR. HEINTZELMAN: Generally, less than three
8 years. One year--

9 DR. HOLLINGER: But I mean--

10 DR. HEINTZELMAN: From time of bite?

11 DR. HOLLINGER: Somebody who's, yes, infected and
12 then developing of symptoms, what's the range?

13 DR. HEINTZELMAN: Earliest is within a couple of
14 weeks that I'm aware of. I'm not aware of the outside.

15 DR. HOLLINGER: Okay.

16 DR. NELSON: You can get recrudescent malaria for
17 years.

18 DR. HEINTZELMAN: Right.

19 DR. NELSON: The incubation period is a bit
20 irrelevant.

21 DR. HEINTZELMAN: We've reviewed the time periods
22 suggested in the document and believe that three years will
23 cover the vast majority of cases with the exception of
24 malariae, which we don't believe we can develop guidances.
25 We would defer everyone forever if we tried to do that.

1 DR. HOLLINGER: Jeanne, last question.

2 DR. LINDEN: Two fairly quick questions. One, I'm
3 not sure that the number of people who would be present only
4 during the daytime hours is that small. It's my
5 understanding there's a fair number of cruise ships that
6 drop people at a port during the day and then they're back
7 on the ship by afternoon. Are there any data available on
8 the numbers of people that we're talking about that might
9 fit into that category?

10 And my second question is: Is there a timetable
11 under which those last couple of questions are going to be
12 considered in some sort of definitive recommendation arrived
13 at?

14 DR. HEINTZELMAN: We don't--well, let me answer
15 your second question first. We don't have a definitive
16 timetable. We're working on this currently. Part of the
17 problem is, just as you suggested, it's very difficult to
18 develop numbers for whether your cruise ship dropped you
19 off, you went on an excursion and came back. If that port
20 was in a malarial endemic area, it may or may not have been
21 well urbanized, and that can further confound the issue.

22 There are other examples of daytime activities
23 that are at high risk such as boat cruises, river cruises
24 down a rich area for malarial breeding, Anopheles breeding,
25 and, therefore, prevents--you know, a very worst-case

1 scenario. And so even if you're out during the brightest
2 parts of the day, the sheer nature of the area that's being
3 visited may increase the risk significantly for any marginal
4 activity on the part of the mosquito.

5 Does that answer your question?

6 DR. LINDEN: Yes. Thank you.

7 DR. HOLLINGER: We'll go on then. Thank you very
8 much.

9 We have next an update on the analyte specific
10 reagents, a final rule, and if you could summarize this, you
11 get a great deal of gold stars.

12 DR. WILSON: I hope I live up to that. Can I have
13 the first overhead? And maybe you could keep the lights up.

14 [Slide.]

15 Actually, what I'm going to be presenting is an
16 update, and, of course, I will not be able to distill all
17 the elements of the rule, so this is not going to be all-
18 encompassing. I'm going to try to target it to issues that
19 have recently emerged relative to HIV, HCV, and
20 reimbursement.

21 An ASR--an analyte specific reagent--is kind of
22 the active ingredient of an assay, for example, an antibody,
23 an antigen, or a nucleic acid probe. The ASR would be
24 purchased by a clinical lab to develop an in-house, or
25 commonly referred to as "home brew," assay.

1 A CDRH Advisory Committee was conducted in January
2 of 1996 where this rule was openly discussed. The rule was
3 proposed formally, published in March of 1996, and then a
4 final rule was published in November of 1997, and there was
5 an implementation time one year from the date of that final
6 rule. So several weeks ago, the rule became effective.

7 [Slide.]

8 To follow Dr. Hollinger's lead here, this is the
9 final rule. It's available on the CDRH Website. The actual
10 regulation is approximately one page of text, but there's 33
11 pages, including the summary details and questions and
12 answers, preamble-type information. That's why I'm not
13 going to try to capture all the nuances of it in this
14 update.

15 [Slide.]

16 Now, here's the condensed version. An ASR is a
17 medical device. It's classified under 21 CFR 864, and as a
18 medical device, the ASR needs to be registered--the facility
19 needs to register, it needs to list. In other words, the
20 manufacturer of the ASR needs to register, list, and follow
21 quality system regulations, formerly known as GMPs.

22 Now, some ASRs require PMAs prior to being able to
23 put them in commercial distribution, so that the ASR
24 manufacturer would file the PMA. That means the
25 manufacturer of the antibody or the nucleic acid, et cetera.

1 And the PMA would contain not only the characterization, the
2 physiochemical characterization of the particular ASR, but
3 also it would include its use in an assay. So the
4 manufacturer of the ASR would need to show by integrating it
5 into an assay and providing performance characteristics,
6 sensitivity and specificity, that this ASR is capable of, in
7 fact, producing an answer that is reliable for medical
8 diagnosis, et cetera.

9 The clinical laboratories would then purchase this
10 ASR for "home brew" assays. They would develop their own
11 assay, and they, the clinical labs, would not be filing the
12 PMA.

13 [Slide.]

14 Now, the ASR regulation, as stated under
15 864.4020(b), Class III, or PMAs, are required when the
16 analyte is intended as a component in a test intended for
17 use in the diagnosis of a contagious condition that is
18 highly likely to result in a fatal outcome and prompt,
19 accurate diagnosis offers the opportunity to mitigate the
20 public health impact of the condition. And the rule
21 specifically uses as an example human immunodeficiency virus
22 or tuberculosis.

23 [Slide.]

24 How are these tests regulated or how are these
25 ASRs regulated within FDA? All tests used for donor blood

1 screening are regulated by the Center for Biologics, and the
2 center employs the Public Health Service Act as well as the
3 Food, Drug and Cosmetic Act, as appropriate.

4 Tests used for diagnosis and monitoring of
5 retroviral infections are regulated by CBER under the Food,
6 Drug and Cosmetic Act, and the reason why CBER regulates
7 these products is that in the inter-center agreement of
8 1991, it was determined that CBER would have jurisdiction
9 over these products. The important point is that the ASR
10 rule applies to medical devices, and it's just which center
11 exercises the regulatory authority over them. But the ASR
12 rule applies to the medical devices, regardless of which
13 center.

14 Finally, tests used for diagnosis and monitoring
15 of hepatitis infections--that is, not for blood donor
16 qualification--are regulated by CDRH under the Food, Drug
17 and Cosmetic Act.

18 [Slide.]

19 Now, HIV ASRs that are diagnostic in commercial
20 distribution require a premarket approval based on the ASR
21 regulation. And this intended use would also include
22 detection and monitoring for genomic variants or
23 quantitative viral loads for therapeutic management
24 purposes.

25 [Slide.]

1 Now, as with all sponsors of all the tests that
2 the Center for Biologics--and I'm sure our sister agency,
3 CDRH, also does--we meet with sponsors, and we would propose
4 to meet with ASR manufacturers if they elect to request a
5 meeting, and we would give them detailed advice on filing of
6 the PMA as well as what it would take in terms of the
7 approval process.

8 [Slide.]

9 Now, HCV ASRs are regulated jurisdiction-wise
10 through the Center for Devices and Radiologic Health, and
11 currently, CDRH is not calling for PMAs on the HCV ASRs.
12 It's important to note that as public health considerations
13 and concerns are never constant--they're always changing--
14 PMAs may be required in the future if public health
15 considerations warrant.

16 Again, despite the fact that the PMA is not filed,
17 manufacturers of ASRs are medical device manufacturers.
18 They must register, list, and follow the quality system
19 regulations. In-house tests which are manufactured from
20 such ASRs must meet the CLIA standards, and disclaimers must
21 accompany the test results. The disclaimer is basically the
22 following: In the regulation on that last page of the Web
23 page site, it describes how when a test result is generated
24 in a clinical laboratory from an ASR-derived "home brew,"
25 the results need to have accompany--the report needs to

1 state that this test in so many words has not been approved
2 or cleared by the Food and Drug Administration. So there's
3 notification to the ordering physician that this test has
4 not been cleared by the FDA.

5 I'd like to make some just very quick closing
6 remarks. We do have written permission from one test kit
7 manufacturer that enables us to state that this manufacturer
8 is in the process of filing a PMA for a complete kit, HCV
9 PCR viral load. So that is in the process of being filed.

10 In regard to HCFA reimbursement, FDA provides the
11 regulatory status and other relevant information to HCFA
12 upon their request and when we have information that the
13 firm will allow us to disclose. HCFA then evaluates the
14 usefulness of that test as it would normally evaluate the
15 use of any test, and in closing, HCFA makes the decision as
16 to whether reimbursement is to be granted, not FDA.

17 Thank you.

18 DR. HOLLINGER: Dr. Epstein?

19 DR. EPSTEIN: Thank you. For the purpose of
20 completeness, Len, if I could mention that there is a second
21 condition in the regulation that establishes a PMA
22 requirement for the ASR, and that is the condition where the
23 analyte is intended as a component in a test intended for
24 use in donor screening for conditions for which FDA has
25 recommended or required testing in order to safeguard the

1 blood supply or establish the safe use of blood and blood
2 products, for example, tests for hepatitis or tests for
3 identifying blood groups. I state that simply for
4 completeness.

5 DR. HOLLINGER: And that would take a stronger
6 regulation?

7 DR. EPSTEIN: Yes. The PMA is a premarket
8 approval application.

9 DR. HOLLINGER: Dr. Stroncek?

10 DR. STRONCEK: So that would mean tests for
11 genomic amplification testing for HIV and HCV, they're
12 regulated the same way? The presentation suggested that
13 hepatitis tests are regulated slightly differently than HIV
14 tests. But for the purposes of testing blood products,
15 they're regulated in the same way?

16 DR. EPSTEIN: Yes. If the analyte specific
17 reagent were a component in a donor screening test or a test
18 otherwise related to blood safety, then it would be captured
19 under the PMA provision the same as for HIV.

20 DR. HOLLINGER: First of all, I think just as a
21 good rule, as you know, I feel there are a lot of good in-
22 house "home brews" out there that are probably equally or
23 better than some of the kits which are present. And many of
24 them are laboratory--many of them are based on the
25 laboratory more than the kit, particularly for PCR testing.

1 So I think this is a good opportunity for these tests to
2 still be used.

3 I would have preferred that probably, instead of
4 the disclaimer--I think the disclaimer is appropriate, but
5 it would have been nice if it would have also said something
6 to the effect that--the disclaimer that is supposed to be
7 used by in-house testing was that this test was developed
8 and its performance characteristics determined by--and then
9 the laboratory name used, and then it says, It has not been
10 cleared or approved by the U.S. Food and Drug
11 Administration. It would have been nice if it also said,
12 Nor has it been disapproved, as well, and something else to
13 the effect that, however, the test must meet CLIA standards.

14 That would have been an additional factor that I
15 think would be useful because it always gives the
16 connotation that if it's not approved, it may not be very
17 good.

18 The other thing, too, is I notice in this CFR,
19 this 21 CFR that you put up here, Leonard, it just says that
20 for a Class III, a premarket approval, it says when the
21 analyte is intended as a component in a test intended for
22 use in the diagnosis of a contagious condition; it says
23 nothing about management or therapeutic management. Yet a
24 couple slides later, it says that the premarket approval
25 must be done for quantitating viral load for therapeutic

1 management purposes. There's some difficulties there, I
2 think, with the CFR and what you intend perhaps to use it
3 for. That may need to be changed some way or other,
4 possibly.

5 Yes?

6 DR. BUCHHOLZ: I wonder if you could amplify a
7 little on the rationale behind the original manufacturer of
8 the reagent, the analyte specific reagent, being required to
9 file a PMA, and yet the users of that agent in a test not
10 have to file a PMA. And my question really is: Why would
11 you put a burden on the manufacturer of the reagent that is
12 different than what, in essence, is the real utilization of
13 the test in terms of it being a very critical test that
14 would put it into Class III device category?

15 DR. WILSON: I think I can give you a short
16 answer. The ASR is what travels interstate. It's in
17 interstate commerce, so the FDA has jurisdiction the way the
18 regulations are written relative to interstate commerce.

19 One of the concerns that was raised that prompted
20 this was the quality of "home brew" tests, notwithstanding
21 what Dr. Hollinger stated, that there are--FDA clearly
22 recognizes there are good-quality "home brew" tests. I
23 think it's fair to say that not all "home brew" tests are of
24 the best quality. And this was an effort to take the active
25 ingredient and essentially independently verify that at

1 least the physiochemical characteristics and its capability
2 of producing an accurate answer have been established.
3 There's flexibility in that where a laboratory could do even
4 a better job by developing their own version of it. So that
5 was the best cut that we could make.

6 DR. HOLLINGER: Dr. Gutman?

7 DR. GUTMAN: I have two comments in response to
8 the issues you raise. The first is about the disclaimer.
9 The disclaimer represents a minimum language, a minimum data
10 set for explaining what's going on. The agency has
11 communicated that if labs wish to communicate either in the
12 report itself or in educational materials the fact that this
13 product is in compliance with CLIA and meets the
14 requirements of ASR and, therefore, obviously doesn't need
15 to go to FDA, we don't preclude a clever lab from
16 communicating to folks what's going on in either the report
17 itself or some other context for explaining this rule. And
18 I think that from our perspective--and we may be parochial
19 and inbred--we're using diagnosis in a more catholic sense
20 in that when we think of diagnosis, we're thinking of
21 management therapy, screening, and diagnosis. And we may be
22 playing a little loose with words, but diagnosis is for us a
23 broad term in which lots of things comfortably fit.

24 DR. WILSON: And might I add that whether it's
25 diagnosis or monitoring, the accuracy and the quality of the

1 result is what we're trying to drive at. We don't think
2 that there should be any distinction between a test that
3 monitors versus a test that detects a particular marker.
4 The quality should be there regardless.

5 DR. HOLLINGER: Sort of like the definition for
6 sex, I guess.

7 [Laughter.]

8 DR. HOLLINGER: Okay. Thank you.

9 Our next committee update is on supply updates on
10 plasma derivatives, Dr. Mark Weinstein.

11 [Slide.]

12 DR. WEINSTEIN: I will give the committee a brief
13 update about product shortages. I will summarize some of
14 the causes for the shortages, the current status of some
15 products, and actions that FDA and other groups are taking
16 to alleviate the shortages.

17 [Slide.]

18 With regard to the reasons and causes for plasma
19 derivative shortages, I've outlined three items here:
20 industry-wide compliance issues, increased demand, and
21 insufficient manufacturing capacity.

22 With regard to the first, we have two companies
23 that are under consent decree, and others have received
24 warning letters and have significant compliance issues. All
25 of these companies are working to varying degrees and at

1 varying rates to improve their quality assurance programs
2 and physical facilities. However, coming into compliance
3 has slowed the output of product delivery. The industry-
4 wide nature of this compliance problem, rather than
5 occurring in one or two companies, makes this problem
6 particularly acute.

7 Another issue is this increased demand. Demand
8 for a number of products, particularly IGIV, has increased
9 significantly. For IGIV, the increase in demand is thought
10 to be about 10 percent per year, primarily in off-label use.
11 For Factor VIII, the increase has averaged about 6 to 7
12 percent per year for the last four years.

13 Thirdly, insufficient manufacturing capacity.
14 Industry underestimated the demand for plasma derivatives
15 and analogous recombinant products, particularly in the case
16 of recombinant Factor VIII.

17 [Slide.]

18 I'll turn to the current status of some of the
19 products. I will concentrate on IGIV, which is currently in
20 the most severe shortage.

21 This graph shows the aggregated monthly
22 distribution of IGIV in the United States for 1998. The
23 monthly distribution is indicated by the dark bars in this
24 graph. The first of the month inventory is indicated by the
25 light bars. That is the product that manufacturers have

1 under their control the first day of every month.

2 The projected level is the estimated level of
3 product needed to meet demand based on extrapolating the
4 distribution data from 1996 by 10 percent per year. 1996
5 was the last year where we did not have reports of chronic
6 shortage.

7 The graph indicates that for the year so far,
8 distribution of IGIV is down about 30 percent below what we
9 estimate it should be. The low first of the month inventory
10 suggests that reserves are being used up quickly.

11 Now, a number of other plasma derivative products
12 are also in limited supply. These include some clotting
13 factor--alpha-1 PI and 5 percent albumin. We have heard
14 from consumers about the difficulty of getting clotting
15 factors, particularly plasma-derived Factor IX. Estimating
16 what the true demands are for these products and how they
17 will be met in the near future is very difficult because of
18 a rapidly changing market situation.

19 Now, in the case of Factor VIII, a new recombinant
20 Factor VIII plant has recently been licensed, and we
21 anticipate that some of the demand for recombinant Factor
22 VIII will be alleviated by the output from this plant. In
23 the case of Factor IX, the manufacturer of recombinant
24 Factor IX has reported that they have enough Factor IX to
25 meet national demand. We recognize, however, that more of

1 this product has to be used compared to plasma-derived
2 Factor IX and that not all patients respond as they do to
3 plasma-derived Factor IX when using this product.

4 In the case of 5 percent albumin, distributors
5 have reported to us that there is a lack of 5 percent
6 albumin. Overall, the total albumin distribution in the
7 United States has decreased about 20 percent compared to
8 1996 figures. We do not have separate figures for the 5
9 percent albumin compared to the total albumin output, but
10 information supplied to us by industry makes it appear that
11 the distribution of 5 percent albumin is down approximately
12 30 percent during the period from August to October compared
13 to six months previously. However, estimating the true
14 demand for albumin is difficult because of the changing
15 usage pattern, particularly in light of the British Medical
16 Journal article reported to this committee by Dr. Finlayson
17 in September about the disadvantages of using albumin.

18 [Slide.]

19 I will now turn to some of the actions that the
20 FDA and other PHS agencies have taken, as well as consumer
21 groups and industry, to help relieve the shortage,
22 particularly with reference to IGIV. Among these are to
23 inform physicians about approved uses of products, for
24 example, through an MMWR document. Our intention is to
25 inform physicians about approved uses of the products and

1 uses for which there is reasonable clinical support. The
2 CDC is preparing an MMWR report in conjunction with FDA and
3 the Immune Deficiency Foundation that will give information
4 on the impact of declining IGIV availability on patients and
5 will outline the clinically supported uses of the product.

6 Another action that we are taking is to collect
7 and evaluate data about distribution and demand.

8 Manufacturers are now required to submit distribution data
9 and are working through the International Plasma Products
10 Industry Association, IPPIA, and Georgetown Economic
11 Services to supply this information to us. The FDA receives
12 aggregate as well as individual company data.

13 Although distribution figures are a month behind
14 the present situation, these figures are helpful in
15 indicating general trends about product distribution. The
16 data also helps us to estimate what the impact of new
17 sources of material will have on distribution and how the
18 lack of output from one or more firms will affect supplies.

19 The FDA is interested in, of course, expediting
20 lot release and encouraging electronic submissions by
21 industry. The FDA continues to improve our lot release
22 program to get submissions out of FDA as quickly as we can
23 without compromising our review process. We have also
24 encouraged industry to submit lot release protocols
25 electronically, which will save on FDA review time.

1 We're interested in expediting review of industry
2 compliance submissions. FDA is working to review compliance
3 submissions as expeditiously as we can, and at the same time
4 assure the quality of our review. This includes focusing
5 our reviews on key compliance issues, reviewing the work of
6 third parties hired by industry to help them come into
7 compliance, and forming teams within the agency to review
8 submissions quickly.

9 The FDA is encouraging importation of products
10 that meet FDA criteria. The FDA currently is reviewing half
11 a dozen IND submissions that will permit importation of
12 IGIV. We, of course, will not permit the importation of
13 products that do not meet FDA safety criteria.

14 We're interested in streamlining clinical trials
15 where possible. The FDA is open to new ideas about how
16 clinical trials might be conducted to reduce the number of
17 patients that have to be involved in these studies. We have
18 met with the Immune Deficiency Foundation about this issue
19 and look forward to further dialogue regarding clinical
20 trials.

21 Lastly, the FDA has actively encouraged the
22 development of an approved emergency distribution network
23 for IGIV. The Immune Deficiency Foundation has proposed a
24 plan for emergency distribution, and the IPPIA has supported
25 this activity. These organizations will present information

1 about this plan in the open public hearing.

2 DR. HOLLINGER: Questions of Dr. Weinstein? Yes?

3 DR. STRONCEK: I had a question and a comment
4 concerning both the supply of plasma and analytic specific
5 reagents. The question--these are all reactive issues. Are
6 you doing anything proactive? What I'm getting at is, in
7 six months from now, we'll be required to test plasma by
8 genomic amplification testing. We just heard the rules
9 about analytic specific reagents, and I think those rules
10 are very nice for mature tests, but they're going to be
11 difficult to deal with with evolving tests, such as genomic
12 amplification testing. My understanding is we're going to
13 have to use an IND mechanism to approve, at least initially,
14 the test we're going to use to provide the genomic
15 amplification testing.

16 Do you have plans to expedite the review of these
17 INDs or somehow relax the mechanism so we don't have a
18 situation where blood establishments are having difficulty
19 establishing this genomic amplification testing or having it
20 evolve to meet--as technology changes to do a better job so
21 we don't jeopardize further the supply of plasma?

22 DR. WEINSTEIN: Actually, for the plasma
23 derivative industry, the supply of plasma is not the
24 critical issue in alleviating the shortage situation. It is
25 more to do with the compliance issues within the

1 manufacturing facilities rather than directly the supply of
2 plasma coming into the plants here. And I've outlined some
3 of the things that we are doing to try to accelerate the
4 output of these plants regarding the plasma derivative
5 issue.

6 DR. STRONCEK: Well, that might be true, but I
7 think we cut off discussion a little on the analytic
8 specific reagents. I still would encourage the FDA to make
9 sure their mechanisms of approving assays, for new assays,
10 are flexible enough to allow rapid changes in technology.
11 And I think this is going to be an issue for the genomic
12 amplification testing.

13 DR. HOLLINGER: Dr. Koerper?

14 DR. KOERPER: Dr. Weinstein, I think that we are
15 all encouraged that you're now getting these monthly reports
16 from industry on inventory versus distribution levels. But
17 I'm curious as to what the next step is, what you are going
18 to be doing with this information. Has any consideration
19 been given to setting critical levels below which an alert
20 might be issued to treating physicians saying, you know,
21 we're now down to one-third so limit your use of these
22 products? An example would be to notify hemophilia
23 treatment center directors about a critical level so that
24 elective surgery could be postponed, which uses huge
25 quantities of Factor VIII and Factor IX, sort of analogous

1 to an alert on a drought day where you don't water your
2 lawns because the water level is critically low. I'm
3 wondering if any thought has been given to sort of setting
4 these levels and beginning to notify treaters when these
5 levels are approached or reached.

6 DR. WEINSTEIN: I wish our model, our system was
7 refined enough at this point to really give us that sort of
8 critical information here. Right now, as I mentioned here,
9 we are sort of a month behind in this kind of firm
10 quantitative data. We are very attuned to reports that we
11 get from clinicians about the difficulty of getting
12 material. Information is also given to us by distributors,
13 and so we are looking for maybe that early warning, very
14 rapid, you know, on-the-spot information to help us with
15 that sort of analysis here.

16 But we also do have a committee within the PHS
17 agencies to help us develop a model system whereby we can
18 determine what the actual level is at a particular time, and
19 this might include calling pharmacies at hospitals to find
20 out what their material is on the shelf at a particular
21 time. Right now we're not quite there yet, and this is a
22 difficulty.

23 DR. KOERPER: But you see your agency moving in
24 that direction?

25 DR. WEINSTEIN: Yes, yes.

1 DR. HOLLINGER: Dr. Tabor?

2 DR. TABOR: I'd like to respond to Dr. Stroncek's
3 comment. You need have no fear that the review of nucleic
4 acid tests will delay or contribute to any shortages. We're
5 very aware that nucleic acid testing is really one of the
6 hot regulatory topics of this part of this decade, and these
7 INDs are being given as great attention as we can, and I
8 would say even our rapidly diminishing resources in CBER are
9 having no effect on that. So at the present time,
10 essentially all of the plasma being collected in the United
11 States is being tested under IND using nucleic acid tests--
12 I'm sorry, all of the source plasma, and a very large
13 proportion of the recovered plasma also.

14 DR. HOLLINGER: Before we go on, we have an open
15 public hearing. There are three people who want to speak on
16 this issue here. It may answer some of the questions. If I
17 may, I'd like to have them go ahead and make their
18 presentations on the committee updates. This is on the
19 supply issue, and the first one is Mr. Jackman representing
20 the International Plasma Products Industry Association, or
21 the IPPIA.

22 MR. JACKMAN: Good morning.

23 [Slide.]

24 I'm Dennis Jackman, Vice President of IPPIA for
25 North America. We represent the four largest commercial

1 fractionators of plasma-derived products, alpha
2 therapeutics: Baxter Health Care, Bayer Corporation, and
3 Centeon. We are acutely aware of the IGIV supply shortage
4 in the United States and what that presents in terms of
5 difficulties for patients.

6 I'm here today to announce that our member
7 companies will be partnering with the IDF on their
8 developing an emergency supply program, and we think that
9 that program is going to be very helpful in helping to meet
10 the critical needs of patients in an emergency supply
11 situation.

12 I think the IDF would be best qualified to discuss
13 exactly what's going to be in that program, but the short of
14 it is that they have a physician panel where qualified
15 physicians will identify emergency needs and be able to call
16 in, and our companies will be providing supply or allocating
17 supply that would be available for that emergency need. So
18 it's a very targeted way of meeting critical-need patients.

19 Of course, this is in addition to other actions
20 the companies have taken. Companies have implemented
21 emergency supply programs. All of our member companies and
22 a number of the non-member companies as well also have
23 implemented emergency supply and have had a significant
24 impact on that supply. And we know there's increasing
25 demand for the needs for those emergency products.

1 We also are investing in plant upgrades and
2 process improvements. We have figures that indicate that
3 over the years here, we've invested over \$380 million in
4 plant improvements, process improvements, and some of that
5 is having an effect. The plant was just approved for an
6 upgrade, a process upgrade in this year. It's increased
7 production significantly. That is having an impact on the
8 supply in the U.S. market. They're also investing in new
9 techniques that would increase yield from plasma and a
10 number of other factors as well.

11 We are attempting to license and import additional
12 products. Some companies have applications and are working
13 with FDA on getting additional products in here that would
14 meet U.S. requirements to help alleviate the shortage in
15 supply. Then, of course, Dr. Weinstein referred to the data
16 program. Our companies and also Novartis and American Red
17 Cross are participating in a program to provide data now on
18 a monthly basis which shows consumption in the U.S. versus
19 inventory and provides a ratio on inventory to consumption.
20 It's helpful in trending what's going on in the marketplace.

21 I'm addressing IGIV today because this is in
22 reference to the IDF program. We are aware of products in
23 other areas, and we're taking steps in those regards as
24 well. Many of these things would apply in terms of
25 investment in plant upgrades and process improvements. As

1 was referred to, we just had a facility open for recombinant
2 Factor VIII where a major investment--that's kind of a major
3 increase in output for the U.S. supply as well.

4 So that's what we're doing. We're happy to do
5 this, and hopefully all these actions will help us to
6 ameliorate the effect of the shortage of supply until supply
7 can catch up.

8 DR. HOLLINGER: Thank you, Mr. Jackman.

9 The second group that has asked to speak today is
10 someone from the Immune Deficiency Foundation. I apologize.
11 I don't have a name for this, so if you would, could you
12 state your name?

13 MS. O'DAY: I'm Miriam O'Day. I'm Vice President
14 of the Immune Deficiency Foundation. We are pleased to hear
15 the plans that the FDA has to streamline the licensure
16 process and assist with imports. We're also pleased to be
17 here today to talk about partnering with industry on the
18 IGIV safety net program.

19 The program features are basically that we'll be
20 setting up a physician registry. We'll be enrolling
21 physicians. And IGIV from the IDF program, we ask them to
22 access that only as a last resort. Physicians enrolled in
23 the program will agree to restrict the use of IGIV obtained
24 from the program to high-priority, medical-necessity uses,
25 principally, but not exclusively, primary immunodeficient

1 patients, and physicians in the program will permit the
2 retrieval of unused product at periodic intervals according
3 to established protocols. We'll ask the physicians enrolled
4 in the program not to re-sell or redistribute the IGIV from
5 the program and that we'll engage a third party to handle
6 the logistics of the program.

7 Thank you very much.

8 DR. HOLLINGER: Thank you.

9 The third one is Mr. David Cavanaugh from the
10 Committee of Ten Thousand.

11 MR. CAVANAUGH: Thank you, Dr. Hollinger. I have
12 two comments, on the HCV lookback update and also on the
13 supply issue.

14 COTT, the Committee of Ten Thousand, is disturbed
15 that efforts to press for notification of those exposed to
16 HCV in the blood supply is proceeding so slowly. While this
17 country has had several years of congressional and federal
18 advisory committee oversight hearings on the subject, no
19 patient notification has yet begun. Canada, on the other
20 hand, announced six months ago a \$3 billion government
21 payout to exposed persons. BPAC should join other voices
22 calling for rapid progress and pressing for efforts to
23 contact those exposed in the 1980s, not just those testing
24 repeatedly positive on later, more sensitive tests.

25 We continue to call for the fullest and most far-

1 reaching lookback possible regarding the exposure of nearly
2 1 million Americans to transfusion-associated hep C between
3 the early 1980s and 1997. We are concerned that, as
4 currently structured, the lookback will potentially exclude
5 from notification up to two-thirds of those exposed to hep C
6 contaminated transfusions. From our perspective, this
7 should be an issue of the right of individual blood
8 recipients to know that they were exposed to hep C and
9 should be tested. Rather than setting the cutoff point of
10 the adoption of the HCV PCR test, 1992, it should be set in
11 1990 when the HCV antibody test became available. For those
12 who fall outside this period, we would propose a "Dear
13 Resident" letter being sent to every home in America stating
14 that if you received blood or blood products or blood
15 components during the 1980s, then you should be tested for
16 hepatitis C. This issue, simply put, is about the right to
17 know and speaks directly to the public's trust in the safety
18 of this nation's blood supply.

19 We must indicate what the priority is in this
20 situation. We must not repeat the mistakes of the past as
21 it impacts public perceptions about the blood supply and
22 those that are tasked to manage it.

23 Regarding plasma supply, the IPPIA promised last
24 spring to provide monthly updates to FDA and Blood Advisory
25 Committees on current and projected production, inventory,

1 and demand. To date, after the initial release of the
2 company of this announcement, only one such update has been
3 issued publicly. BPAC should demand IPPIA deliver on its
4 promise to assist both staff and advisors, much less
5 consumers, in monitoring production, especially given the
6 need for attention to amelioration of current and foreseen
7 shortages and beyond regarding the need to assure an
8 adequate and safe supply.

9 Thank you.

10 DR. HOLLINGER: Thank you.

11 Mr. Jackman, or someone, would you like to respond
12 from IPPIA about the latter part? No? Okay. Go ahead,
13 please.

14 MR. WALSH: Mr. Chairman, I'd like to ask for a
15 point of clarification with respect to Dr. Weinstein's
16 comment. John Walsh, Alpha-1 Foundation, Alpha-1 National
17 Association.

18 With respect to the A1PI product availability,
19 there's one product and one manufacturer currently available
20 for our community. At maximum production, Bayer cannot
21 produce enough product to meet current demand. We're
22 identifying more than one person each day with alpha-1
23 antitrypsin deficiency that is eligible for therapy.

24 Two, we're very concerned about the potential
25 impact of the alpha therapeutics recall and its potential

1 impact on delaying the delivery and production and approval
2 for their BLA for an A1PI product from alpha therapeutics.

3 Third, we support and certainly endorse the
4 initiative of the FDA, CBER, to increase or improve upon the
5 clinical trial design activity. Since the last BPAC
6 meeting, industry has experienced additional difficulties in
7 getting an IND design approved or clarified from a third
8 manufacturer, and we would also encourage FDA and would
9 certainly like to work with them and industry to design a
10 clinical trial design for an aerosol product which would
11 significantly alleviate some of the issues that we are
12 experiencing with the blood supply by IV.

13 Thank you.

14 DR. HOLLINGER: Thank you.

15 Yes, please? And state your name, please, and
16 organization.

17 MR. BABLAK: My name is Jason Bablak. I'm with
18 IPPIA. I just wanted to respond to Mr. Cavanaugh's remark.

19 Since the congressional hearing where we promised
20 to provide the data on a monthly basis, that data has been
21 available on a monthly basis, running one month behind, to
22 FDA, the HHS Advisory Committee where we promised to do
23 that, also to the congressional oversight committee and to
24 other individuals who are interested. Certainly if someone
25 is not receiving that data, if they would like to contact

1 us, we'd be happy to make that available to them.

2 DR. HOLLINGER: Thank you very much.

3 Now, Dr. Boyle, do you have a--John?

4 DR. BOYLE: Yes. First I'd like to thank the FDA
5 and Dr. Weinstein for giving up that update, but I'd
6 certainly like to take advantage of it and ask two
7 questions.

8 One is that we saw from the 1998 estimates that
9 IGIV is running about 30 percent below--or was running 30
10 percent below the projected demand for that year, and the
11 question is: What about next year? Based upon what you
12 know about licensed product, imports, expected shutdowns,
13 are we talking about 30 percent, 50 percent or 10 percent?

14 DR. WEINSTEIN: We hope, of course, that there
15 will be continuous improvement, but there is no predicting.
16 Generally, this is a long process. We know that. This is
17 not going to be solved overnight. The information that we
18 have from companies suggests that it will be more on the
19 order of years before they come into full compliance.

20 There is a ramping-up process here. As conditions
21 improve, more product can get out here, but we can't be very
22 optimistic that this problem will be solved soon.

23 DR. BOYLE: Then the second question is: In terms
24 of where new product can come from, one of the issues,
25 obviously, is the licensing of new products. You indicated

1 up there that the flexibility of the FDA in trying to work
2 out clinical trials and so on to bring that along, but would
3 you characterize FDA requirements now for clinical trials
4 compared to the clinical trials that put the current
5 products on the market as more demanding or less demanding?

6 DR. WEINSTEIN: Probably more demanding. We ask
7 for statistical rigor in our approach here. We have to be
8 very careful, of course, about allowing licensing new
9 products here and reducing our standards because of the
10 shortage situation and then later on coming back and
11 reviewing the situation and perhaps having allowed the
12 product to go forward without the sort of rigor that we feel
13 is necessary. In other words, we can't be pushed by the
14 shortage situation alone to relax our licensing
15 requirements. We have to make certain that they are being
16 met.

17 But we are, as you know, flexible and willing to
18 hear new ideas that you may have for helping us streamline
19 the process of approval.

20 DR. BOYLE: Thank you.

21 DR. HOLLINGER: Two things. There is a letter for
22 the committee's information, there is a letter in your
23 packet about a statement from the American Liver Foundation
24 on HCV, HCV lookback primarily, so I just want you to know
25 about that. There's no one here that's going to be speaking

1 on that, but it is in your packet and it's quite complete.

2 Are there any other public comments on any other
3 topics under the committee updates? I know you.

4 DR. HOLMBERG: Jerry Holmberg, Navy Blood Program.
5 I wanted to go back and readdress the issue of malaria, and
6 I do appreciate Dr. Linden's comment about the cruise ship
7 industry. Since I do represent an organization that has
8 cruise ships--

9 [Laughter.]

10 DR. HOLMBERG: --I want to address an issue and
11 hope that the agency will include this in their definition
12 on cruise ships, dusk-to-dawn activity.

13 As you know, we very often pull into port and then
14 maybe pull out and just cruise along the shores for a while.
15 And I think that what we also have to consider--and I wish
16 the agency would consider--is the fact of the distance that
17 the cruise ship travels offshore.

18 DR. HOLLINGER: Thank you, Jerry.

19 Any other comments?

20 MR. NAGLER: My name is Rick Nagler from the
21 Hemophilia Federation of America. In regards to the cruise
22 ship industry, on any given day there are 68,000 people at
23 sea on cruise lines. But it's just not limited--the
24 problem's just not limited to cruise lines. You also have
25 freighters coming in from the Far East, and I think that

1 would be a far greater problem.

2 In regards to the hepatitis C, what I see
3 developing is the same thing that happened back when HIV was
4 first being talked about. I happened upon it by chance, and
5 had it not been for me going to a specific doctor, I would
6 not have learned about hepatitis C. I learned about it I
7 guess within the last two years. But for all those people
8 that are out there that don't have regular access to a
9 doctor, they are not being informed about hepatitis C, and
10 the same thing is happening, again, as happened in regards
11 to public notification with HIV.

12 DR. HOLLINGER: Thank you.

13 Any other comments from the committee about any of
14 this? If not, then we will formally close the open public
15 hearing--what Dr. Smallwood said I had to say, and I do
16 everything she tells me. There is another open committee
17 discussion on the workshop summaries, and I think we'll have
18 some presentations--two presentations, the first one on
19 donor suitability workshop, and Andy Dayton I think will
20 provide that to us now.

21 DR. DAYTON: Last year at this BPAC, we examined
22 the question of deferring men who have sex with me from
23 giving blood. It was felt at that time by all of us that we
24 wanted to examine the issue further, and we also felt that
25 in doing that it was a good opportunity to examine the

1 general question of lifetime deferrals for high-risk
2 behavior.

3 As part of that re-examination, on November 21st,
4 a couple of weeks ago, the FDA sponsored a workshop on donor
5 suitability. This workshop was intended to gather
6 scientific information to assist the FDA in efforts to
7 update and revise the blood regulations on donor
8 suitability. The FDA has relied on guidance documents and
9 recommendations to quickly communicate important information
10 to the regulated industry while protecting the public
11 health. Several of the exclusionary criteria discussed had
12 been issued as guidance documents in the past.

13 The theme of this workshop was to examine data
14 relevant to the maintenance of lifetime deferrals for
15 individuals who have engaged in certain high-risk behaviors.
16 We heard scientific information on the risk of transmission
17 of HIV, HBV, HCV, HTLV, and also generally emerging
18 infectious diseases in the following high-risk categories:
19 men who have had sex with another man, even one time, since
20 1977; men or women who've exchanged sex for money or drugs
21 since 1977; and men or women who have abused intravenous
22 drugs.

23 A secondary theme of the workshop, which wasn't as
24 extensively covered as the primary theme, was concerned with
25 partners of people in these high-risk groups. There were

1 epidemiologic presentations on the introduction of
2 retroviruses into human populations, the prevalence and
3 incidence of HIV, HBV, HCV, and HTLV in individuals who
4 engage in activities thought to be high risk for infection,
5 the prevalence and incidence of these agents in blood and
6 plasma donors, the impact of these donor deferral criteria
7 on blood safety, and other presentations were on donors who
8 do not provide correct answers to deferral questions,
9 advances in donor testing and narrowing of the window period
10 by introduction of investigational genetic tests for HCV and
11 HIV, and a policy model that assesses the impact of any
12 changes to these donor criteria, which was basically the
13 model that I discussed last year.

14 It's very difficult to summarize the diversity of
15 data that we received. Certainly every speaker had a lot of
16 caveats about almost any number. But let me give you some
17 of the highlights and the major points from our perspective,
18 if I could have the first overhead, please?

19 [Slide.]

20 Even closely related viruses with similar
21 transmission routes can have very different risk factors,
22 and this relates to the variable biology of different
23 viruses. This is even more true for unrelated or distantly
24 related viruses, and these considerations impact our choices
25 of model viruses for formulating policy.

1 Finally, transmission of viruses, even
2 retroviruses, from animals to humans is not unusual. It
3 happened periodically throughout history. It happens
4 occasionally currently.

5 [Slide.]

6 One of the important criteria in making a policy
7 change is to try to understand the magnitude of the changes
8 that may result from changes in your policy, and we have had
9 Linda Dawe come up with some estimates of the potential new
10 blood donors that would be allowed to go to the testing
11 stage of giving blood with various changes in deferral
12 criteria. Now, on this slide I'm listing the number of new
13 donors in various categories that would now appear and get
14 through the questionnaire stage and be allowed to be tested
15 for giving blood under a one-year deferral policy.

16 For men who have sex with men, there would be
17 approximately 130,000 new donors a year. For intravenous
18 drug abusers, there would be somewhere between 110,000 and
19 440,000 new donors per year in that category. We asked for
20 the general numbers on people, men or women, who exchange
21 sex for money or drugs; it's a little bit hard to pin down
22 those numbers, but Linda gave us an estimate for female sex
23 workers, and it's possible that in the neighborhood of
24 83,000 new donors in this category could present with a one-
25 year deferral policy.

1 It should be understood that there are large error
2 limits on these numbers. Nobody really know what the rates
3 of donation are going to be in these groups. These
4 estimates were based on assuming that you'd have a donation
5 rate in these groups similar to the overall population
6 donation rate of 5 percent, and that may or may not be a
7 correct assumption and it may or may not vary from one group
8 to another.

9 [Slide.]

10 I'm only going to highlight a couple of the
11 interesting data points, but one of the things that was
12 fairly clear was that the incidence data for most of these
13 diseases is much harder to acquire than the prevalence data,
14 in general. Consequently, we have much poorer estimation of
15 incidence risk than prevalence risk for most of these
16 disease categories.

17 [Slide.]

18 This is just some of the selected data on
19 incidence. For instance, HIV in the MSM population has an
20 incidence rate in the neighborhood of one to three per 100
21 person-years. Be careful because some of these numbers are
22 in person-years and some of these are in 100,000 person-
23 years, or KPY. But this is one to three per hundred person-
24 years. Intravenous drug abusers, for instance, in New York
25 City have an incidence rate of about 1.5 per 100 person-

1 years. For HCV, for instance, in the intravenous drug
2 abuser population, it has an amazingly, stunningly high
3 incidence rate, roughly in the 10 to 20 range per 100
4 person-years.

5 If we take these incidence rates and just multiply
6 them by the number of new donors appearing and how
7 frequently--or what's the outer time limit of when someone
8 seroconverts, we can come up with rough estimates for how
9 many infectious units might enter the blood supply with the
10 projected changes in policy--not projected, I should say
11 discussed change in policy, for instance, a possible one-
12 year deferral policy. And when we do this for HIV, these
13 numbers turn out to be somewhere in the neighborhood of 0.2
14 to 0.7 new infectious units appearing into the blood supply
15 by window period donations per year. Those are very rough
16 numbers. We certainly aren't in a position to be held to
17 them.

18 But with HCV, for instance, with a very high
19 incidence rate, and particularly in intravenous drug
20 abusers, which are a very large--comparatively large segment
21 of the population, it's possible we could see as many as 40
22 to 160 units slipping through. Again, that's a very rough
23 number, and we certainly don't want to be held to it yet.
24 But it's indicative of the kinds of policy considerations
25 that we're hearing.

1 [Slide.]

2 Now, just to highlight some of the more striking
3 prevalence data, for HIV in men who have sex with men,
4 numbers in the 6 percent to 36 percent range are not
5 uncommon. It varies tremendously according to city and
6 region. For example, again, HCV in the MSM population,
7 we're looking at a prevalence rate in the neighborhood of 4
8 percent. For HTLV, in a New York City study, the prevalence
9 in intravenous drug abusers is in the neighborhood of 4.5
10 percent.

11 Again, if you calculate the prevalence rate times
12 the number of new donors that might appear, you might have
13 as many as 2,600 or, as we estimated last year, maybe 1,500
14 new infectious--infected people, new donors appearing who
15 are infected, who get to the questionnaire stage, get
16 through the questionnaire stage, and get to the testing
17 stage, and that's about a doubling of the burden on the
18 testing stage.

19 For HCV in the MSM group, we could conceivably
20 have numbers in the 1,000 to 5,000 range of new units which
21 would be burdening the testing stage.

22 [Slide.]

23 We have talks on risk factors in blood donors who
24 turn out to be positive for these diseases, and the findings
25 are in accordance with what you'd expect from the prevalence

1 data. There's a hierarchy of risk factors in HIV-positive
2 blood donors. The hierarchy is MSMs and then IVDUs and then
3 heterosexual contact with the above, in that order.

4 [Slide.]

5 Similarly, for instance, the hierarchy of risk
6 factors in HCV-positive blood donors, by far and away the
7 largest contributor were IVDUs, and then lower down were
8 history of transfusion and sex with an IVDU.

9 [Slide.]

10 We did not go into lengthy discussions of
11 questionnaire design, but it is a somewhat--although
12 somewhat peripheral to the main themes, it was brought up in
13 discussion, and it certainly is important in terms of policy
14 considerations. And there was a very strong feeling by the
15 members of the workshop who participated in this discussion
16 that we should be paying very strong attention to the design
17 and validation of every question that goes into the
18 questionnaire. Questionnaires are effective but there's
19 room for improvement.

20 [Slide.]

21 So we are now analyzing the data to formulate a
22 full policy review. Any proposed changes in regulations
23 will be made in a careful and deliberative manner by the FDA
24 in consultation with the NIH and CDC prior to publication
25 for public comment. The challenge before us is to maintain

1 safety and availability of blood and plasma products. The
2 FDA must balance enthusiasm based on the improvements gained
3 by advances in test technologies with due caution based on
4 the past tragedies of disease transmission.

5 Thank you.

6 DR. HOLLINGER: Thank you, Andy.

7 Any comments? Yes, Dr. Boyle?

8 DR. BOYLE: Just a quick question, something I'm
9 not clear on. As I understand it now, the questionnaires
10 that are being filled out by donors to determine whether
11 they're deferred or not are basically all paper copy. If
12 somebody had a computer-assisted, self-administered program
13 where somebody actually filled it out at a computer
14 terminal, shortened the interview length and so on, would
15 that require a license because it's software related to
16 medical products as opposed to the hard-copy questionnaire?

17 DR. DAYTON: We do regulate it. Somebody perhaps
18 from--

19 MS. GUSTAFSON: A study was done in the early
20 1990s on computer-based donor interviews, and it was
21 presented to BPAC--I don't remember exactly which year. But
22 we did tell the industry that we would be amenable to
23 reviewing applications for computer-assisted donor
24 interviews, and we have just recently approved one computer-
25 -an interactive video. So, yes, they come under the license

1 supplements or the license applications for the licensed
2 blood banks.

3 DR. HOLLINGER: Dr. Linden?

4 DR. LINDEN: Dr. Dayton, has there been any
5 consideration by the agency to the possibility of
6 eliminating even the one-year deferral for products that can
7 be frozen for at least the duration of a window period and
8 the donors retested, such products as donor retested plasma
9 and semen, particularly?

10 DR. DAYTON: We're open-minded about that, but we
11 don't have a decision on it, unless I'm mistaken.

12 DR. HOLLINGER: Dr. Chamberland, do you have a
13 comment on the workshop?

14 DR. CHAMBERLAND: I guess I wanted to use this as
15 a segue to apprise the committee members as well as those of
16 you who are here today about another workshop that will be
17 held in January of 1999. I believe the committee members
18 actually have an announcement about this, but sort of
19 related to the issues of donor suitability, CDC in
20 partnership with FDA and NIH and the Department of Defense
21 is sponsoring a public workshop on the potential for
22 transfusion transmission of tick-borne agents. So we're
23 moving into a different realm here.

24 The workshop will be held January 14th and 15th of
25 next year in Atlanta, and the objective of the workshop is

1 to review current information on tick-borne pathogens and
2 their potential for transmission by blood transfusion.
3 We'll also look to identify what gaps in research priorities
4 are out there and identify approaches to reducing the risk
5 of transfusion-related infections from tick-borne agents.

6 Information about the workshop is available on
7 CDC's Web site, cdc.gov, and we have also with the help of
8 some of the umbrella professional organizations, such as
9 AABB and ABC, American Red Cross, announcements are going
10 out through their weekly newsletters and the like. If
11 people do wish additional information that are here at this
12 meeting, please come and see me and I can apprise you of
13 that.

14 DR. HOLLINGER: Thank you, Mary. Thank you, Dr.
15 Dayton.

16 The next information or workshop summary is going
17 to be by Mary Gustafson on the blood licensing workshop.

18 MS. GUSTAFSON: Thank you.

19 Just yesterday, the Office of Blood Research and
20 Review hosted a workshop for the blood and blood components
21 industry. The workshop was held to introduce the concept of
22 licensing using a self-certification to a set of licensing
23 criteria in lieu of the submission of detailed supporting
24 information with a license application. The initiative is
25 being undertaken under our Blood Action Plan to further

1 streamline the licensing process for blood and blood
2 component products. It is part of FDA's continuing effort
3 to achieve the objectives of the President's Reinventing
4 Government initiatives and is intended to reduce unnecessary
5 burdens for industry without diminishing public health
6 protection.

7 We presented information to indicate that we have
8 made strides in simplifying the application process and in
9 reducing application approval times. However, we also
10 presented information that showed the budgetary constraints
11 that affect staffing and the likelihood that further
12 streamlining or even maintenance of the current performance
13 will not happen without our instituting more drastic
14 streamlining measures.

15 With that background, we presented the concept of
16 self-certification licensing. We advised that our proposed
17 approach is to pilot the concept in two specific areas:
18 blood component gamma irradiation and red blood cell
19 immunization to produce immune source plasma. In
20 preparation for conducting the pilot, we will publish draft
21 guidance documents with our proposed licensing criteria.
22 The notice of availability for the draft guidances will
23 announce our intention to conduct the pilots, request
24 comment on the concept of self-certification licensing,
25 request comments regarding desire to participate in the

1 pilot, and request comments on the draft specific licensing
2 criteria.

3 Following the comment period, we will assess
4 whether the pilot is viable in terms of interest in the
5 overall license concept, interest in the proposed pilot
6 areas, and the comments on the draft guidance documents
7 themselves. If there is adequate interest, we will publish
8 a notice of availability of the final guidance document and
9 announce the commencement of the pilot.

10 Applicants in the pilot licensing program will
11 submit a license application, and FDA will try to conduct a
12 pre-licensing inspection within 90 days to assess the
13 applicant's ability to self-certify compliance with the
14 specific licensing criteria, after which the licensed
15 supplement would be approved.

16 We were able to release the draft guidance for the
17 pilot licensing program for gamma irradiation of blood and
18 blood components. The document was posted on the CBER Web
19 page on Tuesday. A notice of its availability for comment
20 and announcement of the agency's desire to initiate the
21 pilot licensing program will soon publish in the Federal
22 Register.

23 We did not have a large crowd at the workshop, but
24 were very pleased with the audience's willingness to
25 participate freely in a question-and-answer session and

1 group discussion. We received positive and enthusiastic
2 support for the concept of a self-certification licensing
3 program. There were concerns raised about the selection of
4 pilot areas: whether the universe of potential applicants
5 for gamma irradiation of blood and blood components is great
6 enough to support a pilot, and whether the proposed pilot
7 for red blood cell immunization offers any economy over our
8 current review method, and if it presents an increased risk
9 to recipients; the method of evaluation, specifically, our
10 use of a pre-licensing inspection as an evaluation tool, and
11 whether the pre-licensing inspection would need to be a
12 component of self-certification licensing in future pilots
13 and licensing rollout; suggestions for other product areas
14 to either substitute for the initial pilot or for rollout to
15 other product areas; ideas concerning industry participation
16 in the development of licensing criteria guidance documents;
17 and comments concerning our proposed licensing criteria.

18 We FDA staff left the workshop encouraged that the
19 participants heartily endorsed the concept of self-
20 certification licensing. We are looking forward to more
21 comments and suggestions when the document is published and
22 recognize that we have quite a bit more work to do to ensure
23 that the pilots chosen are ones that will encourage
24 participation, that guidance documents are appropriate, and
25 that our evaluation methods for the pilots are reasonable

1 and fair.

2 Thank you.

3 DR. HOLLINGER: Thank you.

4 We're going to move on, then, to another issue
5 that will require some response and recommendations from the
6 committee, so we're going to start out with an introduction
7 and overview on the hepatitis B anti-core re-entry issue,
8 and we'll go on to the serology of hepatitis B, and then
9 we'll take a break at that point and then come back for a
10 presentation of the AABB proposal.

11 Dr. Biswas?

12 DR. BISWAS: Thank you very much, Dr. Hollinger.

13 If I could have the first slide, please?

14 [Slide.]

15 For the remainder of this morning, we will be
16 discussing proposals for the re-entry of donors who are
17 indefinitely deferred from donating blood and blood
18 components for transfusion because they have tested
19 repeatedly reactive for anti-HBc on more than one occasion.
20 I will give you some background information, and I'll skip a
21 few slides in the interest of time, and I will describe to
22 you the FDA's proposal for re-entry. Dr. Cathy Cantilena
23 will then talk about the serology of hepatitis B, and Dr.
24 Roger Dodd will talk about the re-entry proposal of the
25 AABB.

1 [Slide.]

2 This slide shows the various markers for which
3 blood for transfusion is tested for these days, and the one
4 that we will be concentrating on is this one, anti-HBc,
5 antibody to hepatitis B core antigen, but I will have to
6 start off by mentioning this one, hepatitis B surface
7 antigen, HBsAg.

8 [Slide.]

9 Now, HBsAg testing was introduced in the early
10 1970s for the prevention of post-transfusion hepatitis.
11 However, after it had been introduced and after the so-
12 called third-generation tests--those were RIAs--after they
13 had been introduced in 1975 for donor screening, post-
14 transfusion hepatitis still occurred.

15 Now, most of this was the so-called non-A, non-B
16 hepatitis, which we know today is mostly made up--almost all
17 of it is hepatitis C, but at that time there were some cases
18 still of post-transfusion hepatitis B. Now, at about the
19 same time, talking about the mid-1970s, anti-HBc detection
20 tests were being developed and became available to hepatitis
21 researchers about that time.

22 [Slide.]

23 Now, in the last 1970s and early 1980s, studies
24 showed that anti-HBc is a surrogate marker for non-A, Non-B
25 hepatitis. As I said, it's mostly HCV infections, and, of

1 course, at that time there were no specific tests available
2 for HCV. In particular, two studies--one done at the
3 Clinical Center at the NIH and the other a multi-center
4 transfusion-transmitted virus study--indicated that about 30
5 to 40 percent of post-transfusion hepatitis, most of it
6 being non-A, non-B hepatitis, would be prevented if anti-HBc
7 donor testing was not done. At that point, as a result of
8 these two studies, anti-HBc testing of blood for transfusion
9 was implemented voluntarily by blood collection centers in
10 the mid-1980s.

11 [Slide.]

12 Now, in May 1990, donor testing for anti-HCV was
13 implemented. It was a specific test, reasonably specific
14 test for HCV. And at that point the utility of anti-HBc
15 donor testing was questioned because, as I said earlier,
16 anti-HBc testing had been implemented as a surrogate test
17 for non-A, non-B hepatitis, which is most hepatitis C, and
18 there was now a specific test available.

19 [Slide.]

20 At a Blood Products Advisory Committee meeting in
21 January 1991, the Advisory Committee formally recommended
22 anti-HBc testing of blood for transfusion because it would
23 contribute to blood safety by reducing the incidence of
24 post-transfusion hepatitis B.

25 [Slide.]

1 They did this because studies presented at that
2 meeting indicated that prior to anti-HBc testing, post-
3 transfusion hepatitis B was not fully eliminated by HBsAg
4 testing. Also, studies indicated that transfusion of HBsAg
5 negative, anti-HBc positive units are in rare cases
6 associated with post-transfusion hepatitis B.

7 [Slide.]

8 Now, in September 1991, the FDA issued a
9 memorandum to blood establishments. This memorandum
10 recommended the testing of blood for transfusion for anti-
11 HBc and for using only anti-HBc negative blood for
12 transfusion.

13 [Slide.]

14 This memorandum also recommended that donors
15 should be indefinitely deferred from donating transfusable
16 components if they were repeatedly reactive for anti-HBc on
17 more than one occasion, and this is the focus of today's
18 discussion.

19 At that time, as there was no supplemental or
20 confirmatory tests--and there still isn't. As there were no
21 supplemental/confirmatory tests available, no re-entry
22 protocol was recommended at that time.

23 [Slide.]

24 Now, I think it's important to point out, when one
25 goes back to the time of the voluntary implementation of

1 anti-HBc testing in the mid-1980s, it's important to point
2 out that indeed anti-HBc testing was followed by some
3 additional reduction in post-transfusion hepatitis of both
4 hepatitis B and non-A, non-B. However, it is unclear
5 whether this reduction was due to anti-HBc testing or how
6 much of it was due to anti-HBc testing because of the
7 introduction of testing and searching donor questions for
8 the evaluation of HIV infections and, hence, HBV infections.
9 This occurred at about the same time that the anti-core
10 testing was implemented.

11 [Slide.]

12 I should also like to point out that at an NIH
13 Consensus Development Conference, January 1995, the panel
14 recommended continuation of testing donors of transfusable
15 blood for anti-HBc because, as they put it, it may prevent
16 some cases of post-transfusion hepatitis B and because it
17 may act as a surrogate marker for HIV.

18 [Slide.]

19 So under discussion today are those situations
20 where the donor has two repeatedly reactive anti-HBc test
21 results and is indefinitely deferred.

22 [Slide.]

23 Now, I just want to make one quick digression,
24 talk about plasma for further manufacture into plasma
25 derivatives and point out that source plasma donors are not

1 screened for anti-HBc, and in regard to recovered plasma,
2 those anti-HBc units that are untested, that are non-
3 reactive or repeatedly reactive are acceptable for use. And
4 the reason for this is that if anti-HBc units were excluded
5 from pools, anti-HBs titers will be diminished. Most anti-
6 core units also have anti-HBs. And we believe that anti-HBs
7 contributes to the safety of plasma products from HBV
8 infection.

9 [Slide.]

10 Skip over this, and I'll just go through this.
11 This is FDA's proposed re-entry algorithm. The donor is re-
12 entered if after a minimum of eight weeks subsequent to the
13 last repeatedly reactive anti-HBc test a new sample is
14 collected, and this sample is negative for HBsAg, anti-HBc,
15 and anti-HBs, and also if any time subsequent to the
16 negative tests in one above the donor presents at a blood
17 center and is found to be suitable.

18 I'll stop at this point.

19 DR. HOLLINGER: We're going to have Cathy
20 Cantilena talk to us about serology of hepatitis B.

21 [Slide.]

22 DR. CANTILENA: I'll begin by just stating what
23 the current AABB standard is for hepatitis B virus testing,
24 and Dr. Biswas has nicely reviewed what the FDA has
25 recommended that blood centers do. The AABB standard states

1 that prospective donors shall be indefinitely deferred from
2 donating blood or components for transfusion who: have a
3 history of viral hepatitis after their 11th birthday or who
4 have had a confirmed positive test for HBsAg or who have had
5 a repeatedly reactive test for anti-hepatitis B core on more
6 than one occasion.

7 [Slide.]

8 What I'd first like to go through then is the
9 background, a little bit about the epidemiology of hepatitis
10 B infection, and then the basics in regard to serology, and
11 Dr. Hollinger can correct me if I'm wrong on any of it, I'm
12 sure. Then I'll finish up by telling you in a little bit
13 more detail about three of the studies that might be
14 pertinent to hepatitis B serology.

15 First of all, what we see is that approximately 45
16 percent of the global population live in areas with a high
17 prevalence of chronic HBV infection. That is where more
18 than 8 percent of the population is HBsAg positive. Forty-
19 three percent live in areas where a moderate prevalence or 2
20 to 7 percent of the population is HBsAg positive, and 12
21 percent live in areas where there is a low prevalence, less
22 than 2 percent of the population being HBsAg positive.

23 In high-prevalence areas, the lifetime risk of
24 acquiring hepatitis B virus infection is more than 60
25 percent, and most infections are acquired at birth or during

1 early childhood when the risk of developing chronic
2 infection is the greatest. In these areas, because most
3 infections in children are asymptomatic, very little acute
4 disease related to HBV occurs, but rates of chronic liver
5 disease and liver cancer in adults are very high.

6 In low-prevalence areas, such as in the U.S., the
7 lifetime risk of infection is less than 20 percent. Most
8 HBV infections in the low-prevalence areas occur in adults
9 and in relatively well defined risk groups.

10 [Slide.]

11 Pictorially, this represents the hepatitis B virus
12 Dane particle and the components. It's a 42-nanometer,
13 partially double-stranded DNA virus in the family
14 Hepadnaviridae. The hepatitis B surface antigen comprises
15 the outer envelope. The hepatitis B core antigen is an
16 inner component. It's a nucleocapsid that encloses the
17 viral DNA. Inside the core particle is a molecule of
18 circular DNA and an endogenous DNA polymerase.

19 HBe antigen is the third viral antigen, and it's a
20 soluble protein that's associated with the hepatitis B core
21 antigen. It's found in hepatitis B surface antigen positive
22 serum. Its presence indicates hepatitis B core antigen
23 particles and high levels of circulating hepatitis B virus.

24 [Slide.]

25 What I'll just proceed through now are the

1 serologic definitions of each of these antigens. Hepatitis
2 B surface antigen, as I have mentioned, is a viral envelope
3 glycoprotein, and it's the basis of the hepatitis B virus
4 vaccine. The antibody that's produced in response to
5 hepatitis B surface antigen is anti-HBs. It is a protective
6 and neutralizing antibody. Anti-HBs can become, however,
7 undetectable in persons who have fully recovered from the
8 disease, and I will get back to this when I talk about acute
9 hepatitis b serology.

10 Hepatitis B core antigen is a nucleocapsid, as I
11 mentioned, that encloses viral DNA. Its associated
12 antibody, anti-HB core, is in all patients who have ever
13 been exposed to the hepatitis B virus, and it's not
14 protective. Its presence alone cannot be used to
15 distinguish acute from chronic infection. The IgM portion
16 of the anti-HB core response is associated with acute
17 infection or flares of chronic disease. The IgG anti-HB
18 core antibody, when it's generated, generally persists for
19 life.

20 [Slide.]

21 Hepatitis Be antigen is the circulating peptide,
22 as I mentioned, from the core region. It marks active viral
23 replication, and it's present only in persons with serums
24 HBV DNA. HBV DNA is the best indicator of active viral
25 replication. The antibody produced in response to hepatitis

1 B antigen is anti-HBe, and it appears when the e antigen is
2 cleared and the virus is no longer replicating.

3 [Slide.]

4 This slide is shown by way of introduction to
5 orient you to the types of consequences that can occur after
6 an acute hepatitis B virus infection. First, either you can
7 get recovery from infection or chronic infection following
8 acute disease. Most frequently what is seen is the
9 transient subclinical infection that's associated with
10 production of antibody and permanent immunity. About 25
11 percent acute symptomatic hepatitis B virus infections
12 recover--most of these recover, and I'll show the serology
13 of that in a moment.

14 On the other hand, 5 to 10 percent of adults with
15 acute hepatitis B virus infection do not recover and remain
16 HBsAG positive for life. Unfortunately, the proportion of
17 infections that become chronic is higher in infants and
18 children, as I mentioned, who have immature immune
19 responses. They become asymptomatic chronic carriers of
20 hepatitis B surface antigen.

21 Typically, acute illness accompanying onset of the
22 carrier state is mild and asymptomatic, and the diagnosis is
23 made months to years after the initial infection.

24 A smaller proportion of chronic hepatitis B virus
25 infections go on to have active liver disease, and 10 to 30

1 percent of these are at risk for developing cirrhosis, and
2 both of these outcomes of chronic hepatitis B surface
3 antigen carriers have been associated with the development
4 of hepatocellular carcinoma.

5 [Slide.]

6 This slide shows the typical serologic course of
7 acute hepatitis B virus infection. The incubation period,
8 as you'll note, is approximately--or averages 60 to 90 days,
9 and you can look at this slide from a perspective of
10 development of antigens in serum, as well as the later
11 development of antibodies. It's a little bit complex, but
12 I'll try and walk you through it here.

13 During the incubation period, hepatitis B surface
14 antigen appears. This appears in association with hepatitis
15 Be antigen, DNA polymerase, and HBV DNA. These signify
16 active viral replication and appear, as I say, along with
17 the hepatitis B surface antigen.

18 As the serum transaminase peaks, the levels of HBV
19 are at their peak or even beginning to decrease at this
20 point. Hepatitis B surface antigen, in contrast to HBe
21 antigen here, remains detectable in serum through the
22 clinical illness and disappears in convalescence, and it may
23 require up to six months to reach the undetectable stage.

24 The antibody responses, as I mentioned, are
25 complex, and in order to remember it easier, what I usually

1 do is I know that they come up in alphabetical order--first
2 c, then e, and then s. Anti-hepatitis B core rises shortly
3 before the onset of illness. Initially, it's both an IgM
4 and IgG response. With recovery, the IgG antibody increases
5 while the IgM antibody decreases and eventually disappears.
6 All patients with acute HBV infection produce anti-HBe,
7 which probably persists for life.

8 Anti-HBe rises next and usually appears when HBe
9 antigen becomes negative. It disappears, as I mentioned, in
10 a few months to years.

11 Finally, anti-HBs rises during recovery. Then
12 after, HBs antigen is cleared. The window period between
13 the disappearance of HBs antigen and the appearance of anti-
14 HBs antibody characterizes what's known as the window period
15 marked by anti-hepatitis B core antibodies, that is, from
16 here to here [indicating].

17 The presence of anti-HBe at this time indicates
18 that the e antigen has been cleared and the virus during the
19 window period may no longer be replicating.

20 It's worthy to note that in 5 to 15 percent of
21 acutely infected patients, the anti-HBs may, in fact, fail
22 to develop and wane, in fact, with time in individuals who
23 actually serologically recover from infection. The only
24 remaining serum marker in these individuals would be the
25 anti-hepatitis B core antibody.

1 [Slide.]

2 To move on to looking at this point at the chronic
3 course serologically of hepatitis B infection, initially the
4 antigen patterns of chronic infection are similar to acute
5 infection. However, they do not decrease with time or with
6 ALT elevations, as do the acutely infected and patients who
7 recover. The antibody responses during chronic HBV are
8 marked by high sustained titers of anti-hepatitis B core
9 without specific anti-HBs. Initial IgM response decreases
10 with time, but this is in contrast to acute infection, and
11 it can persist for years. Patients who remain HBsAg
12 positive do not produce specific anti-HBs but remain
13 positive for anti-hepatitis B core.

14 Once chronic HBV is established, the activity of
15 chronic liver disease and the presence of serologic markers
16 can also change with time. In at least half of the
17 patients, HBe antigen, which has persisted, disappears and
18 anti-HBe rises. The loss of HBe antigen is also associated
19 with a loss of HBV DNA and DNA polymerase.

20 Unlike acute disease, however, the HBsAg would
21 persist in the liver--in the serum, I'm sorry. The
22 persistence of HBsAg in the serum without evidence of active
23 viral replication is--and not associated with hepatitis, is
24 usually what we refer to as the healthy carrier state. Some
25 patients who lose e antigen develop anti-e and may

1 ultimately even lose HBsAg and go on to develop anti-HBs.
2 These patients who clear antigen and no longer have active
3 liver disease may be left with portal fibrosis and even
4 post-necrotic cirrhosis.

5 [Slide.]

6 What I'll show in this slide is a simpler version
7 of what was just shown on the slide in black and white, and
8 that HBe antigen can persist over time, and about half of
9 the individuals who have chronic hepatitis B virus
10 infection, the other half may go on, in fact, to develop an
11 anti-HBe antibody response perhaps years after they have had
12 chronic infection.

13 [Slide.]

14 What I'll do now is move on to discuss three of
15 the studies that are relevant to HBV serology, and
16 specifically in more detail, I'll talk about Dr. Seeff's
17 study and Dr. Silva's study and one of the studies I picked
18 up yesterday that I think might be important to talk about
19 this morning. I'll just mention here a study by Dr.
20 Reheman that was in Nature Medicine in which he looked at
21 HBV specific cytotoxic T lymphocytes which persisted in
22 subjects who had recovered clinically and serologically from
23 acute hepatitis B virus infection decades earlier. His
24 subjects, who were considered serologically recovered from
25 acute infection, who had anti-hepatitis B core and several

1 of whom had anti-hepatitis B surface antigen, 11 of 17 of
2 his subjects displayed HBV DNA in their serum and/or
3 peripheral mononuclear cells. The presence of DNA happened
4 to be directly related to the strength of the CTL response.
5 His results reinforced the belief, his belief that hepatitis
6 B may persist for years after recovery and that infection
7 may be held in check by the cytotoxic T lymphocyte response.

8 I just wanted, before I move on from this slide,
9 to mention a very nice review by Dr. Lee in last year's New
10 England Journal of Medicine this month an overview of
11 hepatitis B virus infection and serology.

12 [Slide.]

13 First I'd like to just summarize the results of
14 the article that appeared in the New England Journal of
15 Medicine by Dr. Seeff back in 1987. It represents the
16 largest point source outbreak of hepatitis B virus infection
17 that affected about 50,000 U.S. Army personnel. It was
18 specifically linked to lots of yellow fever vaccine that
19 were stabilized with human serum.

20 In 1985, a group of 597 veterans were interviewed
21 and divided into groups, what I've noted here as Groups 1,
22 II, and III. The first group received the vaccine and was
23 symptomatic for hepatitis with jaundice or other symptoms.
24 Group II was a group of soldiers who had been vaccinated
25 with the contaminated vaccine but were asymptomatic for

1 disease. And Group III were a group of soldiers who had
2 received the vaccine that was free of serum at a later date
3 after 1942.

4 You'll note that 98 percent of the Group I
5 veterans had serologic markers of past hepatitis B
6 infection, the majority of whom, 90 percent of whom had both
7 antibodies to core and surface antigens. Seven percent of
8 these veterans also just had hepatitis B core antibody
9 alone. Notably, only one individual in the entire study had
10 persistent hepatitis B surface antigen.

11 In Group II, 77 percent of the vaccinated veterans
12 had hepatitis B virus serologic markers. Seventy percent of
13 these individuals, again, had both anti-core and anti-
14 surface antibodies, and the remainder either had core alone
15 or surface antibody alone. None of them had hepatitis B
16 surface antigen, and these results are both significantly
17 different from the control subjects of whom only 6 percent
18 had serologic evidence of prior disease and 7 percent HBsAg
19 alone--HBs antibody alone.

20 What is also notable from this study is that only
21 1 percent in the Group I, as I mentioned, has surface
22 antigen, representing really an unexpectedly very small
23 number, less than a quarter of a percent of people in the
24 study who were healthy young males at the time that they
25 acquired infection that went on to have chronic disease.

1 [Slide.]

2 In conclusion, Dr. Seeff was able to draw the
3 conclusion that hepatitis B virus immunity is lifelong in
4 those acquiring acute and natural infection, and that there
5 was a low hepatitis B surface antigen carrier rate, 1 in 348
6 among healthy young adult males who acquired infection, and
7 I stand corrected in that there was, in fact, molecular
8 testing done. The individual who was infected was HBV DNA
9 positive. As a control for that, he tested by molecular
10 hybridization 108 unselected subjects in this study who were
11 HBV DNA negative.

12 [Slide.]

13 To briefly mention Dr. Silva's study, he examined
14 subjects, 133 patients, via the Indian Health Service, who
15 were also hepatitis B core antibody positive prior to
16 hepatitis B virus vaccination. Only 9 of these individuals
17 were hepatitis B surface antibody positive. What he found
18 was that only 3.8 percent of the 133 persons positive only
19 for anti-core were also positive for HBV DNA by PCR. Repeat
20 PCR on these individuals proved that there was HBV DNA in
21 their serum in four of the five. However, follow-up samples
22 1 to 5 years apart after the initial serum draw were
23 negative for HBV DNA.

24 In conclusion, what was found was that HBV DNA, in
25 fact, was not found in 96 percent of serum samples from

1 individuals with only anti-core when tested by EIA in an
2 area where the prevalence of HBV was moderate.

3 [Slide.]

4 I'd just like to show you some overhead slides I
5 prepared in the wee hours of the night last night. This is
6 a study that you may be familiar with, one by Dr. Dickson
7 that appeared in Gastroenterology in December of last year--
8 I'm sorry, in November of last year, that looked at the
9 transmission of hepatitis B virus by transplantation of
10 livers from donors who were positive for anti-core. It was
11 a retrospective analysis done on liver transplants between
12 1989 and 1993 at four different centers throughout the U.S.

13 The results of this study are impressive and a
14 little bit scary in that they found that, first of all, 3.8
15 percent of liver donors were anti-core positive, and this is
16 looking at over a 1,000 liver donors in transplantation.
17 Hepatitis B core antibody testing is not required by the
18 United Network for Organ Sharing or the Public Health
19 Service, so it's done on most people who donate their
20 livers.

21 Hepatitis B virus infection developed post-
22 transplant in 18 of 23, or 78 percent of recipients who had
23 anti-hepatitis B core positive donors versus in only 30 to
24 651 control patients who received HB core negative, livers
25 from HB core negative donors.

1 [Slide.]

2 This is a more detailed analysis of the data
3 presented in that paper post-transplant hepatitis B virus
4 infection among recipients from the core positive donors who
5 had core detected in their serum. Notably, I'll just point
6 out that none were HBsAg positive among the donors.

7 In terms of recipient status, it didn't seem to
8 matter what their vaccination status was prior to
9 transplant, and three of four recipients developed hepatitis
10 B post-transplant after they received the core positive
11 liver. The control numbers are very much the same in terms
12 of proportions.

13 If the recipient of the liver was anti-HBs
14 positive, as was the case in three, one of these patients
15 developed post-transplant hepatitis B virus infection
16 compared to 85 percent of those who were anti-HB negative.
17 In terms of the recipient status, when you look at anti-
18 hepatitis B core antibody, surprisingly, none or zero--small
19 numbers here, but neither of the patients who were core
20 positive prior to transplant developed new or post-
21 transplant de novo hepatitis B virus infection as opposed to
22 83 percent of those who developed infections post-
23 transplant, after getting a core positive liver.

24 In terms of donor status, if the donor was also
25 anti-HBs positive, as was the case in 18 of the transplants,

1 15 of the recipients, or 83 percent of those who received
2 their lives, developed de novo post-transplant hepatitis B
3 virus infection.

4 To follow up here in regard to molecular testing,
5 one of seven serum tested--and the study didn't state which
6 sera were tested, but were positive for HBV DNA by PCR.

7 [Slide.]

8 In conclusion, it appeared that the de novo post-
9 transplant HBV infection occurs at a high rate with
10 recipients of donors who had anti-hepatitis B core
11 regardless of the recipient immune status or the donor's
12 apparent serologic recovery, and that transmission of
13 hepatitis B virus suggests that virus may persist in the
14 liver despite serologic resolution of hepatitis B virus
15 infection.

16 [Slide.]

17 To summarize, the last slide shows that anti-
18 hepatitis B core as a sole marker of HBV infection could
19 signify four different things: first, a false positive EIA
20 after testing; secondly, the loss of anti-HBs with time or
21 failure of anti-HBs to develop after infection; third, the
22 window phase of acute hepatitis B virus infection, that is,
23 as I mentioned, after HBsAg disappears and before anti-HBs
24 appears; and, last, the HBV carrier state with undetectable
25 HBsAg and low levels of HBV replication.

1 In sum, blood collection centers at present are
2 left in a quandary regarding how to best assess the meaning
3 of hepatitis B core antibody alone when it's detected in
4 donor serum. Hepatitis B surface antibody may be helpful in
5 many cases to determine the immune status of the patient who
6 has had an acute infection. However, there is evidence via
7 molecular testing as well as evidence via liver transplant
8 data that I've shown here that what was thought to be
9 recovered HBV infection serologically may, in fact,
10 represent in a few cases HBV infection that persists. And
11 it's possible that the presence of anti-HBs and anti-HB core
12 may represent immune control of viral replication at a level
13 that's below conventional means of detection and that it's
14 not apparently injurious to the serologically marked
15 individual.

16 Thank you.

17 DR. HOLLINGER: Thank you, Dr. Cantilena.

18 We're going to take a break now for half an hour.

19 We'll reconvene again at 11:15.

20 [Recess.]

21 DR. HOLLINGER: We're going to start this session
22 off today next with a presentation of the AABB proposal, and
23 Roger Dodd from the American Red Cross will discuss this.

24 [Slide.]

25 DR. DODD: Thank you very much, Dr. Hollinger.

1 Dr. Hollinger has kindly given me two minutes with
2 a 25 percent bonus for the holiday season. I fear I may go
3 over a little bit. I'm Roger Dodd. I do indeed work for
4 the Red Cross, but today I am speaking on behalf of the
5 American Association of Blood Banks, and I appreciate the
6 support provided by Steve Kleinman, by Gary Tegtmeier, and
7 by Susan Stramer in putting this proposal or this talk
8 together.

9 [Slide.]

10 What I want to try and do today is to give you a
11 little background, and this in a sense is both the
12 information I wanted to bring you, some points that are of
13 importance, and the outline to my talk. I think that the
14 committee should know by now that anti-HBc is, in fact,
15 currently the highest prevalence marker among tests for
16 voluntary blood donors. In general, the prevalence will be
17 about 1 percent, plus or minus about 0.3 percent--that is,
18 if you take routine blood donors and you test them by the
19 standard algorithm anti-HBc and you end up with repeatedly
20 reactive results.

21 However, these test results can in some cases be
22 non-reproducible, that is, a reactive test on the same
23 sample may not be reactive at some time in the future on the
24 same sample, or may be non-reproducible across time, that
25 is, the same donor may be reactive on one time and not on

1 another.

2 It's clear that some of the tests currently in use
3 for donor screening have false positive results, and at
4 least one manufacturer, Abbott Laboratories, has tracked
5 down a cause of these false positive results to an IgM-like
6 interfering substance which can, in fact, be eliminated by
7 appropriate pre-treatment of samples or inclusion of a
8 reductant in the test methodology, and I'll talk to that in
9 a moment, too.

10 There are data, some of which I'll show you, that
11 indicate that of the currently available licensed tests for
12 anti-HBc the same sample will give different results on two
13 different tests, in part due to some of these false positive
14 outcomes. I'll also comment on the fact that a number of
15 studies have been performed that try to associate either
16 infectivity for hepatitis B virus or the presence of HBV DNA
17 among blood donor samples. The general outcome is that
18 there's an infrequent relationship between infectivity or
19 DNA and anti-core, but it's certainly not--there certainly
20 is some appearance of these two markers together.

21 As has been pointed out by Dr. Biswas, there is
22 currently no confirmatory or supplementary algorithm for
23 anti-core testing. There's a very strong feeling that re-
24 entry may be desirable, and before finishing, I do want to
25 illustrate some data which may cause some concern in the

1 committee in discussing these issues.

2 [Slide.]

3 So, currently, we really are interested in talking
4 about three anti-HBc tests which are designed to detect both
5 IgM and IgG anti-HBc. There's a bead format test which
6 relies on inhibition of adherence of labeled marker to bead
7 by the donor sample, Corzyme by Abbott. There's also a
8 microplate-based test, which is a more familiar antiglobulin
9 direct enzyme immunoassay currently marketed by Ortho. And
10 we will spend a little time talking about the Prism test
11 which is under development by Abbott Laboratories. This is
12 a microparticle-based test. It's an inhibition
13 chemiluminescent assay which includes a reductant which does
14 deal to some extent with this false positive interfering
15 factor.

16 [Slide.]

17 The reductant incorporated is a mild reducing
18 agent. It does, as one would expect, produce IgM
19 reactivity, but most of the IgM-related reactivity in the
20 current inhibition assay turns out to be false reactivity,
21 and the FDA and former committees have dealt with this issue
22 at some depth, so I'm not going to say much more about it.

23 Studies do show that true IgM for anti-core are
24 also HBsAg reactive, and the reductant is incorporated in
25 the current diagnostic tests for anti-HBc and in proposed

1 donor screening tests, in particular the automatic Prism
2 test.

3 [Slide.]

4 I want to show two data sets that relate to the
5 current specificity of anti-HBc. The first represents all
6 data derived from blood donor screening in 1996 in the
7 Community Blood Center of Greater Kansas City, represents
8 some 83,005 donors, all of which were screened by the
9 Corzyme, the bead inhibition assay.

10 The initial reactive rate--number of initially
11 reactive samples was 795, or almost 1 percent of the total
12 number of donations. On repeat, the rate dropped to 0.67
13 percent, just slightly outside the margin on my first slide.
14 But with this 0.67 percent, only 34 percent, or 189 samples,
15 were judged to be truly positive for exposure to hepatitis B
16 virus, and this true positivity was assigned on the basis of
17 the sample also being found reactive in the antiglobulin
18 direct test and/or reactive for antibodies to hepatitis B
19 surface antigen. And you heard Cathy Cantilena talk about
20 the significance of anti-HBs in this context.

21 Individuals who were anti-HBs reactive but had a
22 record of hepatitis B vaccination were excluded from this
23 particular definition of a true positive. These were data
24 generated by Gary Tegtmeier. So this is an illustration of
25 why there's interest in re-entry because of the relatively

1 large number of samples that are not confirmed, for example,
2 by a second anti-core test.

3 [Slide.]

4 In another data set from the REDS study provided
5 to me by Steve Kleinman, approximately 3 million repeat
6 donations--this is donations from donors who had previously
7 given--from five blood centers were analyzed, and within
8 this 3 million repeat donations, there were 4,274 donors who
9 newly presented with an anti-HBc reactive or repeat reactive
10 result. So this doesn't represent the mass of blood donors.
11 It represents blood donors who were previously non-reactive
12 becoming reactive. These individuals were non-reactive for
13 all other routine markers tested for blood donors.

14 Of those 4,274, 1,233 made donations subsequent to
15 their anti-HBc reactive donation. Within those, 748, or 61
16 percent, of these donors were anti-HBc negative on all
17 future donations, using the same test; 104, or 8 percent,
18 showed fluctuating patterns, that is, one donation might be
19 reactive, a subsequent donation might be non-reactive. And
20 only 31 percent of this total continued to be anti-HBc
21 reactive on all future donations.

22 [Slide.]

23 Also within this study population, samples were
24 available of 742 of the 4,274 donations. These were the
25 actual samples. Of these, 51 continued to be positive in

1 the test of record and were--or reactive, I'm sorry, and
2 were anti-HBs reactive; 33 were negative in the test of
3 record but were anti-HBs positive. So these are the subject
4 donations. These are not subsequent donations. And of
5 these two groups, 84 percent of this group--that is, the
6 repeat reactive anti-core anti-HBs reactive, 84 percent were
7 reactive in a second licensed test for anti-core.

8 Of the ones that had failed to continue to show an
9 anti-core positivity in Corzyme but were anti-HBs reactive,
10 21 percent were reactive in a second enzyme immunoassay for
11 anti-core.

12 Then there was the subgroup which would probably
13 represent those that we would consider on the basis of Dr.
14 Biswas' presentation as potentially available for re-entry.
15 They either continued to be reactive in this sample by anti-
16 HBc Corzyme or were non-reactive by anti-HBc and were anti-
17 HBs non-reactive. And these had only about 14 or 7 percent
18 positivity by a second anti-core test. These data represent
19 values obtained from testing a subset of all of the samples.
20 So that of these 657 potentially serologically re-enterable
21 samples, 587 would be likely to have come through the sort
22 of algorithm that Dr. Biswas presented us.

23 [Slide.]

24 Let me now speak briefly to the issue of anti-HBc
25 and hepatitis B virus DNA or infectivity. My point here is

1 to indicate that whatever other data you've seen, among
2 blood donors unselected anti-core positives are rarely
3 associated with strong evidence of HBV infectivity, but this
4 is not excluded.

5 In studied performed in Canada by Mo Blajchman in
6 which a blinded study was performed on donors that had been
7 screened by surrogate tests--that's ALT and anti-core--this
8 is donors who had not been screened by ALT and anti-core,
9 there was some 138 patients each of whom received at least
10 one anti-core reactive blood unit. There were in the entire
11 study, including these 138 patients, no HBV infections.

12 Recently, Dr. Sue Stramer had looked at anti-core
13 reactives collected from the American Red Cross. These were
14 reactive only by anti-core using our current test of record.
15 They were tested by PCR for HBV DNA at a reputable reference
16 lab. None of them was positive for HBV DNA.

17 In the previous data that I showed you from Dr.
18 Tegtmeier, 78 of the true anti-HBc positives in his study--
19 and these were selected as either having a high signal for
20 anti-HBc and low levels of anti-HBs, or a relatively low
21 anti-HBc and a high anti-HBs, within this group 5 of 78 of
22 these true anti-HBc positives were actually HBV DNA
23 reactive. One of these actually turned out to be reactive
24 in a more sensitive test for HBsAg. So there are some data
25 here that suggest HBV DNA may be present in donor samples

1 that are core positive or core reactive.

2 [Slide.]

3 In terms of the blood collectors, the desired
4 outcome of a re-entry protocol is to be able to re-qualify
5 blood donors who have a false positive anti-HBc result, and
6 as Dr. Biswas pointed out, a donor can give after an initial
7 reactive, but more than one reactive result on subsequent
8 occasions results in a permanent deferral. And the re-entry
9 is particularly desirable when a new test of record is to be
10 implemented. For example, if a blood establishment were
11 working with the current bead assay without reductant and
12 were to move to the direct antiglobulin test or, when
13 licensed, a reductant-based test for anti-HBc, because with
14 the many individuals who were being deferred on the basis of
15 the past test which has now been superseded by one with
16 different characteristics and performance characteristics.
17 So this really pretty much follows what Dr. Biswas said, and
18 this is the AABB version, if you will. In order to qualify
19 for re-entry eligibility, an individual would have to be
20 anti-core positive on more than one--or repeat reactive on
21 more than one occasion, would have to be negative for anti-
22 HBs or for anti-core by a second licensed test, and this is
23 perhaps a difference from what Dr. Biswas said--sorry. Non-
24 reactive or not tested by these additional tests to be
25 eligible for the re-entry sampling and further evaluation.

1 If reactive for anti-HBs or anti-core by a second licensed
2 test, the individual would not be eligible for re-entry.

3 [Slide.]

4 We would suggest that a reinstatement sample be
5 drawn subsequent to this determination of eligibility. We
6 chose greater than or equal to 90 days. Let me assure you
7 that this was a figure drawn entirely out of thin air. The
8 concept is to at least permit evolution of any serologic
9 response, as has been outlined by Cathy Cantilena, and would
10 certainly not challenge a 56-day figure here.

11 The reinstatement sample would have to be tested
12 for HBsAg, for anti-surface antibody, and we suggest for
13 anti-core using a second or different licensed test. And
14 any reactivity in any of these samples would render the
15 donor not eligible for further donation. If non-reactive on
16 all of these tests, the donor would be eligible for re-
17 entry.

18 At this point, my colleagues would urge me to sit
19 down and shut up because we've arrived at the same point as
20 Dr. Biswas.

21 [Slide.]

22 However, I do believe that there are some data
23 that generate some concern, and the committee may need to
24 think about these in considering whether it's appropriate to
25 go above and beyond this outline algorithm.

1 Dr. Cantilena discussed in very nice detail the
2 fact that in the presence of anti-core there was definitive
3 evidence of viral survival, at least in the liver. There
4 are some studies--she mentioned one, not necessarily in the
5 donor population--that show a higher frequency of HBV DNA
6 with the presence of anti-core, and I'm going to draw your
7 attention, although perhaps I shouldn't, to some recent data
8 from a clinical trial. I believe that these data have some
9 deficiencies and should be subject to review before policy
10 decisions are made on the basis of these data. But the
11 question that I'm really asking you to think about is what
12 is the significance of a discordant result in two anti-HBc
13 tests.

14 [Slide.]

15 This represents some data from an American Red
16 Cross component of a formal clinical trial in which the
17 reductant-based anti-HBc automated from Abbott, the Prism
18 test, was compared against our test of record, the Ortho
19 antiglobulin direct test. There were 4,152 samples that
20 were evaluated. These were routine donor samples. And both
21 Ortho and Prism found 19 of these to be repeat reactive for
22 anti-core. Of these, one was DNA positive.

23 There were also seven samples that were reactive
24 by Prism and non-reactive by Ortho. Of these, 3 were
25 reactive or positive for HBV DNA. Conversely, there were

1 seven samples that were reactive by Ortho, non-reactive by
2 Prism; one of these was DNA positive; 4,119 samples were
3 concordantly negative on both tests, were not tested for
4 DNA.

5 These two samples were negative for all HBV
6 serologic markers, but two of these three had a weak anti-
7 HBs finding. Interestingly, in the same clinical trial,
8 there were a number of other blood centers that did not
9 generate any DNA positives, and we believe that these data
10 need to be looked at very carefully before they are taken to
11 be the truth.

12 [Slide.]

13 The consequence of this kind of finding is that
14 maybe at least for the development of data or potentially
15 for the development of a bulletproof re-entry algorithm, one
16 might want to recognize the need for two anti-core tests to
17 be non-reactive. I've put test of record here. I think not
18 necessarily test of record, but definitely a test which is
19 licensed and which is different from this licensed test.
20 And this would allow you to eliminate, for example, Corzyme
21 reactives whilst following through on two other licensed
22 tests once another test is licensed.

23 Alternatively, one might want to consider,
24 although one would have to use an investigational test,
25 whether to permit re-entry for individuals who were non-

1 reactive on HBV DNA. So this would be a choice of
2 additional steps if there were nervousness about stopping
3 the re-entry protocol at this point.

4 That constitutes our presentation. Thank you very
5 much for your time and patience.

6 DR. HOLLINGER: Thank you, Dr. Dodd.

7 Robin, I think you had some questions that you
8 wanted to put up at this time, the FDA proposal and
9 questions for the committee. Then we'll have--if there are
10 any--comments from the public. Then we'll discuss it
11 further by the committee.

12 DR. BISWAS: These are the questions for the
13 committee:

14 Does the committee agree that a donor who has been
15 indefinitely deferred because of having tested repeatedly
16 reactive for antibody to hepatitis B core antigen (anti-HBc)
17 on more than one occasion may re-enter the donor
18 populations,

19 (a) if, after a minimum of eight weeks subsequent
20 to the last repeatedly reactive anti-HBc test, a new sample
21 is collected from the donor and this sample tests negative
22 for hepatitis B surface antigen (HBsAg), anti-HBc and
23 antibody to hepatitis B surface antigen (anti-HBs) in FDA-
24 licensed assays; and

25 (b) if, at any time subsequent to the negative

1 tests for HBsAg, anti-HBc, and anti-HBs, the donor presents
2 at a blood center and fulfills all suitability criteria for
3 donors of whole blood and components?

4 Question 2: If the committee does not agree with
5 question 1 above:

6 (a) should donors who test repeatedly reactive for
7 anti-HBc on more than one occasion remain indefinitely
8 deferred; or

9 (b) does the committee wish to suggest an
10 alternative re-entry algorithm?

11 DR. HOLLINGER: Thank you.

12 I want to open this up then to anyone who might
13 want to speak to this issue from the public. At this time
14 it would be time to do so. Yes, please, Jacqui?

15 MS. MELPOLDER: I have a problem with the--

16 DR. HOLLINGER: State your name, please.

17 MS. MELPOLDER: I'm Jacqui Melpolder, NIH Clinical
18 Center Blood Bank. I have a problem with people who are
19 vaccinated who would never be allowed to re-enter, and I've
20 had a number of people that I've had to put on deferral who
21 give a very low level reactive on two different
22 manufacturers' assays.

23 The other problem we're going to run into is every
24 baby that's born--in the United States, anyway--the parents
25 are told to vaccinate. So you're going to be running into a

1 problem now ten years down the road of running out of donors
2 that anti-core pos.

3 DR. HOLLINGER: Anyone else like to speak? Yes,
4 please?

5 MR. MCPHERSON: Jim McPherson from America's Blood
6 Centers. Obviously we're supportive of what Dr. Dodd
7 brought before the committee. I think it's important to say
8 that we've been struggling with this issue for ten years.
9 We've deferred, permanently deferred over a million
10 perfectly safe donors over the last ten years who are core
11 positive, most of them very angry that they can't be donors
12 anymore. And if you don't think donors get angry about
13 that, well, I'll take your name and phone number and have
14 some of them call you.

15 As Dr. Dodd noted, it is still the highest marker,
16 and yet we also know even those donors who are true
17 positives, the vast majority, in fact, in our experience,
18 probably virtually all of them, represent no danger to the
19 recipient. But we err on the side of caution by continuing
20 to defer them. What we're talking about here is taking the
21 next step of trying to re-enter those donors who we believe
22 are truly false positive, and it's long past time we've done
23 this.

24 Thanks.

25 MS. HOPPE: Ann Hoppe from Serologicals. Although

1 the source plasma industry in general does not test each
2 donation for core, there are situations where we do with in
3 vitro diagnostic source material donors, et cetera. It
4 becomes a particular problem because oftentimes you have two
5 donations in a single week, and you don't have the results
6 of the first one back before the second sample is in
7 testing. So you can end up with a valuable donor who is
8 permanently deferred because he happened to be tested twice,
9 either with an erratic lot of reagent or happened to have
10 two very borderline results. So there ought to be a special
11 exception, I believe, for in vitro diagnostic source
12 material or some interval between the two tests which count
13 before you permanently defer a donor based on those tests.

14 MR. NAGLER: Rick Nagler from the Hemophilia
15 Federation and Chairman of the Hemophilia Association
16 Capital Area. If you're going to consider doing this, as
17 someone who puts this stuff into my own veins, may I suggest
18 that perhaps one way to phase it in and get some knowledge
19 about it is in your donor profile ask a person if they have
20 been vaccinated for HBV, and ask the same other questions,
21 same other risk questions. Perhaps what you could do then
22 is if they are okay with the other questions and are
23 suitable for donor, you could include those and then see
24 what the results turn out to be.

25 DR. HOLLINGER: Are you speaking for the clotting

1 factor concentrates now primarily or something else?

2 MR. NAGLER: I'm speaking for blood in whole as
3 the recipient of cryoprecipitate and having had been
4 contaminated once with it. I mean, I've got A, B, and C.
5 You know, do we have D, E, F, and G and all this? But, you
6 know, I've had it once, and it's not a fun thing to go
7 through. But perhaps if you seriously are considering it,
8 at least start out small and start out in the safest way
9 possible, and start out by letting those that have been
10 vaccinated and are safe in all other manners and go from
11 there.

12 DR. HOLLINGER: The only reason I was asking that
13 question, as you know, the source plasma, recovered plasma,
14 does not eliminate anti-core plus in the instance of blood
15 now at this time. So that was the only reason for
16 mentioning that.

17 MR. NAGLER: Okay. Thank you.

18 DR. HOLLINGER: Anyone else from the public?

19 DR. HOLMBERG: Jerry Holmberg, Navy Blood Program.
20 I agree with Dr. McPherson's comment about re-entry and the
21 number of donors that we've deferred in the past. I also
22 can related to the anti-HBs that would be present in the
23 immunized people, but I think that the algorithm that Dr.
24 Dodd presented is a viable alternative to that. And I think
25 that that should be considered.

1 DR. HOLLINGER: Thank you.

2 All right. If there are no other comments from
3 the public, I'm going to close that portion of the session,
4 and we'll open it up now for committee discussion of the
5 questions that have been raised. Any comments? Yes, Dr.
6 Linden?

7 DR. LINDEN: I'm fully supportive of this concept.
8 I think we have been deferring a large number of people
9 unnecessarily and upsetting and causing concerns among a
10 large number of people unnecessarily, and that is of concern
11 to me.

12 I have two questions for FDA, Dr. Biswas or--oh,
13 there he is. One, why is DNA testing for HBV not part of
14 your algorithm? And, secondly, what is the agency's
15 position on this question about people who have anti-surface
16 because they've been vaccinated?

17 DR. BISWAS: In regard to HBV DNA testing, that
18 might become a part of a re-entry algorithm at a later date
19 when the HBV--if and when the HBV DNA tests are approved and
20 regulated by us, because at the moment the tests are done
21 all over the place, we don't really know too much about
22 them. You know, there are variations in sensitivity and
23 specificity. So I think at the moment it's not really a--
24 you can't really put it in an algorithm simply because of
25 the inconsistency of the current tests.

1 In regard to vaccination, that's a very good
2 point, and indeed that is something that we take on
3 advisement, and when we--if and when we come up with an
4 algorithm, we'll take that into account.

5 DR. LINDEN: Thank you.

6 DR. HOLLINGER: Yes, Mark?

7 DR. MITCHELL: I guess the question, again, to the
8 FDA is about vaccination and whether it does product anti-c.

9 DR. BISWAS: No, it does not.

10 DR. MITCHELL: Okay.

11 DR. BISWAS: No, it does not.

12 DR. MITCHELL: So it--

13 DR. BISWAS: If a person develops--I'm not a
14 vaccine expert, but the situation is that when somebody is
15 vaccinated and responds, they develop just anti-HBs. It's
16 only when you have--when somebody has gone through the
17 disease, has been actively infected by HBV, that they do
18 then later develop anti-core.

19 DR. MITCHELL: Okay. So, then, people who were
20 vaccinated should not be deferred at the present time?

21 DR. BISWAS: That's a sensible conclusion, yes.

22 DR. HOLLINGER: Yes, Dr. Verter?

23 DR. VERTER: I just want to clarify something, and
24 I'm going to address it to Dr. Dodd, I think, because you're
25 the only one with numbers. But, believe me, if you've been

1 here before, you know--

2 DR. HOLLINGER: Even though they're incorrect,
3 Roger.

4 [Laughter.]

5 DR. VERTER: Well, I'm not going to address that
6 issue, but you know that I always say where are the numbers
7 when I'm around here, so thanks for the numbers.

8 But in the two studies you presented--and I did
9 the math rather quickly--I seem to get very varied incidence
10 cases, or at least estimates of how many cases or the
11 incidence of HBC. In the Kansas City data, it appeared--
12 assuming the IR and RR are indistinguishable, a little less
13 than 1 percent. In the REDS, it looked to me like it was
14 maybe one-seventh of that. So--

15 DR. DODD: Yes, I'm sorry if I didn't explain the
16 difference adequately.

17 In the Kansas City data, those data represented
18 all donors who presented over a period of one year. So that
19 would include first-time donors and donors who had been
20 previously tested.

21 The data from the REDS study was selected to
22 represent, first of all, only donors who had a record of
23 previous donation, and the data that I showed you were those
24 individuals whose previous donation had been negative for
25 anti-HBc but on the current donation were reactive or repeat

1 reactive for anti-HBc. So this would represent, first of
2 all, a screened population; secondly, either incident
3 appearance of true anti-HBc or new appearance of non-
4 specific anti-HBc. I think that one would anticipate that
5 the positive predictive value within that group would be
6 much lower than that which you would see for a total donor
7 population.

8 Does that help to explain the differences?

9 DR. VERTER: Yes, and I appreciate that. What I
10 was trying to get at was trying to--I'm looking at it in a
11 kind of naive way of seeing what the risk-benefit is. The
12 risk is to the people who obviously are going to get this,
13 and I don't know what the consequences of getting hep B are,
14 by the way. Maybe Blaine or someone can tell me that. But
15 the benefit is additional donors who are entered into the
16 pool--well, one benefit, at least to the blood banking
17 community and to the people who need the blood and the
18 components. But when I was looking through those numbers,
19 it didn't seem to me like there was a humongous number,
20 although someone said a million. So that's what I was
21 trying to get at.

22 From your numbers, is there any way of saying how
23 many donations or donors per year potentially are being
24 excluded?

25 DR. DODD: I would look probably at the Kansas

1 City data as giving best guidance in this environment, and
2 it would suggest that somewhere perhaps as many as 60
3 percent of those donors who were repeatedly reactive in a
4 core test could be re-enterable. And that would--actually,
5 in this particular case that would boil down to about a
6 half--0.4 to 0.5 percent of all donations in the U.S., and
7 you well know that that reflects about 8 million individuals
8 who donate each year. So it is a meaningful number in
9 aggregate.

10 Am I still note--

11 DR. VERTER: I agree with the 0.4 percent.

12 DR. DODD: Okay. .

13 DR. HOLLINGER: Roger, stay up there.

14 I think the question that Ms. Melpolder brought up
15 about anti-HBs becomes a real critical issue if you're going
16 to use that as an eligibility criteria as more and more of
17 the population become immunized. If I'm reading this
18 correctly and you go back after repeat reactive anti-HBc and
19 then you do HBs antigen, anti-HBs and anti-HBc, and if any
20 of reactivity of those would make you ineligible, then that
21 would be a real problem for you again.

22 DR. DODD: I think, Blaine, I was remiss in
23 bringing up that point. It was a point that the AABB group
24 had discussed in some detail, and, in fact, in one version
25 of the proposal, it was specified that anti-HBs should not

1 be a basis for rejection if it was clearly associated with a
2 history of vaccination. And I think it's reasonable to
3 state, in extension to Robin's answer to an earlier
4 question, that there had been some cases in the early trials
5 of hepatitis B vaccine where vaccinees did, in fact, develop
6 anti-HBc, but this was because they were judged to have been
7 infected prior to receiving the vaccine. It was high-risk,
8 high-incidence population. And I think that one could be
9 confident that those individuals would likely continue to be
10 kicked out by the anti-core requirements in the algorithm.

11 So it was indeed part of the AABB's original
12 recommendation. We had confidence that the committee and
13 the agency would pick up on that issue, but I'm glad it's
14 being brought out.

15 DR. HOLLINGER: Do you know of any cases of
16 hepatitis B that have occurred in a patient who is anti-HBs
17 positive only, regardless of whether they had the vaccine or
18 not?

19 DR. DODD: I don't know of any. That's not to say
20 that it doesn't occur. I just don't know.

21 DR. HOLLINGER: You know, very early, when we
22 looked at these issues--oh, yes, please?

23 DR. TABOR: The question is whether you can have a
24 primary antibody response to a naturally acquired infection;
25 correct, Blaine? You're asking whether in a naturally

1 acquired infection you can manifest it only by the presence
2 of detectable anti-HBs?

3 DR. HOLLINGER: No. Because some patients will
4 have anti-HBs and don't give a history of vaccination. It
5 could be because they acquired it when--as you know, back
6 prior--and I've said this before. Prior to 1970, about 0.8
7 percent of the immune globulin preparations had HBs antigen
8 in it, and some patients actually were immunized probably
9 because of that. So they may have an anti-HBs response
10 without having an infection.

11 I can't recall of a patient I've seen where
12 they've lost their anti-core and just retained their anti-
13 HBs. And the reason I started to bring this up is because
14 early studies back in '75, '78 or so, when radioimmunoassays
15 first came out, and we looked at transmissibility to persons
16 who received anti-HBs positive blood or anti-HBs and anti-
17 HBe positive blood, we saw no cases of post-transfusion
18 hepatitis in a fairly large proportion of studies. That was
19 not only with our study, but I think that included also
20 samples from the NIH as well. So I just ask that question
21 because I don't know of any, and it would be important to
22 know that.

23 The other thing, too, Roger, I always feel a
24 little lost here with numbers because I don't have the
25 numbers that are really important to me. For example, I

1 need to know when I look at this and try to make a decision
2 from my own personal viewpoint about risk, I need to know
3 something about the sample, the cutoff ratios. I am a firm
4 believer that that has a great deal to do with false
5 positivity, and so when someone tells me about 5 of 78 true
6 anti-HBc positives were HBV DNA positive, I'd like to know
7 more information about those 5. Were they--what was the
8 anti-core ratio in those? I'll bet that they were
9 relatively high, probably. I'd like to know whether there
10 was anti-HBs in them. And they're probably negative, but
11 I'd like to know that. I'd like to know what their anti-HBe
12 status is also. That would be another validation point if
13 it were positive. If it wasn't positive, it wouldn't bother
14 me because a percentage of them are not positive.

15 And the same with the 200 anti-core positive only
16 donations tested by PCR for HBV DNA. Again, I would like to
17 know--I would have liked to have had that data because it's
18 the only way I can come to grips with the significance of
19 this large number of patients out there, many of whom I
20 think are false positive.

21 DR. DODD: In the case of the Kansas City data,
22 the DNA studies were done on 78 donations. I think 44 of
23 those were defined as high ratio anti-HBc. I have the data
24 back at my chair, and I'll tell you if I've got it wrong.
25 Forty-four of them had a ratio of--a cutoff to sample ratio

1 of 5 or greater, plus or minus anti-HBs, I believe. And
2 four of the DNAs came from within that group. The other 34
3 has values below 5.0 and the one came from that, but that
4 might have been picked up by a different HBsAg test.

5 For the Red Cross data, I don't think I have those
6 data. Dr. Stramer is in the audience. I don't know if she
7 can dig the data up from her recollection. Apparently she
8 can.

9 DR. STRAMER: Sue Stramer, Red Cross. Prior to
10 answering that question, I'd like to also add that the
11 Kansas City samples weren't tested for HBe, and Roger did
12 state correctly regarding four of the five of the DNA
13 positives having high S to CO ratios or cutoff to S ratios
14 on the test of record, Corzyme test.

15 The 200 anti-cores were collected in sequence.
16 They were not selected for S to CO ratio on the Ortho test.
17 They were just sequentially collected anti-core repeat
18 reactives that were non-reactive for other viral screening
19 tests and were normal for ALT. So this would represent the
20 entire gamut of anti-core reactivity.

21 DR. HOLLINGER: Thank you, Sue.

22 Yes, Dr. Nelson--oh, excuse me just a minute, Ken.
23 Go ahead, Jay.

24 DR. EPSTEIN: Thank you.

25 Roger, can you answer for us the question how many

1 units potentially DNA positive would be allowed into the
2 blood supply if we were to adopt in the one case the FDA-
3 proposed algorithm and in the other case the AABB-proposed
4 algorithm? And I ask this because I think the data of
5 greatest concern that I heard this morning are that there
6 are DNA positives among samples that have discordant assay
7 results for anti-core. And you've showed us that there are
8 sero-inconsistent donors over time, and you've showed us
9 that if you do reflex testing of positives in one assay with
10 the other assay, in either direction, that you can find
11 positives in the discordants.

12 And just to make clear what I'm talking about to
13 the committee, the FDA algorithm would permit you to retest
14 the sample with either a new test or the test of record.
15 The AABB proposal requires that you use a different test
16 than the test of record, so that becomes material.

17 So I think the bottom-line question here is: How
18 many units potentially infectious would potentially enter
19 the blood supply if we do this at this point under these
20 proposals?

21 DR. DODD: Jay, I would have to say that I haven't
22 seen enough data to allow me to make that estimate, but it
23 was just the data that you presented that led me to suggest
24 that one should have two separate anti-core tests
25 concordantly non-reactive for appropriate re-entry, or an

1 alternative would be to look for DNA. But I think that
2 there are very little data that really speak in detail to
3 that critical question, which is how often does a discordant
4 sample--that is, reactive by one anti-core and non-reactive
5 by another--actually contain detectable DNA.

6 I do have to repeat that the data that I showed
7 you I presented as a caution, and I believe that there may
8 be some other explanations for that. But it's data and it
9 has to stand at the moment.

10 If we had the answer to your question, the whole
11 thing would be a whole lot easier. I'm sorry.

12 DR. HOLLINGER: Dr. Nelson?

13 DR. NELSON: This has been an extremely difficult
14 issue because I think we're--the risk is clearly low with
15 either algorithm, but it still may not be zero. And I just
16 wondered if there's any way, depending on what new testing
17 algorithm is adopted, either--particularly if a new one is
18 adopted, to set up a mechanism to do some screening to see
19 whether or not we made the right decision by following the
20 recipients of people who have received blood from donors
21 that are transiently positive or that are--you know,
22 whatever, that re-enter. And I realize that's maybe a
23 difficult thing to do, but it would be certainly worthwhile
24 doing because the consequences of hepatitis B can be
25 serious. They can end up in cancer, liver cancer, or they

1 can end up a chronic carrier state, and it's not always a--
2 it may be a self-limited disease, but it's not always
3 trivial. And we should certainly try to prevent
4 transfusion-transmitted.

5 It's interesting that the data in our study where
6 we followed almost 15,000 donors who had received 150,000
7 units, we found evidence of hepatitis B transmission, but
8 actually, one interesting thing was when hepatitis C testing
9 was introduced, the rate of hepatitis B transmission was
10 also reduced. So that although core testing was introduced
11 as a surrogate for C, it's true that C testing is probably
12 also a surrogate for B. So, you know, it may be not a large
13 risk. It may be close to zero. I don't know whether it's
14 zero. But it would be really nice in this selected--if the
15 algorithm is changed, to set up a mechanism to do it by--to
16 trace people who have received these units.

17 DR. HOLLINGER: Ken, in that early study, the one
18 that you all did, you didn't have the donor samples, did
19 you, to go back to?

20 DR. NELSON: No, we didn't, and that was a
21 problem. And, you know, it's difficult because people get
22 hepatitis B by being in the hospital from all the other
23 things that happen to them in addition to blood transfusion
24 and through the community. And so it's, you know, not an
25 easy--to get the convincing data is not always easy.

1 DR. HOLLINGER: Mary?

2 DR. CHAMBERLAND: I wanted to ask if people
3 thought before a new algorithm was instituted whether or not
4 it would be possible to do further evaluation of--following
5 up on the comment that you made, Blaine, about getting more
6 information about signal to cutoff ratios, I mean, it seems
7 what we've heard is that a very small proportion of these
8 core positive people only are likely infected, you know, by
9 evidence of HBV DNA. And so would there be a way to
10 empirically study that by looking at signal to cutoff ratios
11 to see if you could find the highest risk within those core
12 only and whether that would be a way to approach this, you
13 know, simply the collection of more data, and if it could be
14 done in a fairly timely way, because the Red Cross data, as
15 Sue indicated, was unselected data. But I'm wondering if we
16 could look at that and try and get a better handle on signal
17 to cutoff and try and cull out the highest risk.

18 DR. HOLLINGER: If we're not concerned about anti-
19 core as a surrogate marker for something else at this point,
20 first, from my standpoint, I perceive anti-HBc, anti-HBs
21 positive blood as safe from getting hepatitis--from not
22 getting hepatitis B. It would not--that would not be an
23 issue for me. And if that were used as a cutoff level, that
24 is, if the person has anti-core repeatedly reactive, and you
25 do an anti-HBs on it and that one is positive, I could--I

1 mean, I can certainly feel very comfortable about allowing
2 that blood to be transfused in an individual because I've
3 seen no data to suggest that this is as at risk a product.

4 Now, what was talked about before about the risk
5 of patients who--donors who have that and their livers are
6 given to somebody else who are immunosuppressed, that's a
7 whole different ballpark. This is like apples and oranges
8 here, and we recognize that that is a potential risk. If
9 you give the kidneys to another group of patients, they
10 don't get hepatitis B. It's only in those individuals, the
11 liver transplant patients that you see the hepatitis B
12 occurring, and in some of this group of patients, which is
13 why they've sort of withheld using donors who are anti-core
14 positive.

15 But, anyway, from my standpoint, having an anti-
16 HBs and anti-HBc would be a reasonable place for me to see
17 that they could come back into the system. I'd be less
18 comfortable about high anti-core positive only to be put in.

19 Yes, please?

20 DR. NELSON: Instead of excluding the false
21 positive reactions, you want to exclude the true positives
22 so they have protective antibody.

23 DR. HOLLINGER: Yes.

24 DR. NELSON: But in terms of the--what would that
25 do to the numbers of donors that would re-enter? I think it

1 would probably be a smaller number, wouldn't it?

2 DR. HOLLINGER: Roger, do you have a question?
3 Because that's an important issue.

4 DR. NELSON: I think that in this country--in
5 China it would be the majority, but I think in this country
6 it would be the smaller number.

7 DR. DODD: I think that that's difficult.
8 Operationally, it's difficult because likely you would
9 continue to have a reactive core result in the donors who
10 you'd requalify by this algorithm. So you'd have to develop
11 a mechanism which said that they could continue to donate
12 with a reactive core result only if they sustained an anti-
13 HBs or something like this. And I think it would be
14 difficult. It would be difficult if one were to be
15 inspected.

16 On the other hand, I wholeheartedly agree with
17 you, and this is the actual algorithm for donor screening in
18 Japan, as you well know, and they have a very low to
19 vanishing levels of post-transfusion hep B. That is, they
20 will transfuse a core positive if it's supported by a strong
21 anti-HBs.

22 But I would like to make another comment in the
23 context of the algorithm that the AABB proposed and somewhat
24 in response to Dr. Epstein's question, which is that given
25 that there are different blood collecting agencies using

1 different primary tests for anti-HBc, it should not be hard
2 to look at some sort of cross-over experiment where you
3 looked at concordant and discordant anti-HBc results perhaps
4 for HBV DNA, and this probably wouldn't require quite as
5 much funding as Dr. Nelson's suggestion. And I think it
6 could be achieved appropriately, provided we were encouraged
7 to do it.

8 DR. HOLLINGER: Yes, Dr. Boyle?

9 DR. BOYLE: I'd just like to make one observation.
10 Whenever we're looking at a new product to be licensed, even
11 one that is effectively a generic, though not viewed as
12 such, we do require the prospective collection of data to
13 basically assure ourselves of the safety and efficacy.
14 Seeing a million donors that have been positive on a
15 hepatitis B marker re-introduced into the marketplace or
16 into the blood supply, I certainly would like to make sure
17 that we do have the data to be sure that we are not creating
18 a serious problem and that we treat that just as seriously
19 as a new product, or at least seriously.

20 DR. HOLLINGER: Dr. Epstein?

21 DR. EPSTEIN: Yes, Blaine, I just wanted to
22 comment. You know, the FDA is aware that a positive anti-
23 HBs indicates recovery from infection and that the blood is
24 hepatitis B safe. The problem you get into if you consider
25 re-entering those donors is that we do have the January 1995

1 NIH consensus workshop recommendation that we should not
2 accept donations from people who actually do have a history
3 of hepatitis B because of the issue of HIV surrogate risk.
4 So we sort of get stuck on the horns of a dilemma. We know
5 that's a useful tool to mitigate the hepatitis B concern,
6 but now you've got an individual who falls epidemiologically
7 in a cohort that may have risk for other transmissible
8 disease. So we have not gone in that direction, or at least
9 we won't until we can lay that question to rest.

10 DR. HOLLINGER: That's what I asked. You still
11 look at anti-core not only for B but also as a surrogate
12 marker for something else. Is that correct?

13 DR. EPSTEIN: Well, our policy, as it was
14 established in 1991, based the recommendation for anti-core
15 screening only on prevention of hepatitis B. However, there
16 has been ongoing dialogue, and we remain mindful of the
17 consensus committee opinion that such testing is still a
18 useful surrogate for HIV risk in the window period. And I
19 believe that current epidemiologic data still continue to
20 support that. I believe the estimates that were brought
21 forth at that time were that between 25 to 42 percent of all
22 the HIV cases in the window period would have a hepatitis B
23 marker. So if you're able to prove that it was hepatitis B,
24 you really would have to put to rest the surrogate marker
25 question for HIV to go forward with an algorithm on that

1 basis.

2 DR. NELSON: I was at that consensus meeting, and
3 that's correct, and it was brought up that now that there
4 was good testing for hepatitis C, did we need it as a
5 surrogate? And it was--the strongest argument for keeping
6 it was that it was a surrogate for HIV, even more than the
7 risk of hepatitis B transmission. I don't know whether
8 that--I don't know what the data are currently.

9 DR. HOLLINGER: Yes?

10 DR. MITCHELL: The data that was presented,
11 although I missed part of it, but the data that was
12 presented, I guess I feel that we should try to have the
13 safest blood supply possible. I also look at the markers
14 not only as an indication of hepatitis but also as an
15 indication of high risk for other diseases. And so, you
16 know, there's not an acute shortage of donors at this time,
17 and so, you know, my approach theoretically would be to look
18 at protecting the blood supply right now from things that we
19 know about, but also things that we don't know about.

20 I mean, the liver transplant study does bother me
21 because people who have received blood are more likely to be
22 immune-suppressed. Yes, the chances of them getting a
23 hepatitis C reactive antibody I think is very, very low.
24 But, again, I think that it represents a higher risk, donors
25 who are coming back into the pool, and so that's why I'm

1 concerned.

2 DR. STRAMER: Thank you. Sue Stramer again. I
3 have two comments.

4 Firstly, to Dr. Epstein's comment regarding the
5 January 1995 consensus conference, that was prior to the
6 implementation of P24 antigen and prior to the
7 implementation by many of the volunteer blood sector in 1999
8 of HIV GAT testing. So that's an issue that probably needs
9 to be looked at again, because I don't know of 25 to 42
10 percent still holds up. It does not with our antigen
11 positives that are also antibody positive. Only 10 to, at
12 max, 20 percent of those still retain anti-core reactivity.
13 So those data are probably worth reinvestigating for the
14 surrogacy of HIV.

15 And then regarding the comment that was just made
16 by the committee, blood safety and recipient safety was the
17 number one concern that we looked at in the anti-core re-
18 entry algorithm from the AABB, as Dr. Dodd presented. And,
19 again, by adding that last tier, by adding the second test,
20 that is, test of record, whether it's your original test or
21 newly implemented test, must be negative as well as the
22 second licensed core test or DNA investigational test not
23 done willy-nilly by many laboratories around the country,
24 but regulated by FDA through the IND process, all of--either
25 of those two would have eliminated every DNA positive in the

1 study. So it would have assured safety if we're using DNA
2 positivity or two anti-core tests to define safety.

3 DR. HOLLINGER: Yes, Dr. Kagan?

4 DR. KAGAN: Yes, as I understand it, we're still
5 allowing donors who are core positive for the first testing
6 to be donors. Do we have any data on transmission from that
7 population of patients, and do we have any indication that
8 maybe that group isn't any different than a proposed re-
9 entry group in terms of their possibility for disease
10 transmission?

11 DR. BISWAS: Yes, you're right that a donor--what
12 you have to understand, though, is that although a donor who
13 is repeatedly reactive the first time around--I mean, that
14 unit is not transfused, so there is no data.

15 Blaine, I just wanted to make one or two other
16 comments. Please understand that both of these--both the
17 proposals--or the intent there is to show that the person
18 did not have hepatitis B in the first place in regard to the
19 question about safety of the blood supply. The other thing
20 I'd like to say is that in regard to the 1995 NHLBI meeting,
21 you have to understand that if somebody is anti-core, truly
22 reactive, truly positive for anti-core, it means that that
23 person had some time in the past a hepatitis B. And that
24 works into what Jay was saying, that anti-core true
25 positivity is a surrogate, seeing that HIV and HBV

1 epidemiologically are sort of somewhat similar.

2 DR. HOLLINGER: Roger, do you want to make your
3 case for the greater than 90 days versus 8 weeks?

4 DR. DODD: No. It was pulled out of thin air.

5 DR. HOLLINGER: Oh, you just--

6 DR. DODD: Yes.

7 DR. HOLLINGER: Any other questions? Yes, Joel?

8 DR. VERTER: It's not a question. It's kind of--
9 are you about ready to call for a vote?

10 DR. HOLLINGER: We're coming close, although I
11 think Mary has a comment.

12 DR. VERTER: This may break protocol a little bit,
13 but I have a kind of sense where people may be going, and I
14 want to persuade them to do something else.

15 DR. HOLLINGER: Okay. Hold your thought just a
16 minute.

17 Mary, do you have a comment?

18 DR. CHAMBERLAND: I just had a comment or a
19 question. The concerns about anti-core serving as a
20 surrogate for persons who may be infected with HIV but
21 tested within the window period, wouldn't that concern be
22 addressed by either the AABB or the FDA algorithm because
23 there's an interval that's required between the two tests of
24 eight weeks or 90 days? And so, clearly, anybody who would
25 be in the window period for HIV on the subsequent testing

1 and then presentation at the blood bank for donation would
2 have to test HIV negative, and I think we would all be
3 reassured that they were known.

4 DR. HOLLINGER: Thank you.

5 Jay?

6 DR. EPSTEIN: I would disagree with that, Mary,
7 because you're positing that they have a dual acute
8 infection, whereas I think the way the epidemiologic data
9 work is if you have this one marker, it means you're at risk
10 for the other infection. The timing might not be that you
11 just got infected at the same time.

12 DR. CHAMBERLAND: I agree. I thought I--perhaps I
13 misunderstood, but I thought that people who had attended
14 the consensus conference suggested that the reason for
15 retaining the core testing was to cover issues related to
16 window period for HIV.

17 DR. EPSTEIN: Yes, I agree, but--

18 DR. CHAMBERLAND: So that would be addressed, but
19 general overall risk--

20 DR. EPSTEIN: --it doesn't mean that you were--
21 right. But I think the idea is that you have a higher
22 likelihood to be in the window period any time you're later
23 tested.

24 DR. CHAMBERLAND: If you're at risk.

25 DR. EPSTEIN: Right.

1 DR. CHAMBERLAND: Right.

2 DR. EPSTEIN: It means that you're a member of a
3 risk cohort. It doesn't mean that that particular
4 collection was the high-risk collection. It could be your
5 next one, your next one, your next one.

6 DR. CHAMBERLAND: Right. So the issue really is
7 risk behavior, not window period.

8 DR. EPSTEIN: Right, right.

9 DR. CHAMBERLAND: From the consensus conference.
10 Okay.

11 DR. HOLLINGER: Dr. Nelson?

12 DR. NELSON: One way to merger your two proposals,
13 yours requiring surface antibody, would be if somebody is
14 core positive as a donor that they get hepatitis B vaccine
15 and then they can re-enter. Therefore, you know that
16 they've got--you know, they're protected and--whether they
17 were false positive or not. And as I recall, Paul Holland
18 did a study of core positive donors and found that most of
19 them had a primary response to vaccine--nearly all of them--
20 suggesting that the core positives were false positive.
21 But, you know, that would be the safest donor you could
22 find, probably, with regard to hepatitis B.

23 DR. HOLLINGER: Unless they're a different sub-
24 type than what the vaccine is, in which case they'd make an
25 antibody to that other sub-type and still be affected. I'll

1 talk to you about that later.

2 Joel, you wanted to--

3 DR. VERTER: A couple of comments.

4 DR. HOLLINGER: Go ahead.

5 MS. MELPOLDER: Jacqui Melpolder again. I just
6 have a question about--I can't quite figure out what (b) is
7 saying.

8 DR. HOLLINGER: I got the impression they're
9 saying if you consider them eligible, they still have to be
10 a suitable blood donor. They can't come back in and be a
11 donor unless they pass all the suitability criteria that
12 they would--that any other donor would have. Is that
13 correct?

14 MS. MELPOLDER: But they could come back and
15 donate and then if they're--if everything's okay--I mean,
16 you're sort of--

17 DR. BISWAS: Well, if the donor was deferred, the
18 donor would be tested separately for anti-HBs, anti-HBc, and
19 HBsAg, and that's not a donation. That's not a donation.
20 And then any time subsequent to that they could then go to
21 the blood collection center, be drawn, and then that
22 collection would be tested for everything that it's usually
23 tested for, and, of course, the donor go through the whole
24 screening process.

25 There are two separate actions there.

1 MS. MELPOLDER: Okay. What happens if they come
2 back after they've tested negative, they donate, and then
3 they're positive again? Do you put them on a permanent
4 deferral or do you allow them to go through the algorithm
5 again?

6 DR. BISWAS: I don't know at this stage.

7 DR. HOLLINGER: Hopefully, it will be a small
8 number, maybe.

9 DR. BISWAS: But thanks for asking the question.

10 [Laughter.]

11 DR. HOLLINGER: Yes, Joel, you wanted to clarify.

12 DR. VERTER: Actually, that last question is part
13 of the reason for my statement.

14 This committee has a number of responsibilities,
15 and they often run in conflict. And to me, this brings up
16 three of them. One is the safety of the blood supply. One
17 is the availability of an adequate blood supply for those
18 needing it. And the other is the responsibility to the
19 donor to be truthful and accurate in the statements we
20 provide them. And that's the order I would just them in.

21 So having said that, I find I personally am in a
22 position where industry is offering a more conservative
23 approach than the government, which is unusual, and so
24 that's why I'm a little nervous--well, I'm not going to vote
25 for the question because of the second part.

1 But in addition to that, I wanted to make the
2 following suggestion: We have a number of agencies and
3 industry here with a lot of dollars at their disposal--the
4 NHLBI, the CDC, AABB, and probably the Red Cross. I don't
5 think it would take a tremendous amount of dollars to do a
6 study, either a case-control or a small cohort
7 prospectively, to answer almost all of the questions that
8 have been posed by this committee, including the one that
9 was just last raised, which is one of the things that was
10 bothering me, that is, what is the probability that a donor
11 comes in, is repeatedly reactive, then is tested, is
12 negative through the AABB or the FDA proposal, donates,
13 what's the probability that he is still or she is still
14 positive but we don't know it yet or that he becomes
15 positive later?

16 I think those are all reasonable questions, and I
17 think they could be answered in a modestly funded study
18 that's well designed.

19 DR. HOLLINGER: The other thing, too, is these
20 tests do vary. If you have two tests out there and you have
21 somebody who gets a false positive, let's say, by one test,
22 that you're doing in the hospital setting or in the blood
23 bank setting, and then you go and repeat it with the second
24 test, which may be negative, for whatever reason, if that
25 patient comes back in and gets retested now by the same

1 thing, it's probably going to be positive again on there,
2 which would exclude them a second time around. So I can see
3 these issues coming up, and until the test probably is
4 cleaned up a little bit to eliminate that--and the reductant
5 is, I think, a step in that direction, in my opinion--then
6 that's going to be a real issue on this re-entry because I
7 think they'll often come back as positive again.

8 But, anyway, let's--yes?

9 DR. OHENE-FREMPONG: I know we're getting close to
10 decision time, but the issue of immunized donors I don't
11 think has been addressed, as long as we have the anti-HBs in
12 there.

13 DR. HOLLINGER: I agree. I think that's an issue
14 which should be brought in, and I think we could add it.
15 But, yes, go ahead?

16 DR. TABOR: I would like to differ with the
17 opinion that industry is being more conservative than--

18 [Laughter.]

19 DR. TABOR: And I'm not often in the position of
20 competing for who's the most conservative, but the entire
21 issue of re-entry of anti-core positive donors is being
22 addressed today because of an intense interest by the blood
23 collection industry and the donors themselves to re-enter
24 these individuals. If it were really left to FDA, we
25 probably would keep these repeatedly anti-core positive

1 donors excluded. But there are scientific reasons to
2 reconsider it.

3 DR. HOLLINGER: I think we ought to deal with the
4 issues here and maybe vote on this. As I said, the issue I
5 have is also about what you're bringing up, for example, the
6 antibody, and I'd certainly--and we dealt with that at one
7 point. You'd almost have to say something to the effect
8 that in that first (a) part, "after a minimum of eight weeks
9 subsequent to last repeatedly reactive anti-core test, a new
10 sample is collected from the donor, and this sample tests
11 negative for both HBsAg, anti-HBc, and antibody to HBsAg,"
12 and then one might want to put in there "unless a solitary
13 anti-HBs test is associated with a history of HBs antigen
14 vaccine." That is, if they were negative for HBs antigen,
15 negative for anti-HBc, but positive only for anti-HBs, and t
16 person says, you know, I received the hepatitis B vaccine,
17 then that might not be one, as you mentioned, that would
18 exclude them. Because if you don't put that in there, I
19 will tell you that as time goes on here, you might as well
20 just forget this algorithm because everyone's going to be--
21 not everyone, but most patients are going to be anti-HBs
22 positive.

23 So that would be the only thing that I would want
24 to see in that first question, is to change that part, and
25 the rest of it we could vote on it, but I'd like to hear

1 some comments on that. Yes, please?

2 DR. BUCHHOLZ: Do we have any information in terms
3 of a donor that is anti-core positive and comes back, what
4 the percentage of those people are that continue to test
5 positive as opposed to test negative as opposed to test plus
6 or minus at various subsequent testings? Because this issue
7 of if I'm doing a certain test, I go and do something
8 special to requalify this donor, the donor comes back in,
9 he's going to go into my regular test again and very likely
10 to be positive, I would assume, if this is a test
11 specificity device. So is there information on how
12 reproducibly positive these reactors are?

13 DR. DODD: A couple of points, Don. I think I
14 showed some of that, at least for people who become positive
15 after being non-reactive, something like 61 percent fail to
16 maintain their positivity. I think at the inception of
17 anti-HBc testing, it was something very similar, I think
18 only about 40 percent of individuals maintained their
19 positivity as a subsequent visit. It's certainly been
20 published. But I would draw your attention to the main
21 driver of this recommendation at this time, which is the
22 situation where you change to a test that eliminates
23 previous false positive causes.

24 DR. BUCHHOLZ: That was a single retest, those
25 data that you just cited?

1 DR. DODD: I can't remember the data in any great
2 detail. It all came out in the mid- to late '80s. It's out
3 there in Transfusion. If the committee needs a reference,
4 we can dig it up for them.

5 DR. HOLLINGER: As I view this, I think the real
6 reason that they're asking is, if you really probably want
7 to know about it, it's that you have all this group out
8 there that they'd like to bring back in. And if you do now
9 get a test that has more specificity, that has greater
10 specificity, it allows you now to bring these patients in to
11 be retested for the purpose of being blood donors. I don't
12 think it's right now, you know, we'll change around and do
13 this. Am I correct in that, Roger?

14 DR. DODD: Yes.

15 DR. HOLLINGER: So I think that's really the whole
16 idea, that hopefully there will be tests with more
17 specificity; then you could now bring these groups back in
18 and test. So I think that's what they're looking for.

19 DR. BUCHHOLZ: If that's the case, is it premature
20 to act on this until those tests are, in fact, in place? I
21 mean, if that's the rationale, that new testing is going to
22 make this go away, is this an appropriate action in the
23 interim between now and whenever the test or tests become
24 available?

25 DR. HOLLINGER: That's a valid question.

1 Paul, you had a question.

2 DR. McCURDY: I was going to follow up on Joel's
3 comment about doing a study. It would seem to me that--not
4 being very statistically inclined, but it would seem to me
5 that the numbers are small enough that you'd have to have a
6 rather large study to get any figures that you could
7 believe, particularly when you're looking for a negative
8 result rather than a positive one.

9 DR. VERTER: Right. The numbers would be large,
10 but I think the cost might not be that much because all this
11 testing is being done. So the issue would be the follow-up
12 and the identification of a morbid event.

13 I may have talked too quickly, Paul, but that's my
14 gut feeling.

15 DR. McCURDY: Well, if that's the case, I think
16 that the institute would be happy to enter into some
17 discussions as to how this might be done.

18 DR. VERTER: Blaine, do you want to submit an RO1?

19 [Laughter.]

20 DR. HOLLINGER: Along with the albumin one we're
21 going to do?

22 DR. NELSON: Couldn't you just test the subset of
23 recipients who received blood from the donors who were, you
24 know, core positive and then not repeatedly positive and
25 then negative on the next--so it would be a subset. It

1 wouldn't be all recipients. But you'd have to have a
2 control group because you'd have to worry about non-
3 transfusion-associated hepatitis B. It would be pretty
4 complicated.

5 DR. McCURDY: I think you didn't have the donors
6 in your study because getting donor-recipient-linked
7 specimens is a fair tour de force, which turns into money.

8 DR. HOLLINGER: Any other things about the
9 question up here? And then anybody--I mean, I brought up
10 the issue that if I were going to change the question, I
11 would change it to that addition. I'd add to that question
12 the additional--in fact, I'll just bring that up as a motion
13 here with the group, and then we can vote on that motion,
14 and then we can vote on the question. But I'd like to see
15 the (a) part changed to address the issue of the fact that
16 if a solitary anti-HBs test is found in that group and it's
17 associated with a history of HBsAg vaccine, that would not
18 exclude that particular donor. At least that test is not
19 going to be done when they come into donate blood, anyway,
20 so it won't throw them out, which is the thing Roger was
21 dealing with.

22 DR. BUCHHOLZ: Excuse me. I just have a
23 procedural question. If we wish to do that, would it not be
24 appropriate to, in fact, vote this question no and then
25 entertain a proposal to consider an alternative?

1 DR. HOLLINGER: It would certainly be a reasonable
2 thing to do. I agree with that.

3 Yes, Jay?

4 DR. EPSTEIN: I just wanted to comment that
5 whereas I understand the logic, for the cases with history
6 of vaccination, you would be substituting the fact of
7 vaccination and anti-HBs as the safety precaution vis-a-vis
8 a negative antibody. In other words, you really have two
9 different algorithms operating at that point. It's really
10 not the same algorithm, because you don't know whether the
11 person pre-vaccination would or would not have had positive
12 anti-HBs.

13 DR. HOLLINGER: Say that again, please?

14 DR. EPSTEIN: People can be vaccinated--most
15 people who are vaccinated do not have their antecedent anti-
16 HBs status determined. So there will be people with a
17 vaccination history who have a positive anti-HBs who would
18 have had a positive anti-HBs had they not been vaccinated,
19 and you won't know that. The strength of the algorithm will
20 be different.

21 DR. HOLLINGER: I guess I could come back to you
22 and say do you know a patient who's had hepatitis B
23 infection that has only anti-HBs circulating? Because I
24 have not seen it. I mean, if you know of someone--

25 DR. EPSTEIN: I don't--I believe the literature--

1 DR. HOLLINGER: I'd like to know--

2 DR. EPSTEIN: I believe the literature reports
3 about a 2 percent rate of assay discordance between anti-HBs
4 and anti-HBc. I don't personally know whether that's due to
5 assay variation or variations in the pattern of immune
6 response.

7 Ed, you know that literature better than I do.

8 DR. TABOR: I can't remember the data in great
9 detail, but it's my impression that in the volunteer studies
10 conducted in the 1950s in which volunteers were inoculated
11 with the same volume at the same concentration of infectious
12 material, there were some individuals whose sole detected
13 response was anti-HBs, and I don't know if that really
14 answers your question. I'd certainly want to go back and
15 look at the data before stating it with certainty. There
16 was some small percentage that did, but I would have to
17 check that.

18 DR. HOLLINGER: I know the study you're talking
19 about quite well, and I don't recall that data, actually.

20 Okay. So let's--yes, Paul?

21 DR. McCURDY: I rather like the idea of not doing
22 away with the test unless you have something to substitute
23 for it, barring that the test is obviously a real bummer.
24 And I think the suggestion that the two new core antibody
25 tests that are coming down the pike appear to give better

1 specificity and essentially the same or maybe better
2 sensitivity, that when those come in that would be a
3 reasonable thing. It is also conceivable that soon after
4 HIV and HCV RNA tests are available, there may become
5 available by similar technology hepatitis B DNA testing. So
6 substituting in one or the other of those areas might be a
7 better approach than just going willy-nilly into it at this
8 point.

9 DR. HOLLINGER: I'm going to call for the
10 question, and, Robin, if you will read--well, you can all
11 read that. Part 1, I think we'll vote on part, I guess (a)
12 and (b) go together, basically.

13 DR. BISWAS: That's right.

14 DR. HOLLINGER: So I'd like to see a show of hands
15 of all those in favor of the question that is put up there
16 in 1(a) and 1(b), raise your hand, please. All those who
17 are in favor of that question.

18 [No response.]

19 DR. HOLLINGER: Okay. All those opposed to the
20 question?

21 [A show of hands.]

22 DR. HOLLINGER: Any abstaining?

23 [No response.]

24 DR. HOLLINGER: Okay. Would you read the...

25 DR. SMALLWOOD: The results of voting are: There

1 were no yes votes, there was unanimous no votes, 12 votes.
2 I see that the industry representative agreed with the no
3 vote and the consumer representative agreed with the no
4 vote.

5 DR. HOLLINGER: Okay. Yes, Mark?

6 DR. MITCHELL: I think that we need to note,
7 though, that the concept of bringing those people back in is
8 not something that we're opposed to. As a group, we just
9 don't think that currently with the level of knowledge that
10 we have that that's an appropriate thing to do.

11 DR. HOLLINGER: Okay. The second part of the
12 question on there is--basically we've probably answered some
13 of that. It says: If the committee does not agree with
14 question 1--I guess that's the sense of the committee here--
15 it says: Should donors who test repeatedly reactive for
16 anti-HBc on more than one occasion remain indefinitely
17 deferred, or does the committee wish to suggest an
18 alternative re-entry algorithm? Or an alternative
19 suggestion, we could say just as well.

20 Yes, Paul?

21 DR. McCURDY: It seems to be that if there are two
22 tests that are coming down the pike, perhaps when those
23 tests get here, we should look at another algorithm that was
24 proposed and submit it to us rather than have us try and
25 develop an algorithm here. That would be very difficult to

1 do, I think, in a group this size.

2 DR. HOLLINGER: It might be the--if I may say, I
3 have the sense of the committee, though, that it may be
4 beneficial, at least, to address that 2(a) part there,
5 should donors who test repeatedly reactive on more than one
6 occasion remain indefinitely deferred. I mean, my own
7 feeling is that they should not remain necessarily
8 indefinitely deferred, and it would give the sense of the
9 committee--may give, at least, the sense of the committee
10 saying, look, from just what you say, we want to wait for
11 more tests or do something else on that basis.

12 I'd like to raise that as a motion, anyway, that
13 we at least--that we vote on say 2(a). I'd like to throw
14 that up to vote on 2(a), which basically is--yes, go ahead,
15 John.

16 DR. BOYLE: I'm just a little confused. On
17 previous occasions, something like indefinitely deferred
18 just meant until the next time the issue is raised rather
19 than, you know, we don't want to see them anymore, don't
20 raise the issue again. And my concern here is that I agree
21 with more information, with additional tests, I see no
22 reason why these can't be resolved. But I'm not sure that's
23 what I'm voting for if I vote yes to this. It's more like:
24 Don't do this, but do something else and it's left open.

25 DR. HOLLINGER: Okay. I follow. Good point.

1 DR. MITCHELL: Could we maybe change the question
2 to permanently deferred?

3 DR. HOLLINGER: To what?

4 DR. MITCHELL: Change the question to say that:
5 Should donors who test repeatedly reactive for anti-HBc on
6 more than one occasion be permanently deferred?

7 DR. HOLLINGER: I think actually they are
8 indefinitely deferred and not permanently deferred, anyway.
9 Is that correct?

10 DR. TABOR: Well, I wanted to say that we feel you
11 don't have to vote on this question if you don't--

12 DR. HOLLINGER: Okay.

13 DR. TABOR: I mean, I think we would like to get
14 the sense of the committee--

15 DR. HOLLINGER: But we want to.

16 [Laughter.]

17 DR. HOLLINGER: Don't take it away from us. We
18 have so little to do today.

19 DR. TABOR: While I'm standing here, I also want
20 to correct what I said before, Dr. Hollinger. I feel that
21 you're correct that a true infection is always accompanied--
22 anti-HBs is always accompanied by anti-HBc, and that those
23 instances where there's only anti-HBs, it's usually felt to
24 be a different situation.

25 DR. HOLLINGER: Okay. I think the FDA understands

1 and hears what we're saying here. Those in favor of not
2 voting on the second question--

3 [Laughter.]

4 DR. HOLLINGER: --raise your hand.

5 [A show of hands.]

6 DR. HOLLINGER: Okay. So we're not going to vote
7 on the second question. But I--go ahead, David.

8 DR. STRONCEK: I don't know where the Chair is
9 heading, but I think that this is--

10 DR. HOLLINGER: Heading for lunch.

11 [Laughter.]

12 DR. STRONCEK: Yes, but this is an important issue
13 for transfusion medicine, and I voted against this because I
14 think donors should be tested on two tests. And I don't
15 think we should just drop this and let it go several more
16 months and not do anything. Maybe we can come up with an
17 alternative over lunch, a proposal over lunch.

18 DR. HOLLINGER: Well, go ahead, David. I didn't
19 really mean to cut it off because I agree with you. I think
20 this is an important issue here. Would you like to make a
21 suggestion, at least--

22 DR. STRONCEK: Well, I would suggest that maybe we
23 could ignore the issue about the anti--I think we got hung
24 up on the antibody to hepatitis surface antigen, and maybe
25 we can deal with that at a different point, maybe when some

1 of these other tests are better, but I don't think that
2 should dissuade the committee from looking at a proposal,
3 and I'd prefer to have the proposal as recommended by the
4 AABB and Roger Dodd, where donors are re-entered if they
5 have two negative tests.

6 DR. HOLLINGER: Any other thoughts or discussion?
7 Yes, Jeanne?

8 DR. LINDEN: Yes, I just wanted to echo that I'm
9 supportive of this concept, and I would just encourage FDA
10 to maybe think about things more and maybe tweak it a
11 little. I don't know if the AABB proposal is specifically
12 the one either, although I agree with Dr. Stroncek I like
13 the idea of a second test being in the algorithm. But I
14 would just suggest FDA give it further consideration. And I
15 agree that when additional tests come down the pike, that's
16 going to be extremely helpful.

17 DR. HOLLINGER: Okay. Don?

18 DR. BUCHHOLZ: Is it feasible, since we are
19 meeting tomorrow as well, to basically suggest to FDA that
20 they may want to reconsider the phrasing of the question and
21 bring it up for a vote tomorrow?

22 DR. ELLISON: Yes, I know the answer to that,
23 but...

24 DR. EPSTEIN: As a procedural matter, this issue
25 is on today's agenda, not tomorrow's agenda. The committee

1 and individual committee members are free at any time to
2 make suggestions to the agency. However, bringing it for
3 discussion and/or voting tomorrow would be precluded by our
4 administrative procedures. You know, concerned parties knew
5 to come today.

6 DR. BUCHHOLZ: Later today?

7 DR. HOLLINGER: Well, I'm open--I think we ought
8 to deal with this while we're here right now. If we have to
9 go, we just go. We just take the time it takes. I think
10 these are important issues. So go ahead, Joel.

11 DR. VERTER: When I made the initial statement, I
12 was kind of leaning towards the AABB thing and being
13 accepted, but I really feel now that we probably should
14 table it until the real data that we need and perhaps the
15 new tests are here. We've waited this long. I know there's
16 always a concern about blood supply, but I don't hear any
17 hue and cry that we're, you know, really seriously in
18 trouble. I respect the issue of the donors and their
19 sensibility, but I think given what I said before, I
20 recommend that we just hang in there, one or two more
21 meetings at least, until these tests are there, maybe until
22 AABB, NHLBI, REDS, or whoever has other data can bring it to
23 the committee.

24 DR. HOLLINGER: Is there a question before us
25 then? Do anybody want to put it in the form of a motion of

1 anything here? The Chair will certainly entertain that.

2 Yes, Paul?

3 DR. McCURDY: I basically agree with what Joel
4 just said, but most of us, I think, only saw the last
5 algorithm that Roger put up when it was up there. I don't
6 think it's in his group that was passed out. Maybe that
7 could be passed out so that we could look at it over lunch
8 and decide whether that's something we should consider or
9 not. I think that probably the basic thing to do is what
10 Joel said.

11 DR. SMALLWOOD: Again, procedurally, we have
12 announced the time for the discussion of this topic, and to
13 come back after lunch again would pose an administrative
14 problem. It is understandable that some of the data you
15 only received today because I just received it and it was
16 handed out to you. I would piggyback on what Dr. Epstein
17 said, that the committee can submit, you know, at any time
18 to FDA, and that if upon reviewing you have comments
19 relevant to this that you would submit to me and we can send
20 that to the appropriate people for review.

21 DR. McCURDY: I'll second Joel's recommendation.

22 DR. EPSTEIN: Linda, I think it's the chairman's
23 discretion whether to continue discussion today. I don't
24 think that's precluded by our procedures. Tomorrow's a
25 different matter because people didn't know to come.

1 DR. HOLLINGER: Well, if, Roger, you would please
2 get that copied and make sure it's on the desk so we can
3 look at it? Then we'll decide, you know, if we want to
4 reopen that right when we come back from lunch, if not then
5 we won't.

6 Yes, Ken?

7 DR. NELSON: I'm aware of some intensive research
8 and studies that are going on right now with regard to
9 nucleic acid amplification for HIV and hepatitis C in large
10 numbers of donors and that there's a track, but is it
11 correct--or is hepatitis B on the same track? Is there
12 active research? Because the issue was brought up about the
13 QC and reliability of the hepatitis B DNA assays. And I
14 know, as I say, there's a lot of work on HIV and hepatitis
15 C, but could you reassure me on that? Or what's going on
16 with that?

17 DR. TABOR: Well, already at the present time,
18 testing for hepatitis C is being done on the plasma in this
19 country, and most of the people who are working on this are
20 testing mainly for hepatitis C and HIV or only hepatitis C
21 because those are the ones where the greatest improvement in
22 the window period--eliminate window-period donations would
23 occur. I think it's going to be a long time before we see a
24 licensed assay for screening pools of plasma for hepatitis B
25 because that's in the second tier, really, in terms of

1 payback.

2 DR. SMALLWOOD: I'd just like to take the
3 opportunity to explain procedure here with regard to this
4 particular matter. It is not permissible that the Advisory
5 Committee would meet other than in this setting. They could
6 not meet at lunch to discuss a topic, and that's why I had
7 stated that it was prohibited. We have already started
8 taking action at this point.

9 Now, if you wanted to continue to have discussion,
10 you may do so, but that is the prohibition there. So that
11 is the reason.

12 DR. HOLLINGER: So the issue is that no discussion
13 on the topic, basically, at lunch. After we come back,
14 we'll decide if it merits a reopening or whether we'll go
15 on.

16 We're going to take a break now, and we'll
17 reassemble--I'd like you back here at 10 until 2:00. Thank
18 you.

19 [Whereupon, at 12:50 p.m., the committee recessed,
20 to convene at 1:50 p.m., this same day.]

AFTERNOON SESSION

[2:00 p.m.]

1
2
3 DR. HOLLINGER: I thought I'd give a few minutes
4 for anybody that might want to comment any further about the
5 table that was passed out here regarding the figure that
6 Roger Dodd--oh, you haven't gotten it yet? Sorry about
7 that. Well, I saw it.

8 Well, if there are no further comments on the
9 table which you haven't got--

10 [Laughter.]

11 DR. HOLLINGER: We're not going to call for a vote
12 on anything with this, but I thought it would be--if
13 somebody wanted to make some other statements for the FDA
14 regarding the discussion we had earlier today, I'd like to
15 give you an opportunity to do so in terms of what they might
16 want to do with this.

17 If you look at this reinstatement sample,
18 basically the difference is that once they have a non-
19 reactive patient--or non-reactive individual, first is
20 positive, a certain period of time later they're then
21 retested, come in just for retesting for surface antigen
22 anti-HBs, and a second licensed test, a different licensed
23 test, and then those that are non-reactive, one of two
24 things would happen: they could be tested again either with
25 the test of origin, the original test, and/or a third test,

1 the possibility of a third test, and then again if they're
2 reactive, they're not eligible. If they're non-reactive,
3 they'd be eligible. The other alternative or part of the
4 algorithm would be to test them for HBV DNA, and if they're
5 reactive, they're not eligible; if they're non-reactive,
6 they would be eligible.

7 Anybody have any comments that they want to make
8 about that? The reason I don't want to bring it up for a
9 vote is because I don't think we'll have a consensus, and so
10 I really just want to let you have a chance to say anything.
11 Yes, Marion?

12 DR. KOERPER: I just want to make one more comment
13 about the testing for anti-HBs and this confounding factor,
14 the fact that individuals may have been immunized and,
15 therefore, be positive for anti-HBs. And I don't understand
16 why anti-HBs is being included in this reinstatement sample
17 testing because it's my understanding that anybody who is
18 positive for HBC because they were infected with the virus
19 would--they're either also positive for HBs or they have
20 lost their HBs. So as I understand it, there is never a
21 situation where someone who has been infected with HBC is
22 only positive for HBs. So I don't understand why that's
23 being thrown into the algorithm of one of the tests that
24 they have to be negative for.

25 DR. HOLLINGER: Okay. Does anybody else have any

1 comments? Okay, yes, Dr. Ohene-Frempong?

2 DR. OHENE-FREMPONG: The question about the test
3 of record, coming back again, if much of this anti-HBc may
4 be due to false positive results, meaning that the test that
5 was originally done may not be the best test, and if, you
6 know, future-generation tests are better, why go back to try
7 to validate the test that proved itself to be not so good?

8 DR. HOLLINGER: I guess the real issue with some
9 of this is which test is right. I mean, that's always at
10 issue. You know, you get a positive, and then you go do
11 another test and it's negative. You like it because that
12 means you can bring the person back in. But it could be in
13 reality that that has a false negative.

14 It often is not that way, but it could be that
15 way, and I think that's always an issue. But I think your
16 point is well taken that--the thing I'm concerned about and
17 I think the blood banking organizations all feel this way,
18 too, is if they move forward with something like this now
19 and start advertising, getting patients in and have them
20 tested, and then they're found to be positive again, they're
21 not going to come back a second time when you have a better,
22 even a better test that is perhaps more specific for that.
23 I think that's an issue that obviously they're concerned
24 about, too.

25 Yes, Roger?

1 DR. DODD: Thank you, Blaine. Roger Dodd for
2 AABB. I think Dr. Hollinger accurately expressed the intent
3 of the algorithm, which was that this final level of testing
4 for anti-HBc with another test which is labeled test of
5 record doesn't necessarily imply--or at least on due
6 consideration, would not necessarily imply that you had to
7 go back to your original test of record, because you're
8 exactly right, that could generate a problem.

9 But let's give a hypothetical. Suppose that you
10 were testing, for example, with Corzyme and you then used a
11 second test of Ortho, but wanted to move on to implement,
12 assuming it would be licensed, the Prism test, that might be
13 the test of record in this algorithm.

14 On the other hand, if you did want to go back and
15 use your original test of record, Corzyme, it might make
16 sense to redo that test so you don't inappropriately re-
17 enter a donor who on presentation for donation would
18 continue to be false positive by the test of record. So
19 that would be an administrative convenience.

20 But I think Dr. Hollinger put it exactly right.
21 Ultimately, and crazily enough, this might imply a potential
22 for a three-test algorithm, although I don't think it's
23 strictly necessary.

24 DR. HOLLINGER: We actually might be doing the
25 blood bankers a favor by withholding this right now until

1 some better tests become available and the effort can be put
2 forward, and we have a lot of other new data that's coming
3 up, too, I think, with the GAT test and so on that might be
4 very helpful to us.

5 I think we'll move on, then, to the rest of this
6 afternoon, which has to do with end user notification. As
7 you know, there has been a lot of interest from the
8 consumers about the notification of plasma derivatives that
9 there may be a problem with, and so this is to try to deal
10 with this issue. There are not going to be any questions
11 regarding it, but the FDA would like to have some discussion
12 and perhaps even some recommendations made regarding this
13 issue. So I think Dr. Weinstein is going to start off with
14 an introduction and background. This will be followed by a
15 review of the advanced notice proposed rule, the voluntary
16 notification, and recent experience with previous
17 notification.

18 [Slide.]

19 DR. WEINSTEIN: The FDA has long had an interest
20 in improving public notification of recalls and withdrawals.

21 [Slide.]

22 In March of 1996, a task force of Public Health
23 Service agency members was formed to examine issues of
24 public notification. In November of 1996, the FDA, CDC, and
25 NIH sponsored a meeting to discuss public notification of

1 withdrawals and recalls of plasma derivative products.

2 The goals of the meeting included informing the
3 public about available notification resources, describing
4 the roles and responsibilities of Public Health Service
5 agencies, manufacturers, distributors, and private
6 organizations in the notification process, and stimulating
7 discussion about improving the notification system.

8 The FDA initiated procedures for informing the
9 public about recalls and withdrawals of plasma-derived
10 products. They included information delivery through a
11 toll-free 800 number, Internet site, facsimile on demand,
12 and an automated electronic mailing list service via the
13 Internet.

14 [Slide.]

15 As part of efforts to improve blood safety, FDA
16 initiated the Blood Action Plan in 1997. This effort
17 includes improvements in FDA inspections, promulgation of ne
18 regulations, an increased coordination within PHS to
19 potential threats to blood safety. As part of this effort,
20 FDA is considering proposing a regulation requiring that
21 certain plasma-derived products be tracked from a U.S.
22 licensed manufacturer through the distribution network to
23 any patient having custody of the product. Additionally,
24 FDA may require notification of consignees and patients
25 having custody of a plasma-derived product in the event the

1 product is associated with a potential increased risk of
2 transmitting a communicable disease.

3 The rule would also apply to any plasma-derived
4 product which in the future may be routinely dispensed to
5 the patient and held by the patient prior to administration.
6 FDA is considering taking this action to help ensure
7 notification of patients having custody of plasma-derived
8 products when such products may be associated with a
9 potential increased risk of transmitting a communicable
10 disease.

11 This is being done so that patients may make
12 informed, appropriate decisions. FDA intends to solicit
13 comments and information from interested persons concerning
14 the proposed regulation, and this meeting is part of that
15 process.

16 FDA continued to meet with representatives of
17 industry and consumer groups through 1998 to discuss ways to
18 improve consumer notification of withdrawals and recalls.
19 In August of 1998, the FDA met with representatives of the
20 International Plasma Products Industry Association--that is,
21 the IPPIA--to discuss FDA involvement with a voluntary
22 consumer notification program that IPPIA and consumer groups
23 were forming. This voluntary program was an outgrowth of
24 the November 1996 meeting on notification and withdrawals
25 which informed interested parties about the capacity of

1 certain businesses to directly and rapidly notify consumers
2 and others about regulatory actions.

3 FDA has been invited to attend meetings of the
4 IPPIA and consumer groups regarding this issue and will
5 participate in any way it can to facilitate the development
6 of this voluntary system.

7 In October of 1998, the patient notification
8 system was implemented to inform recipients when a blood
9 product is withdrawn or recalled. This system is being
10 administered by the National Notification Center, with
11 current participation by Alpha Therapeutics Corporation,
12 American Red Cross, Baxter Health Care Corporation, Bayer
13 Corporation, Cention, Genetics Institute, and Novartis
14 Pharmaceuticals.

15 Individuals may register with the patient
16 notification system and select whether they wish to be
17 notified by telephone, express delivery letter, fax, or
18 electronic mail. In addition, individuals can call the
19 system through a 1-800 number or electronically to see if
20 products they are using have been involved in a recall or
21 withdrawal.

22 Individuals can register by telephone,
23 electronically, or by completing a registration form, and
24 information about the patient notification system has been
25 made available to the public in a number of forms including

1 the home page of the National Hemophilia Foundation.

2 Since the start of this voluntary notification
3 system, two recalls have occurred. Information from these
4 recalls can be used to assess the effectiveness of this
5 system to notify subscribers.

6 In this session of the meeting, Steve Falter,
7 Director of Regulations and Policy Staff, CBER, will
8 describe FDA's current thinking about rules that would
9 require notification. Jason Bablak of the IPPIA will
10 describe the voluntary notification system. And Dr. Bruce
11 Ewenstein of the NIH, but here a representative of the
12 Plasma Users Coalition, which is a coalition of plasma
13 derivative consumer organizations, will tell about
14 experiences subscribers to the voluntary notification system
15 have had with the system during the recent recalls.

16 The committee is asked to discuss FDA's current
17 thinking about requiring notification, the voluntary
18 notification system, and to offer any further suggestions
19 they may have about improving notification.

20 DR. HOLLINGER: We'll go on with review of
21 advanced notice proposed rule, Steven Falter.

22 MR. FALTER: Good afternoon, everyone. Before I
23 start, there's a minor flaw to the agenda in that apparently
24 I've been transferred under the Office of Blood. It
25 sometimes often seems that way with all the work they give

1 us, but I'm actually under the Office of the Center Director
2 and work with policy development for all of the commodities
3 regulated by CBER.

4 [Slide.]

5 Today my specific topic is to talk about an
6 advanced noticed proposed rulemaking. The full title is r.
7 You can read that for yourself. The purpose for the little
8 question dude is both I like to play with graphics--I'm just
9 learning--and because an advanced notice of proposed
10 rulemaking, unlike a proposed rule, is in setting forth
11 here's what FDA think they should do, please comment on it.
12 It is rather a document that's intended to put forth a
13 number of questions that we believe must be resolved before
14 we can actually propose a given set of regulations. It is
15 not even a commitment that will issue regulations. It is
16 simply that we observe a problem, that we have some
17 preliminary decisions about it and would like to put forward
18 some questions, some requests for data or information about
19 it. So that is what an advanced notice is, and that's
20 what's being prepared in this case.

21 What actually happened in this case is that we
22 convened a work group within FDA to actually develop a
23 proposed rule, and I started causing trouble, both because I
24 was in the mood and I was getting assigned to too many work
25 groups, and so trying to limit the number of work groups I

1 work for, I started asking a lot of questions. And,
2 finally, Jay asked, How can I get you out of my hair? And I
3 said by issuing an advanced notice rather than a proposed
4 rule.

5 So what I am going to present to you today is the
6 background of some of those issues that caused us to prepare
7 the advanced notice. The actual document itself is still
8 under review. It's subject to departmental review, and I
9 certainly cannot control how long they're going to take or
10 what questions they have. We welcome your comments today,
11 but I would ask that any comments that you want to be
12 officially considered also be put onto the docket at the
13 time of issuance of the advanced notice.

14 [Slide.]

15 First, let me give you just the primary premise
16 that we're working under when trying to figure out what to
17 do. It is that we believe, and consistent with
18 recommendations offered by the House of Representatives'
19 Committee on Government Reform and Oversight, and as has
20 been extensively discussed, as Mark just iterated to you, we
21 believe that consignees and patients who have custody of--
22 you'll notice it says "blood-derived" product. Right now
23 that realm is only plasma-derived products, but in the
24 future there may be blood-derived products that are taken
25 home--should be notified in the event the product is

1 associated with a potential--it doesn't have to be a
2 documented one; it can be somewhat theoretical--risk of
3 transmitting a communicable disease. Notice right now our
4 concerns regarding notification are limited only to those
5 problems related to the potential for transmitting a
6 communicable disease. Heaven knows, there can be far more
7 problems on any drug-type product.

8 [Slide.]

9 On the next slide here, it's not like nothing has
10 been done, and Mark already covered that. We'll be hearing
11 about the voluntary notification efforts. One reason why
12 we're issuing advanced notice is that if we do decide to
13 present forth a mandatory system, we have to be able to
14 compare it to the voluntary system. We need data both on
15 the voluntary system and some perspective or idea of how our
16 mandatory system would work just to show that it is
17 necessary to codify regulations and make it have force and
18 effect of law. It also would be in the heart of information
19 collection, and we have to very carefully document to the
20 Office of Management and Budget, both in number of hours and
21 in number of dollars, how this entire--what this entire
22 exercise would entail. So this isn't totally a scientific
23 concern, but it is also one dealing with dollars and time
24 expenditure to get the job done for us to be able to issue a
25 rulemaking on this. If something goes on with any of our

1 commodity recalls, we have procedures that are actually
2 guidances, but they're in the Code of Federal Regulations as
3 to how recalls should be done.

4 A very similar exercise are market withdrawals,
5 the only difference being market withdrawals are done on a
6 product which is not necessarily violative of the laws that
7 we function under, but it is basically the same process.

8 Please keep this--for those who know what a recall
9 is, keep the process in mind because notification and recall
10 are very similar, and we want to avoid having duplication of
11 effort and redundancy and having two very separate
12 exercises, recall and notification, where basically you're
13 repeating some of the same steps in both when they could be
14 melded together. And I think we'll need your help on just
15 how they can best become melded together.

16 [Slide.]

17 On the next slide, I'll present to you somewhat
18 the scope of what we are considering, and once again, this
19 is all open for comment and for additional advice. That's
20 why we're issuing advanced notice.

21 Up there you see the several products that are
22 currently licensed that we're aware of that are being taken
23 home by the patient and being held by the patient, the first
24 three of which I'm told--and I'm not a blood scientist--are
25 routinely being taken home and held by the patient pending

1 administration. Immune globulin IV is a different question
2 I'll get to shortly, and as I say, the way we would write
3 the rule, if we did, it would cover all products that, one,
4 have a potential for transmitting communicable disease, and,
5 two, may be taken home by the patient. And there's a few
6 that are under early stages of study that might fall under
7 this and some theoretical products that we might have in the
8 future. We don't intend to recodify each time a new product
9 is licensed.

10 One of the very basic questions, why limit it one
11 to these products and one to only those taken home? Well,
12 right now we're only prepared to approach the question of
13 having the patient who may possess those products know so
14 that they can either return the product or take some other
15 suitable action with that product. You can theoretically
16 cover other products that may transmit disease, even blood
17 and blood components, but the motivation would be different
18 in that case. And so if you change the types of products,
19 you would change the motivation. We do welcome comment on
20 that, but right now our primary intent is to deal with those
21 products that may be held in someone's home.

22 IGIV is of particular concern because we may have
23 to handle those differently. According to our scientists,
24 perhaps about 5 percent or so of the product may be taken
25 home. The vast majority is, of course, administered at a

1 health care facility. So it may not be that the same system
2 for notification would be suitable for IGIV as for these
3 other products where you can perhaps generally notify all
4 patients who received the product for those other products.
5 That wouldn't be suitable for IGIV, notifying a huge number
6 of people for which it would be unnecessary. But that also
7 is something we ask for comment on.

8 [Slide.]

9 On the next slide, if you're going to have
10 notification, you've got to know who to notify. I'm afraid
11 that means tracking, record-keeping sort of exercise. Right
12 now pretty much manufacturers know who are their direct
13 consignees. They may not know every health care worker who
14 may possess their products. So rules will also cover all
15 consignees and also identification of all end patients.

16 Now, one of the very basic issues is who. The
17 obvious choice would be the manufacturer, but there's some
18 concern about that because many of the people receiving
19 these products do not want the people that are making this
20 product to know precisely who they are. I think they don't
21 like mailing lists, but I'll let them speak for themselves.
22 But they would prefer a third party or someone who already
23 is directly involved in their care to be involved in
24 gathering this data and actually engaged in the notification
25 itself. So although the manufacturer undoubtedly would have

1 some responsibility, they may not be the primary notifier.
2 They may not be the primary person that's holding the
3 records of just exactly what patients have gotten their
4 product. And the question is which system would work best,
5 a third-party system, someone contracted to do this
6 exercise, or I could be the consignees or the physicians
7 that actually are administering or handing out these
8 products that could be keeping the data and, if necessary,
9 notifying.

10 A second area is, well, what do you track? You
11 could simply track these are the patients that got this
12 particular alpha therapeutic product, and you wouldn't care
13 what specific lots each person got. If there's notification
14 necessary, here's your list, and some people would get the
15 notification unnecessarily. But that may be the most
16 efficient way of doing it. Or you can have the other burden
17 of keeping lot-specific records, and so if there is a recall
18 or some other problem with a product, you could identify who
19 got that specific lot which is found to have a problem to it
20 and contact those persons.

21 There's a question of both which would be most
22 efficient and which would be most effective, which is most
23 likely to result in being able to identify the appropriate
24 patients. We would like data and opinion on that tracking
25 system, and by identifying the tracking system, you're also

1 identifying the means of notification. Should it be just
2 simply by, oh, a company product or by persons who received
3 that specific lot of the product that gets notified?

4 [Slide.]

5 On the next slide, I give our preliminary thoughts
6 on the reasons for notification. As I said, it's a
7 potential increased risk of transmitting a communicable
8 disease. It would only apply to those products that are in
9 date because the assumption is the product would not be held
10 by the patient, or if it is, it should be discarded in any
11 event. But while that looks nice, it really doesn't define
12 a threshold. When would notification become mandatory?
13 Because problems related to a product can be anywhere from
14 speculative of a very maybe hypothetical situation where
15 you're not sure. Records are lost of a couple of donors and
16 you don't know on through to where indeed you know that a
17 person that was infected with hepatitis was one of the
18 donors and there might be a problem with the product where
19 it's pretty self-evident. But what threshold of evidence is
20 needed to trigger notification? And once we determine that,
21 it would be very hard to define in the regulations where
22 that threshold is so that both FDA and industry--I'm sure we
23 want to agree on 100 percent, but to write it in a way that
24 we know going in that we'll agree where that threshold is is
25 going to be very difficult. We'd like your advice on it.

1 It could be a similar threshold as what industry
2 is now using to determine when a recall or a withdrawal is
3 necessary. I think that should be considered. There's some
4 language in Part 7 of Title 21 regarding recalls that might
5 be transferred and applied in this case, but that's only one
6 suggestion.

7 I haven't noted it here, but this could be either
8 a manufacturer or an FDA decision. FDA may gather
9 information either through inspection or from hearing from
10 physicians, patients, adverse experience reports where we
11 determine that notification is necessary, and we would
12 intend to issue the rulemaking so that we have that
13 prerogative, if necessary. Certainly we wouldn't do it
14 unilaterally. The manufacturer would be aware of it, but we
15 would have that authority. Most times it would be the
16 manufacturer that would initiate this exercise.

17 Now, one of the most difficult questions is why
18 just communicable diseases. Well, if you expand it to
19 include other problems with a product, then you have to say
20 why only blood products. Why not any drug that's taken
21 home? I'm afraid politically we're not ready to attack
22 that. I mean, we can gather comments, but it would be very
23 difficult to suddenly say for every drug in the world, if
24 you take it home, people have to track, know who you are, be
25 prepared to notify you in case there's a problem.

1 We have to draw a line in the sand somewhere, and
2 we presented where we draw that line. If you want to move
3 that line a little bit, please suggest to us a way in which
4 it can be moved. But we're trying to avoid opening up
5 Pandora's box entirely.

6 [Slide.]

7 Okay. Let's assume that we decided to notify.
8 Timing. We have found historically we used to include in
9 the regulations words like "promptly" or "expeditiously,"
10 and when we charted out the bell curve of response times, it
11 was about this wide on any reasonable graph paper. And so
12 now pretty much the higher-ups are asking us to be more
13 specific as to what timing is reasonable.

14 Certainly this is something that is very
15 tentative, but I put this in here simply to reflect the
16 urgency we place upon it. If there's a problem perceived
17 for a product, it's being held at home and could be
18 administered at any time, a system should be in place to, as
19 quickly as possible, let that patient know what is possible.
20 We're in ivory towers here, please, so we need the data from
21 you as to what is possible.

22 I note again, particularly for this part but for
23 every part, we not only need to know the science, but we
24 need to know the dollars and cents, the time, the dollars,
25 how much do you think it costs, the number of people that

1 need to be notified in various cases. Facts and figures.
2 It would be very helpful in defining our policy.

3 So we present the times there as only tentative.
4 Notice we are considering the possibility--your first
5 contact, obviously, isn't going to be nearly 100 percent
6 effective. I know my girlfriend doesn't answer the phone if
7 she doesn't recognize the number. So if you notified her by
8 phone, forget about it. So you have to have a mechanism of
9 multiple means of notification to try your best to notify
10 this. We're suggesting three within one week, with the last
11 one we're considering being in writing as the official
12 documentation that you have attempted to notify. But that
13 is all open to comment.

14 I've already mentioned what timing is feasible.
15 Please, we need information on that.

16 Something I haven't included, if the consignee
17 isn't notified or it becomes irrelevant, but if you have a
18 third-party notified at the same time you'll be notifying
19 all consignees down to the specific health care people that
20 there's problems with this product. And I haven't put any
21 figures regarding timing for notification of the consignee,
22 but it could be something that we would consider for any
23 regulation.

24 Okay, the next slide--by the way, I haven't
25 covered just what the substance of such a notification would

1 be. It would be just the basis for such a notification.
2 Why are we doing this? What are the problems? It wouldn't
3 necessarily have to be telling the patient return the
4 product. It could be that the patient and the patient's
5 physician could then make a decision. Is this product still
6 okay? Because we're covering a very broad realm, including
7 some of the more theoretical concerns we've had historically
8 with CJD-related issues, where it might be a reasonable
9 option, if the patient is comfortable, to continue to take
10 that product. It is simply to inform the patient. And
11 certainly if the manufacturer decides that the product
12 should be returned as unsatisfactory, they may do that. But
13 it wouldn't be a mandatory element of the rulemaking as we
14 consider it now.

15 [Slide.]

16 So things that didn't fit under individual slides.
17 If you're going to have a system for notification, you have
18 to be able to show how it works. Is it working through
19 time? How is it functioning? We would expect that there be
20 a system for quality assurance to make sure it works. And
21 if so, what is "works"? You can't possibly expect 100
22 percent success rate notifying patients. Just when is
23 enough enough? And this is particularly relevant because we
24 have to compare--we have to know what is happening in the
25 voluntary system so that we can compare is this system--once

1 it should get underway, is it working better as far as the
2 percent of patients being contacted? We have to be able to
3 get those figures.

4 I've already mentioned at the same time
5 manufacturers will be doing a separate recall process. They
6 don't need to be separate. They can be combined. How can
7 they be combined? What's the best process to do so?
8 They're in the best position to know because they're the
9 ones that are doing the recall and product withdrawal
10 process.

11 Finally, if you're going to have this complex
12 system, it seems fair that the patient should know that this
13 system exists so that if there is a problem, they may be
14 notified. And the question is just what is the best way to
15 let a patient who is starting to undergo care for
16 hemophilia, for example, let them know that this may occur.
17 We're suggesting by labeling, which simply means that
18 there's some written documentation that accompanies the
19 product. It's not necessarily integrated into the package
20 insert, but it's nevertheless a written statement regarding
21 notification that accompanies the product.

22 Okay. Well, I've covered some of the basic
23 questions. It's nice to be able to just throw out questions
24 rather than trying to answer them. What will happen from
25 here? As I say, we'll issue an advanced notice, allow

1 opportunity for comment. We may be reconvening either with
2 this group or some other public forum to discuss the
3 information further. In fact, we can be entirely candid and
4 start arguing numbers with you once the advanced notice
5 issues. Right now basically it is confidential, and I've
6 basically given you a background.

7 Then hopefully we'll get enough answers so that
8 the little question dude will disappear and we'll be able to
9 issue a proposed rule, which, of course, I'm sure you're all
10 familiar with, will be another opportunity to offer your
11 input, which will be entirely welcome. But in that case,
12 we'll give you a non-moving target of what we think should
13 be the appropriate plan. Here we're so flexible, you can't
14 argue with us at all because we'll agree with anything at
15 this point.

16 So that's my part of the presentation. I guess
17 we're moving on to the voluntary part now.

18 DR. HOLLINGER: Thank you, Steve.

19 The next discussant, Jason Bablak on voluntary
20 notification.

21 [Slide.]

22 MR. BABLAK: Good afternoon. My name is Jason
23 Bablak. I am Director of Regulatory Affairs with the
24 International Plasma Products Industry Association.

25 [Slide.]

1 I'm going to talk today about our voluntary
2 notification system we've been working on for about the last
3 year and have finally implemented. But first I'd like to
4 just give you about a 2-minute overview of what IPPIA is,
5 who our members are, and a few other things that we're doing
6 that relate to this.

7 Our members are basically Alpha Therapeutic,
8 Baxter Health Care, Bayer, and Centeon. They are the major
9 commercial producers of therapies from plasma, and they
10 produce about 80 percent of the supply for the United States
11 and about 60 percent worldwide.

12 [Slide.]

13 This is basically an overview of plasma
14 fractionation and the different safety areas that are
15 involved in this. I'm not going to go through all of them
16 right now, but you can see we have outlined basically seven
17 steps where there's a chance to increase the safety of
18 plasma-based therapies. What we're going to talk about
19 today is Step 7, which is recall/notification.

20 [Slide.]

21 But before I go on to that, I'd like to review
22 just a couple other things that we've done in the past and
23 presented to this committee before, just kind of put it all
24 in the perspective of this is an overall comprehensive
25 safety plan that we're working on. The notification system

1 is one part of that.

2 In the past we've talked about the qualified donor
3 standard where we're only going to use plasma from repeat
4 donors; the viral marker rate standard, which will hold all
5 the plasma centers that participate in this to a certain
6 rate of viral markers; an inventory hold of 60 days, which
7 basically allows us to assure that the testing has been done
8 properly and we've had a chance to review the donor as
9 they've come back again and again; PCR testing to increase
10 the safety of the plasma that's being used; and we've also
11 added a pool size limitation of 60,000 donors for a maximum
12 ceiling for any final product.

13 [Slide.]

14 An overview of what I'm going to talk about today
15 on the patient notification system. I'm going to briefly
16 talk about the regulatory requirements in the system that
17 we're currently operating in, and hopefully I'll be able to
18 answer at least a few of the FDA's questions that they posed
19 in the previous presentation and also some of our comments
20 on that. I'll talk about some industry concerns and
21 pressures that brought us to where we are now; the industry
22 response, which is basically what we did to try to come up
23 with a plan to address these pressures and concerns; talk
24 about the system and its operation; and then wrap up and
25 answer any questions that anyone might have.

1 [Slide.]

2 Basically, under the regulatory requirements, it's
3 21 CFR Section 7.40 to 7.59. Recalls are voluntary. That
4 probably should be in quotation marks because there's no
5 legal requirement that you have to do it because FDA has no
6 recall authority except for a few--something like baby
7 formula and medical devices. But the alternative that FDA
8 has, which is seizure, recall, voluntary recall, is usually
9 more appropriate by the firm standards.

10 [Slide.]

11 In the regulations, one of the things that's
12 required is the recall strategy, and that has to deal with--
13 one of the things is how far down in the chain of
14 distribution do you have to go. There are different levels
15 depending on the type of recall it is. If you have a Class
16 I recall, which is the most serious, usually the FDA will
17 want you to get down to the consumer or user level; whereas,
18 if you have a Class III, they may only require a wholesale
19 level.

20 [Slide.]

21 The problem with this is that there's difficulty
22 with getting to the consumer. As you get further and
23 further away in the distribution chain, the manufacturers
24 don't have access to those lists of patients and other
25 people who would be buying this product. There's also the

1 problem of interfering with the patient-physician
2 relationship. Physicians are the ones that are prescribing
3 this, and is there a concern that if we tell the patient
4 something that the doctors don't want them to know, how does
5 that interfere with their relationship between those two.

6 There's also a problem of desensitization. What
7 happens if one year there's a whole lot of recalls, but
8 they're all Class III recalls, and you notify everybody all
9 the time. By the time you get to a Class I recall, people
10 may just throw this in the garbage and think it's just
11 another mailing I'm getting from those manufacturers.

12 Then, finally, there is a question of legal
13 liability, and I pose this more in the effect of if we
14 develop some type of system that's voluntary, what
15 additional legal liability do the manufacturers take on if
16 they somehow don't notify someone who otherwise would have
17 been notified in a different way.

18 So these are all concerns that we have to think
19 about, talk about, and come to conclusions on before we can
20 move forward. And I think we've been able to answer some of
21 these questions.

22 [Slide.]

23 Some additional difficulties with direct consumer
24 notification. Basically there's no law or regulation that
25 requires distributors to track by lot number. Also, there's

1 no law or regulation that requires direct patient
2 notification. The discussion we had just a minute ago about
3 the recall regulations, as was pointed out by the previous
4 presenter, those are actually guidance--that is a guidance
5 document even though it's in the CFR, and so there's some
6 confusion about actually what's required and what's
7 voluntary and what's not. So there's a lot of confusion in
8 this area right now.

9 At some meetings we had previously on this issue,
10 the IPPIA had a position where we had asked FDA to do a
11 couple of things in the regulations because we felt they
12 were the ones that could address the situation. One of
13 those would be to require wholesalers and distributors to
14 track by lot number, and also then to notify their direct
15 sales so there would be a continuation down the chain of
16 command. Right now it's assumed that that happens, but
17 there's no oversight and enforcement by the FDA that it goes
18 down beyond just the manufacturers.

19 Additionally, the other thing we would like to
20 have done is have the final distributor, which would either
21 be a home health care unit or a pharmacy or somebody of that
22 nature track lot numbers to patients so that the patients--
23 there's a direct connection between a lot number and a
24 patient, include the lot number on labels so that the
25 patients have that information because that doesn't happen

1 right now, and also notify affected patients in the event of
2 a product recall. So these are some things that we think
3 are needed to be done to change the existing system, and I
4 think these are certainly comments we will make on the
5 proposed rulemaking that's coming forward from FDA now.

6 But this is more a long-term fix. I think this
7 is--to go through the notice and comment of a rulemaking is
8 a lengthy period, and in order to address what we thought
9 were some concerns of our consumers and other interested
10 parties, we started having some discussions on this issue.

11 [Slide.]

12 Some of the discussions were brought to us by some
13 of the patient groups that have basically had discussions
14 prior to this, and they came up with some recommendations on
15 their own. One was the Immune Deficiency Foundation and the
16 Alpha-1 where they had a recommendation and came forward and
17 wanted some sort of active system for notification.

18 There was another with the National Hemophilia
19 Foundation; their Medical and Scientific Advisory Council
20 had a recommendation that they adopted in October of '97,
21 but certainly it had a lot of discussion and play time
22 before that, and we had discussions with them.

23 Also, all of these patient groups have worked
24 together, and they later became known as the Plasma User
25 Coalition, and you'll hear from a representative of them

1 after I'm done speaking.

2 [Slide.]

3 There's been a lot of discussion on this, I'm
4 sure. I don't need to go through all of this with you, but
5 there was the FDA informational meeting. There was a
6 discussion at this committee in March of '97 and some
7 additional meetings. We talked about this Congressman Shays
8 in the House of Representatives. And at the end of last
9 year, we came before this committee and said that we had
10 agreed in principle on some issues and that we were going to
11 go forward with developing a system, and basically this is
12 the answer to that.

13 [Slide.]

14 These are the members who are participating in our
15 system. We have the four IPPIA members that I mentioned
16 earlier, and we also reached out, because this really needs
17 to be--to be truly effective for the patients and other
18 users of this, we wanted to include as many of the
19 distributors and other manufacturers that we could. So we
20 reached out, and American Red Cross, Genetics Institute, and
21 Novartis also have signed on. Certainly this isn't the end.
22 This was the beginning of trying to get something in place.
23 We will obviously have discussions with some of the smaller
24 manufacturers and distributors as that becomes--as we become
25 able to do that.

1 [Slide.]

2 As I said earlier, we also involved what later
3 became the Plasma Users Coalition, and that's the Immune
4 Deficiency Foundation, the National Hemophilia Foundation,
5 Committee of Ten Thousand, and Alpha-1 Foundation.

6 [Slide.]

7 I'm not going to read all of this slide to you.
8 This is basically what we presented last year at BPAC, which
9 was the consensus that the industry had reached with those
10 groups, with some FDA participation as well, and this just
11 outlines our concept of what any kind of voluntary system
12 needed to accomplish.

13 [Slide.]

14 And this is the time line. Again, I'm not going
15 to go through all of this, but it's just to sort of lay out
16 for you what was involved in putting this together. It
17 wasn't something that you could just say, okay, we're going
18 to do this and tomorrow turn it on. There was a lot of
19 discussion. We had to go out and find a vendor that could
20 actually do this for us. We had to make sure that
21 everything was going right with them.

22 [Slide.]

23 By the middle of October, we had Phase I of our
24 system fully operational, and we'll get into that in a
25 minute of what that is. We intend to initiate Phase II

1 early next year, and we're also going to hopefully formally
2 create our Advisory Committee. Right now we have a loose
3 coalition of individuals participating in that, and we want
4 to create that as a more formal unit.

5 [Slide.]

6 Going into it, this is kind of what we thought was
7 the group of people that might be interested in this system.
8 There's about 15,000 or so bleeding disorder patients who
9 might be interested in this; somewhere around 40,000 primary
10 immune deficient patients, about 5,000 alpha-1 antitrypsin
11 deficient patients. The one unknown here was really the
12 health care professionals and other, and that's one thing I
13 wanted to mention about our system. This is not strictly
14 for patients. We are actively encouraging physicians, other
15 health care providers, anyone who has some interest in these
16 types of therapies, to sign up for this so that notification
17 can be sent to those individuals.

18 One of the things that we have included is we
19 include all of our products on this, and for something like
20 albumin where there isn't a patient population and there
21 isn't a chronic use of this product, we really need to
22 expand into the physicians and other hospital workers who
23 might have an interest in this type of information on a more
24 ongoing situation.

25 [Slide.]

1 Now I'm going to get into the operation of the
2 system. Basically what we have are two different sections.
3 There's a passive and active sections. In the passive
4 section, it is basically a toll-free dial-in number which is
5 1-888-UPDATE-U, pretty easy to remember, and there are
6 several things you can do once you call in to that number.

7 The first thing is you can listen to the recent
8 actions that have happened on plasma-based therapies, and
9 that's basically updated for the last six months, so it's a
10 rolling--as six months go by, the new ones come on, the old
11 ones come off. That's just if you hear about something and
12 you want some quick information, you can call in, listen to
13 this, and if there's something new on there, you can hear
14 about it.

15 You can also register through a live operator. We
16 have live operators, and we have extended hours for the
17 first three months of the system. They basically go, I
18 think, from 8:00 in the morning until 9:00 at night Eastern
19 Standard Time, and then that will turn to more business-type
20 hours as the system is in place for a longer period of time.

21 You can connect directly to a manufacturer, so one
22 of the options is when you call in this number, if you have
23 a company-specific question, instead of having to know all
24 the 800 consumer hotlines from the manufacturers, you can
25 just hear a list of manufacturers and, as the one you hear

1 comes up, you can just press that number and you'll be
2 transferred directly to that manufacturer.

3 Also, basically this is going to be Phase II,
4 check by lot number, so you will be able to call in, there
5 will be an algorithm to punch in a lot number, and then that
6 will search the computer contribution and it will come back
7 and tell you whether or not there's been any action on that
8 particular lot. So this would be useful for someone who's
9 doing infusions from home to check something out right
10 before they infuse.

11 [Slide.]

12 The active section is basically rapid outbound
13 notification, and we chose 24 hours to mean rapid, and our
14 goal is to reach 90 percent of the people in the database
15 within the 24-hour time frame, and we have some standard
16 operating procedures to address the ones that we aren't able
17 to come in contact with in that quick amount of time.

18 When you sign up for this system, there are four
19 ways that you can choose to be notified. That's phone, fax,
20 overnight letter, or e-mail. And for all of those except
21 the overnight letter, we will send a follow-up first-class
22 mail letter. Basically what that does is ensures that
23 someone gets a legible document in their hand, because I'm
24 sure you all have heard things on the phone, and if there's
25 three or four different lot numbers that are ten numbers

1 long, it starts to get confusing. Whereas, if you have the
2 document in front of you in your hand, you're able to read
3 that off in a much more legible fashion.

4 [Slide.]

5 The patient database, basically this is a
6 voluntary system on both sides, so the manufacturers
7 participate voluntarily as well as there's a voluntary
8 system for patients or other interested parties to sign up.
9 We can't mandate that people do. We can recommend, and we
10 are working with the advocacy groups to ensure that we get a
11 large number of people signed up for this. But the one
12 downfall of this system is that it is voluntary on the
13 patient side, and so we can only notify you if you choose to
14 be so notified.

15 We have contracted with an outside third party to
16 manage this system, and we did that for several reasons.
17 One of them was confidentiality. There is a real concern
18 about people knowing about you, about a disease that you may
19 have, and, frankly, we don't need to know that type of
20 information. So we have a third-party that keeps all that
21 information in a database, off site, and we have no access
22 to that. Also, the third party basically has the expertise
23 to do this type of thing. The one thing we wanted to make
24 sure, if we're going to put together a voluntary system, is
25 that it would work and work well, and the best way to do

1 that is to find somebody who can manage this and do it on a
2 full-time basis.

3 One of the other functions of the system is we
4 will have checks on it every six months where we will send
5 out just a notification by their chosen method for all the
6 people in the system, which will just ensure that the system
7 is working properly, that we can follow people as they move.
8 One of the things that happens, I'm sure you understand, is
9 you have a database of people and some people may move from
10 one address to another; and if they don't call us to notify
11 us, we have no way of knowing that. But if we check this
12 every six months, one of the things we do is we follow up
13 with a first-class letter if we can't contact somebody, and
14 then the system will--you know, the mail system will move
15 that forward under most circumstances.

16 That also gives us some credibility with the
17 registrants if they sign up for a system and they actually
18 see that they've gotten notified. Even if it isn't a
19 particular instance of notification, it's just to know that,
20 yes, the system works.

21 [Slide.]

22 This really is how we're going to go about trying
23 to get people to register for this system. We're going to
24 spread the word as much as we can. We're also going to have
25 the patient groups who helped us put this together help

1 spread the word. So there are the four patient groups that
2 we talked about earlier. There are some other ones that
3 we're having some conversations with now that we will use to
4 get to their members and say this is something that we think
5 you should participate in, it'll get you some good
6 information should the need arise. We're also going to be
7 talking with physicians and other health care professionals.
8 That's another good way to get access to the patient because
9 there's a close relationship between the health care
10 professional and the patient, and if we can get some help
11 getting people to register that way, that will also be good.
12 And then also the treatment centers where they're available.

13 [Slide.]

14 I just wanted to give you some information on the
15 system itself. Basically we started this in October. As of
16 the end of November, we had 586 people signed up for this.
17 I was told as of yesterday there's slightly more than 650,
18 so the number is rising rapidly. But this gives you a
19 breakdown as to the way people would like to be notified and
20 the type of registrant. We have it broken down into
21 patient, parent, health care provider, and other. And as
22 you can see, the majority here are patients and parents. So
23 I think this shows that we're really getting to the people
24 that we're trying to, and I think we're having some pretty
25 good success with that.

1 [Slide.]

2 This is just some more information on the actual
3 system, and this, once again, goes up through the end of
4 November, and this just breaks down the type of products
5 that people would like to be notified for. When you sign up
6 on the registration form, you'll have a choice of what you'd
7 like to be notified. You can choose all the products. You
8 can choose a category, such as coagulation products. You
9 can choose something more specific like Factor VIII. You
10 can get even as specific as only recombinant Factor VIII.
11 So there's a variety of ways to choose so that you're not
12 overstimulated with recalls unless you so choose to be.

13 We also keep track of the dial-in section of the
14 telephone, and as of the end of November, we had 384 people
15 call in to use that as well.

16 [Slide.]

17 Pretty much the last thing I'd like to do is just
18 go through--we've had use of the system two times. I just
19 want to quickly go through a report from the second use,
20 which was a recall by Alpha Therapeutics. And we intend to
21 provide these summaries on a regular basis to all interested
22 parties as events are completed just to give people an
23 overview of what happened and give some credibility that the
24 system is actually working like we said it is. So this is
25 just--I had to break the report down into like three or four

1 slides because it wouldn't all fit on one slide and still be
2 able to read anything.

3 So this is the first part. It just tells you who
4 the manufacturer is, and for this one, because the lot
5 numbers--there were quite a few, there's an actual
6 attachment that includes all the lot numbers instead of
7 listing them out on this page.

8 [Slide.]

9 Then you have here basically an event summary
10 which is, you know, one paragraph of what happened, how it
11 happened, when, why, and what the outcome was. That's the
12 event results, and then there's a summary as well.

13 This is really more for people to read in their
14 own time instead of me coming up here and reading the whole
15 thing right now.

16 [Slide.]

17 Basically this is a summary of the event. We have
18 it broken down by the type of method that people would like
19 to be notified for, how many there are in each of those
20 categories. The next column is basically the results within
21 24 hours, so, for example, for telephone there are 31
22 individuals in this system at that particular time that this
23 event happened that wanted to be notified by telephone. The
24 result is as of--within 24 hours, we reached 22 of those 31
25 people, and then the follow-up is we have standard operating

1 procedures of what to do in case we can't reach those
2 people, and so we sent nine overnight letters to those
3 individuals that we couldn't reach by telephone, and then we
4 sent out first-class letters to the 22 people that we did
5 reach. So this shows you the way the system actually works.

6 [Slide.]

7 The next slide basically breaks it down into the
8 percentages of what happened. There's a little bit more
9 detail on what happened with the ones that we didn't reach,
10 and then some comments, if that's necessary, on those
11 particular ones.

12 As you can see, for the telephone we had a 71
13 percent success rate of reaching the registrant within 24
14 hours. Hopefully we'll be able to increase that as we get
15 some better use of the system and make sure. One of the
16 things that happened here is people aren't necessarily
17 familiar with this system, and when the system calls you to
18 let you know that there's an event, you have to press 1 to
19 hear the message. So six out of those nine that didn't get
20 through were that people didn't press 1 to hear the message.
21 So we just need to do a little better job of educating
22 people on how to use the system. On the fax, we had an 81
23 percent rate, and I think probably what happens here is
24 sometimes when people send in forms they're handwritten and
25 we're unable to read the forms and you put a wrong number

1 in. We do have a way to verify when registrants fill out
2 the form. We've sent out a notification to verify the
3 information that they've sent us, but sometimes an event may
4 happen before the verification is sent out, and we traced
5 down the person because we couldn't reach them. Then e-
6 mail, a much higher percentage, and overnight letter is 100
7 percent.

8 These percentages are obviously us reaching the
9 person, getting information to them. We can't assure that
10 someone actually read or understood the information. But
11 this is the success rate of getting to the person.

12 [Slide.]

13 Basically, now we're just going to talk a little
14 bit about some future considerations. In the near term,
15 like I said, we want to create the formal advisory panel.
16 We have now a loose coalition of individuals, both consumers
17 and the manufacturers' representatives. We are encouraged
18 that FDA is going to participate in this because we think we
19 can get some valuable insight from them.

20 We're going to initiate Phase II, which is the
21 dial-in lot checking, and we're also going to expand on to
22 that to include Internet access so that we can have--the
23 same information that's included on any particular recall
24 notice will be up on the Internet so that people could just-
25 -similar to what you get at the CBER Web site, you'll get

1 that same information here.

2 Then expansion, we're talking about expanding the
3 system to include Canada, which it doesn't include right now
4 but it's not excluded either; and then also perhaps
5 developing some type of system like this for Europe.

6 [Slide.]

7 More long term, one of the things that's going to
8 be very important is evaluating the system performance. We
9 have a system here, it's very new. There's really no
10 experience with it, and I think evaluating over time how
11 valuable it is will be good for both the manufacturers and
12 the participants in the system.

13 I think we're going to look at covering additional
14 products and consumer groups and adding additional features,
15 perhaps lot tracking, having some additional notification
16 options, and a greater sophistication of choices so maybe
17 you can have a fax-back or adding other options in the
18 future. So these are all things that will be discussed
19 through the advisory panel to determine how well the system
20 is working and what needs to be addressed.

21 [Slide.]

22 That's the end. I'd be happy to answer any
23 questions anyone might have.

24 DR. HOLLINGER: Thank you, Jason. I think we'll
25 go on, and then we'll come back to this issue in just a

1 second.

2 The last speaker is Bruce Ewenstein, who will talk
3 about the patient notification system and the role of the
4 FDA.

5 DR. EWENSTEIN: Thank you, Dr. Hollinger. I'd
6 like to begin by thanking you and the other members of BPAC
7 and the FDA for the opportunity to speak today on our
8 experiences with the established patient notification system
9 that you've just heard described and what we believe to be
10 the appropriate role of the FDA in this important
11 initiative.

12 My name is Dr. Bruce Ewenstein. I'm the Director
13 of the Boston Hemophilia Center at Brigham and Women's
14 Hospital and Children's Hospital, and I serve as the co-
15 chair of the National Hemophilia Foundation's Blood Safety
16 Working Group.

17 Today I'm here to speak on behalf of the Plasma
18 Users Coalition; an umbrella organization representing the
19 National Hemophilia Foundation, the Immune Deficiency
20 Foundation, the Committee of Ten Thousand, the Hemophilia
21 Federation of America, and the Alpha-1 Foundation, Alpha-1
22 National Associations.

23 We feel that the recent development and
24 implementation of a patient notification system by which the
25 end user of a blood product is directly notified by an

1 independent third party is a major achievement. Beyond the
2 utility of the system itself, its creation demonstrates that
3 consumers and industry can work together toward our common
4 goal of improved blood product safety. We also believe that
5 the FDA has made a significant contribution to this
6 endeavor. The recognition by the FDA that it is the
7 responsibility of industry to notify end users of an adverse
8 condition in their product laid the groundwork upon which
9 industry and the consumer advocacy organizations created the
10 present notification system.

11 For the sake of time, I won't describe again but
12 have put into the record what this notification system is,
13 but I would like to just emphasize three particular points.

14 One, the system has been designed--and I hope
15 people appreciate this--so that there is rapid communication
16 to the end user, and yet confidentiality is maintained at
17 all levels.

18 Second, the individual can select a particular
19 product or class of products or the entire menu, and I know
20 this was a question that was posed in the FDA presentations.
21 We would feel that this is a desirable option.

22 And I would also like to emphasize, although it's
23 been stated before, that we are encouraging both the
24 consumers and the health care providers--physicians, nurses,
25 pharmacists, et cetera--to register in order to maintain

1 both the good communication between the physician and the
2 patient that you've heard was important to the FDA and to
3 us, and also to reach additional unregistered patients
4 through their providers.

5 We feel it's particularly noteworthy that this is
6 an industry-wide effort, and we would like to thank the
7 dedicated work of the staffs of IPPIA and the individual
8 corporations, the plasma fractionators and the manufacturers
9 of analogous recombinant products who have all now agreed to
10 participate in this single unified system. I won't repeat
11 the names of all the participants again, but I would like to
12 just make special mention of Baxter Health Care and American
13 Red Cross. I think their participation is noteworthy
14 because they agreed early on to merge what was their
15 previously established own systems into that of the IPPIA in
16 order to achieve the efficiency and overall effectiveness
17 that's only possible with a single communication network.

18 As you heard, the system underwent its first
19 significant test a few weeks ago when the Alpha Therapeutic
20 Corporation issued a particularly large recall of several
21 products, including Factor VIII and IX concentrates and
22 immune globulin. As Mr. Bablak presented, preliminary
23 reports indicate that most registered individuals received
24 timely reports. However, there were some problems noted.

25 Alpha initially omitted two lot numbers in its

1 report to the NNS and, moreover, had made the decision to
2 not list products that had been distributed internationally
3 and only list those that had been distributed domestically.
4 And it's worth noting, I think, that the complete list of
5 involved lots was provided to the FDA and accurately posted
6 on the FDA's Web site and provided an important check that
7 way on the system.

8 Now, the Plasma Users Coalition believes that
9 regulations pertaining to recall communication require the
10 timely and effective notification by the manufacturer to the
11 end user of the product, and we believe that the FDA concurs
12 with this position and has publicly stated so.

13 Now, we believe that the system now in operation
14 is fully capable of meeting the manufacturer's obligation
15 under the existing regulations and guidelines. We also
16 believe that as the regulatory agency of jurisdiction, the
17 FDA must and should provide the proper and necessary
18 guidance and oversight to ensure that the effectiveness of
19 the process of notifying individuals of adverse and at times
20 potentially life-threatening situations affecting blood
21 products goes forward.

22 The FDA's regulatory function might be accom-
23 plished in several ways. For instance, in establishing the
24 current notification system, you've heard that there will be
25 a formation of an advisory committee, and this committee is

1 to meet periodically to review the operations, make
2 recommendations, and to confront any unforeseen situations
3 that may develop. And we suggest that the FDA could work
4 with this advisory committee.

5 In addition, the FDA, which necessarily works
6 closely with industry in initiating recalls and withdrawals,
7 could provide more immediate oversight regarding the
8 accuracy and completeness of posted information.

9 The manufacturers, the trade organizations, and
10 consumer organizations have each worked diligently to create
11 what we think is an exceptional method by which to notify
12 the end users of blood products. Nonetheless, the system is
13 presently viewed by some as strictly voluntary, and therein
14 lies what we perceive as a potential and real weakness. One
15 or more of the participating manufacturers could withdraw at
16 any time and for any reason. Moreover, the accuracy and the
17 timeliness of the information provided is now not required
18 to meet any officially promulgated standards. We strongly
19 believe that FDA enforcement, through its participation and
20 oversight, would ensure the long-term viability and
21 integrity of the patient notification system.

22 The patient notification system is an important
23 component of what we envision as a multi-tiered approach to
24 blood product tracking and notification. Ultimately, such a
25 system should be able to trace a product from the moment

1 it's created through the point of sale to the end user of
2 that product. Moreover, the information chain should
3 provide the ability for lookbacks from the end user back to
4 the manufacturer. These additional components will ensure
5 that all consumers of blood products are notified of
6 withdrawals and recalls.

7 We believe that in order for the system to be
8 completely effective, the FDA will need to provide
9 regulatory guidance and mandate compliance. We fully
10 understand the budgetary constraints and resultant manpower
11 shortages at the FDA as potential obstacles, and the
12 consumer organizations are prepared, therefore, to fully
13 support efforts for greater funding of the FDA to ensure its
14 ability to fulfill its regulatory role in support of a
15 maximally effective system for blood product tracking and
16 notification.

17 In closing, let me just restate our sense of
18 accomplishment that a well-conceived patient notification
19 system has been created through the hard work and
20 cooperation of consumers working with manufacturers and with
21 the helpful encouragement of the FDA. We believe that
22 through participation in the system manufacturers are
23 complying with their obligation to inform consumers of
24 plasma-derived and analogous recombinant products of recalls
25 and withdrawals in a timely and effective manner.

1 We have strongly urged our consumer and health
2 care provider memberships to register, and today we call on
3 the FDA to endorse this system and to provide the
4 appropriate regulatory guidance and active participation.

5 Thank you.

6 DR. HOLLINGER: Thank you.

7 This completes the formal discussion of this
8 topic. I'm going to open this up for public hearing right
9 now, and there has been one person, Mr. Cavanaugh, who has
10 asked to speak with the committee--the Committee of Ten
11 Thousand, I believe it is--on this issue.

12 MR. CAVANAUGH: We endorse the statement that was
13 given by our coalition, and we're very pleased that that
14 coalition has come about, and we support the creation of the
15 notification system.

16 But I just wanted to underscore the importance of
17 connecting this system to the FDA's mandatory systems. We
18 understand resource-constraint issues, but in an era of
19 recalls of months of a company's output for serious
20 contamination months after the fact, FDA should exercise
21 every means at its disposal to alert every level of the
22 distribution system and most especially patients.

23 We urge FDA, working with the notification system,
24 to assume responsibility for providing information on
25 potential contaminations to hematology and infectious

1 disease specialists, at a minimum, to assure that patients
2 and at least several of the blood product user communities
3 are being aided through provider alerts. In some of those
4 communities, there is a lack of a central treatment
5 structure, unlike with hemophilia treatment centers, and it
6 is imperative that the treating physician, whoever that may
7 be, be enrolled. It's vital to assure information reaches
8 those whose health depends on receipt of this information.

9 Thank you.

10 DR. HOLLINGER: Is there anyone else that would
11 like to speak to this? Yes, please state your name.

12 MS. HAMILTON: My name is Jan Hamilton. I'm with
13 the Hemophilia Federation of America, and I support also
14 everything that the Plasma Users Coalition, of which we are
15 a part, said and echo what Dave Cavanaugh just mentioned.

16 There's one portion that I have mentioned with the
17 people from National Notification System who are here, and I
18 would just like for you all to be aware of it because it
19 takes it one step farther of what has been said so far. We
20 estimate that somewhere around 25 percent or so of, for
21 instance, the hemophilia population is not seen in a
22 hemophilia treatment center, and they are seen by primary
23 physicians scattered out across the country in Podunk, USA.
24 We hope that there's some sort of concerted effort to get to
25 these primary docs to make them aware of the notification

1 system so that they can, too, be in on all the notifications
2 and get to their individual patients.

3 Thank you.

4 DR. HOLLINGER: Thank you.

5 Is there anyone else? If not, this will--oh, yes,
6 please?

7 MR. NAGLER: Rick Nagler. Coming from a legal
8 background, what I would suggest is that there be
9 incorporated in the system a strict trail of evidence, you
10 might say. If the manufacturer produces it, who do they
11 sell it to? Then they'll be responsible for notifying that
12 person or that company. The home care company then has to
13 keep records of who they distribute it to, and it would be
14 their responsibility to notify them.

15 I have personal experience with this. About two
16 years ago a product was recalled. I had just purchased a
17 new batch of Factor VIII, and I was about to use it that
18 evening. And thank goodness, due to the Hemophilia
19 Federation and a home care company that's run by
20 hemophiliacs, I was notified immediately. And they had kept
21 the records strictly of what lot number went to whom, and it
22 should just be a strict train of evidence straight down the
23 line so you can track it from the source.

24 DR. HOLLINGER: Thank you.

25 MR. COLLINS: Yes, good afternoon. My name is

1 Patrick Collins. I'm Director of Government Relations at
2 the National Hemophilia Foundation, and I just wanted to add
3 to one point that Jason Bablak mentioned with regard to the
4 number of people that have currently registered in the
5 system.

6 Albeit we have only approximately 650 right now,
7 the system was launched at the end of October at our 50th
8 annual meeting, and what NHF is doing is in our monthly
9 Community Alert, which is a newsletter that is sent out to
10 our entire membership, for the upcoming issue we are
11 included the actual notification form and our membership is
12 some 23,000 people, so we anticipate that the numbers for
13 the NNC enrollment should increase significantly.

14 I'm also aware that the other members of the
15 Plasma Users Coalition are doing the same with their
16 membership as well. So we anticipate a large blip upward in
17 the numbers of people that are joining.

18 In addition, we are also hitting all the various
19 industry meetings and trade meetings, such as the American
20 Association of Blood Banks, the American Society of
21 Hematologists, basically every possible way where we can get
22 people to join the system, both consumers, providers,
23 pharmacists, everywhere in the whole chain.

24 So thank you very much.

25 DR. HOLLINGER: Thank you.

1 If there are no further comments from the public,
2 then that will close this part of the session.

3 We're going to take a break for about 20 minutes,
4 and so we'll reconvene back here around 20 'til 4:00.

5 [Recess.]

6 DR. SMALLWOOD: We move to the committee
7 discussion. Dr. Mary Beth Jacobson will make an
8 announcement about an upcoming advisory committee meeting
9 which may be of interest to the committee, as well as the
10 audience.

11 Dr. Jacobson?

12 DR. JACOBS: Jacobs.

13 DR. SMALLWOOD: Sorry.

14 DR. JACOBS: Thank you, Dr. Smallwood.

15 Many of you are aware, but in case you aren't,
16 we'd like to announce that FDA's Transmissible Spongiform
17 Encephalopathies Advisory Committee is meeting a week from
18 tomorrow, Friday, December 18th, at the Holiday Inn Bethesda
19 at 8:00 in the morning. The committee will be asked to make
20 a recommendation to FDA concerning possible deferral of
21 blood donors based on possible foodborne exposure to the
22 agent of BSE using geographical criteria in order to reduce
23 the theoretical risk of blood-borne transmission of new-
24 variant Creutzfeldt-Jakob disease. The committee will be
25 asked to consider the recommendations in the light of

1 potential shortages of blood or blood products.

2 In order to incorporate the perspectives of this
3 committee and also of the Advisory Committee on Blood Safety
4 and Availability, we will have Dr. Hollinger, Dr. Nelson,
5 Dr. Hoots, and Dr. Gilcher there either as temporary voting
6 members or as guests.

7 Anyone who would like to make a comment at the
8 open public meeting or also in written form can contact the
9 Executive Secretary of that committee, who is Dr. William
10 Freas, F-r-e-a-s, and his telephone number is (301) 827-
11 1295.

12 Thank you.

13 DR. HOLLINGER: Thank you.

14 Well, we want to open up discussion about the end
15 user notification initiative, and I'd like to just open it
16 up for the committee for any comments. Dr. Boyle?

17 DR. BOYLE: In order to understand what we're
18 dealing with in terms of this proposed mandatory
19 notification, could somebody--Jason Bablak or somebody--
20 explain the chain of distribution that occurs between the
21 manufacturer and the end user so we have some sense of who
22 we're going through and how that works?

23 MR. NAGLER: Basically, it's my experience that
24 there's a middleman between fractionators and the user,
25 usually a home care company. Sometimes there are buying

1 clubs that form. So basically it's purchased from the
2 manufacturer, goes to a home care distribution company, and
3 then to the patient.

4 MR. BABLAK: Just to expand on that a little bit,
5 basically all of the manufacturers sell through wholesalers
6 and distributors and then sell to either smaller
7 distributors, specialty distributors, home health care
8 companies or hospital pharmacies, that type of thing. So
9 there is at least, you know, two or three intermediates
10 between the final person who's selling the product and then
11 the person buying it and then the manufacturer. And what
12 happens is the people further down the line don't want to
13 give the lists up to the manufacturer because then there's a
14 potential they can be bypassed. So the manufacturers do not
15 have access to those lists, but yet they have a
16 responsibility for the product the whole way down through
17 the chain. So right now it becomes difficult to get all the
18 way down there because you have no control over your
19 distributors down the line, but you still have
20 responsibility for your product.

21 DR. BOYLE: So you have the responsibility in
22 terms of the discussion of the chain of evidence but you
23 have no way to compel the distribution or to evaluate
24 whether or not it's actually occurred.

25 MR. BABLAK: Exactly. There are understandings

1 that companies are supposed to follow through and the
2 manufacturer gives instructions on what to do. But you have
3 no direct control over those people. So, therefore, you
4 can't assure that that's actually going to happen.

5 DR. BOYLE: One more question, and this would be
6 directed to the FDA, and I'm not sure who can answer it.
7 But does the FDA have the authority to require the
8 registration of persons or entities that sell, distribute,
9 or dispense a biologic product? In other words, if the
10 manufacturers can't reach down to that level, can the FDA
11 require the registration of the people who handle those
12 products to the end user?

13 MR. COLBURN: I might be able to shed some light
14 on that. Donald Colburn, President and CEO of AHF, which is
15 a hemophilia disease management company.

16 There actually are a number of checks and
17 balances, and, unfortunately, some of them are not the
18 checks and balances we would like to see. But as an
19 example, with the exception of hospitals, who can dispense
20 many of the biologicals that we're talking about from their
21 blood banks, outside of that arena they have to be
22 distributed through a licensed pharmacy. That licensed
23 pharmacy has to be licensed in the state that it does
24 business in. It is not uncommon for my company to receive
25 multiple notifications of recalled drugs that we have never

1 even considered purchasing.

2 As most of you know, hemophilia is primarily a
3 male disease, and we have often gotten birth control pill
4 recalls.

5 Now, I think the interesting thing about that is
6 we get that because we are listed as a pharmacy within the
7 State of Connecticut, and as that notification system goes,
8 that's how they do it. But there is also--from
9 manufacturers, they actually sell directly to me. I then
10 resell to the client base. In addition, you have hospitals
11 who will oftentimes buy the product, put it up, and then
12 dispense it to a home infusion company. And so, you know,
13 it's not always multiple layers. It's just oftentimes two.

14 I have a legal liability if I break a chain of a
15 recalled product. It just doesn't make sense--I would have
16 to venture to say that I would be very surprised if any of
17 the major players in home health care do not track by lot to
18 patient. I can take a lot recall--for instance, the last
19 recall that we had from Alpha--and we literally within the
20 space of 30 minutes can determine who's received it, how
21 much of it they've received, and be able to, you know, start
22 contacting, if that helps you.

23 DR. KOERPER: Things get more complicated because
24 hospitals often borrow factor from each other, and I don't
25 know to what extent the hospital pharmacies keep track of

1 those lots and who they've lent to someone else. Larger
2 hospitals such as my own, where we have a large hemophilia
3 treatment center, our pharmacy and pharmacists know that
4 they must keep track of the lot numbers. But oftentimes if
5 this is sent off to a small hospital that doesn't deal with
6 hemophilia patients on a regular basis, they don't
7 appreciate the importance of noting the lot numbers that
8 they dispense to specific patients.

9 Moreover, frequently patients borrow factor from
10 each other. Since hemophilia is an inherited disease,
11 oftentimes there are a number of family members--brothers or
12 uncle and nephew--who are using the same product; and if one
13 of them runs out, he'll just borrow his brother's or his
14 uncle's product.

15 So even with the best intentions, you can't always
16 assure that if you simply track by lot number that you're
17 getting to the person who ultimately used the product. And
18 I bring this up for an answer to someone's question about
19 whether to track specifically by lot numbers. And I think
20 it's more important that this general notice go out about a
21 brand of product and that everybody who potentially may have
22 used that brand gets the notice. Our patients are also
23 instructed to keep their own records of their lot numbers so
24 they can then reflect back and see whether they may have
25 used or open their own refrigerator and see if they happen

1 to have some of that lot in their refrigerator that they
2 borrowed from somebody else.

3 But I think the notice has to go out generally
4 rather than specifically only to the people who on paper are
5 the ones who got that lot.

6 DR. HOLLINGER: How many--any one patient, for
7 example, how much might that person have at home and for how
8 long does it sort of stay there before it's used? Can you
9 give me a feeling?

10 DR. KOERPER: Yes. Patients with severe
11 hemophilia are treating themselves every other day, so they
12 will routinely get a month's supply, which is 15 doses. And
13 if they've just gotten a shipment, then they'll have 15
14 doses, and this month being the end of the year, maybe their
15 insurance is going to change, they may get an extra shipment
16 toward the end of the month to make sure they have plenty to
17 carry over while they're changing insurance companies and
18 getting things ramped up with their new insurance company.
19 So anywhere between 15 and 30 doses, and that would be used
20 up in one to two months.

21 Other patients with mild hemophilia may treat
22 themselves only once or twice a year. We generally
23 recommend that they keep two or three doses in their
24 refrigerator in case of emergency. But those two or three
25 doses may sit there for a year before they're used. So even

1 though theoretically we're close to an expiration date,
2 there may still be people who have some still in the
3 refrigerator that's unused.

4 DR. HOLLINGER: The expiration date is usually how
5 long?

6 DR. KOERPER: Well, when it leaves the
7 manufacturer, I believe it's around two years. But by the
8 time it gets through the chain of distribution into the
9 patient's refrigerator, usually there's about a year left on
10 it.

11 DR. HOLLINGER: And do the patients, by and large,
12 usually get their material from one manufacturer primarily,
13 and could there be more than one lot within that two months
14 of stuff they have? Or could it be whatever is there, they
15 might get it from Alpha, they might get it from Baxter and
16 so on? Do they usually say I want this product or
17 something?

18 DR. KOERPER: Most patients have a brand
19 preference that they feel works the best for them or does
20 not cause allergic reactions. So most patients will have
21 only one brand in their refrigerator at one time.

22 DR. HOLLINGER: Yes?

23 DR. OHENE-FREMPONG: The system as it was
24 described seems to be somewhat high-tech-dependent at the
25 moment, and I think as Ms. Hamilton said earlier, not all

1 patients may be at the end of a computer to get e-mail or
2 get a fax. And I'm concerned a little bit about just
3 notification being not viewed as the end, but also
4 monitoring to make sure that the right action was taken
5 based on notification. What sort of follow-up monitoring is
6 taken to make sure that, in fact, the person understood
7 either the fax or the e-mail or a letter message and that,
8 in fact, they returned the particular lot?

9 MR. BABLAK: At this point there is no actual
10 follow-up action. What we do is we provide information to
11 the patients through the system, and in that information
12 packet is a list of things to do: return it to the place
13 you bought it--there's a whole list of things that need to
14 be accomplished. But we do not follow up, say, a week later
15 and make sure the people have done that. I don't know that
16 there's much that the manufacturers can do once we notify
17 someone of that, much more we can do, except to encourage
18 people to follow the directions as they come in the packet.

19 DR. OHENE-FREMPONG: Do you have in the system a
20 network of local agents of the system that would follow up
21 for you? If you are sitting far away as the manufacturer,
22 but you're going through a distribution system, at least the
23 treating agencies or associations, consumer groups--

24 MR. BABLAK: I think what you're asking here is
25 beyond the scope of the system. This is more the

1 manufacturers themselves and how the product gets
2 distributed through the chain and then how it might come
3 back up through. And it's my understanding that basically
4 the local distributor would then take that back and it would
5 go back up through the chain just the way it came down. But
6 that's really the only way that this can be accomplished, as
7 I understand it.

8 DR. KOERPER: The treatment centers usually serve
9 that function of, A, being the person--the people available
10 that the patients can call locally for questions if they
11 don't understand the nature of the recall or if they don't
12 understand how to return the product. And we also get a
13 list of all the patients who have been dispensed the
14 implicated lots that we contact personally as well so that
15 at that time--but it may be a day or two later, but we'll
16 have a personal conversation with them and make sure that
17 they understand what to do.

18 Now, occasionally there are instances where the
19 patient will elect not to return the lot. This Alpha
20 recall, for instance, the problem was with contaminated
21 diluent from IGIV, and most patients had already either used
22 up all their factor or had used several vials already
23 without getting sick. The factor is in short supply, if not
24 impossible to get, and many patients chose to continue using
25 it rather than to return it.

1 DR. OHENE-FREMPONG: I'm a little concerned about
2 the little bit of feedback. I mean, I just traveled for
3 about three weeks and came back to about 200 piece of e-mail
4 waiting for me. And to the sender, the job was done. They
5 sent it to me, but they don't know that I read it. They
6 don't know that I didn't open it. They don't even know that
7 I was there to receive it. So if there is no quick way of
8 checking--those who depends on centers, there are sort of
9 checks and balances. The centers will take the
10 responsibility to follow them. But somebody who is not at a
11 center and who is depending on outside, distant agent to
12 notify them, if there is no way of checking to make sure
13 that, in fact, the information was received handled, you
14 know, you have in your records that you performed the
15 notification, but it may not have been received.

16 MR. BABLAK: I guess the answer to that is
17 twofold. One, in certain cases, depending on how the
18 patient is treated in such--like the hemophilia patient, the
19 home health care company may do some of that follow-up work.
20 But our view here also is that we're dealing with patients
21 who have come to us and asked for a certain amount of
22 information, and we assume that if patients sign up and want
23 this information that they will be responsible and do what
24 they feel is right once they have that information. So
25 there has to be some level of responsibility on the patient

1 as well as on the manufacturer, and we think by getting them
2 that information they can then make a responsible decision
3 as to what they want to do at that particular situation.

4 DR. HOLLINGER: And, in reality, they tell you how
5 they want to receive the information. Is that correct?

6 MR. BABLAK: Exactly.

7 DR. HOLLINGER: And can they say I want six
8 different ways of receiving it, or do you say, you know,
9 give us one way that you would prefer to have it? They say
10 I want telephone, I want e-mail, and I want fax?

11 MR. BABLAK: We ask them for one choice, but for
12 all of those choices except for the overnight letter, they
13 then get a follow-up letter. So if you say you want e-mail,
14 you will also get a first-class letter. So there is some
15 follow-up there with that as well. You have a document in
16 your hand.

17 DR. HOLLINGER: All right. I want to give Mr.
18 Falter a minute.

19 MR. FALTER: I just wanted to respond to your
20 earlier question regarding legal authority. While certainly
21 we have control of the product throughout its movement, the
22 product itself, and control over the manufacturer and
23 immediate distributors, if the system we decide to put
24 forward in an actual rulemaking, proposed rule, is of such a
25 type that it would involve extensive involvement of health

1 care facilities, we would meet with the Health Care Finance
2 Administration and there would be consideration whether they
3 would cross-reference our standards and their standards.
4 And, therefore, they would share in surveillance and
5 enforcement of the provisions.

6 DR. BOYLE: But, specifically, since the real
7 problem--one of the reasons why a lot of us are thrilled
8 with the development of this voluntary system is in the case
9 of immune deficient patients who are not normally treated
10 through a center, but it's widely distributed, the bottom
11 line is patients say they were never notified, the doctors
12 say they were never notified, the pharmacies say they were
13 never notified, so there was a breakdown somewhere in the
14 existing system. And so there was an attempt to try to get
15 to the end user.

16 If a new system is put in place to try to do a
17 notification, a mandatory notification that involves
18 multiple levels of consignees, one way to achieve that is to
19 create a unified list of consignees by some kind of
20 registration process, mandatory registration process,
21 through the FDA so they would know who to send these
22 notifications to. And the question is: Do you have the
23 authority to require registration as you do require
24 registration for medical device manufacturers and so on?

25 MR. FALTER: I don't think that active involvement

1 of FDA has been contemplated as yet. We're asking the
2 industry and the patient groups for the best system, and
3 then we'd codify it. But that active role of actually
4 functioning as the people to whom you register hasn't been
5 considered, so, therefore, the legal authority to do so has
6 not been considered.

7 DR. BOYLE: Thank you.

8 MR. NAGLER: You have to treat it like a deadly
9 product, like the legal system treats drug screen samples.
10 Everyone who touches it has to keep records. And whatever
11 system is developed, there's going to have to be time limits
12 set for their reaction to notification. That way you can
13 trace back or you can trace down from the manufacturer or
14 you can trace up from the client. Basically, I think the
15 legal liability ends once the client receives the product in
16 regards to giving it to somebody else or whatever. If that
17 were to happen, then it would be a legal matter.

18 But having been the product of military medicine
19 and several different home care companies, a strict train of
20 evidence has to be maintained. Everybody who touches it has
21 to keep records, and that way you can go from step to step
22 to step.

23 MS. HAMILTON: One thing that I'd like to mention
24 that might be something that falls through the cracks on
25 this, and at some point somebody mentioned only notifying

1 the people who you know got that particular lot. And I
2 would like to encourage us to make it some kind of way known
3 that everybody knows what the lot numbers are because people
4 share product. They get in a bind. Their shipment is not
5 in. They have an emergency. They know Johnny across town
6 has what they need so they go borrow it. It may not even be
7 the same product line that they normally use, but they have
8 something they can have in an emergency.

9 So they would not have been notified, you know, if
10 that lot had been recalled that they went and borrowed from
11 Johnny cross town. So, you know, that needs to be taken
12 into consideration in this chain of notification.

13 DR. HOLLINGER: How would you do that?

14 MS. HAMILTON: I think blanketly, you know, just
15 making sure that every kind of way you can think of--on the
16 Web, which doesn't reach everybody, but through chapters,
17 through home care companies, through treatment centers, and
18 ask them to let everybody know, too, you know, so that it
19 doesn't just stay with the individual that was sent that lot
20 from the manufacturer through whoever.

21 DR. HOLLINGER: Currently, I mean, the FDA, just
22 on this Alpha-1, if you looked on their page there, there is
23 a whole list of all the--

24 MS. HAMILTON: All the numbers--

25 DR. HOLLINGER: --recalled products; and anyone

1 can look at that.

2 MS. HAMILTON: But part of it, I think, is
3 training the consumers, and that's part of our situation.
4 We need to train the consumers to look for those things.

5 DR. HOLLINGER: Dr. Koerper?

6 DR. KOERPER: Is Mr. Bablak here still? One
7 concern I have--and I don't know if it's been addressed yet--
8 -in California we have maybe 40 percent of our patients who
9 are Spanish-speaking. Are the materials available in
10 Spanish? Are the faxes or e-mails or phone calls and the
11 letters going out in Spanish? Is there an option that
12 patients can request Spanish language materials as opposed
13 to English?

14 MR. BABLAK: At this time it's only in English.
15 That point was brought to us actually at the NHF meeting
16 that we attended and rolled this out, and so we are looking
17 at ways that we can add additional capabilities, either by
18 putting something on the notification that says in Spanish
19 it's very important, ask somebody to translate this for you,
20 or making it more sophisticated. And when I put up the
21 signs of things we're looking to do in the future, that
22 would certainly be one of them. And as we look to expand
23 this in Europe, you know, language capabilities will be
24 important. So that's something that has been brought to our
25 attention, and we're looking at the best way to solve that,

1 and we will, both through our contractor and also through
2 the advisory panel we have, try to work through that
3 particular issue.

4 MS. KNOWLES: I think this is great that this is
5 happening. It's probably well overdue. I have a couple
6 points.

7 First, in terms of the people, to reach the people
8 who are not treated by big centers, I think what you have to
9 do is you have to use the media. You have to use the media-
10 -any of it, all of it. And I'm not trying to say to scare
11 people, but just use it appropriately. I know FDA has used
12 it before with other kinds of drug recalls and stuff.

13 Then in terms of the folks who do have access to
14 computers and Internet, I think that it's important to post
15 those international units, lots, companies, because there
16 are U.S. citizens who travel abroad who turn on their
17 computer and log onto the Internet. They might like to see
18 that. Plus there are people in other countries who also
19 turn on their computers and log onto the Internet, too.

20 DR. HOLLINGER: Yes, Dr. Ohene--

21 DR. OHENE-FREMPONG: That was going to be my
22 question. I know you said you were not addressing the
23 international patients yet, but I was asking whether you
24 have plans to do so. They pay for their products.

25 MR. BABLAK: Well, like I said, we have had some

1 earlier discussions about moving this into Canada, and we're
2 also working through an affiliate organization, EAPPI,
3 European Plasma Products--Association of Plasma Products
4 Producers, and we will work to expand it to Europe.
5 Obviously, there are certain constraints that you can do
6 with systems right now. The 800 number is only available
7 for Canada and the United States. Certainly things we could
8 do would be to post a regular dial-in number that wouldn't
9 be toll-free that people could access from anywhere in the
10 world, things like that.

11 So, obviously, suggestions that people have,
12 you're welcome to give those to us, and we will see what we
13 can do to improve the system.

14 DR. OHENE-FREMPONG: Is it possible to have an
15 insert with a product that a consumer can fill in the form
16 and return it and say I'm registering my name, address, and
17 e-mail even if I live in Sao Paolo so you can contact me if
18 there's a problem?

19 MR. BABLAK: At this point the registration forms
20 are not distributed actually with the products. There has
21 been some discussion of that, but that obviously involves
22 going through an FDA process. Right now they are
23 distributed through the patient organizations, through the
24 physicians, and other means. But certainly that's something
25 we could look into as well.

1 DR. HOLLINGER: Yes, Mark?

2 DR. MITCHELL: I still have some basic questions.
3 How far down do you know--how far down the chain are you
4 aware of who has your product?

5 MR. BABLAK: As the manufacturer, you mean?

6 DR. MITCHELL: As the manufacturer.

7 MR. BABLAK: As the manufacturer, you know who you
8 directly sold it to, and that's it. So once it leaves your
9 hands, you know who you sold it to, your direct consignee,
10 from there those people can sell it as they see fit. And
11 that is not shared up the chain because one of the reasons
12 is that's business information that they don't want to give
13 out.

14 DR. MITCHELL: Again, I think that the industry
15 needs to be applauded for the efforts of having this
16 voluntary system and also the consumer groups. I think that
17 it's a very important and very good first step, and I think
18 that--and it's clear that a lot of work has gone into it and
19 a lot of time and a lot of money. So I don't think that you
20 can be thanked enough for doing that.

21 But, again, I think that there does need to be, as
22 has been pointed out here, more of a passive system where
23 people will be notified of problems. And I also think that
24 it should be--that blood products in general should be
25 looked at as the ultimate recipient of notification. And,

1 you know, we can start with this, but I think that the issue
2 is the risk of infection. I think that risk of infection
3 from drugs and also the risk of people getting infected I
4 think is very, very important. And I think that blood
5 products are by their nature more likely to have people
6 become infected by that, and so I think that it would be
7 good for the FDA to ultimately go toward the goal of
8 notifying all recipients of blood products, including the
9 whole blood, which is probably the most risky type of blood
10 product that there is as far as spreading infection.

11 DR. McCURDY: It seems to me--and whole blood was
12 just mentioned. Blood banks have, for as long as I can
13 remember, which is longer than I'd like to admit, they've
14 had it necessary for them to track from the donor to the end
15 user--that is, to the ultimate patient. And there are--in
16 major cities, there's a lot of trans-shipping of blood from
17 one hospital to another, particularly when blood is short.
18 And yet you always are able--or should be able, and usually
19 are able--to tell if you shipped it to Hospital A and
20 Hospital A transferred it to Hospital B and on to C and so
21 forth, you can track that down the line. And I'm not quite
22 sure I understand how it can't get to the pharmacies or the
23 ultimate dispenser to the patient.

24 It's probably a little more complex than blood
25 when you're dealing with blood banks, but it would seem to

1 me that tracking any of these products to the person who
2 gets the ultimate material to take home with them--I guess
3 if they get it from Johnny across town, Johnny across town
4 ought to make a phone call.

5 DR. HOLLINGER: Could they use systems like Smart
6 Cards or some sort like that, you know, where people would
7 have a card with the data that's imprinted on it where it
8 could be swiped and so on when they pick up material that
9 would be put onto the computer and so on, that kind of
10 information? A lot of information goes on just regular
11 credit cards, and certainly they can point out very quickly
12 in the credit card industry the end user. You know, if you
13 purchased gasoline the same day by somebody else, things
14 like this, they call you up and they say, did you really do
15 that?

16 The point is that a lot of that is very rapid.
17 They haven't thought anything about that, I imagine, have
18 they?

19 [No response.]

20 DR. HOLLINGER: Yes, Dr. Koerper?

21 DR. KOERPER: Is Mr. Falter still here?

22 DR. HOLLINGER: There he is.

23 DR. KOERPER: Good. Okay. Just a couple more
24 points. I personally think that the users of IGIV should be
25 notified because they are keeping the product at home and

1 using the product at home, analogous to the patients with
2 hemophilia who are keeping the product at home. So I just
3 wanted to make that point.

4 The other thing, just in general to the members of
5 the FDA, I think--well, to start off with, I think that this
6 is a very good first start in getting this vitally needed
7 patient notification system. I think that it's vital that
8 this momentum be continued and that ultimately the FDA
9 devise a set of rules or regulations--I don't know what the
10 appropriate terminology is--to ensure that this system
11 continue. There's a lot of momentum to do it right now, but
12 if everybody feels like, okay, now we've got our system and
13 become complacent, then the system may gradually fall apart
14 again. So I would encourage the FDA to continue your
15 efforts to codify some rules and regulations as to the best
16 way to maintain this system. I realize it make take a
17 couple of years to get it through all the steps of the
18 process, but I would encourage the FDA to continue with that
19 effort.

20 MR. FALTER: We agree with the IGIV. The only
21 point I was trying to make is that the system for tracking
22 notification may differ between that product where 95
23 percent, we estimate, would be held at some health care
24 facility until the time of use compared with the other
25 products where--I don't have the percentage, but the

1 preponderance of the product is taken and held by the
2 patient. And we're willing to consider treating the two
3 differently as far as the means of achieving notification
4 where we do believe that notification should take place.

5 DR. HOLLINGER: Yes, Dr. Stroncek?

6 DR. STRONCEK: I'm a little bit confused. It
7 sounds like as far as anti-hemophilia factor and IGIV
8 pharmacies are keeping track of lot numbers on who they
9 dispense it to. But my interactions with pharmacies in the
10 past concerning IGIV was they didn't necessarily know which
11 lot numbers went to which patients.

12 And related to that, how about albumin? Albumin
13 is very safe relative to these other products, yet it still
14 a plasma derivative, and there may be reasons to recall
15 that. Is there any discussion on keeping track of who gets
16 which albumin or recalls for that?

17 MR. COLBURN: You just might find this
18 interesting. One of the first CJD recalls--

19 DR. STRONCEK: State your name, please?

20 MR. COLBURN: Donald Colburn. One of the first
21 CJD recalls that we had--I'll word this politely--a large
22 east coast teaching institution called us and asked, hey,
23 how do we figure out who got the albumin that we bought? We
24 said, well, obviously, you track that with lot numbers, who
25 it goes to, patients. No, no, we don't do that. Albumin's

1 a commodity. You know, we never know whose product we're
2 going to have.

3 I think basically what we're dealing with here is
4 just a couple of things, and I don't know how complicated
5 this gets for the government, but every distributor, every
6 pharmacy is licensed by the state where they do business in.
7 I don't know what the difficulties would be for the Federal
8 Government to mandate that for a certain class of products,
9 such as biologicals or something, something injectable, that
10 folks have to start to keep track of that. I mean, it is
11 beyond my belief that that's not a requirement today. So if
12 there's anything that this group can do to stimulate that
13 type of activity, I would highly encourage you to.

14 The other option that is available, which, you
15 know, gets close to that, quote, 100 percent notification
16 issue, is really one that is very difficult to tackle. And
17 when I say this, the CDC is going to cringe, but you could
18 make certain of your conditions mandatory reporting, as they
19 do with many other disease states, so that you actually have
20 tracking of the people. We actually came close to that in
21 the world of hemophilia in about 1978, but then everybody
22 got worried about who's going to have the records, and so we
23 didn't have it. And I think it would have helped if we had.

24 DR. HOLLINGER: Yes, Dr. Koerper?

25 DR. KOERPER: One simple thing that might

1 facilitate tracking is if there were bar codes on all the
2 boxes or on the labels of the bottles themselves. Somebody
3 asked about whether the unit number should be on the
4 bottles, and the answer is yes, because patients take the
5 bottles out of the box and stick it in the refrigerator,
6 because that's the only part that has to be kept cold, and
7 then they have no idea which bottle goes back in which box
8 if they happen to have more than one box.

9 MR. COLBURN: The lot number is on the bottle.

10 DR. KOERPER: Is it on? Okay. But bar codes
11 would be a simple way for pharmacies to scan and keep track.
12 No, Don? Why not?

13 MR. COLBURN: We actually have designed a
14 customized piece of software, and we initially thought we
15 were going to do everything with bar codes because, you
16 know, that was the coming thing, everybody was doing it.

17 The difficulty with the bar code is four-fold:
18 The larger you get, the more nightmarish that becomes
19 because you have to bar code every item that you've
20 received, you have to bar code every item you dispense, and
21 then you have to bar code every item that you have when you
22 have an inventory. And if you are small, there is no
23 problem doing that. If you grow to any substantial size,
24 you are talking a logistical nightmare. It's not quite the
25 same as doing the bar coding of widgets and guesstimating

1 that, you know, the hardware store, this guy says, okay,
2 here's the bar code, I've got eight boxes. You literally
3 would have to do each one because you oftentimes have
4 different lots. You might have, you know, a Baxter shelf, a
5 Bayer shelf, or something like that. But for the purposes
6 of tracking to the patient, you've got to do each individual
7 box. So it becomes a real nightmare time-wise.

8 DR. HOLLINGER: How do grocery stores do it?

9 MR. COLBURN: Somebody was kind enough to invent a
10 universal system for them that all the manufacturers
11 adopted, and at the same time allow them the opportunity to
12 buy those cut little scanners. We don't have that. I
13 suppose it could be developed.

14 DR. ELLISON: I think if we're going to go to a
15 requirement for recording everything, a bar code system
16 would be--you know, it's going to be an expensive
17 investment, I guess, but I think it would be an easier way
18 than recording it by hand or typewriter every lot number for
19 every bottle of albumin and every bottle of AHF.

20 DR. KOERPER: And factor is often dispensed in an
21 unbroken case. You could bar code and scan the box, the
22 case.

23 MR. COLBURN: We didn't find it practical when we
24 explored it because oftentimes the dose for a person is not
25 like, you know--I mean, you would have to--you're doing

1 double bar coding. You might have a thousand size and a 250
2 size. And I'm not saying it's impossible. All I'm saying
3 is that at least available to us, the technology was cost-
4 wise prohibitive and then the time to administer that, since
5 we don't really have a supermarket checkout, if you follow
6 what I'm saying, when you're in the pharmacy situation, it's
7 a little bit different.

8 DR. HOLLINGER: How are you recording your lot
9 numbers now?

10 MR. COLBURN: When inventory is received, they're
11 entered into a computer. When inventory is dispensed, it's
12 dispensed from the computer, which automatically subtracts
13 it. Obviously, you do your checks at the end of the month
14 for what--you know, everything should equal.

15 DR. HOLLINGER: It's entered into the computer by
16 hand?

17 MR. COLBURN: Sorry?

18 DR. HOLLINGER: It's entered into the computer by
19 hand initially?

20 MR. COLBURN: Yes.

21 DR. MITCHELL: Is it trackable by individual
22 patient?

23 MR. COLBURN: Yes. Anything that gets assigned to
24 a patient when you're making up--at least our system,
25 anything that goes to that particular patient goes into

1 their record, and that's what makes it easy for us when
2 there are recalls to--

3 DR. MITCHELL: But that includes the lot number?

4 MR. COLBURN: Yes.

5 MR. BABLAK: I just wanted to make a statement
6 regarding what people are talking about here with lot
7 numbers and tracing that by patients and then also getting
8 the information out to everybody, because I think that's
9 sort of an apples-to-oranges comparison, and it's two
10 different ideas that I think we need to talk about
11 distinctly. The first one is something like our system
12 which gets out the information to everybody who wants it.
13 That's one thing, and that's something that's in place now.

14 Tracking lot number all the way to the patient is
15 something different, which we support wholeheartedly, and
16 that involves tracing all the way down through the chain of
17 command and doing something like what Don was saying where
18 they have it in the computer and it goes out with the
19 patient. That may be done in some areas. I think in
20 hemophilia treatment centers they are pretty good about
21 keeping track of lot numbers, but a lot of the other
22 patients do not receive that information.

23 Certainly it's my understanding for the IGIV
24 patients, they may get several lots mixed up into a bag when
25 they go in and get treatment, and then that's never conveyed

1 to them what those lot numbers are. So one of the things
2 we're doing is trying to educate people that they need to
3 make themselves aware about what lots they are receiving,
4 but I think also the FDA has a role to play here in
5 requiring lot number tracing all the way to the end user so
6 that it's required all the way down the chain and this type
7 of information is made available to them.

8 DR. HOLLINGER: Yes, Dr. Linden?

9 DR. LINDEN: I just wanted to echo some of the
10 comments that others have made. I agree that theoretically
11 we should be able to trace to the ultimate recipient for all
12 these products, but the fact is that the standard of
13 practice for blood banks is to have a disposition, either a
14 computerized or manual log of where all the components went.

15 But in pharmacies, for a lot of the other
16 derivatives, that is simply not the standard of practice.
17 In our state, we actually tried to introduce a mandatory
18 disposition for all plasma derivatives, and the pharmacists
19 initiated a massive letter-writing campaign in opposition
20 saying particularly for albumin the hospital pharmacy ships
21 huge amounts down to the OR and then the OR dispenses it to
22 individual patients, so the pharmacy has no idea, and that
23 basically for, you know, immune globulin and a lot of these
24 other products, they claim it is simply not possible for
25 them to do that.

1 And while I don't believe that, I believe there
2 would be enormous opposition to any system that were very
3 broad, and I think focusing on the chronic users who depend
4 on these products for their lives and use them time and time
5 again, it seems to be an appropriate first place to start.
6 And that's what the voluntary system does. These patients
7 are motivated to find out for themselves, and I think also
8 in any mandatory notification system that that would also be
9 a first place to start. I think anything massive for all
10 plasma derivatives simply would not work.

11 DR. HOLLINGER: Yes, Mark?

12 DR. MITCHELL: I have several things. First of
13 all, I am surprised that it's not currently required that we
14 keep lot numbers and that it be traceable by lot number.
15 And I know that people complain that it's not possible and
16 so on like that, you know, on an individual basis. But, I
17 mean, they can bill for their products on an individual
18 basis. And if they can come up with a system for billing,
19 they should be able to come up with a system of making sure
20 that the person got what they were billed for.

21 One of the things, getting specifically to the
22 wording that's being proposed, I think I don't like the idea
23 of a tracking system. I think that it's not really a
24 tracking system. I think it's a system where it's
25 traceable, where, if necessary, you can trace--when I say

1 tracking system, I expect that the manufacturer is going to
2 have control over where it's going and is going to know who
3 has it. And that's not necessarily what this system is--
4 what I would expect that a system would be set up to do. I
5 would expect that the system would be set up to make it
6 traceable so that you could find out who had it if the need
7 arose.

8 The other thing is that I think that the burden of
9 this should actually be at the local level with the local
10 distributor, be it a pharmacy, be it a center, because I
11 think that they're in the best position to contact the local
12 people, to know the local language, to do the things that
13 need to--and hopefully they have an established
14 relationship, and they'll have better addresses. Those
15 kinds of issues I think can be better handled on a local
16 level. So I think that the FDA, again, should put in place
17 a trackable system where the manufacturer can reach the end
18 distributor, not the end user necessarily but the end
19 distributor. The end distributor could notify the patient.

20 That's it.

21 DR. HOLLINGER: Yes, Dr. Boyle?

22 DR. BOYLE: Just one question that might resolve a
23 lot of what we've been discussing here, because it's sort of
24 like our opinion or what we think is going on.

25 Are the dispensing pharmacies--this is a question

1 to the FDA. Are the dispensing pharmacies required to keep
2 patient records by lot number of what was dispensed? And
3 has compliance--if that is a rule, has compliance with that
4 ever been done on a sample basis of pharmacies to tell what
5 kind of situation actually is out there?

6 DR. HOLLINGER: Can somebody respond from the FDA?

7 MR. COLBURN: Donald Colburn. It's state-
8 regulated, John, and each state has their own peculiarities,
9 and, yes, they sometimes--some states inspect pharmacies.
10 Lot numbers is not a big thing, though, because--I mean, it
11 sound terrible. See, our operation is not typical of a
12 retail pharmacy. If they don't do 300 prescriptions a day,
13 they're in trouble. And I would be overwhelmed to do 300 a
14 day. So, you know, it's a big difference.

15 DR. LINDEN: The State of New York is probably the
16 number one most heavily regulated state in terms of health
17 care in the country, and lot numbers are not required in New
18 York State for plasma derivatives except for factor
19 concentrates.

20 DR. HOLLINGER: Mr. Falter?

21 MR. FALTER: I'm tempted to ask if there's a
22 lawyer in the house. But it's true that as far as directly
23 regulating the pharmacy, FDA has, in large, not done that.
24 The pharmacy has been asked to cooperate in some rulemaking,
25 and in some cases as far as pharmacies associated with

1 health care facilities, they've been regulated through HCFA.
2 But that is an issue that inevitably will be a problem to
3 FDA of what do we do if a given pharmacy fails to cooperate.

4 DR. HOLLINGER: Okay. Thank you.

5 If there are no other questions, I think I'm going
6 to adjourn the meeting for today. Now, there are two
7 sessions tomorrow. We'll start at 8 o'clock. The first one
8 is on inadvertent contamination of plasma pools for
9 fractionation. We're going to revisit that again, and then
10 discuss it with some different algorithms. The second one
11 is going to be on recombination B-domain-deleted
12 antihemophilic factor.

13 So with that, then--do you have anything?

14 DR. SMALLWOOD: Would the committee members remain
15 for just a second? I need to distribute some information to
16 you.


17 DR. HOLLINGER: The committee will remain.

18 [Whereupon, at 4:25 p.m., the meeting was
19 adjourned, to reconvene at 8:00 a.m., Friday, December 11,
20 1998.]

21

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ALICE TOIGO