

1 time, is it related to the severity? If the patient
2 has a severe illness of another kind, and then they
3 also are complaining about these lyme symptoms, or
4 whatever, would that be recorded?

5 And do you have any substudies to asses
6 this sort of thing, so that you could characterize
7 your reporting mechanisms?

8 DR. PLATT: It is the nature of these
9 claims files that they can report up to three
10 diagnosis at a visit.

11 CHAIR DAUM: I have Dr. Davis, Griffin,
12 and Luft. Dr. Davis?

13 DR. DAVIS: Thank you. My question has to
14 do with the consistency of using codes, since you are
15 going to be bringing on two more HMOs. Do you have a
16 method of assessing the consistency of the use of
17 codes across the HMOs?

18 DR. PLATT: We can look at the frequency
19 distribution of use of codes and stratify that by age
20 and sex, that would give us the best sense of that.

21 We have done several other collaborative
22 studies with these HMOs, and have found it could be,
23 the data to be reasonably homogenous across the HMOs
24 for the kinds of exposure outcomes that have been of
25 interest in other pharmacoepidimiology studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: Dr. Griffin, please.

2 DR. GRIFFIN: I am really following up on
3 the question that Dr. Stephens asked, because I'm
4 interested in the enrollment problems, and how much
5 that is going to continue to hinder this study.

6 Because I think it is really an important
7 study to get the kind of information that the
8 committee, and probably everybody else is interested
9 in.

10 So you had many fewer patients that
11 enrolled sort of in the second, or two six months than
12 you did in the first six months, which is maybe what
13 you would expect with a new vaccine, you have sort of
14 a buildup of people who wanted it.

15 So I have two questions. One is, is there
16 any just sort of general idea of why the vaccine has
17 had a much lower uptake than one would have, perhaps,
18 what you anticipated, obviously in this HMO.

19 And, second, is there any idea, ballpark
20 idea, of how many doses have been given in the two
21 other HMOs that you are bringing on line?

22 DR. PLATT: I honestly don't have an
23 expert explanation for the rate of use of the vaccine.
24 The other two HMOs, when we have the data from those
25 other two HMOs, we expect to have between two and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 three times the total that we have now.

2 Which would mean a total of somewhere
3 between 7,000 and 9,000 through two years of follow
4 up.

5 DR. GRIFFIN: There is probably no reason
6 to think in the third year that that will dramatically
7 increase in frequency, that there will be an
8 incremental additive number of individuals. It sounds
9 like you are going to have a hard time getting 25,000,
10 I guess.

11 DR. PLATT: We can predict equally well.
12 There is really no information on that.

13 CHAIR DAUM: I have Dr. Luft, Dr. Kohl,
14 Dr. O'Fallen. Dr. Luft, please.

15 DR. LUFT: Conceptually I love this
16 approach because it uses computers, it is a lot of
17 data that you can go through.

18 But I think one of the issues, you know,
19 coming from the point of view of the department chair
20 of ICB-9 codes as to what is the purpose of those
21 codes from the physician's point of view, and that is
22 for billing.

23 This is the way, and what you do is you
24 try to -- you look at diagnosis and you put in as
25 complex of the issues as possible in order to be able

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to get as high of a level of care, and that is the
2 incentive.

3 So the incentive from the physician's
4 point of view is a financial thing that they have to
5 represent, it is not to look for subtleties.

6 And I think that there may be a problem in
7 what your readout is, as a result of that, especially
8 you try to get three diagnosis. If I have someone who
9 comes in with congestive heart failure, renal disease,
10 diabetes, and joint pain, you will see where the first
11 three, the complex disease will be first, and then
12 joint pain will, myalgia or whatever, won't ever make
13 it up there.

14 The other thing that most of these --
15 because I'm constantly dealing with my docs regarding
16 billing to get them to fill out their billing sheets,
17 is that they do what is easiest.

18 They are not going to look at the long
19 list, they do what they have some facility at knowing.
20 So, for instance, if they single out hypertensives,
21 etcetera, and they could quickly write down those ICD-
22 9 codes, they just do that.

23 It is not even that they will go in and
24 look for the subtle diagnosis, or the things that are
25 out of -- and I think those are two, you know, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just kind of -- in some ways I just love this stuff,
2 because it is just, like I said, it is reams of data,
3 and you are able to compare it.

4 But I'm not sure what the acquisition of
5 the data is as accurate as you want. And that is
6 basically it.

7 CHAIR DAUM: Thank you.

8 DR. PLATT: I agree with all of the above,
9 and that is why I would never publish a result, or
10 suggest to the committee that it make conclusions on
11 the basis of ICD-9 codes alone.

12 We use the ICD-9 codes as a very rough
13 strainer to find the records. Among the thousands of
14 people who are participants in the study, we need to
15 find the hundreds whose charts need to be reviewed.
16 And that is the purpose of using the ICD-9 codes.

17 And we trust the clinicians to get at
18 least the right body system, organ system in their
19 diagnosis codes. And if they don't do that then we
20 will have missed these outcomes.

21 CHAIR DAUM: We are going to take
22 questions or comments from Drs. Kohl, O'Fallen, and
23 Diaz, and then we are going to ask Dr. Kahn to wrap up
24 the sponsor's presentation. Dr. Kohl?

25 DR. KOHL: I took my Ginko Balboa so that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I can't remember my questions. I have two questions.

2 CHAIR DAUM: I was going to make a
3 comment, and I decided that we have been friends for
4 a long time.

5 DR. KOHL: In the summary we received as
6 handout material labeled Synopsis of LYMERix Phase IV
7 Observational Study, it states: While no obvious
8 patterns are present, and I'm paraphrasing here, data
9 suggests a higher incidence of rheumanological
10 conditions among vacinees than non-vaccinees.

11 Was that referring to the 8.5 versus 7.5
12 percent, or are there other higher --

13 DR. PLATT: I'm sorry, I don't know. I'm
14 aware of no other data that suggests that there is a
15 higher rate of assignment of these codes.

16 DR. KOHL: Because you said they were
17 similar, about 8 percent, and the handout says there
18 is a higher --

19 DR. PLATT: One is eight and a half
20 percent and the other is, I think, 7.8 percent.

21 DR. KOHL: Okay, and that is what you are
22 referring to, okay. Because you modified your
23 conclusion a little bit.

24 The second question gets back to what I
25 think is a concern among committee members. And I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to push you a little harder, and that is
2 recruitment of vaccinees.

3 It seems very slow, and if what Dr.
4 Griffin said is true, it seems that possibly there was
5 a bulk of people who wanted a vaccine, and now there
6 is a fall off, although it is possible there were
7 documents who didn't want to use the new vaccine to
8 begin with, and now there will be an increased
9 utilization as they feel more comfortable.

10 And it is possible that a hearing like
11 this will make people less comfortable, and docs less
12 comfortable, and there will be a gigantic fall off.

13 Do you have any idea what is going on?
14 Because I'm concerned, where a year and a half or so,
15 post-licensure, having mandated this kind of study,
16 and it doesn't look like we are getting it very
17 quickly.

18 And if there is a real problem out there,
19 this is a question that needs to be answered with some
20 timeliness. So give us a feeling for how quickly this
21 is going.

22 DR. PLATT: I can't give you a sense of
23 what the recruitment will be. I do think that by the
24 end of this year, with the addition of the data from
25 the new HMOs, we will likely be at two to three times

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the number of individuals, and at that point my view
2 is we will have real information about the relative
3 risk of these outcomes.

4 The tyranny of power calculations is such
5 that very large increases of numbers buy you a
6 relatively small increase in precision. So a study
7 that is half the size, in fact, will have pretty good
8 power to exclude a relative risk of three, as opposed
9 to a relative risk of two that we are talking about.

10 I'm not suggesting that the study be
11 scaled back. But, in fact, even though -- I won't use
12 the word recruitment, is slower than we expected, in
13 fact there will be substantial information available,
14 I think, by the end of the year.

15 DR. KOHL: But we have been told, so far,
16 that this is a very rare condition. So rare that we
17 don't even have an incidence number for treatment
18 resistant lyme arthritis. And I'm concerned that the
19 study is not going to be powerful enough, maybe even
20 at 25,000, but if you scale it back further, that is
21 a real concern.

22 CHAIR DAUM: Dr. Kohl, what I think we
23 should do here is not push Dr. Platt further on this
24 point, but rather raise this important issue when we
25 have more general discussion with the sponsor, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with our FDA colleagues, because they have a lot of
2 input as to how the study is conducted.

3 And Dr. Platt may have a limit to what he
4 can accomplish within the context of his one, two, or
5 even three HMOs in terms of enrollment.

6 And I'm going to suggest that we use the
7 word enrollment rather than recruitment, because I
8 think we are getting some unnecessary juice here in
9 response to the word recruitment.

10 Enrollment is what you are doing, really,
11 at least as I understand it.

12 We had Dr. O'Fallen, and Dr. Diaz. And
13 then we will move on.

14 DR. O'FALLEN: My primary point was very
15 eloquently expressed by Dr. Kohl. I think we have a
16 serious problem of enrollment. And I agree that is
17 the proper word.

18 You all anticipated, obviously, 25,000 in
19 two years. You are optimistically telling us that the
20 addition of, let's pick on Minnesota, where the
21 disease is not as endemic as it is in Massachusetts,
22 I can't believe that the enrollment is likely to be as
23 big there as you are anticipating, either.

24 And then we have the potential bias, if
25 you can only list three ICD-8 codes that the doctors

1 who gave the vaccine will be more likely to list those
2 codes, than we will find in the controls.

3 And so we will have to be trying to sort
4 a lot of that out, too. So I'm seriously concerned
5 about the study as well.

6 CHAIR DAUM: Thank you. Dr. Diaz, please.

7 DR. DIAZ: I think I'm the third or fourth
8 in line with very similar question, and it has to do
9 with this question about enrollment. And this
10 question could be answered now, or later during the
11 discussion.

12 But I think if the study is designed to
13 look at safety as it is used in the general
14 population, then we will, at some point, need to have
15 some information about what the practices are of
16 physicians who are giving the vaccine to these
17 individuals, ie, are they offering the vaccine to
18 everyone equally, or are they selectively offering the
19 vaccine based upon subsets of patients and concerns
20 about safety issues?

21 CHAIR DAUM: Do you want to respond to
22 that? Or I think you already have.

23 DR. DIAZ: I'm curious if anyone has -- I
24 guess the question is, then, does anyone, either you
25 or the sponsor, have information about physician

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 practices with this vaccine, currently?

2 DR. PLATT: There are, so far, there are
3 approximately 250 practices that have immunized
4 someone who is included in the results that I've shown
5 you. And they have, we guess, a couple of thousand
6 providers.

7 The HMO communicates to those providers in
8 a very general sort of way, providing the CDC
9 guidelines for use of vaccine. That is the
10 information that has officially moved back and forth
11 in this provider group.

12 CHAIR DAUM: Thank you very much, Dr.
13 Platt.

14 Now, can I get a sense, from the sponsor,
15 of how much more time they need? I thought we were
16 down to our final speaker. How long does Dr. Hoet
17 need?

18 DR. HOET: I have seven slides, and then
19 there will --

20 CHAIR DAUM: I think we can handle that.
21 Let's go as quickly as we can through this, if you
22 would, please.

23 DR. HOET: Thank you. Thank you, Dr.
24 Platt.

25 The vaccine is now on the market since two

1 years, and 1.4 million doses have been distributed.
2 And to date 984 adverse events have been reported to
3 the company, until November 30th.

4 And what has been observed is that the
5 only reactogenicity profile that had been reported
6 during the clinical development, and that is
7 presenting information of LYMERix occurred to -- it is
8 confirmed.

9 And that some of the symptoms that are
10 reported in prescribing information of LYMERix appear
11 to occur concomitantly with an early onset after
12 vaccination. Also hypersensitivity have been reported
13 very rarely.

14 The slide here compares the adverse event
15 reported during the post-marketing surveillance with
16 the adverse events that were reported during the
17 clinical development.

18 And in the left column here you see the
19 adverse events that have been reported during the
20 efficacy study to occur statistically significantly
21 more frequently in the vaccinated group, as compared
22 to the placebo group.

23 And on the right side you see the ten most
24 frequently reported adverse event in the passive post-
25 marketing report. And these adverse events reported

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 through the post-marketing surveillance are very
2 similar to those reported on the label.

3 Next slide, please. In view of the
4 theoretical concern faced regarding the risk of
5 inducing autoimmune arthritis after lyme disease, all
6 the cases of arthritis or rheumatoid arthritis have
7 been analyzed.

8 And up to September 25th of last year 70
9 cases have been reported. And an in-depth review of
10 the data show that there is no evidence that incidence
11 is higher than in the general population, no practical
12 or clinical pattern was identified, and no clustering
13 time to onset was observed.

14 We do not consider that the arthritis
15 cases reported in the post-marketing surveillance are
16 associated with vaccination. However, as part of our
17 continuing effort to address the theoretical concerns,
18 we are convening a panel of experts to independently
19 review this data. And this is ongoing.

20 Now, since licensure of the vaccine
21 several clinical studies have been performed, or
22 initiated. Firstly in the older population where
23 cohorts of the efficacy study have been followed up,
24 and secondly in the pediatric population.

25 And I will now give you the available

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 safety data of these studies. In the blue box here
2 you see the results that were available at the moment
3 of licensure. First you have the Lyme-008 efficacy
4 study that enrolled 10,936 individuals randomly
5 allocated to placebo or vaccine.

6 And that lasted with a follow up of 20
7 months. This study, as explained earlier, was
8 followed up by a safety follow-up of four months, and
9 these are the data that are available in the file.

10 And then most of the vaccinees of this
11 study have been participating to a long-term follow-up
12 for an additional year, and this is approximately
13 5,000 subjects, and 352 have participated to booster
14 studies.

15 The majority of the placebo cohorts has
16 also been included in further clinical studies, and
17 have received the vaccine.

18 Approximately 4,400 out of them have
19 received the vaccine according to the license
20 schedule. And somewhat less than 1,000, according to
21 alternative schedules.

22 And 550, 1,550 of those subjects have
23 participated to further booster studies. Out of the
24 4,400 subjects having received the vaccine, according
25 to the license schedule, 3, 578 participated to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 crossover part of the efficacy study, for which I will
2 show you preliminary results in a moment.

3 Next slide. So this was an open label
4 study with crossover vaccination of the placebo
5 recipients of the Lyme-008. 3,578 subjects, the
6 schedule was the one that is licensed for the moment.

7 And there was an unsolicited adverse event
8 reporting by a safety postcard. Similar to the
9 pivotal efficacy study the most frequently reported
10 adverse events were injection site pain, myalgia,
11 arthralgia, and influenza like symptoms.

12 So two alternative schedules have been
13 studied, namely 0, 1, and 6 months that was compared
14 to the classical 1, 1, 12 months in 400 subjects per
15 group, and the 0, 1, 2 plus 12 months, versus a 0, 1,
16 12 month in 500 subjects.

17 In addition, approximately 3,800 subjects
18 participated to booster studies, receiving up to six
19 doses of vaccine in total. Regarding the pediatric
20 population 4,000 subjects age 4 to 18 years
21 participated in these studies, out of which 3,000
22 received LYMERix according to the 0, 1, 12 month
23 schedule.

24 In all those studies the nature and the
25 frequency of the adverse events were similar to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pre-licensure clinical trial experience.

2 In addition to the more than 6,000
3 subjects that have been vaccinated before licensure of
4 the vaccine, more than 8,000 subjects have received a
5 vaccine in the course of clinical studies since
6 licensure.

7 And so safety data has been collected, in
8 controlled settings, on more than 14, 000 vaccinees to
9 which the number of the cohort studies can be added.

10 In conclusion of regarding the licensure
11 commitments, the post-licensure commitments, the study
12 on cellular immunity showed no evidence of association
13 between vaccination and incidence of inflammatory
14 arthropathy, no maternal or fetal reproductive
15 toxicity was seen in rats, and the pregnancy registry
16 has been established, and no unexpected observations
17 were made.

18 And the cohort study to asses the safety
19 of LYMERix show enrollment lower than expected due to
20 the low vaccination rates of the search population.
21 No difference was, however, observed in the event
22 codes between vaccinees and the control group.

23 The post-marketing data have shown that
24 the most frequently reported adverse events involved
25 reactogenicity with symptoms already described in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 product label.

2 These symptoms, these reports show that in
3 certain individuals these symptoms are described as
4 occurring concomitantly. Hypersensitivity has been
5 reported very rarely in post-marketing surveillance,
6 and the arthritis cases observed in the post-marketing
7 surveillance are not considered to be associated with
8 vaccination.

9 Clinical studies involving more than 8,000
10 vaccinees confirm that the safety profile observed
11 during the development of the vaccine is --

12 CHAIR DAUM: Thank you, Dr. Hoet.

13 DR. HOET: And now I will --

14 CHAIR DAUM: I think I will now ask Dr.
15 Kahn to show her conclusion slide, and then I will
16 take Dr. Hoet and Kahn's presentation together for a
17 few questions.

18 DR. KAHN: Thank you. Just one conclusion
19 slide, an overall conclusion.

20 In conclusion now we have shown you safety
21 experience in excess of 18,000 subjects in a number of
22 controlled settings. Again, 1.4 million doses have
23 been distributed in the marketplace.

24 All of the data accrued since licensure
25 concern the safety of profile defined at the time of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 licensure, and in particular we should confirm here
2 that there were no cases of TRLA in any of our control
3 trials extensions or, indeed, in the post-marketing
4 surveillance.

5 As for all vaccines GSK is committed to
6 continuing the safety assessment in collaboration with
7 the agency.

8 Thank you, that is the end of GSK.

9 CHAIR DAUM: Thank you to the sponsors for
10 their presentation.

11 We have time for a couple of questions on
12 Dr. Hoet and Dr. Kahn's last comments. Dr. Kohl, Dr.
13 Griffin.

14 DR. KOHL: I appreciate the presentation
15 by the manufacturers. I'm sure, due to shortage of
16 time, we could not see specific data on some of the
17 last studies presented.

18 My question is, does the FDA have that
19 data for the post-licensure studies, in order to be
20 able to scrutinize the specific side effects of the
21 vaccine?

22 DR. KAHN: For many of these downstream
23 indications, where we have clinical trials, there are
24 supplements, indeed, under review. And for that
25 reason we can allude to the them because we have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 empirical safety data to look at, but we can't really
2 comment specifically, because they are -- it would be
3 unwarranted at this time, otherwise.

4 DR. KOHL: Under review in the company, or
5 under review at the FDA?

6 DR. KAHN: At the FDA.

7 CHAIR DAUM: Dr. Griffin, please.

8 DR. GRIFFIN: That may be the answer to my
9 question, too, because I was wondering what you meant
10 by hypersensitivity. If this is an immediate
11 hypersensitivity, sort of a delayed type
12 hypersensitivity, or --

13 CHAIR DAUM: Dr. Stephens, then Dr. Coyle,
14 then -- I'm sorry.

15 DR. HOET: In the post-marketing settings
16 some immediate hypersensitivity has been observed.

17 CHAIR DAUM: Thank you. Dr. Stephens?

18 DR. STEPHENS: Do you have experience with
19 this, or related vaccine, in Europe?

20 DR. HOET: Well, we are currently working
21 in analyzing the possibilities of developing Lyme
22 vaccines in Europe, also.

23 DR. STEPHENS: Do you have clinical trials
24 ongoing in Europe?

25 DR. HOET: There are phase II trials

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ongoing in Europe at the moment.

2 DR. STEPHENS: Phase II trials.

3 CHAIR DAUM: Dr. Estes, please.

4 DR. ESTES: You summarized that you had
5 studies on cellular immunity, where there was no
6 evidence of an association between vaccination and
7 inflammatory reactions.

8 Did you show us that data, the cellular
9 immunity studies? Because my recollection was that
10 the summary from the FDA is that that data was
11 limited, and that final conclusions could not be made.

12 Am I correct in that?

13 DR. KAHN: Perhaps I can call on Dr.
14 Montagne to answer that question.

15 DR. MONTAGNE: Well, actually I'm from
16 R&D, I'm not sure it is needed to go into the details
17 of the data. But indeed, as has been presented by the
18 FDA this morning, indeed this is a primary report, for
19 which the first purpose was to see if there was some
20 sort of to different peptides, to the OspA and to the
21 different peptides.

22 And we can't conclude, because of the
23 background, to any significant, both hemologically and
24 statistically significant difference. However, what
25 we just can see is that there is some lympho

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 proliferation against some peptides.

2 And, for example, we confirm that, indeed,
3 some TDR4 allele are used to present some peptide, as
4 expected, just as expected. I don't know if you want
5 to see the real data.

6 DR. ESTES: I think that is okay, I just
7 wanted to confirm that the conclusions that we heard
8 from the FDA this morning, that the study was limited,
9 was a little different than the conclusion on your
10 slide.

11 DR. MONTAGNE: On top of that, on top of
12 the immunological data, what is true is that there was
13 no correlation between the clinical picture and those
14 data. So those data are confirmed how some peptides
15 can induce some proliferation in association with some
16 DR allele, and especially with DR4.

17 But what is interesting is that, indeed,
18 there was no correlation between these data, this
19 lympho proliferation in individual patients, and some
20 clinical picture.

21 CHAIR DAUM: Dr. Coyle, did you have your
22 hand up before? Dr. Coyle, then Dr. O' Fallen, and
23 then we need to move on.

24 DR. COYLE: I wanted to ask you about the
25 concomitant symptoms that have been identified post-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 marketing, which I think in the report have been about
2 183 patients, which would be about 20 percent.

3 Do you have, is there any data of those
4 183 or so, how long the symptoms are lasting? Because
5 there was a comment on months, and months, and months.

6 Is there any data on those concomitant
7 symptom group?

8 DR. HOET: Well, this is post-marketing
9 data that have, effectively, elements on the post-
10 marketing duration for certain of these symptoms.

11 The best way to analyze this data, the
12 post-marketing setting, is -- the best way to analyze
13 this long-term follow-up, it is always difficult, in
14 post-marketing settings to have this follow-up, and to
15 look at them.

16 So it is a good practice to go back to
17 more standardized and controlled elements. And what
18 we have been doing is looking back to these kinds of
19 symptoms into the efficacy study. And when we have
20 been doing such an analysis we have been found that a
21 certain percentage of subjects effectively have long-
22 term, long-lasting adverse event in the vaccine group.

23 But this was not statistically different
24 from the placebo group. And so, effectively, some of
25 these adverse events that have been reported, either

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the post-marketing surveillance, or in the clinical
2 studies, last for a long time, but this is not longer
3 than what is observed in the placebo group of the
4 efficacy study.

5 CHAIR DAUM: We are going to take two more
6 questions. Dr. O'Fallen, please, I'm sorry for
7 butchering your name before.

8 DR. O'FALLEN: It is not the first time.

9 The pregnancy registry, and the comments
10 that I've heard really disturb me. You've made it
11 sound as though you find no consequences, and yet you
12 summarize, in one situation, that you know the
13 outcomes of only 13 of 30 pregnancies, and in 4 of
14 those 13 pregnancies the outcome was an abortion.

15 I don't consider that to be showing no
16 pattern of anything. I think you have very little
17 data and those kinds of statements I think should be
18 made much more reluctantly than you seem to be making
19 them.

20 DR. WHEADON: I'm David Wheadon, Vice
21 President of Regulatory Affairs at Glaxo Smith Kline.
22 A pregnancy registry is certainly one of the things we
23 standardly do with any newly introduced drug or
24 vaccine.

25 I think the statement is that to date, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terms of the pregnancies that have been reported to
2 us, we've not seen anything that is unexpected.

3 So certainly spontaneous abortion, within
4 the context of pregnancy, in an overall population, is
5 not something that is unexpected. And I think that
6 was, indeed, what was intended to be said by the
7 conclusionary statement.

8 CHAIR DAUM: Do you want to follow up,
9 briefly, very briefly?

10 DR. O'FALLEN: What is unexpected is the
11 rate of abortions, 4 out of 13.

12 CHAIR DAUM: Dr. Ferrieri, please, and
13 then we will move on.

14 DR. FERRIERI: Dr. Kahn, could you
15 clarify for me if you have revised the package inserts
16 since licensure, the language of change in the package
17 insert, and the information prompting any changes, if
18 such changes took place?

19 DR. KAHN: At this time we've just seen a
20 review of the post-marketing experience. And the two
21 categories that Dr. Hoet discussed.

22 I think what we are talking about, first
23 and foremost, we have discussed with the FDA the
24 possibility of this, there has been no submission on
25 this, so we are not even at the point of saying that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one is warranted.

2 But certainly the post-marketing
3 experience has allowed us to better describe or
4 characterize the early onset, the early reactogenicity
5 in terms of their concomitant reporting.

6 But I don't think we see it as different
7 from what was reported in the package insert to date.
8 The hypersensitivity reactions is another issue that
9 we will be discussing.

10 CHAIR DAUM: Thank you very much. We will
11 now conclude the sponsor's presentation, and move back
12 to additional presentation from the FDA.

13 Before we call on Dr. Robert Ball, I would
14 like to ask Dr. Karen Elkins to come up, who had a
15 couple of remarks for us, that sounded like they might
16 clarify some earlier confusion.

17 And once Dr. Elkins is done -- that would
18 be fine, they will turn it around for you.

19 DR. ELKINS: Just to offer a few
20 clarifications in return to the questions that were
21 rattling around on the subject of animal models.

22 There is a long history of using both
23 mice, hamsters, and dogs as animal models for lyme
24 disease, and perhaps others that are familiar with
25 this literature might want to comment as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In regards to the C3H HEGJ mice inbred
2 strains of mice were surveyed about a decade ago, in
3 a systematic way, by several investigators, including
4 Eulick Shadley and Max Simon in Germany, with the
5 finding that HEJs appear to be unusually susceptible
6 to the development of arthritis after infection with
7 borrelia.

8 There was some hint that there was an
9 association with the H2 type of the mice, but there
10 are certainly examples in which mice having the same
11 HL, or H2 alleles, as HEJs, were not particularly
12 susceptible to the development of arthritis.

13 They have been studied extensively for the
14 pathogenesis, and I think it is fair to say that the
15 mechanism of development of that arthritis is not well
16 understood, there has been data presented that that
17 suggests that it could be related to the development
18 of both CD4 and CD8 positive t-cells that recognize
19 OspA.

20 But it is, at this time, I think, an open
21 question. The -- with regard to the question of
22 whether vaccination with OspA has been studied in
23 mice, instead of the HEJ model, I think this has been
24 best examined in transgenic mice, in which the HLA
25 0401 allele, I believe, was introduced as a transgene

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into mice.

2 And I think that it was initially on the
3 129 background, and then those were back-crossed on
4 the B10s. And those were intended to be the model, if
5 you will, for genetic control of development of
6 arthritis in animals.

7 However, when those transgenic mice were
8 infected, they did not develop fulminate arthritis, as
9 I understand it. So that model has not been pursued,
10 and I'm not aware of studies using recombinant OspA,
11 or any recombinant proteins that have been studied in
12 those mice, or at least reported publicly.

13 Now, the hamsters have also been used to
14 study the development of arthritis following fulminate
15 disease, and there has been one study reported looking
16 at vaccination with recombinant OspA followed by
17 infection.

18 And I believe that speaks to Dr. Griffin's
19 question. These were an inbred strain of hamsters
20 that I believe are LSH hamsters, and I know absolutely
21 nothing about the HLA types of a relationship between
22 the HLA types in the hamsters, and in humans.

23 But these hamsters, also, are fairly
24 susceptible to the development of arthritis after
25 infection alone.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One study from Ron Schmells in Wisconsin
2 vaccinated mice with, I believe, 30, 60, or 120
3 micrograms of recombinant OspA, this was a home brew
4 preparation of recombinant OspA that was absorbed to
5 alum, but it was not the LYMERix product.

6 And the group reported that mice that were
7 vaccinated with OspA did not get any observable hind
8 paw swelling. But that when challenged with borrelia,
9 11 or 12 days, I believe, after vaccination, there was
10 an increase in hind paw swelling, compared to those
11 that were only challenged and not vaccinated.

12 There were a couple of features of that
13 particular set of experiments that may or may not be
14 relevant to the vaccination situation. First the time
15 interval between vaccination and challenge with
16 borrelia was very short, either 11 or 12 days.

17 There was sub-dose response data
18 presented. The 120 microgram dose, I believe, showed
19 less change with challenge than the 30 or the 60
20 microgram dose, which was a little peculiar.

21 And the other way around, that is,
22 challenge followed by vaccination with purified
23 protein was not reported.

24 CHAIR DAUM: Thank you, Dr. Elkins. Will
25 you be around later in case people want to question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you further about that?

2 We will now introduce Dr. Ball to give us
3 a report on the VAERS data from the FDA.

4 DR. BALL: Good afternoon. Today I will
5 be speaking about adverse events reported to VAERS
6 following LYMERix, and then briefly discuss our plans
7 for follow-up studies to evaluate the safety to the
8 vaccine.

9 Before I get into the details of the
10 adverse events reported after LYMERix, I would like to
11 give a brief introduction to the vaccine adverse event
12 reporting system.

13 It is a national system for surveillance
14 of adverse events after vaccination, and it receives
15 about 11,000 reports per year. It is jointly managed
16 by the FDA and the CDC.

17 Reports are received from health
18 professionals, vaccine manufacturers, and the public.
19 Anyone can submit a report about any event, and all
20 reports are accepted into the data base.

21 This effort to cast a wide net results in
22 both causal and coincidental events being captured.
23 All death and serious reports, which are defined as
24 events requiring hospitalization, prolongation of
25 hospitalization, life-threatening illness, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 permanent disability as defined by the reporter,
2 receive follow-up to obtain missing information, and
3 when possible, detailed medical records.

4 Death and serious reports are reviewed by
5 FDA medical officers upon receipt. VAERS is used to
6 detect unrecognized adverse events, to monitor
7 reactions, to identify possible risk factors for
8 adverse events, and to conduct vaccine lot
9 surveillance.

10 Surveillance systems such as VAERS are
11 subject to many limitations. They include the fact
12 that reported diagnosis are not verified if medical
13 records are not included, or obtained in the follow-
14 up.

15 There is lack of consistent diagnostic
16 criteria applied to the reports. Reports are coded
17 using a system called COSTART, which I will describe
18 in a little more detail later.

19 There is a wide range in data quality.
20 The reports range from brief descriptions to complete
21 medical records. There is underreporting, although
22 the amount of underreporting is unknown.

23 There is inadequate denominator data. We
24 have information on doses distributed, not doses
25 administered, and there is no data on the demographics

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of vaccine recipients, in particular, age or gender.

2 And there is also no unvaccinated control
3 group. So as a result it is usually not possible to
4 asses whether a vaccine caused the reported adverse
5 event.

6 I just want to show you the VAERS form,
7 and I've highlighted the block 7. This is the block
8 that is available for reports to describe events, and
9 oftentimes this is the only information that we
10 receive from reporters.

11 So given the limitations of VAERS, how do
12 we use the system? We use it by describing
13 characteristics, and looking for patterns to detect
14 signals of adverse events that could be plausibly
15 linked to a vaccine.

16 We do this by looking for unusual
17 clustering by age, gender, time-to-onset, or dose. We
18 examine positive rechallenge reports, which are
19 defined as reports in an event after one dose, with
20 the same event following subsequent doses.

21 And then we also examine symptom codes and
22 clinical characteristics for unique or unusual
23 patterns. We also evaluate the biological
24 plausibility of a vaccine adverse event relationship,
25 look at pre-existing conditions, and concomitant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 illness and medication use that can also influence the
2 adverse event.

3 But signals detected through the analysis
4 of VAERS data almost always require confirmation
5 through another type of study.

6 As I mentioned, there are no standardized
7 case definitions in VAERS. And we use a system known
8 as COSTART. We rely on coding of reports by non-
9 physician nosologists using the system.

10 Within COSTART coding depends on the use
11 of certain words or phrases in a report. For example,
12 a report would be coded rheumatoid arthritis, and
13 simply if that diagnosis is mentioned in the report
14 without confirmation.

15 The report might be coded arthritis, if
16 the report mentions the word arthritis, or arthritic,
17 and a report would be coded as arthrosis if the report
18 mentions joint swelling.

19 As a result, reports with different
20 degrees of diagnostic precision may have the same
21 coding term. And coding terms must be interpreted
22 very cautiously.

23 I will shift gears to reviewing the
24 adverse events reported after LYMERix. Again, the
25 purpose is to describe the characteristics and look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for patterns to detect events that could be plausibly
2 linked to the vaccine.

3 We reviewed all reports from December
4 21st, 1998, which is the date of licensure, through
5 October 31st, 2000.

6 And I'm going to describe, today, selected
7 adverse events, including the death and serious
8 reports, hypersensitivity reports, because they are
9 known to occur after many vaccines, reports of facial
10 paralysis, and reports coded arthritis, or arthrosis,
11 rheumatoid arthritis, because of the association
12 between arthritis and lyme disease, and facial
13 paralysis and lyme disease. Also reports mentioning
14 lyme disease.

15 I am also going to discuss selected
16 potential risk factors, including self-reported HLA
17 types, and self reported history of lyme disease,
18 because of the theoretical concerns of increased
19 susceptibility to arthritis in these groups.

20 So from December '98 through October 31st,
21 2000, there were 1,048 reports in VAERS with
22 approximately 1.4 million doses distributed. The vast
23 majority of those reports occurred after lyme vaccine
24 alone, there were no other simultaneously administered
25 vaccines.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There were four deaths reported to VAERS,
2 85 serious reports, which were defined as
3 hospitalization, prolongation of hospitalization,
4 disability, or a life-threatening illness as defined
5 by the reporter.

6 And of the selected adverse events there
7 were 22 reports of hypersensitivity specifically
8 urticaria, or urticaria with respiratory symptoms.
9 There were 133 arthritis type reports, 13 reports
10 official paralysis, 16 reports of lyme disease, and
11 there are 19 reports of people reporting DR4 HLA type,
12 17 in people reporting other HLA types, and there were
13 76 people reporting history of lyme disease.

14 I just wanted to emphasize that these
15 events have a temporal, not necessarily a causal
16 relationship with the vaccine.

17 This map illustrates the fact that the
18 vast majority of the reports are coming from the mid-
19 Atlantic and New England region, where lyme disease is
20 prevalent, and probably represents use of the vaccine,
21 although we don't have data on state by state vaccine
22 administration.

23 This figure shows the frequency
24 distribution of all VAERS LYMERix reports by calendar
25 quarter. The number of reports is on the Y axis, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 calendar quarter on the X axis. The white bars
2 represent report numbers by date vaccinated, and the
3 black bars represent report numbers by date reported.

4 You can see that most of the reporters
5 were vaccinated in '99, in 1999, although about equal
6 numbers were reported in 1999 and 2000. And this
7 suggests some delay in reporting.

8 And it could be the result of stimulated
9 reporting, from media coverage, of adverse events
10 after LYMERix which began around the end of 1999.
11 Delayed recognition of a connection between an adverse
12 event and vaccination, or delayed onset of an adverse
13 event.

14 This figure shows the frequency
15 distribution of all VAERS LYMERix reports by age and
16 onset. You can see most of the reports are in 40 to
17 50 year olds. There were 7 reports in people less
18 than 15, 34 reports in people over 70, which are
19 outside of the recommended age range for the vaccine.
20 This could reflect off label use, or errors in the
21 reported age.

22 We don't know the age distribution of
23 vaccine recipients, so we can't say if age is a risk
24 factor for adverse events. We also know that about 53
25 percent of the reports were for males, 47 percent for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 females. And, again, we also don't know the gender
2 distribution of vaccine recipients.

3 This figure shows the time to onset of
4 adverse events after LYMERix. And as you can see most
5 of the reports are on the day of vaccination, or in
6 the next few days. This is a typical pattern of time
7 to onset reported for most vaccines in VAERS.

8 You can also see that we have some reports
9 many days after vaccination, and I think the longest
10 is about 300 days.

11 This figure shows the previous
12 distribution by dose, most of the reports are after
13 the first dose. This table shows the ten most common
14 coding terms reported to VAERS after LYMERix. And the
15 italicized terms represent events that were associated
16 with the vaccine in the trial.

17 So that you can see that most of the top
18 ten events represent events that were reported in the
19 trial. I would like to caution that the definitions
20 used in VAERS for these events, the definitions in the
21 trials, could be slightly different.

22 Also many of these events are non-
23 specific, for example, flu syndrome, and that is
24 commonly reported after many vaccines.

25 There were four deaths after LYMERix

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reported to VAERS. They included two men who died
2 from autopsy proven cardiovascular disease; a 43 year
3 old man who developed arthritic and neurological
4 symptoms, which he attributed, or which the report
5 attributed to LYMERix, and that person committed
6 suicide seven months after the second dose of the
7 vaccine.

8 An autopsy was conducted and did not
9 report any findings that could explain the symptoms,
10 although it is not clear, from the report, what type
11 of investigation was done.

12 The fourth death was in a 69 year old
13 woman who developed anemia and thrombocytopenia seven
14 months after the first dose, and died six months
15 later, an unknown time after the third dose, the
16 diagnosis of myelofibrosis, and no autopsy was
17 conducted in that case.

18 And these deaths represent temporal, not
19 necessarily causal, associations with the vaccine.

20 There were 85 serious reports, 44 reports
21 of musculoskeletal events, which I will describe a
22 little later. There were 24 reports of a variety of
23 neurological events, including 5 reports of cerebral
24 ischemia that included three cerebral vascular
25 accidents, two transient Ischemic attacks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The median age in the people who had those
2 events was 62, and events of this nature are common in
3 that age group. There were also 5 reports of
4 demyelinating events, two reports of optic neuritis,
5 one 131 days after the vaccine, the other an unknown
6 number of days after the vaccine.

7 Two reports of transverse myelitis, 10 and
8 13 days after the vaccine. And there was one non-
9 specific demyelinating condition diagnosed 208 days
10 after vaccination.

11 The remainder of the neurological events
12 didn't fall into any single diagnostic category.
13 There were also three hypersensitivity events, which
14 I will discuss a little bit later, as well.

15 The remainder of the adverse events fell
16 into a miscellaneous category with no clear pattern.
17 This figure show the time to onset for the 24
18 hypersensitivity events, defined as either urticaria,
19 or urticaria with respiratory symptoms after the
20 vaccine.

21 And the two reports that are lacking
22 represent a 39 year old woman who developed a red
23 face, itching, and had the sensation her throat was
24 closing within one hour of the second dose.

25 The second report was in a 39 year old

1 woman who experienced itching, hives, chills, myalgia,
2 labored breathing, nine hours after the first dose.
3 Both of these patients were treated with epinephrin
4 and steroids, and recovered.

5 And the close temporal relationship in the
6 specific clinical symptoms and signs in these reports,
7 and the other, or some of the other urticaria reports,
8 makes a causal relationship with the vaccine
9 plausible.

10 The next exam reports coded arthritis,
11 arthrosis, or rheumatoid arthritis, because of the
12 link between lyme disease and arthritis, and the
13 theoretical concerns that have been discussed.

14 Here we see the reports of thirty
15 conditions by calendar quarter vaccinated in the white
16 bars, and calendar quarter reported in the black bars.
17 While most people who reported these conditions were
18 vaccinated in 1999, more than reported in the year
19 2000, again suggesting delayed reporting, which could
20 reflect either against stimulated reporting, delayed
21 recognition of a connection between an arthritic
22 condition, and the vaccine, or delayed onset of the
23 adverse event.

24 As a remainder, in the pre-licensure trial
25 there was on difference in the rate of arthritis in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the vaccine and placebo recipients. In the VAERS
2 reports of arthritis or arthrosis, and rheumatoid
3 arthritis, we looked for patterns by age, gender, and
4 dose.

5 There is no substantial difference in age
6 among the arthritis reports, but we did note two
7 patterns that are illustrated on this slide. For
8 arthrosis reports, which are reports of joint
9 swelling, you can see a male predominance. When a
10 female predominance would be expected based on the
11 female predominance for the diagnosis of arthritis in
12 the general population.

13 However, you will see that when we total
14 all three of the coding terms, the gender is
15 approximately equal between the two groups. We also
16 found that for the coding terms arthritis and
17 rheumatoid arthritis there was a predominance of these
18 events occurring after the second dose, which
19 persisted although slightly less for all the three
20 coding terms.

21 And, again, this is not what would be
22 expected based on the fact that most reports of
23 adverse events after LYMERix were after the first
24 dose.

25 So we further examined this dose trend by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looking at time to onset by dose for the rheumatoid
2 arthritis, and arthritis coding terms.

3 And we did this because if the vaccine is
4 causing arthritis through a common immune mechanism we
5 might expect clustering of time to onset.

6 This slide illustrates the time to onset
7 for the rheumatoid arthritis reports, the first dose
8 report is in white, and the second dose report is in
9 grey. And as you can see there is a wide range in
10 time to onset with no particular clustering.

11 Similarly for the reports coded arthritis
12 we see the first dose in white, second dose in grey,
13 and third dose in black, we see a wide distribution of
14 time to onset, with some clustering in the first week,
15 but this is what we would normally expect for reports
16 to VAERS.

17 And we also see some reports with delay
18 onset, and those reports also did not cluster and
19 range from 11 to 39 weeks after vaccination.

20 We wanted to address this issue further,
21 so we tried to characterize the clinical symptoms and
22 signs in the reports that were coded arthritis,
23 arthrosis or rheumatoid arthritis, and see if they
24 mentioned any of the five factors, joint pain, limited
25 motion, joint tenderness, joint warmth or joint

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 swelling that is typically used for the diagnosis of
2 an inflammatory arthritis, with joint swelling being
3 the most suggestive.

4 So we see there that there are 58 reports
5 that specifically mention joint swelling. And we
6 further examined their time to onset by dose
7 stratification and, again, see no unexpected patterns
8 with a wide distribution of times to onset.

9 We also looked at reports of facial
10 paralysis because of the association with lyme disease
11 and facial paralysis. In the pre-licensure trial
12 there was no difference in the rate of facial
13 paralysis between the vaccine and placebo recipients.

14 In VAERS there were 13 reports. There was
15 one unexpected pattern in that there were ten men and
16 two women when we would expect approximately equal
17 distribution based on the natural history of the
18 disease.

19 Although, again, we don't know the
20 distribution of vaccine recipients by gender.

21 We conducted a follow-up survey of the 12
22 people who had reported as of October 2000 to further
23 assess these cases. We were able to contact 7, 5 were
24 lost to follow-up.

25 Four of the seven had concomitant illness,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 including two with hypertension, one with hypertension
2 and diabetes, and one with multiple cranial nerve
3 palsies of undetermined ediology. That patient had
4 headaches prior to vaccination which might have
5 represented the onset of that disorder. Five of the
6 seven have completely recovered.

7 We also looked at the time-to-onset of
8 these reports and, again, we see a wide range of time-
9 to-onset with a slight peak at four weeks.

10 Because of the theoretical concern of the
11 association of the DR4 HLA type and treatment
12 resistant lyme arthritis we further examined reports
13 that included this information.

14 There were 19 reports that included the
15 DR4 HLA type and 17 reports of other HLA types. The
16 coding terms arthritis and arthrosis were more common
17 on people who reported any HLA type, but the clinical
18 characteristics and coding terms were similar in the
19 two groups, and there was not a predominance of
20 arthritic conditions in the DR4 group.

21 There were more reports after the second
22 dose for both of these groups, but the time-to-onset
23 was reported to occur over a wide range.

24 We also looked at the 76 people with the
25 self-reported history of lyme disease, and here you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can see their coding terms. We compared that with the
2 ten most common coding terms for all reports, and what
3 you can see is that there is some shifting in the
4 order in which these coding terms occur, but the
5 overall pattern is similar between the two groups,
6 suggesting that people with a self-reported history of
7 lyme disease report similar events, as others after
8 LYMERix.

9 There are also 16 reports of people who
10 reported they developed lyme disease after
11 vaccination. The clinical characteristics in coding
12 terms were consistent with lyme disease in this group.

13 Fourteen of these people developed lyme
14 disease after their first or second dose, before
15 completion of the vaccine series, and may not have
16 achieved adequate immune response, possibly resulting
17 in acquiring natural lyme disease.

18 A few of the reporters were concerned that
19 the lyme vaccine had reactivated a previous lyme
20 disease, or somehow influenced the course of lyme
21 disease. But it is not possible, from the reports
22 that we have, to evaluate this.

23 So, in summary of the VAERS analysis,
24 VAERS has limited ability to asses the causal
25 relationship of adverse events in vaccines. However,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hypersensitivity reactions reported to VAERS are
2 common, but can be plausibly linked to LYMERix because
3 of their specific timing, shortly after vaccination,
4 and their clinical features, specifically urticaria
5 and allergic respiratory symptoms.

6 The question of the association of
7 arthritis with LYMERix cannot be resolved with VAERS
8 data alone, although the reports of arthritic events
9 reported to date do not provide clear evidence of a
10 causal association.

11 We are attempting to gather additional
12 information on people who report joint problems
13 following LYMERix by conducting a telephone survey.
14 We are looking at events that have been coded as
15 arthritis, arthrosis, rheumatoid arthritis, joint
16 disease, or arthralgia, in order to obtain detailed
17 information about the events including medical
18 records.

19 We intend to look for patterns of unusual
20 disease or laboratory values in these reports. We
21 also want to confirm the diagnosis of arthritis for a
22 case control study, which I will discuss in a moment.

23 And as of last week we have completed 35
24 of approximately 200 planned interviews.

25 We want to further study this question by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 conducting a case control study based in VAERS. We
2 will use arthritis cases confirmed by the survey, and
3 compare them with two control groups, also identified
4 through VAERS, that would include arthritis cases
5 reported following other vaccines, and events other
6 than arthritis reported following LYMERix.

7 Our intent at this time is to conduct high
8 resolution HLA typing in all three groups, and test
9 for t-cell reactivity to OspA and LFA1.

10 Probably only a very strong risk will be
11 detectable in this study, because of the relatively
12 small numbers of arthritis reports in VAERS. But if
13 the results are suggestive of an association
14 additional studies will be conducted as needed.

15 At present the protocol for this study is
16 still in development.

17 So, finally, our plans for continued
18 safety evaluation of LYMERix include continual
19 monitoring of VAERS reports, conducting a VAERS based
20 telephone survey, a planned case control study to
21 further evaluate joint problems following LYMERix.

22 And, of course, the results of the
23 maintenance sponsored phase IV study will be very
24 important to help evaluate safety concerns.

25 I would just like to acknowledge the

1 others at the FDA and CDC who helped to analyze this
2 data. Thank you.

3 CHAIR DAUM: Thank you very much, Dr.
4 Ball. We have a few moments for questions regarding
5 Dr. Ball's presentation on the VAERS data. Ms.
6 Fisher.

7 MS. FISHER: Dr. Ball, you stated that it
8 does not provide clear evidence for an association
9 with arthritis, but it must be enough of a concern for
10 you that you are doing further studies, I see.

11 Is there any plans, in the one control
12 group, arthritis cases reported after other vaccines,
13 are you going to be looking at the genetic profile of
14 those individuals to see if, since 30 percent, I think
15 the DR4 allele, is there going to be an attempt to
16 look at whether or not there is some sort of an
17 association?

18 DR. BALL: The idea behind the case
19 control study is to look at HL type in both the cases
20 who develop arthritis after lyme vaccine, as well as
21 the two control groups. So we will try to address
22 that.

23 CHAIR DAUM: Questions, comments?

24 (No response.)

25 CHAIR DAUM: Okay. Well, the -- you must

1 be hungry. Thank you, Nancy, for reminding us of
2 basic biology here.

3 It is now 12:28, coming up on 12:30. We
4 will take a break for lunch and reconvene in one hour,
5 at 1:30

6 (Whereupon, at 12:30 p.m. the above-
7 entitled matter was recessed for lunch.)
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:35 p.m.)

1
2
3 CHAIR DAUM: Good afternoon, we are back
4 in session. Committee members needing a jolt of
5 caffeine will be pleased to know that a new pot of
6 coffee will be forthcoming in a few moments, we hope.

7 We turn now to the -- everybody sort of
8 settle down, please. We turn now to the open public
9 hearing portion of today's session. As of last count
10 we have 17 people who have indicated a wish to speak.

11 We are going to have to move on a strict
12 schedule because we need to have time for the
13 committee to digest, deliberate, and then discuss all
14 of the data that they've heard today.

15 So I'm going to be a little more ruthless
16 than usual about asking people to adhere to the time
17 limits that we've all agreed to, and mentioned before.

18 What I'm going to do is to call three
19 speakers names in a row, and asking one to begin, and
20 the other two to sort of get ready. The options are
21 to use the microphone that is just behind the
22 committee tables, near the cameras, or to use the
23 podium. Either is fine, but the same time limit
24 applies, and I would appreciate your cooperation in
25 that regard.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So the first speaker is going to be Karen
2 Vanderhouf Forschner, who I know is up here already.
3 The second is Stephen A. Sheller, I hope I'm not
4 butchering anybody's names, I apologize if I am. And
5 the third one is Jenny Marra.

6 So let's begin with Ms. Forschner, please.

7 MS. FORSCHNER: Good afternoon, and thank
8 you for having me here. I'm with the Lyme Disease
9 Foundation, which is the only national lyme disease
10 group meeting federal standards as national.

11 I have a disclosure to make. We have
12 always supported vaccines, throughout the Foundation's
13 history, funding vaccines, and encouraging their
14 development. We have testified at FDA and CDC
15 meetings for this.

16 We also received, this year, a grant of
17 120,000 from SmithKline Beecham, which is part of a
18 matching grant challenge from 1999, and there will be
19 additional donations for the year 2000.

20 We have, I'm the mother of a child who had
21 lyme disease, who died of lyme disease, and I have not
22 taken the vaccine, though I was willing to enter the
23 trials.

24 And my daughter, who was born
25 subsequently, is healthy, and we were going to have

1 her on the trials, too, though she was sick.

2 We have concern over the scientific
3 evidence and criteria being not completely scrutinized
4 and published. We are concerned about the closed loop
5 and difficulty of other opinions and scientists
6 getting into these government discussions and looking
7 at the data.

8 We are concerned about conflict of
9 interest. We know that there were HLA studies done,
10 from what we understand, in phase II, we haven't seen
11 it. There is significant amount of research that has
12 been done, much to SmithKline Beecham's credit, that
13 hasn't been published, unfortunately.

14 We are concerned about informed consents
15 to patients, both with prior lyme, and on the HAL
16 issues. There has been data compiled for adverse
17 outcomes. We are concerned that the data that was
18 captured before is still the same data that you are
19 capturing now, and may not actually represent what is
20 actually happening to the patients out in the real
21 world.

22 We are concerned about the definitions
23 used for vaccine failures. We are concerned about
24 definitive lyme, and probable lyme, probable lyme I
25 haven't seen anything up here on the screen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We are concerned about the misuse of the
2 vaccine in people that are older, and people under
3 current treatment for lyme disease. We have concern
4 about patients not being able to get into the VAERS
5 system, which we have been hearing for years, for
6 adverse events.

7 Doctors and investigators not reporting
8 their patients as having problems, and fear of
9 patients getting the vaccine from family
10 practitioners, that they don't want to go ahead and
11 say that they've had problems, it might affect their
12 relationship long term.

13 As you know the science in the vaccine,
14 and I'm giving the committee a tape, is 36 percent of
15 the patients in the trials remain zero negative.
16 Those were the ones that were culture and PCR
17 positive, which means there are some people that will
18 be zero negative, and may fall through the cracks.

19 We are concerned that only 60 to 70
20 percent of those people had EM rashes. I have four
21 exhibits to show you. I think you can still hear me
22 as I move over here.

23 As you know, in '93, there was -- and this
24 material is just the front page of the material
25 provided to the members here. In '93 there was an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

153

1 We are concerned about the misuse of the
2 vaccine in people that are older, and people under
3 current treatment for lyme disease. We have concern
4 about patients not being able to get into the VAERS
5 system, which we have been hearing for years, for
6 adverse events.

7 Doctors and investigators not reporting
8 their patients as having problems, and fear of
9 patients getting the vaccine from family
10 practitioners, that they don't want to go ahead and
11 say that they've had problems, it might affect their
12 relationship long term.

13 As you know the science in the vaccine,
14 and I'm giving the committee a tape, is 36 percent of
15 the patients in the trials remain zero negative.
16 Those were the ones that were culture and PCR
17 positive, which means there are some people that will
18 be zero negative, and may fall through the cracks.

19 We are concerned that only 60 to 70
20 percent of those people had EM rashes. I have four
21 exhibits to show you. I think you can still hear me
22 as I move over here.

23 As you know, in '93, there was -- and this
24 material is just the front page of the material
25 provided to the members here. In '93 there was an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was published in '97 that showed that the vaccine may
2 cause a state of partial immunity. I'm not saying
3 that this is actually happening. I'm saying that this
4 was in the scientific literature, and it was in the
5 debate at the time. Did this translate to informed
6 consent to the public?

7 What is happening out in the real world,
8 even today, is patients are not getting into the
9 system, they are having trouble reporting to their
10 doctors, and they are having trouble. So there is an
11 example of a letter that went in that my doctor would
12 not report me as an adverse event in the trials.

13 And finally one that was, second to last,
14 one that was more recent, and more home for me, since
15 it is in my own home town, this patient had a doctor
16 who gave him the LYMERix vaccine in the second week of
17 treatment for lyme disease.

18 Three doctors in the practice had said it
19 was perfectly safe to take it while you have active
20 lyme disease, and actually gave it to the patient. In
21 other conversations with the doctors, separate from
22 this, they had indicated that they felt under pressure
23 since they had invested so much in the LYMERix vaccine
24 to actually use it, and get it off the shelf.

25 Finally, there is an issue of cost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 effectiveness of the vaccine. The letter to the
2 editor said, maybe instead of treating everybody in a
3 large region to prevent it with a vaccine, with risks
4 that still indeed continue to be questioned, maybe it
5 would be better to treat just that small population
6 that had a tick bite, and treat the tick bite with 15
7 dollars worth of antibiotics.

8 Right now I weigh the question about the
9 vaccine myself, since I lost my son, and I would like
10 a vaccine. I'm done. What I'm concerned is that
11 right now I protect her with tweezers, and if she
12 actually were ever to need it, I would ask for
13 antibiotics. But right now I do tick checks, and I
14 use tweezers.

15 And I'm afraid that this is a vaccine that
16 may be a very good vaccine, worthy of all of our
17 support, that has a bad reputation, or a vaccine that
18 may have actually slid through the system on science
19 that didn't quite build it up, and may not be worthy
20 of being there.

21 And I think it is owed its due to get the
22 answers verified.

23 CHAIR DAUM: Thank you very much, Ms.
24 Forschner. And we will next call on Mr. Sheller, then
25 Ms. Jenny Marra, and Dr. Sidney Wolfe. Mr. Sheller

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 represents, or is associated with the law offices of
2 Sheller, Ludwig, and Bodey.

3 MR. SHELLER: Thank you. You know,
4 sometimes I feel you are like a jury here, that is
5 going to only hear one side of the situation. My
6 recommendation to you is that for your next meeting
7 you invite some speakers who can portray to you
8 additional information.

9 For example, Dr. Rose from the Dupont
10 Children's Hospital. You might even consider inviting
11 the chief surgeon from the hospital, who was knocked
12 out of surgery because he participated in a trial and
13 got arthritis from it.

14 So what I'm suggesting to you is let's
15 consider this committee having the full kind of
16 flavor, instead of just five minute talks by a bunch
17 of people, from at least some scientists that they can
18 portray, give very good questions, you've asked
19 tremendous questions, and I appreciate the effort you
20 are making.

21 But let's have a trial where you get to
22 hear the whole case. In any case I'm here to urge
23 this committee to recommend the moratorium, if not
24 withdrawal of LYMERix, or at the very least recommend
25 substantially enhanced warnings for the vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 With spring quickly approaching the time
2 for action is now. People who started the vaccine
3 schedule last year are coming due for their third
4 shots, and additional people may start the vaccine
5 schedule with their first and second shots very soon.

6 Therefore the committee has a chance now
7 to save some people. And you can do your job by doing
8 it right away. And I will give you some examples, but
9 you are going to hear a bunch of people testify, and
10 I prepared a document which you have, which outlines
11 a bunch of papers, and materials, and I hope that you
12 read it.

13 We put a lot of time and effort into it,
14 and we try to bring you some expert testimony, but
15 unfortunately we were not able to get the people to
16 come, who had information, because they said for five
17 minutes I can't just come here and do this.

18 Now, keep in mind this. And this is
19 something I'm adding. I heard Dr. Ball talk about the
20 study he is doing. I appreciate he is doing a study,
21 I'm disturbed that the FDA waited all this time to get
22 around to doing it.

23 But most importantly the numbers, and I
24 think there is a chinese fortune cookie that says,
25 when all else fails, manipulate the numbers. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 apart from that, I don't mean to joke, this is very
2 serious.

3 But what I want you to do is keep in mind
4 that there are 1,076 adverse events as of October
5 31st. There were, supposedly, 1,450,000 doses
6 distributed.

7 I don't know what the word distributed to
8 me is, but I know from doctors who have the vaccine,
9 it is sitting on their shelves in a lot of cases. So
10 my guess is that there are a lot of doses distributed
11 that haven't been injected into any patient.

12 Equally important, the adverse event
13 reporting system only captures a very small percentage
14 of adverse events. And this has all been said, and
15 there has been delayed reporting of a number of
16 adverse events.

17 So you have 1,076 events -- and remember,
18 most people get three shots, some as many as five. My
19 guess is those -- you may have 100 to 150,000 people,
20 at most, vaccinated. We have found that the real
21 problem seems to occur after the second shot.

22 We have also found that a reaction on the
23 first shot, and I've gotten calls from over 200
24 people, we don't advertise, we don't solicit, these
25 are clients that I represent, some of them extremely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 seriously ill.

2 And I'm just saying to you, if they have
3 1,076 adverse events as of October 31st, do some quick
4 numbers in your mind, multiply it by 10, at least 10.
5 That is 10,000.

6 Assume that may be 100,000, 150,000, build
7 it up if you want, but just do some quick numbers, add
8 some lag time to that, you've got an awful lot of
9 adverse events being reported here, an awful lot.

10 And you ought to take a real close look,
11 because the system for collecting adverse events
12 doesn't really tell you much. In fact that is what I
13 heard about the studies being done by SmithKline.
14 They draw conclusions without revealing how many shots
15 were administered, which is key, I'm telling you, it
16 is after the second and third shot that people really
17 get -- and you will hear that today.

18 What else you will hear is that there are
19 studies that are being done. And not only by
20 SmithKline, and you need to invite these people to
21 speak to you.

22 I'm trying to get all this in, in five
23 minutes. One of the worse things we've seen is
24 physicians are failing to recognize adverse reactions
25 to those first and second shots, very serious problem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We have some poor client in the -- and
2 what they do is they then get the third shot, even
3 though they are suffering some adverse event, and then
4 they are wiped out.

5 But, for example, we have seen people
6 being -- we have one client from Peoria, Illinois, who
7 was told that he needed his coccyx bone removed, and
8 he had a reaction to the vaccine. The doctor had no
9 inkling that is what was going on. He was operated
10 on, developed osteomyelitis, and he is finished.

11 We have other clients who have gotten
12 carpal tunnel syndrome diagnosis, and had operations
13 on their hands. The doctors aren't being given
14 information in the labels, they are not being able to
15 properly be warned.

16 You can't get a -- you know how labels
17 work. Most doctors say they read it, but they look at
18 the warning section, and then they stop. And if these
19 things aren't in black boxes, this HLA situation for
20 example, I think is key.

21 And I see what SmithKline said, basically
22 today, and I see you -- the HLA situation has not been
23 adequately studied. Dr. Steere is studying some of
24 it, but I refer to a case in our papers, where Dr.
25 Steere does some peptide blood work, but he says in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 his studies, you are supposed to do synodal fluid to
2 find out about that.

3 And I mentioned that. Now, why? And you
4 will hear one of these patients talk about their
5 synovial fluid, even though swelling was never tested.
6 And they were diagnosed as having an event, by their
7 treating physician, relating to the vaccine that is
8 extremely serious for them.

9 Thank you.

10 CHAIR DAUM: Mr. Sheller, thank you. We
11 next call on Ms. Jenny Marra, followed by Dr. Sidney
12 Wolfe, and Ms. Kathleen Dickson. Ms. Marra?

13 MS. MARRA: My name is Jenny Marra, I'm a
14 hospice nurse from New Jersey. I'm also a LYMERix
15 vaccine victim. I have been living with severe joint
16 and muscle pain since getting the vaccine in early
17 1999. I'm also HLA DR4 positive.

18 I would like to start by quoting the
19 chairperson at the FDA committee that approved
20 LYMERix, Patricia Ferrieri. "I might comment that
21 this is fairly rare for a vaccine to be voted on with
22 such ambivalence and a stack of provisos."

23 The entire panel had concerns about the
24 long term outcome of this vaccine due to the fact that
25 it had only been studied for 20 months. They were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 also concerned about the theoretical possibility that
2 this vaccine, made from the OspA protein, could cause
3 an untreatable, incurable form of arthritis in 30
4 percent of the populations.

5 In fact, the head of the clinical studies,
6 Allen Steere had said: "This is an issue of concern,
7 on-going surveillance will be important."

8 Steere had published an article in Science
9 Magazine on this topic five months prior to the
10 approval of LYMERix. The article is in the vaccine
11 victims packet I've given you.

12 SmithKline was so concerned with this
13 issue that they had study participants sign a paper
14 indicating the theoretical possibility existed that
15 vaccine, that the vaccine might cause arthritis in
16 certain genetically susceptible individuals.

17 Yet SmithKline did not include this
18 information in the product labeling, or inform the
19 health care providers of this concern. Had I known
20 this I personally would not have taken the vaccine.

21 I have obtained the VAERS reports up to
22 May 8, 2000. They are a little different than what I
23 heard today. During this time there were 467 reports.
24 Out of those there were 146 reports of joint pain
25 and/or swelling.

1 I have studied these for over a month, and
2 going by the wording of the complaints, noted pain in
3 the joints, joint pain, swelling, arthritis, and that
4 is all that I included, I didn't even include most
5 that he did.

6 And as most of us are aware, 90 percent of
7 the adverse reactions are not reported. So there are
8 many more people that are suffering from this vaccine
9 that we don't even know about.

10 SmithKline knowing this theoretical
11 possibility, even went ahead and tested it on children
12 before knowing the long term outcomes on the adults.
13 To me this is outrageous. This just shows the
14 heartless disregard that SmithKline has for the
15 children and adults of this country.

16 This is pure profit motivation. It is the
17 only way to explain the total lack of concern for the
18 public. I have done TV and newspaper interviews to
19 educate the public of the devastating effects of this
20 vaccine.

21 From this I am contacted daily by people
22 harmed by this LYMERix, some of which are here today.
23 Others cannot make it because of the illness they have
24 gotten from this vaccine.

25 I have been told by some that they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 tried to contact SmithKline about the reactions. They
2 are put on hold until they give up and they just hang
3 up the phone.

4 A few of the people were in the clinical
5 studies. I have been told by them that they would go
6 to SmithKline with different problems that were
7 happening to them, and SmithKline would not document
8 the reactions they were having.

9 One study participant, Lewis Ball, wrote
10 a letter to respond in an article in the New London
11 newspaper that states: "I am part of the original
12 test group that got the vaccine mentioned in this
13 article. On two different occasions I contacted Dr.
14 Sisken with health problems that I wanted to be part
15 of the record on the study, into the heading of
16 possible side effects."

17 "I was told, on both occasions, that there
18 was no column to file these health problems in,
19 because they weren't expected. One involved sudden
20 memory loss, and the other was much more involved."

21 In the VAERS report I have there is a 43
22 year old gentleman that you heard of earlier, that
23 committed suicide seven months after getting this
24 vaccine because the pain is so severe, and from being
25 unable to get relief from 14 doctors he had seen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I can relate to this man's pain, as can
2 most of the 75 people I have spoken to, that have been
3 hurt by this vaccine. Most of us agree that if it was
4 not for the support of our families we would not -- we
5 would have done the same as this vaccine victim.

6 This is how severe this pain is we are
7 living with every day. We have all seen several
8 doctors looking for help. Our health care providers
9 are turning us away with statements like "I don't want
10 to get involved".

11 That is what a rheumatologist told me and
12 my husband a few months ago. This is the attitude a
13 lot of the health care providers, these people hurt by
14 the vaccine are dealing with.

15 This vaccine is not causing just some
16 minor joint pain, it is destroying lives. It is
17 destroying the lives of our most healthiest
18 population. These people being vaccinated are healthy
19 outdoor people.

20 They thought they were protecting
21 themselves from a horrible disease. Instead they've
22 gotten an even worse disease, one that cannot be
23 treated or cured.

24 We all would have been better off getting
25 Lyme disease. SmithKline wants this vaccine approved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for children. I know a few children that were in the
2 studies that have already been severely hurt.

3 From what I can gather, from the study
4 participants I have spoken to, SmithKline's adult
5 studies were tainted. How can we trust the children's
6 study results?

7 I ask this panel today to recommend that
8 this vaccine be stopped immediately. If you cannot
9 pull it, at least put it on hold until the studies
10 that you are talking about today are done.

11 It may be too late for us vaccinated, but
12 it is not too late to stop the destruction of more
13 lives. Thank you.

14 CHAIR DAUM: Ms. Marra, thank you. The
15 next speaker is Dr. Sidney Wolfe, followed by Ms.
16 Kathleen Dixon, and Ms. Kay Lyon.

17 DR. WOLFE: Thank you. This is the first
18 time in more than 20 years --

19 CHAIR DAUM: Can you speak right into the
20 microphone, Dr. Wolfe. Do you want us to help you
21 adjust it?

22 DR. WOLFE: This is only the second time
23 in the almost 30 years since I left NIH to start this
24 group, that we have become involved in some
25 vaccination or vaccine issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The first was the swine flu. And although
2 there are a number of differences, such as the high
3 mortality disease influenza was more meritorious
4 generally, not the swine flu, but of having
5 immunization.

6 But there are also a lot of frightful
7 similarities. One is that in the case of swine flu,
8 the vaccine caused an autoimmune disease called
9 Guiembre.

10 Secondly, there was a gross overselling of
11 the vaccine for what amounted to a few cases in Fort
12 Dix, New Jersey, there was a recommendation for
13 nation-wide immunization.

14 So those similarities are where I would
15 like to start, and just simply say that when, and you
16 all know this, when you evaluate a vaccine you have to
17 look at the benefits, which are a function of what the
18 risk of the infection is for someone, which in this
19 case varies enormously around the country, and the
20 effectiveness of the vaccine.

21 You have to look, obviously, at short term
22 and long term effects of the vaccine. And, finally,
23 in combination you have to look at the benefit risk
24 ratio.

25 But equally important, and this was the

1 tragic lesson of the swine flu vaccine, one has to
2 look, when one sees a very questionable immunization
3 campaign such as this going on, about the implication
4 and the negative effect on public health, generally,
5 and on vaccinations in specific.

6 I mean, a huge setback was dealt by the
7 really ill-conceived swine flu vaccine, and I'm afraid
8 that already, and it may even be worse later on, with
9 what is going on with this campaign, it will deal
10 another setback.

11 As several people have mentioned, you
12 voiced some concerns when this was discussed for
13 approval in May of 1998. There is some new
14 information since then.

15 If you go to a website called LYMERix.com,
16 you see some extraordinarily reckless promotion of
17 this vaccine. The first page shows backyard fun,
18 golfing, gardening, pet owner outdoor sportsman, don't
19 let lyme disease interfere with these activities.

20 You then can go on to another page and see
21 that lyme disease, if you check the backyard for
22 grilling, may be as close as your backyard. And there
23 is a little cartoon movie there that shows someone in
24 the backyard grilling, getting bitten with a tick.

25 You later get on to see a map of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 United States. We have complained about this ad,
2 which is what it is, and hopefully -- the FDA has
3 actually agreed to look into it.

4 Another problem related to the gross
5 overuse, even if there were any appropriate use for
6 this, is the failure right now for the labeling, and
7 certainly the promotion to fall in line with the ACIP
8 recommendations of 1999.

9 The ACIP recommendations stressed, very
10 clearly, that it is a combination of where you live,
11 and the kinds of activities you are engaged in. So
12 that, for example, persons who live in a high or
13 moderate risk area, it is not recommended that they
14 get vaccinated if their exposure to tick infested
15 habitat is minimal or none.

16 Anyone, regardless of what kind of
17 activity they are engaging in, is not recommended as
18 having a lyme vaccination if they live in the low to
19 no, or very little tick kinds of areas.

20 Related to this is the labeling. And I
21 think that one thing, aside from whether or not you
22 believe a moratorium should be put forth, which I
23 think a reasonable argument could be made for, the
24 current labeling, outside from the advertising, is
25 really off the wall.

1 Nowhere in the indications section is
2 there any mention of geography. That is mentioned in
3 a separate section on epidemiology. It simply says
4 individuals most at risk may be those who live or work
5 in borrelia burgdorferi infected, tick infected grassy
6 or woody areas, landscaping, brush clearing, forestry,
7 and so forth.

8 And it doesn't really get into the
9 geography. Obviously you have to combine both. This
10 label really needs to be changed.

11 Other new information is this very
12 interesting study published in 2000, an animal model
13 in hamsters showing that vaccinating them with this
14 antigen, the OspA antigen, and then subsequently
15 exposing them to the bacteria, the spirochete,
16 developed destructive arthritis.

17 And in the conclusion of their paper they
18 said OspA vaccine should be modified to eliminate
19 epitopes of OspA, outer surface protein antigen
20 responsible for the induction of arthritis. These are
21 people from the state hygiene lab in Wisconsin.

22 There also have been thoughtful studies by
23 the CDC, by Dr. Melsorn, an economist there, and by
24 the IOM, raising serious questions about the benefit
25 risk ratio on this. The IOM placed this whole idea in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what they call their less favorable category, the
2 lowest ranking in priorities of vaccine development,
3 just because of the fact that A, the vaccine is not
4 extraordinarily effective; B, it is not preventing a
5 life threatening disease; and C for most people a
6 successful antibacterial intervention can occur not
7 when you have a tick, but when you have some clinical
8 symptoms that are suggestive of actually beginning to
9 have lyme disease.

10 What recommendations would I make? Well,
11 I think that the idea of surfing for a safer vaccine,
12 if one is going to go ahead with vaccination to
13 prevent this disease, certainly is a good one.

14 We have seen enough other instances, in
15 the history of vaccines, where one comes up with an
16 idea of a safer vaccine, and a safer vaccine is always
17 better, particularly when the benefits of this are so
18 questionable.

19 And, secondly, as I mentioned before,
20 immediately require changes in the labeling, not just
21 with respect to the indications, which are flawed, and
22 missing entirely anything about geography, but also
23 the warnings.

24 I think that the labeling should include
25 a lot of information that is missing now, such as this

1 very, very worrisome animal study model for developing
2 arthritis.

3 Secondly more information about the fact
4 that HLA D4 has clearly been linked, in the case of
5 post-lyme disease arthritis, as a risk factor, and it
6 is reasonably likely that the same will occur here.

7 And, also, I think that in the labeling
8 needs to be some explanation about some of the very
9 well documented post-vaccine cases that you will hear
10 about today, and which I think are clearly there.
11 These are documented cases of arthritis in people
12 shortly after they took it.

13 I think the company should be forced to
14 send a letter out to all physicians reflecting the
15 change in labeling that I hope you will recommend.

16 In conclusion, one sentence and I'm done,
17 I think it is highly likely that the majority of
18 people in this country who have been vaccinated with
19 the LYMERix vaccine have had an unfavorable benefit
20 risk ratio when they were vaccinated.

21 As a matter of public health policy it is
22 important to do everything to minimize the damage that
23 may be done from the use of this highly questionable
24 vaccine.

25 CHAIR DAUM: Thank you very much, Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Wolfe. We would like to request, again, one of our
2 operating rules here is that there is no flash
3 photography, please. I hope you will all respect
4 that.

5 In an arrangement with Ms. Cherry, Ms.
6 Dixon has been accorded seven minutes, two extra
7 minutes.

8 MS. DIXON: My name is Kathleen Dixon and
9 I am an analytical chemist from southeastern
10 Connecticut. I would like to talk about the validity
11 of the LYMERix adult trial, specifically the validity
12 of the serological standard used, and how that
13 standard affected the vaccine trial results.

14 The problem is the deer borne IgG
15 standard. One of the testing procedures used in the
16 trial, the western blot, looks for antibodies to
17 specific antigens expressed by borrelia burgdorferi.

18 The limitation of the western blot is that
19 it qualifies the body's reactions to the infection,
20 but does not actually quantify, or identify the
21 infectious agent.

22 In lyme disease patients produce variable
23 antibodies over time. I want to point out the IgG
24 response in these patients appear in a characteristic
25 sequential pattern over months to years, to as many as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 11 spirochetal antigens, the appearance of new IgN
2 response, and the expansion of IgG response, late in
3 the illness, and the lack of such responses in
4 patients with early lyme disease alone, suggests that
5 borrelia burgdorferi is alive throughout the illness.

6 And, again, Steere reports that in the
7 body of the Dressler report, which I included in the
8 data package for the FDA, the specific immune response
9 in lyme develops gradually over a period of months to
10 years, to greater than or equal to ten spirochetal
11 polipeptides.

12 I want to point out here, of the 237
13 patients presenting, this is from the Dressler-Steere
14 report, 54 met Steere's criteria for lyme disease, and
15 these showed IgG criteria 0 causivity to 72 percent.

16 The majority of these were lyme arthritis
17 patients, and arthritis patients always have a higher
18 antibody response, it is supported in all the
19 literature.

20 Back in 1994, '93, the CDC decided that
21 they wanted to establish a new zero diagnostic
22 standard. We assume it is to facilitate these vaccine
23 trials. In May of '94, this was prior to the
24 Dearborne Conference. The Dearborne conference was in
25 October of 1994, members of the CDC met and decided

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that the Dressler-Steere standard criteria for IgG of
2 five of ten bands, should be the zero diagnostic case
3 definition to be used in the vaccine trial.

4 And this shows the data sets that they
5 chose, that the studied in the Dressler report, and it
6 shows the bands representative from the arthritis data
7 set only, and just ignored neuro brulliosis.

8 So the problem with the IgG standard is
9 that they calculated that there should be five of ten
10 bands, and that would be a 99 percent specific for
11 borrelia burgdorferi. That was not empirically
12 derived, that was not based on any patient data set.
13 They never showed that, characteristically, 80 or 90
14 percent of all patients with lyme disease have five of
15 ten bands.

16 This data, from this Dressler report, was
17 generated by borrelia burgdorferi strain G-39-40, a
18 strain which Barbara Johnson of the CDC later, at the
19 Dearborne meeting, recommended not using.

20 And it artificially represents a summary
21 of what the arthritis only presenting patients showed
22 over time.

23 Dressler and Steere report, in the
24 Dressler report, that individual specific bands, such
25 as OSP A, B, C, 1893, and 28, generated from a B

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 strain G-39-40, are specific markers of infection.

2 Confoundingly, OspA and OSPB were left out
3 of the Dressler IgG Dearborn case criteria. And,
4 therefore, the Dearborne case criteria using the
5 LYMERix trial, excluded to Steere, major immunogenic
6 outer surface proteins from the case criteria, OspA
7 and OSPB.

8 So we really don't know what Dearborn case
9 definition means. It doesn't mean -- we really don't
10 know.

11 But what this has affected is that
12 Dearborn case definition misses a lot of patients.
13 Instead of weighing the specificity of an individual
14 band, such as OSPC or P93, both highly specific alone,
15 it will result in the patients lost opportunity for
16 early and successful treatment.

17 This was the previous sera diagnostic
18 standard, according to the CDC. The third one says,
19 significant change in IgM or IgG antibody response to
20 borrelia burgdorferi impaired and acute phase
21 convalescent serum samples.

22 Although potential useful in confirming
23 active lyme, neither cultural isolation or paired
24 serum specimen testing has been used much for
25 validating cases of routine lyme disease surveillance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE. N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 since the procedures are not often performed in a
2 general medical setting.

3 That used to be the case definition,
4 changing bands over time. You saw that Allan Steere
5 said that earlier, this is a borrelia, borrelia have
6 antigenic variation, you show different antibody
7 profile over time.

8 So we believe what -- how does this apply
9 to the vaccine trial? If few people have lyme
10 disease, and this is Dressler Dearborne criteria will
11 exclude most patients with lyme disease, the vaccine
12 will not be shown to be a failure, or cause adverse
13 events. And we believe that is exactly what happened
14 in this trial.

15 This is the New England Journal of
16 Medicine report of the 1998 LYMERix trial. Only 22
17 people got lyme disease in the vaccine group in the
18 first year, while there were 515 unconfirmed lyme
19 cases, compared to the placebo group, of 468.

20 . The following year is no significant
21 difference, but there were ten percent unconfirmed
22 lyme cases in the vaccine group than there were in the
23 placebo group.

24 As Dr. Luft alluded to earlier this
25 morning, the western blot serology from these

1 unconfirmed lyme cases will need to be reviewed for
2 evidence of other BB specific bands, and compared to
3 the placebo group by an independent group of analysts.

4 If there are any other specific bands
5 besides OspA the case must be counted as lyme disease
6 in the presence of symptoms. Note that there were
7 only two asymptomatic cases in the first year of the
8 vaccine group, versus 13 of the placebo group, and in
9 the following year there were zero asymptomatic cases,
10 and 15 asymptomatic cases in the placebo group.

11 We believe that these results do not show
12 that the vaccine is effective at preventing
13 asymptomatic lyme disease, but rather that it is
14 turning asymptomatic lyme disease into symptomatic
15 cases.

16 Continued follow-up on these unconfirmed
17 patients should have been with further western
18 blotting from one of the CDC recommended strains, and
19 the original case definition, which would be to look
20 for changing bands, or any other specific bands
21 besides OspA.

22 Or maybe one of these newer antigens D
23 complexing messenger has been developed at SUNY and by
24 Leonard Siegel.

25 We already discussed this earlier. It was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mentioned earlier that an adverse vaccine event can't
2 be distinguished from vaccine failure. An adverse
3 vaccine event in a previously infected asymptomatic
4 lyme patient.

5 An asymptomatic BB infected adverse
6 LYMERix event case may never be detected until the
7 patient is vaccinated and symptoms occur, which we
8 think explains the majority of adverse events
9 regarding LYMERix.

10 Many previously infected lyme cases report
11 systemic symptoms after vaccination, and many find out
12 they had lyme disease after being vaccinated, becoming
13 ill, being tested for lyme disease, and finding other
14 specific antibodies.

15 The FDA should, therefore, not be looking
16 just for arthritis as a potential adverse event, but
17 rather -- and not to the exclusion of systemic
18 illness.

19 According to Allan Steere the rate of
20 asymptomatic infection to symptomatic infection is one
21 to one. So that for every person walking around with
22 lyme disease that has symptoms, there is a person
23 walking around with asymptomatic lyme disease. And we
24 think those people are at the greatest risk.

25 Vaccine failure and exacerbation of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 asymptomatic infection are identical according to the
2 patient data collected and on the on line VAERS data
3 base.

4 The Dressler Dearborne Steere standard is
5 not a valid criteria for assessing lyme disease, the
6 former CDC criteria of changing bands is more valid.
7 Until there is an independent review of the western
8 blot data from the SmithKline Beecham adult trial, we
9 have no idea how safe this vaccine is, it all needs to
10 be retabulated.

11 Am I done? Okay.

12 CHAIR DAUM: Thank you very kindly, Ms.
13 Dixon. We have next Kay Lyon, followed by Emily
14 Biegel, and Lynn Lane.

15 MS. LYON: Good afternoon. I'm Kay Lyon
16 from Windham Massachussets, a highly lime endemic
17 area. I'm a member of a group advocating for lyme
18 patient rights, and lead a line information and
19 support group in my community.

20 In the past few months members of our
21 group have read through much of what has been written
22 on LYMERix, especially the material provided by the
23 CDC and FDA.

24 Today I would like to present what we see
25 as two realities. The reality facing my community in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Essex County, Massachusetts, where children play in
2 the woods, and on sand dunes where deer and field mice
3 abound, and the reality constructed by SmithKline
4 Beecham.

5 It appears from our research that the
6 children of Massachusetts and elsewhere have paid a
7 high price to clear the way for the approval and
8 marketing of this questionable product.

9 How can this be, you might ask, when our
10 children haven't been vaccinated? As our group
11 reviewed the material from the government these facts
12 were clear.

13 In spring of 1994 to enable clinical
14 trials for LYMERix, SmithKline Beecham, the CDC, and
15 the FDA held a special meeting to agree on a case-
16 definition for lyme disease. We just heard Kathleen
17 talk about the changes that they made, which included
18 a stringent serological definition.

19 In October of 1994 at another meeting in
20 Dearborne, Michigan, these stringent serological
21 criteria were extended to cover all lyme disease
22 studies and serve as the official buyer for doctors to
23 determine what they report as lyme to the CDC.

24 The CDC agreed to these criteria to help
25 analyze data and report. But the criteria were not to

1 be used by doctors to make the diagnosis of lyme
2 disease.

3 The CDC maintained that lyme disease was
4 to be diagnosed based on clinical review of symptoms,
5 patient activity, and possible exposure to borrelia
6 burgdorferi.

7 Despite this recommendation by the CDC
8 when making a diagnosis most pediatricians and primary
9 care doctors refer to the CDC criteria for reporting
10 in an extremely rigid way.

11 As a result our children get lyme disease
12 and are not diagnosed and treated in a timely fashion.
13 Many of our kids get very ill before doctors are
14 willing to treat them with antibiotics.

15 And even then the majority of doctors are
16 not willing to treat a child if he or she does not
17 meet the serological requirements for CDC reporting of
18 lyme disease.

19 The CDC's 1999 initial report recommending
20 the use of LYMERix stated OspA was not expressed in
21 natural lyme disease infection in humans, a statement
22 clearly refuted in the 1998 FDA Hearing on which those
23 recommendations were based.

24 Further research shows the CDC retracted
25 that assertion some three months later, stating that

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 OspA, the antigen used for this vaccine, is in fact
2 expressed with increasing vigor as natural infection
3 disseminates.

4 In light of this correction we must ask
5 that the agency also revisit the recommendation for
6 the use of the LYMERix vaccine. This vaccine is made
7 of recombinant outer surface protein A.

8 Despite the fact that the antibody
9 reactions to OspA and OSPB are highly specific for
10 lyme disease these bands were removed from the CDC
11 criteria for reporting lyme disease.

12 This is a disaster for the children of
13 Essex County, Massachussets. Outer surface protein A
14 is expressed with increasing frequency as untreated
15 infection disseminates.

16 And in Massachussets we see that many of
17 our sickest children end up showing this band on the
18 western blot. However, because of the CDC strict
19 serological criteria the laboratories and the doctors
20 they report to do not consider this band
21 diagnostically significant.

22 We are concerned about the phenomenon of
23 sera positive asymptomatic infection, which Allan
24 Steere has stated occurs as frequently as symptomatic
25 lyme disease.

1 In the last FDA Hearing on LYMERix Pat
2 Coyle called this form of infection smoldering. Many
3 have expressed concern that the vaccine might be a
4 trigger that turns this smoldering infection on,
5 converting it almost instantly into late stage
6 disseminated lyme disease.

7 We also note that in the vaccine trial
8 those whose sera converted were treated with the
9 antibiotic, whether they had symptoms or not. This
10 was, of course, the humane way to treat study
11 participants.

12 But it is absolutely not reflective of
13 medical practice in the real world our children live
14 in.

15 In summary I am presenting to you two very
16 different worlds. In the world in which my family and
17 friends live we have children who live at risk in an
18 environment teeming with the lyme disease spirochete
19 borrelia burgdorferi.

20 We have doctors who almost universally
21 will not treat lyme disease unless it has been
22 confirmed by the faulty criteria set by the CDC for
23 reporting lyme disease, created initially to enable
24 this vaccine.

25 We have children who get bitten and are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 never treated because our doctors do not understand
2 the CDC recommendation that lyme is a clinical
3 diagnosis, not a serological one.

4 We have children who get bitten and
5 infected but are asymptomatic, unlike their
6 counterparts in the vaccine trials, they are not
7 treated and as Pat Coyle said, they are left
8 smoldering.

9 Because of all of the above it is
10 impossible for us to know which of our children are
11 infected, and which are not. It is therefore
12 impossible to gauge the true safety or efficiency of
13 this vaccine, efficacy of this vaccine in this
14 population.

15 It is also impossible to know which of our
16 children, when challenged by OspA might have a dormant
17 or subclinical infection rev suddenly to late stage
18 illness.

19 On the other hand in the world of
20 SmithKline Beecham data we do find LYMERix, we have an
21 experiment whose success is based, in part, on a set
22 of criteria created to enable the success of the
23 experiment.

24 This is the proverbial circular reasoning
25 scientists are supposed to avoid. There is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE. N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 significant gap between the world my family, friends,
2 and I inhabit, and the world shown in data defining
3 the study of LYMERix.

4 In light of this for parents everywhere I
5 stand before you to say the gap must be bridged before
6 we consider, even remotely, the notion of vaccinating
7 any of our children.

8 Also, most importantly, the CDC's strict
9 serological guidelines must be changed. Thank you.

10 CHAIR DAUM: Thank you, Ms. Lyon, very
11 much. The next speaker is Ms. Emily Biegel, followed
12 by Ms. Lynn Lane, and Mr. John Hardy. Ms. Biegel,
13 please, thank you.

14 MS. BIEGEL: I'm Emily Biegel but I'm here
15 to talk about my husband John. Some of you may have
16 seen him come in with a walker.

17 John is an active outdoorsman and so I had
18 the bright idea, a year or so ago, that he should --
19 that we should both receive the lyme vaccine. He had
20 lyme vaccine on April 13th and May 11th.

21 He was frequently exposed to tick bites in
22 his leisure activities, and we thought this was good
23 idea to protect him, although as an aside I should say
24 that we have labradors and golden retrievers, and do
25 not give our dogs lyme vaccine because Cornell doesn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommend it.

2 So we made a decision for ourselves that
3 we spare our dogs from. In July he started
4 neurological symptoms which were initially diagnosed
5 and Guiambari syndrome, and subsequently in September,
6 when he was not responding, but continuing to
7 deteriorate as chronic inflammatory demyelinating
8 polyneuropathy.

9 And this, really, has -- it was just like
10 floodgates opening to a nightmare that has turned our
11 lives, and the lives of our friends, family, and work
12 colleagues, upside down.

13 Six months later he has had four
14 hospitalizations, a lot of atrophy, insulin
15 dependence, depression, yeast infections, compression
16 fractures, edema, tremors, and 25 plasma for reeses
17 treatments.

18 It is a bitter harvest that we've reaped.
19 His neurologist has -- the neurologist, not we, has
20 reported' this to VAERS as a vaccine adverse event.
21 John is now profoundly disabled. He spent 33 years
22 training guide dogs for the blind, walking ten miles
23 a day, doing all kinds of physical activities like
24 gardening in his spare time.

25 Now he does physical therapy, and he sits

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 in a chair with his feet elevated. He bought a kayak
2 a few weeks before he got sick, and every time I look
3 out in that backyard, at that kayak he has never had
4 a chance to use, it is an ugly reminder of how our
5 lives have been changed by a decision to do something
6 that we thought would be helpful.

7 If you tell me that LYMERix is
8 statistically safe to take I will tell you to imagine,
9 for a moment, that you are John, and your life, your
10 work life, your social life, your driving, everything
11 that is part of your day to day functioning is taken
12 away from you.

13 And then you will know that this is a
14 terrible place to be, and the worst of it is that it
15 could have been avoided. Thank you.

16 CHAIR DAUM: Thank you, Ms. Beigel. While
17 I appreciate the sincerity and the effort that it has
18 taken every individual on the program to come and
19 communicate their views to the committee, I would ask
20 that everybody hold their applause, because I think it
21 is important the committee hear and digest, and that
22 we have as much time as available, as possible
23 available for this.

24 So if you would, please, listen and let's
25 emote together, but let's hold the applause in between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 speakers.

2 Ms. Lynn Lane is next, followed by John
3 Hardy, and Pat Smith. Ms. Lane, please.

4 MS. LANE: Hello. I have handed out
5 several copies of the original story about my lyme
6 disease vaccine trial study experience. There are
7 more available if anybody is interested.

8 I will go back a bit to tell you that I
9 was doing okay managing my lyme disease, which I was
10 unaware I had, until the shots began. Little lumps
11 formed on my kneecaps, and dark discolored patchy
12 rashes were visible on the inside of both knees.

13 Increased connective tissue pain radiated
14 from all points along my spine in waves that migrated
15 to different areas, mostly the left side of my body.
16 Brain fog, paranoia, anxiety, heart pounding, slurred
17 speech, heightened sensitivity to light and sound,
18 visual overstimulation brought on migraines, nausea,
19 vertigo, etcetera. My balance was off most of the
20 time.

21 Grocery stores, malls, driving at night
22 were all impossible to do without getting sick.
23 Meanwhile, my children now ages 8, 15, and 17, and my
24 husband, all with diagnosed chronic lyme disease are
25 prone to waves of most all these symptoms and more.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Everyone of us has symptoms seemingly
2 dependent on location of tick bite, and number of
3 times bitten over the years.

4 If I were not directly aware of both sides
5 of this vaccine issue, I would likely have had all my
6 children vaccinated with LYMERix. Thankfully this
7 will not be so.

8 My husband and I heard about the
9 SmithKline Beecham lyme disease vaccine trial studies
10 on a local radio station in 1995, offering 350 dollars
11 to each participant. We never received any money, I
12 don't recall why.

13 We unknowingly had been living with lyme
14 disease for years. Tested western blot negative we
15 received all three shots. The symptoms that followed
16 from the second shot on has devastated our lives.

17 I sure would like to know if my husband is
18 considered to be in the 78 percent effective group.
19 He has managed to work over the last four plus years,
20 but not without pain and suffering ever since the LD
21 vaccinations.

22 SmithKline could not find his records. He
23 works outside every day and is a living testimony as
24 to why no one would choose the vaccine if they knew of
25 his adverse event, especially outside workers.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

1 I have brought all my symptoms to the
2 attention of both the doctors of SmithKline Beecham,
3 and the investigative doctors involved with the study.
4 They denied my symptoms even existed, and broke their
5 own rules, within the written consent form.

6 That was not their right. When
7 considering money and reputation they have much to
8 lose. I can only hope the truth will prevail. Please
9 acknowledge what is happening to others who have now
10 received the FDA approved vaccine.

11 Before approval my complaints about the
12 lyme disease vaccine seemed not to represent enough
13 people. Unfortunately, I'm sorry to say, that is no
14 longer true. Thank goodness I found a lyme literate
15 doctor, and more than enough up to date information
16 and research on lyme disease than I could fathom would
17 be available.

18 This has empowered me to go back to the
19 fact that doctors only practice medicine. A good
20 patient is someone who learns about the disease him or
21 herself, and then helps the doctor.

22 The doctor must be willing to learn about
23 the disease along with the patient. If not up on the
24 latest information, then behind the times. This
25 concerns both sides of the issue, not just the ones

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with the most monetary values.

2 We live on Cape Cod in Massachussets,
3 which is considered an area highly endemic for lyme
4 disease. I personally believe it is an epidemic
5 proportion now. Antibiotics have, undoubtedly, helped
6 me to gain back some of my former self. But this
7 continues to be a long, daily, and painfully difficult
8 task.

9 I wish I were back to just living with
10 lyme disease. This vaccine has already harmed many
11 lives. Please do not do this to our children too.

12 I profoundly suggest complete termination
13 of the LYMERix vaccine until further research can
14 develop reliable tests, and better diagnostic tools.

15 Thank you for listening.

16 CHAIR DAUM: Thank you, Ms. Lane. We
17 would like to next hear from Mr. John Hardy, then Pat
18 Smith and Lori Gelbart. Mr. Hardy.

19 MR. HARDY: Good afternoon. I'm John
20 Hardy, I'm 65 years of age, live in Georgetown,
21 Delaware, and I'm retired from AT&T as a fuel
22 engineer.

23 I've always been very active in playing
24 golf, hunting, fishing, camping, traveling, and
25 working in our garden, along with taking care of four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 grandchildren ages four to nine.

2 I have been in excellent health until
3 April of 2000. During my physical in 1999 a
4 discussion with my physician about receiving a vaccine
5 for lyme disease due to my outside activities, I
6 received my first and second shots in April and May of
7 1999 with no side effects.

8 I received my third shot on April the 18th
9 of 2000. The following week I couldn't get out bed
10 with stiffness in my hips, neck, ankle, knees, and
11 couldn't close my hands to make a fist.

12 I made an appointment with my physician,
13 the doctor gave me some reflon, and sexlon, and sent
14 me in for blood work. The lab work showed no lyme
15 disease, showed a high segregate for rheumatoid
16 arthritis.

17 I asked them about the vaccine I had
18 received, and he said he never heard of any side
19 effects. He referred me to a rheumatologist. The
20 rheumatologist had more lab work done, and put me on
21 solvrex, predazone, placmanil and flexarol.

22 My stiffness has slightly improved over
23 the months, but I still have stiff joints, mainly in
24 my knees, my ankles, my hands. My latest blood work
25 has shown no inflammation in my system now, and I was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

1 tested for genes HL4DR4 and DR2, which were negative.

2 I really believe that this vaccine is
3 unsafe and should be tested further. SmithKline
4 should also have some accountability with reversal of
5 autoimmune arthritis.

6 If the FDA does not take this vaccine off
7 of the market they need to have SmithKline relabel all
8 packaging and educate all physicians with all the
9 potential adverse reactions.

10 This vaccine should not be approved for
11 children 15 and under until all further testing is
12 completed. It is the first time in my life I've had
13 to rely on, or take medication, in order to function
14 in my daily living.

15 Being a better informed consumer is a
16 right, not just a privilege. Thank you.

17 CHAIR DAUM: Thank you, Mr. Hardy. We
18 call next on Pat Smith, who is up at the podium, to be
19 followed by Lori Gelbart and Linda Scharf Lurie. Ms.
20 Smith, welcome.

21 MS. SMITH: Thank you. Mr. Chairman and
22 Committee Members. The Lyme Disease Association's
23 mission is lyme disease education, prevention, and
24 research funding.

25 So one might automatically assume were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 favorable to a safe and effective vaccine for lyme
2 disease. That is certainly a valid assumption.

3 The Association's board consists of
4 patients, and families of patients, all of whose lives
5 have been personally touched by this disease, and all
6 who are dedicated to preventing others from
7 experiencing the physical, mental, and emotional
8 devastation lyme disease can produce.

9 To that end we fund national research
10 projects, sponsor medical conferences, and continue to
11 work with members of Congress, developing federal
12 legislation, providing 125 million dollars for lyme
13 disease research, physician education, and prevention.

14 I am here today because we do favor a safe
15 and effective vaccine. But we are unsure as to
16 whether an OspA based vaccine can meet those criteria.
17 Since the inception of OspA vaccine trials we have
18 heard from individuals experiencing difficulties after
19 immunization.

20 The information was startling, not only
21 because of the problems described, but also because of
22 the parent doctors incomprehension of those problems.

23 At a vaccine meeting sponsored by the LDF
24 where pharmaceutical reps were discussing how well the
25 trials were going, I questioned, without satisfaction,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the issue of these trial patient complaints.

2 After vaccine approval LDA received
3 inquiries about the vaccine. Many from individuals
4 who had received all or some of the vaccination
5 series. Most proceeded to talk about the symptoms
6 they developed subsequent to receiving the vaccine.

7 When asked if they had reported this to
8 the administering doctor, and if the doctor had
9 reported the adverse event, the usual response was
10 that the doctor did not take the complaint seriously,
11 or did not think that these symptoms were related.

12 Sadly none were aware of the HLA DR4
13 situation. And several were in the midst of the
14 immunization series, and did not know whether to
15 continue taking the shots.

16 Some called to ask if they should get the
17 shots if they had had lyme in the past, a question
18 which appears to have no clear answer, particularly in
19 light of the unreliable antibody response test used to
20 determiné who has, or who had lyme disease.

21 A few insisted they had gotten full blown
22 lyme from the shots. And after further discussion
23 indicated that they had had lyme disease in the past.

24 I want to share an email that I received
25 on Monday, and this is a quote. I live in Wisconsin,

1 I received your name from person X who told me you may
2 be able to give me some direction. I received two
3 vaccines in the spring of 2000.

4 A couple of days within the first shot my
5 neck and higher back stiffened up severely. In a
6 month I went back for the second shot, and asked the
7 nurse and doctor to check for side effects before I
8 took the second. They informed me there were none.

9 I took the second dose and the problem
10 with my neck and back worsened within a couple of
11 days. My family doctor gave me anti-inflammatories,
12 but they did nothing.

13 I have tried a chiropractor, but the only
14 relief was for a couple of hours. Never tried one
15 before but I'm getting desperate. Then I went to an
16 orthopedic, and I am now on anti-inflammatories again,
17 but not helping.

18 He told me I have a disk that is somewhat
19 smaller than the others in my neck, and maybe the
20 vaccine somehow aggravated it. Prior to the vaccine
21 I have had zero neck or back problems. I am looking
22 for treatment somehow, some way.

23 I called him, he is 39 years old, he asked
24 me to help him, he wants treatment for whatever he
25 has.

1 Today you are hearing about how this
2 vaccine has physically impacted human lives. It
3 appears that little can be done to stop whatever
4 process triggers some of these reactions. Or if
5 something can be done it remains, as yet,
6 undiscovered.

7 I listened to the despair and bewilderment
8 of those adversely impacted. How can this happen from
9 a medicine to keep me from getting sick, who can help
10 me get better?

11 I can only comfort them, as I do not have
12 any answers, and I don't know of anyone who does.

13 This Committee has the authority to
14 formulate recommendations that may prevent others from
15 potentially suffering the same fate. You can revisit
16 the original data and research which appears to show
17 a link between OspA and adverse reactions, and view it
18 in light of the adverse events you've now heard about.

19 You can recommend further studies, you can
20 find out why many doctors who treat lyme disease are
21 not giving the vaccine.

22 The Advisory Committee on Immunization
23 Practices recommends, under future considerations in
24 their report on the lyme disease vaccine, June 4th,
25 1999, in the MMWR, "Establish post-licensure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 epidemiological studies of safety, efficacy,
2 prevention effectiveness, cost effectiveness, and
3 pattern of use."

4 We concur with that recommendation, and
5 would like to see a moratorium on vaccine
6 administration until those studies are completed, and
7 the results critically analyzed.

8 Thank you very much for your time.

9 CHAIR DAUM: Thank you for your time, Ms.
10 Smith, as well.

11 Ms. Lori Gelbart please, and then followed
12 by Linda Scharf Lurie, and Terry Elias. I hope I'm
13 saying that right. Ms. Gelbart, please.

14 MS. GELBART: I'm grateful to have the
15 opportunity to address --

16 CHAIR DAUM: No, not well, sorry.

17 MS. GELBART: Am I okay now? Thank you.

18 I'm grateful to have the opportunity to
19 address this committee, and devastated by the
20 circumstances that bring me before you.

21 Since taking the LYMERix vaccine my life
22 has changed dramatically. Let me explain. My family
23 and I live in Chicago, I have been married for 29
24 years, have two children, and am a social worker.

25 Most importantly, until I took the LYMERix

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccine I was a healthy and productive person. My
2 family spends summers in southern Maine, in an area
3 with high lyme incidence, where we are surrounded by
4 woods and grasses, viewing deer in the yard nightly.

5 Already following recommended safety
6 procedures we decided to further protect our health by
7 having the LYMERix vaccine. We received our
8 vaccinations at the travel clinic of Northwestern
9 Memorial Hospital, a major teaching hospital.

10 Neither the staff, nor the manufacturer's
11 literature handed to us cautioned us about the
12 possibility of any long term ill effects. We were
13 given no reason to believe that LYMERix warranted
14 different consideration than any other immunization.

15 My husband, 15 year old son, and I had the
16 first two injections in the spring of '99. On May 15,
17 2000, my husband and I received the third shot. The
18 very next day I experienced body aches, and on May
19 17th I awakened with severe pain and swelling in my
20 hands.

21 I was unable to bend my fingers closer
22 than 90 degrees to my palms. I became incapable of
23 performing activities such as basic personal care,
24 brushing my teeth, cutting food.

25 Since early June I have been constantly