

1 They had to be sold into other scrapie-monitored flocks.
2 That was the thing. They could not sell any of the original
3 imports. They could sell progeny into other scrapie-
4 certification flocks. So there were nine sold.

5 Of these nine animals sold, there were seven ram
6 lambs. We did go out and contact the owners and purchase
7 all those and destroyed all those animals, took samples.
8 There were two ewe lambs and another ram lamb sold to
9 another premise. Because there were ewe lambs that lambed
10 in this flock, we asked the owner and purchased this entire
11 flock as well, again with the transmission of scrapie.

12 These samples have been tested from this flock and
13 there was no evidence of disease. But there were three
14 actual progeny into this third flock.

15 [Slide.]

16 Other product, cheese, was sold throughout the
17 United States. These were predominantly East Frisian milk
18 sheep. They were manufacturing cheese, especially the
19 larger farm, and selling throughout the United States.
20 Then, prior to the quarantine, there were 45 carcasses that
21 went for human consumption. I will talk more about those.

22 [Slide.]

23 This is just to show you the breakdown. They came
24 in in 1996 but didn't start lambing until '97 because they
25 were young when they came in. This is a breakdown of how

1 many lambs, when they went and the poundage. The poundage I
2 put on here just to give you an idea of the age. So they
3 would be six months or less.

4 The last two shipments that went for slaughter, we
5 were able to bring the carcasses back after the European
6 Union opinion and then we destroyed those, or the last two
7 shipments.

8 So where did they go? Where did these go? They
9 were sold at two local stores, like mom-and-pop outlets.
10 They were sold off-farm. None were sold through the
11 internet. 10 percent of the sales off the farm went to
12 friends, acquaintances and tourists, and the family and
13 their attorney consumed the product. They are in court with
14 us, now, too.

15 [Slide. 1

16 What happened after the quarantine? We bought
17 all, then, the culled, sick, picked up the deads. In these
18 situations, in order to milk sheep, I just want to explain,
19 you have to keep breeding them. So they produce young and
20 then they produce the milk. So we did get a lot of
21 offspring in the meantime from when they went under
22 quarantine; in fact, over 300.

23 But what the USDA did with those is we purchased
24 them like we were the slaughter outlet because you cannot
25 have a quarantine--that, by the way, was applied by the

1 State of Vermont--unless you give an outlet. So we
2 purchased them like a slaughter outlet and incinerated the
3 carcasses. So we have been doing that ever since. Anything
4 that was older, that was culled, sick or died, we sampled it
5 and then incinerated the carcasses.

6 The results? On histology, we found in some of
7 these vacuolated neurons, astrocytosis and neuronal
8 degeneration. By regulation, in the USDA, we have to have,
9 for TSE, scrapie diagnosis, four confirmed lesions for
10 histology. Most of the sheep had one of the lesions, two of
11 the lesions. One had three, but none had all four. So
12 there was no regulatory basis that we could act upon these
13 sheep.

14 There was capillary electrophoresis, again, I just
15 want to emphasize. But this has been talked about publicly
16 and, also, in court. There were blood-positives on some of
17 these sheep and that was done, even though the test is under
18 development, at the request of one of the owners who said,
19 "Test my sheep because I think it will clear them."

20 Lo and behold, we did have six sheep that came
21 back blood-positive. We took brains, then, from those sheep
22 that were positive by the CE and tested those under our
23 regime of testing, and four of those tested positive by the
24 Western blot analysis. That was done by Dr. Richard
25 Rubenstein, with whom we have a cooperative agreement, at

1 the Institute for Basic Research in Staten Island, New York.

2 The Western blot done by actually had a little
3 hearing of its own. Would you like to see the Western blot,
4 the committee? You have to click in the middle. I couldn't
5 figure out how to cut and paste this on.

6 Why don't we go on. Then I will come back and try
7 and get it up there for you to take a look at.

8 [Slide.]

9 So what happened? This happened in July of this
10 past summer, the Year 2000. We approached the owners--at
11 that time, there were the three flocks in existence, the one
12 with the ewe lambs and these other two--to ask them to
13 voluntarily depopulate the flock.

14 By the way, the entire time from when they started
15 to be under quarantine and even prior to that, we had asked
16 them if they wanted to sell the flocks to us and that we
17 would pay for the flocks, just to remove the risk. They
18 chose, at that time, not to do that. So, even up to before
19 this, they were asked if they would depopulate those flocks.

20 Then, after the positive diagnosis with the
21 Western blot, because that is, for us, a legally binding
22 test, the owners were asked one more time to voluntarily
23 depopulate. The small flock, the one with the progeny, did
24 agree, so those sheep were removed, as I told you earlier.

25 Then, on July 14, the Secretary of Agriculture

1 declared an extraordinary emergency. That is what we need,
2 in the USDA, in order to seize property. So an emergency
3 order had to be declared for us to obtain money. Orders
4 were then issued by the Department to seize the flocks, the
5 two flocks.

6 [Slide.]

7 One of the things here, now, I will talk'to about
8 is what it is we found. The tests that we have run, so the
9 histology, the immunohistochemistry and the Western blot,
10 they don't differentiate between different strains or
11 variants of scrapie from BSE. So these tissues would, too,
12 then have to go in mouse bioassay. So we don't really know
13 what disease we are dealing with here.

14 It was named this atypical, because of the
15 histology. We had Gerald Wells from the U.K. look at the
16 histology and that is one of the ways he described it; "TSE
17 of foreign origin." The foreign origin came into play
18 because these sheep came in as groups and there was no
19 exposure to U.S. animals or U.S. product.

20 [Slide. 1

21 What happened next is really history because it
22 really played in the media. The two owners went to court
23 for a temporary restraining order. The judge denied the
24 temporary restraining order, as I said. There was even a
25 hearing on the Western blot test. He did not grant the

1 temporary restraining order.

2 However, he asked them to voluntarily comply.

3 They did not. So then we had to go back to court and ask
4 for a motion for an order to comply and for the judge to
5 rule on the merits. So we filed final briefs at the end of
6 December and we are waiting on a court decision.

7 By the way, they have been maintained, again under
8 quarantine and under surveillance, with the same protocols
9 in place, that anything that dies, anything is removed, we
10 get and sample.

11 How about if I try and bring that Western blot up?

12 That is all I have.

13 [Applause.]

14 DR. BROWN: Thank you, Linda. We will look for
15 the Western. One question, Linda, and that is in the
16 history, had there ever been a prior case of scrapie on
17 those farms, ever, before the imported sheep came?

18 DR. DETWILER: I should have probably talked about
19 the history of the flocks. They came out of about ten
20 different flocks in Belgium and the Netherlands.

21 DR. BROWN: No; I am more interested, actually, in
22 the U.S.

23 DR. DETWILER: **oh.** No, no. They were brought in
24 for different premises where we had no reports of ever
25 having sheep there, nor were they commingled with anything

1 here. They were brought in as separate groups for this
2 purpose.

3 DR. BROWN: So, essentially, it is virgin pasture.

4 DR. DETWILER: Correct; at least to the best of
5 our knowledge.

6 DR. BURKE: What is the reluctance on the part of
7 owners to voluntarily depopulate?

8 DR. DETWILER: They do not believe, and I am just
9 paraphrasing so--they do not believe that there is a problem
10 in these flocks. I will give you a history--I think that
11 might help, too--on the situation in Europe. We did go back
12 and try and get a lot of information. We haven't been that
13 successful of getting information from the government. We
14 have requested it, but they have said they have got their
15 hands full with other things right now.

16 One of the things that we did find from just
17 getting other data from sources like the veterinarian that
18 signed the health certificates, that they were fed
19 concentrate. So we do know that, that they were fed
20 concentrate made in local mills that did produce both
21 ruminant and non-ruminant feed, although we have
22 certifications that said they didn't include ruminant meat
23 and bonemeal in the feeds at least since certain amounts of
24 times when the feed bans went into place.

25 so we have those, but there is a likelihood, in

1 Europe, of cross-contamination. They did come in with
2 scrapie certification statements, that they had been
3 monitored. But even now, since that time, we have found
4 that some of them have not been monitored as long as they
5 may have seemed to have been. So there is some question,
6 even on exposure to scrapie.

7 [Slide.]

8 This is the Western blot. I couldn't get the
9 other block. He had that on a separate one, the fourth
10 sample, on a different one. I'm sorry. I got one to go but
11 I couldn't get the other one, to bring it with me.

12 DR. RRUSINER: Could you explain--

13 DR. DETWILER: I can only explain the three
14 positives because this is Rich's stuff. These, I think, are
15 two sheep from there that he did call no--these three, he
16 caused positive. And then, over to the side, are some of
17 the controls.

18 So these three, at least what Rich explained in
19 court, were the three that he called positive. These two
20 were also sheep, as I recall, from the flocks. You know
21 what? I don't know much about Western blot. Bob Roher, he
22 helped with the thing and the Western, so he probably could
23 answer more, or you can talk to--

24 These, I believe, are controls.

25 Any other questions?

1 DR. ROOS: Two questions. First, how many other
2 flocks were imported during this window.

3 DR. DETWILER: That's it.

4 DR. ROOS: Oh; this is it?

5 DR. DETWILER: This is it.

6 DR. ROOS: The second is how did these come to
7 your attention? Were there sick animals or you pursued them
8 because you know them came in?

9 DR. DETWILER: When things change, one of our jobs
10 is to monitor what is changing in science and what is
11 changing in the world. One of the things we noticed, as the
12 science changed in '96--oh, by the way. That is a good
13 point you bring up. At the end of '96, when the publication
14 of Foster's paper that showed that it was not only in brain
15 and spinal cord, when it was in spleen, then we thought,
16 "Oh, oh; it might be--" and the paper suggests that maybe,
17 if BSE became natural in sheep, that it could spread like
18 scrapie and then feed bans wouldn't control it.

19 So we, again, shut the door at the end of '96 to
20 all sheep and goat imports with the exception of going back
21 to the same countries, Canada, Australia and New Zealand.

22 DR. BROWN: Thanks very much, Linda.

23 The next presentation is entitled, efforts to
24 contain and eliminate chronic wasting disease from farmed
25 cervids given by Dr. Creekmore of the APHIS staff, USDA.

1 maybe will show it to you in a bit different way.

2 [Slide.]

3 CWD was first detected in the farmed-elk industry
4 in the U.S. in South Dakota in 1997. Since then, the
5 disease has been identified in thirteen farmed-elk herds in
6 **Five** different states. The last positive farmed-elk herd
7 was identified in late April of this year in Colorado. At
8 this point, nine of these elk herds--or, actually, excuse
9 me; of last year, April of last year in Colorado.

10 At this point, nine of these elk herds have been
11 depopulated or have gone to slaughter in testing..

12 [Slide.]

13 Six were from South Dakota, one in Montana, one in
14 Colorado and one in Nebraska. That leaves four herds that
15 are remaining, one in South Dakota, one in Colorado, one in
16 Nebraska and one in Oklahoma. Also, as was mentioned this
17 morning, the one herd that is left in South Dakota is slated
18 to be depopulated.

19 [Slide.]

20 USDA's support of surveillance has included both
21 farmed and free-ranging cervids. Dr. Miller included our
22 efforts to support farmed-elk surveillance in his summary
23 this morning. In terms of farmed cervids, we, USDA APHIS,
24 have tested about 2,500 animals since the latter part of
25 1997, so starting with our fiscal year '98, which would be

1 starting in October of '97.

2 As you can see, surveillance numbers have
3 increased each year. The 339 figure represents from October
4 through December of this year.

5 [Slide.]

6 Many of the submissions have been from the North
7 Central states and most have been from farmed-elk
8 operations. The numbers of submissions are somewhat
9 reflective of states that have initiated surveillance or
10 certification programs. So far, there has been very little
11 surveillance in the farmed-deer industry. CWD has not been
12 identified in deer from the farmed-deer industry yet but we
13 really need to be doing surveillance to have assurance that
14 it is not there.

15 We are trying to encourage increased surveillance
16 in both the farmed-elk and deer industries and surveillance
17 will be a key component of the proposed herd certification
18 program. However, efforts have been hampered by the absence
19 of a national program as well as the lack of indemnity.

20 [Slide. 1

21 Key areas of USDA APHIS Veterinary Services focus
22 and response regarding program development have been
23 prioritized based on resolutions from the U.S. Animal Health
24 Association or the USAHA. This association has requested
25 action from federal and state agencies to address the CWD

1 issue.

2 In 1998, USAHA recommended a model program for
3 surveillance control and eradication of CWD in domestic elk.
4 This model was for use by the states as a template for their
5 CWD programs and it was a model that was developed by the
6 North American Elk Breeders Association. It is what Dr.
7 Zebarth described to you this morning.

8 They created this model in association with the
9 states and others including some state wildlife agencies and
10 USDA representatives. Then, in 1999, USAHA requested that
11 USDA and the states develop a CWD herd-certified status
12 program for farmed-elk based on this NAEBA model.

13 [Slide.]

14 In response to the 1999 USAHA resolution, USDA
15 APHIS has begun to develop such a program. In the past
16 year, we have submitted a budget for a CWD program as a new
17 line item for FY 2002, so that would be starting in October
18 of 2001. At this point in the process, the submitted budget
19 will be enough to establish a framework to support a CWD
20 program for captive elk but won't be adequate to cover
21 indemnity.

22 Then, in terms of program development, itself, in
23 March of last year, we brought together a Veterinary
24 Services CWD study group. This group took the NAEBA model
25 and revised it. Like the original model, our VS revision

1 included certification with increase in status based on
2 surveillance as the basis for the program.

3 However, the revision encouraged the more
4 aggressive approach of depopulation of positive herds rather
5 than quarantine as the primary response. This revised
6 program developed by the VS study group was then taken to a
7 group we called the National CWD Working Group for input.
8 That was in June of last year.

9 This group was composed of stakeholders including
10 representatives of the farmed-cervid industry as well as the
11 exotic wildlife industry, state agriculture and wildlife
12 agencies, university and USDA ARS representatives. The
13 objective of this meeting was to obtain input on the
14 framework of the certification plan that we had adapted from
15 the NAEBA model.

16 That objective was met and a revised plan was
17 produced. We took this most current draft back to the
18 original VS study group, back to the national working group,
19 circulated it to federal and state veterinarians, industry
20 associations and representatives, producers as well as to
21 others and asked for input which we have received.

22 What I would like to do now is briefly summarize
23 the framework of the proposed program.

24 [Slide. 1

25 The APHIS proposed program is designed to address

1 the farmed-elk industry and it will use a herd certification
2 program as its basis. The basic requirements for
3 participation in the herd certification program will include
4 fencing to enforce separation from free-ranging cervids,
5 animal identification and herd inventory with annual
6 verification, diagnostic surveillance of all deaths of
7 animals over sixteen months of age.

8 Herd status would be based on the number of years
9 of such surveillance with no evidence of CWD. Herd
10 additions would be allowed from herds with the same or
11 greater status and a positive herd diagnosis would be based
12 on post-mortem brain testing performed by the National
13 Veterinary Services Laboratory or NVSL-approved
14 laboratories.

15 [Slide.]

16 If a positive herd is detected, the preferred
17 option in the proposed program is depopulation of the herd
18 with payment of indemnity. An alternative option is a five-
19 year quarantine with selective depopulation of high-risk
20 animals if they are able to be identified. In the case of a
21 quarantine, it would be required for a herd plan to be
22 developed. This herd plan would include inspection with
23 removal and testing of any clinical suspects, surveillance
24 of all deaths that occur in the herd, animal ID and
25 inventory and additional fencing requirements.

1 If a herd is a traced-forward or traced-back herd,
2 there are also requirements. For a traced-forward herd, the
3 preferred option is removal of the trace animal with
4 testing. If that traced-forward animal is negative, then
5 the herd enters the certification program for further
6 surveillance. If the animal is positive, that herd is
7 treated as a positive herd.

8 Then, also, an option for a quarantine, as I
9 described, for a positive herd is possible. Then, for a
10 traced-back herd, a five-year quarantine with a herd plan as
11 I described above.

12 The industry, at this point, has requested that,
13 as we continue to develop the federal CWD program that we
14 build into the program a prohibition on the sale of velvet,
15 meat or other food products from quarantined herds.

16 [Slide.]

17 In terms of interstate movements, within this
18 plan, we want the states to be able to have the disease
19 legally reportable and have ability to quarantine for the
20 disease if it is detected. With this particular program, as
21 proposed, the producer will have to be a participant in the
22 herd certification program to be able to move his or her
23 animals interstate.

24 In lieu of a national program, a number of states
25 have instituted CWD programs and many others are in the

1 process of developing programs similar to that I just
2 described--in other words, based on the NAEBA model or on
3 the USDA proposed program model. Dr. Miller also covered
4 that a bit this morning.

5 So, various levels of surveillance and
6 certification of herds currently exist in some states.
7 There is basic underlying support for the USDA CWD program
8 from the industry and others and, for the most part, there
9 seems to be basic agreement that a program is badly needed
10 and that this proposed program provides a good framework to
11 take further in the process.

12 [Slide.]

13 USDA APHIS will continue to support surveillance
14 of farmed and free-ranging cervids. We plan to continue to
15 support development of improved diagnostic tests and other
16 research. We plan to continue the process of developing a
17 mutual framework for CWD epidemiological data-collection
18 needs on a national and international basis and, in
19 September of this last year, we began this process by
20 convening state, federal and Canadian epidemiologists and
21 veterinarians working on CWD epidemiology to share
22 information on current CWD work and information needs.

23 In addition, we will continue the development of
24 the national CWD program.

25 [Slide.]

1 At the USAHA meeting this past year, in October,
2 we presented the proposed program in a resolution requesting
3 that USDA APHIS continue to develop and implement a federal
4 program for the eradication of CWD in farmed-elk with the
5 provision of indemnity was passed. In response to this 2000
6 USAHA resolution, the VS CWD study group will be meeting
7 again in February of this year to create a final draft of
8 the proposed program taking into account and incorporating
9 input as appropriate so that the process of drafting
10 regulations may begin.

11 We plan to implement this program in FY 2002 or
12 starting October of 2001.

13 I would be happy to answer any questions.

14 [Applause.]

15 DR. FREAS: Since our chair is out of the room,
16 can we hold the questions and go on to the next speaker.
17 There is one more speaker. When our chair is back, he will
18 lead the discussion of the questions, if you are going to
19 stick around, Dr. Creekmore.

20 Our next speaker is Dr. Robert Moore speaking on
21 regulation of ruminant materials in U.S. dietary
22 supplements.

23 **Regulation of Ruminant Materials in U.S. Dietary Supplements**

24 DR. MOORE: My name is Robert Moore. I am Chief
25 of the Dietary Supplements Branch in the Division of

1 Compliance and Enforcement in the Center for Foods at FDA.

2 [Slide. 1

3 I have been asked to summarize the current
4 information that we have concerning the status and the use
5 of animal-derived ingredients including those of bovine
6 origin in dietary supplements.

7 I have several take-away points that I want to
8 just communicate up front. First, FDA is the responsible
9 federal agency for regulating the safety of dietary
10 supplements and the agency has the regulatory and legal
11 authority and tools to act against unsafe products.

12 Dietary supplements may lawfully contain some
13 animal-derived tissues and such products, both of domestic
14 and foreign origin, are known to be marketed in the United
15 States. The information that we have from domestic and
16 import inspectional activities indicates that most bovine-
17 derived ingredients do not originate in areas in which BSE
18 has been identified.

19 Fourth, FDA recognizes that there are emerging
20 public-health issues that it may need to consider with
2:1 respect to the use of these both bovine and other animal-
2:2 derived ingredients in dietary supplements.

2:3 [Slide. 1

24 I first want to talk about some of the basic legal
25 definitions and the framework that applies to dietary

1 supplements since the 1994 amendments. There are many
2 dietary supplements being marketed in the United States that
3 contain animal-derived ingredients. With some exceptions,
4 most of these products appear to be lawful in that they
5 appear to contain dietary ingredients that are defined in
6 Section 201(ff)(1) of the Food, Drug and Cosmetic Act as
7 amended by the 1994 Dietary Supplement Health and Education
8 Act.

9 DSHEA, which I will simply refer to as DSHEA,
10 rather than saying it each time, defined the term "dietary
11 supplement" to mean, in part, that it is a product that
12 would contain several named ingredients; a vitamin, a
13 mineral, an amino acid, an herb. But the definition also
14 states that legitimate dietary ingredients include "a
15 dietary substance for use by man to supplement the diet by
16 increasing the total dietary intake of that substance."

17 [Slide.]

18 Finally, it also defined dietary ingredient to
19 include a concentrate metabolite constituent extract or
20 combination of anything previously named in that section of
21 the statute. What the term "dietary substance" means,
22 however, is not addressed directly in the statute or in the
23 legislative history that accompanies it.

24 Therefore, the term must be defined in accordance
25 with its common, usual meaning. We have tentatively

1 interpreted that term in the statute using an understanding
2 of the ordinary meaning of the words that were included by
3 Congress in the definition; namely, that dietary means if
4 you go to a Webster's, or a Random House, or pick a source,
5 dietary means "of or relating to the diet."

6 The term "diet" means an organism's usual food or
7 drink. The word "substance" generically refers to; "that
8 which has mass, occupies space and can be perceived." So,
9 when you take that in the context of the statute, the term,
10 from a legal point of view, a dietary substance simply means
11 the common-sense understanding of the term.

12 It means substances customarily used as human food
13 or drink. Many animal-derived tissues and substances,
14 therefore, arguable fit within that common meaning.

15 [Slide. 1

16 I would like to take a few minutes to briefly
17 summarize the general regulatory framework that exists for
18 supplements. But, first, I want to dispense with one
19 misconception. FDA has ample statutory authority to
20 regulate supplements. DSHEA did not free dietary
21 supplements from federal oversight. The 1994 law did amend
22 the act such that supplements are subject to a regulatory
23 framework that is different from that which existed before
24 the 1994 amendments and is somewhat different than the
25 regulatory framework that applies to other foods, which I

1 will simply refer to as conventional foods, for lack of a
2 better term.

3 In a general sense, the current regulatory
4 framework is based on postmarketing oversight by the agency.
5 There is generally no premarket review or approval by FDA
6 needed before any dietary supplement may be marketed in the
7 United States unless the product contains what the statute
8 defines as a "new dietary ingredient" or it makes a claim
9 that causes it to be subject to regulatory as a new drug or
10 under the new-drug or under the health-claim provisions of
11 the act.

12 [Slide.]

13 Products enter the marketplace based on a
14 manufacturer's determination that its products are safe.
15 Additionally, nothing in the statute requires that the firm
16 share with us the information that is the basis upon which
17 it has concluded that its product is safe. While the
18 government does not have to determine that a product or an
19 ingredient is safe prior to it being marketed, except for a
20 new dietary ingredient, we have the responsibility to
21 monitor the marketplace and develop evidence and information
22 that would enable us to act against unsafe products that are
23 identified postmarketing.

24 [Slide. 1

25 So, to market a dietary supplement that includes

1 an animal-derived ingredient, a firm simply must comply with
2 the basic rules that apply to marketing any other dietary
3 supplement. First, it must insure that the product actually
4 is a dietary supplement legally as defined in the act.

5 This means that, among other things, it must
6 contain a "dietary ingredient" as I defined earlier, that it
7 does not contain substances that are prohibited by other
8 sections of the act--for example, products that have
9 previously been authorized for investigation as drugs or
10 substances that have already been approved as drugs--and
11 that it doesn't violate certain other exclusionary criteria--
12 --for example, that it is represented as a conventional
13 food.

14 Second, the firm must insure that the product is
15 safe, not only that it is safe within the meaning that it
16 isn't inherently harmful but that it also is safe in other
17 ways, that it is not contaminated with adulterants, it
18 doesn't contain pathogenic microorganisms, and so on.

19 Third, it must label the product properly. The
20 labeling, at a minimum, must include the disclosure of each
21 ingredient in the product by its common or usual name
22 meaning the dietary ingredient must be identified in terms
23 that a typical consumer would immediately be able to figure
24 out what the dietary ingredient is.

25 In practical terms, a minimum requirement for

1 animal-derived ingredients would be to identify the species
2 of origin in the layman's term for the tissue being used.
3 For example, one example--and I am not saying that everyone
4 in the marketplace, by the way, is doing this to our
5 satisfaction, but the term "bovine products" that use bovine
6 testicular tissues, that would be the appropriate term
7 rather than making up a name like orchic.

8 You can go down every tissue in the animal body
9 and there is a sort of made-up name that has meaning to some
10 part of the consuming public but perhaps not all.

11 [Slide.]

12 It is within this regulatory framework that
13 dietary supplements may contain dietary ingredients that
14 originate in animals. In general, there are four broad
15 categories of animal-derived dietary ingredients that we are
16 aware of in the marketplace, ingredients that simply are
17 animal tissue, substances that have been extracted from an
18 animal tissue of some type, ingredients that are not tissues
19 out are from animals, things such as eggs, milk, colostrum,
20 things of that nature.

21 Then, of course, there are ingredients from other
22 animals. Bovine-derived ingredients are certainly not the
23 only non-plant materials used in supplements. We are aware
24 of everything from fish incrustation, birds, reptiles, fish,
25 insects and everything else. So the issue of the risks

1 associated with animal-derived ingredients perhaps are not
2 solely limited to those of bovine origin.

3 Keep in mind, however, that these are broad
4 categories. Not everything in each of them would be
5 eligible to be a dietary supplement, remembering that one of
6 the defining requirements is, is an article that is the
7 usual food or drink of man. So not everything that is of or
8 comes out of an animal necessarily is part of the usual food
9 or drink of man.

10 [Slide.]

11 A wide variety of animal tissues is used in
12 supplements. These include glands such as the adrenal or
13 pituitary. They can be organs, liver, brain, lung, what
14 have you, and various other tissues such as velvet antler
15 which we have heard about and blood.

16 As I have mentioned, animal-derived ingredients
17 are not limited to bovine sources only. Supplements
18 typically contain tissues from sheep, pigs and other
19 mammals. Finally, as I also said, they also contain things
20 such as milk, colostrum, eggs and their constituents and
21 constituents that have been manipulated in the production so
22 that the typical composition of those things has been
23 manipulated, such as by vaccination or immunization of the
24 animal it is coming from.

25 [Slide.]

1 Dietary supplements may also contain substances
2 that were derived from an animal-sourced raw material. Some
3 examples of these include glucosamine, which is typically
4 obtained from bovine trachea, sphingolipids that have been
5 isolated from animal neural tissue, and isolated proteins
6 obtained from bovine spinal tissue and other substances and
7 an array of metabolite from other tissues.

8 They also include specific proteins that have been
9 isolated from bovine blood.

10 [Slide.]

11 As of this date, FDA has not promulgated
12 regulations governing the use of animal-derived ingredients
13 in dietary supplements. However, we have taken several
14 actions intended to minimize the potential that bovine-
15 derived ingredients from animals from BSE countries do not
16 find their way into supplements.

17 First, FDA, since BSE was identified in Britain
18 and the issue came to the forefront, has issued several
19 letters to the industry on the topic. The letters explain
20 the agency's policy on the use of bovine-derived ingredients
21 originating in BSE-positive countries; namely, that no such
22 tissue may be used lawfully in a dietary supplement because
23 tissues that may contain the causative agent of BSE in
24 cattle presents a significant or unreasonable risk to
25 consumers of the product and the product is, therefore,

1 adulterated within the meaning of the act.

2 The letter strongly advised firms using such
3 ingredients that they should develop plans or processes that
4 will insure that such tissues are not used. FDA has
5 reissued those letters periodically as new developments have
6 arisen and to keep the industry aware and focused on the
7 issue.

8 The issue is specifically addressed also, the use
9 of bovine-derived materials, in our compliance program that
10 serves as the guidance to our inspectional components in the
11 field offices. The compliance program contains guidance on
12 priority issues that the agency wants addressed by its
13 inspectors and requires inspectors to investigate, during
14 routine inspections of supplement manufacturers, if they use
15 bovine-derived ingredients, to identify the types of
16 ingredients and to determine whether management has
17 developed a program to insure that such ingredients don't
18 derive from a BSE-positive country.

19 FDA has also issued an import alert that provides
20 for the automatic detention and refusal of entry of any bulk
21 material, bulk bovine-derived material, from any BSE country
22 which, at this time, consists of all of the countries in
23 Europe and then a few other countries scattered around the
24 world in which BSE has been identified.

25 It also provides for the automatic detention,

1 without physical examination, of any shipment of any
2 finished product, dietary supplement or other food, that
3 contains a bovine-derived ingredient that originates in a
4 BSE-positive country. Those finished products are refused
5 entry unless the firm can provide documentary evidence that
6 the tissues were sourced from animals that did not
7 originate, reside or were slaughtered in a BSE-positive
8 country.

9 [Slide.]

10 The information that we have on the use of animal-
11 derived ingredients comes mainly from our import and
12 domestic inspectional activities. First, the information
13 from our domestic establishment inspection program suggests
14 that the bulk of the bovine-derived material currently
15 marketed in the U.S. is sourced either from the U.S. sources
16 or from New Zealand, Australia and Argentina.

17 We are not aware of any sources of original
18 material being originated from Europe. We also know that
19 there is some export of U.S.-sourced materials abroad where
20 it is processed and returned or is used as the raw material
21 for the manufacturer of constituents; for example, U.S. beef
22 tracheas exported to Spain and other countries in Europe
23 where, then, the glucosamine or chondroitin is extracted out
24 and then reexported to the United States for incorporation
25 into foods.

1 The information we have, while limited, seems to
2' indicate that most firms have a plan or a process in place
3 to provide some degree of assurance that their ingredients
4 are not originating in BSE countries.

5 [Slide.]

6 As I mentioned at the outset, the question, can
7 FDA act against products, is yes. The statute provides a
8 variety of means that the agency can act depending on the
9 circumstances and the scientific information we have at
10 hand. First, we can use our authority to refuse entry to
11 imported products that are adulterated or that appear to be
12 adulterated.

13 Second, the act provides for direct action against
14 a particular product or class of products if it is
15 adulterated within the meaning of one of the provisions of
16 the acts that we enforce. Third, the statute provides FDA
17 the authority to promulgate regulations to insure that
18 products are safe or that they are manufactured in
19 accordance with practices that would minimize the risks that
20 they would pose.

21 That authority to issue good manufacturing
22 practices was specifically conferred by DSHEA. The agency
23 is developing proposed rules for that that, depending, may
24 or **may** not be published soon--I mean, depending on what the
25 incoming administration decides what is a priority.

1 tissues from ruminant animals in dietary supplements about
2 six months ago and expressed my concern in a letter that was
3 published in New England Journal of Medicine in July of Year
4 2000.

5 A couple of the products that I had looked at, and
6 examined their labels, that raised these concerns I brought
7 in right here. I will just read some of the organs that are
8 found in one that is called Male Power. Deer antler,
9 pancreas, orchic--despite what we just heard that the FDA
10 prefers the term "testicular tissue" to be written on the
11 labels, I have never seen a dietary supplement say
12 "testicle." They always say "orchis" or "orchic" which may
13 sound rather flowery to the etymologically impaired--thymus,
14 adrenal, heart, lymph node, prostate, spleen and pituitary.
15 There are actually seventeen organs in that particular
16 product.

17 There is another product that is called Brain
18 Nutrition that tells us that it is vitamins and minerals
19 essential for important brain function. It does not mention
20 that there is any glandulars on at least the bold print.
21 But if you look at the small print on the back, we learn
22 that it has brain extract and pituitary extract, raw, in
23 there.

24 We know that many of the organs that can be found
25 in the dietary supplements do fall in that list of organs

1 that are suspect for contamination with TSEs, the labels, in
2 nearly all cases, identify neither the animal source nor the
3 geographic location from which the organs were derived. I
4 have seen one line that did specify from New Zealand cattle
5 but no other manufacturer will list either the species or
6 the geographic location.

7 The FDA's and the USDA's import alerts that we
8 just learned about prohibit the use of these organs in
9 foods, medicines and medical devices. But my reading of the
10 alert, 17-04, suggests that DSHEA does allow some loopholes
11 for these tissues to possible slip in.

12 I will just read from 17-04 that we heard. On the
13 first page, it says that, "This alert does not establish any
14 obligations on regulated entities." I love seeing
15 legislation that starts out with that caveat.

16 Then it says, further, "The USDA regulations do
17 not apply to bovine-derived materials intended for human
18 consumption as finished dietary supplements." We also learn
19 that the prohibition, or the import alert, is limited to
20 bulk lots of these tissues, completed tissues, from BSE-
21 derived countries. It does not mention if it is not a bulk
22 import or if it is raw materials rather than finished
23 materials.

24 Further, we know that it is strongly recommended
25 but not actually prohibited in the language here. So I have

1 not taken the assurances from that import alert that Dr.
2 Yoore was trying to convey to us.

3 So, in sum, dietary supplements sold in the United
4 States often contain ruminant tissues from undisclosed
5 sources. Personally, I am rather squeamish and I don't
6 think I would be eating prostate or testicle or pituitary,
7 out I am also a little bit wary of consuming products with
8 those glands, not just out of personal repugnance but simply
9 out of a health concern.

10 So my question to the advisory committee is this;
11 is my caution reasonable and, if it is, should we take
12 further efforts to inform, or even protect, the American
13 public from such exposure.

14 I was curious about Dr. Moore's remarks. I sensed
15 two messages. One was the initial reassurance that FDA has
16 the regulatory authority but then I also learned that it is
17 the manufacturer's responsibility to provide those
18 assurances, that the FDA doesn't actually inspect.

19 I think that the FDA commissioners from Harvey
20 Wylie to David Kessler would say that that track record has
21 proven itself.

22 Thank you very much.

23 [Applause.]

24 DR. BROWN: Thanks, Dr. Norton.

25 **Committee Discussion**

1 DR. BROWN: We now open a discussion, if there are
2 no other public declarations, comments. We open the
3 committee discussion. Before you go, Dr. Lurie, I wanted to
4 ask, since Dr. Norton brought up three specific points on
5 the--was it a legislative--was it 17-04? It had a number on
6 it, whatever, the document from which he quoted.

7 The quotes seem to be in flat contradiction to
8 what Dr. Moore suggested and I would like that ironed out in
9 public. Somebody has misinterpreted or not interpreted far
10 enough and I don't know who that is.

11 So, Dr. Moore, could you possibly respond to the
12 specific sentences that were read? Thank you.

13 DR. MOORE: The import alert contains a guidance
14 to FDA field personnel. It does not establish any
15 requirements or create any rights or obligation. Those are
16 standard disclaimers required under other administrative
17 acts that govern the agency's regulatory thing. That is
18 simply the agency cannot impose binding things on a
19 regulated entity without doing notice and comment
20 rulemaking.

21 Import alerts are not done under notice and
22 comment rulemaking. So that is why that disclaimer is in
23 there, to make that explicit. One has to read any legal
24 document in its entirety. While the initial part, the
25 charges, apply to bulk ingredients, when one goes to the

1 guidance section, it is explicit to the inspectional
2 agencies that this applies also to finished products that
3 contain specified risk material.

4 So it applies to bulk and finished even if the
5 charges, as they are written on the opening page, use the
6 word "bulk."

7 What was the third? You said there were 'three?

8 DR. NORTON: The wording that you quoted, and that
9 I read also, just uses the phrases "recommended" and
10 "strongly recommends," but it does not seem to have any
11 absolute binding.

12 DR. MOORE: It doesn't because it is guidance. As
13 I said, it is a guidance. For FDA to impose binding
14 requirements on the industry, one must do notice and comment
15 rulemaking. This is not notice and comment rulemaking.
16 Therefore, it is a guidance. One cannot order someone to
17 do--that is the very nature of the word "guidance."

18 DR. NORTON: I think it is that prospect of a
19 loophole which we see, for example, just in the labeling of
20 the testicle versus orchic, whether companies can, perhaps,
21 use their own preferences for the labeling where they might
22 be able to use their own preferences for the purchasing,
23 again, in sort of contradistinction to the guidance.

24 DR. MOORE: A firm can always choose to ignore a
25 law, a regulation or a guidance. It is a matter of FDA,

1 with the resources available to it, to pick and choose those
2 things it is going to act on based on what poses, at that
3 moment, the greatest public-health safety threats.

4 To the extent that firms are using labeling,
5 identity statements to identify products that maybe wouldn't
6 be the common or usual name the agency would prefer probably
7 is not the type of violation of a regulation or act that the
8 agency is going to devote resources to given that there are
9 other issues that we would devote those resources to that
10 have a direct public-health threat at that time.

11 So we are going to focus on safety, our resources
12 on safety issues first. These more technical violations of
13 the act are going to be dealt with on a somewhat lower
14 priority.

15 DR. BROWN: I think, in general, what Dr. Norton
16 is saying is what the committee has been aware of about
17 other products, too. Guidance is the preferred, it would
18 seem, mechanism or means by which the FDA seeks to insure
19 safety. While it is true that one flaunts something that is
20 suggested as opposed to something which is a law, at their
21 own peril, I suggest that the peril to flaunt a law is
22 substantially greater than the peril to flaunt a
23 recommendation.

24 So I don't think there is an argument there. I
25 have quite a lot to say about this subject, but, Dr. Lurie,

1 go ahead.

2 DR. LURIE: The debate, actually, reminds me a
3 little bit of what the singer Tom Waits said about religion
4 which was, "There are a lot of religions and they can't all
5 be right. But they might just all be wrong."

6 I would like to thank Dr. Norton for what he has
7 done here. I think it was extraordinarily brave of him to
8 write this letter and I think that the interaction we have
9 just seen is that, in fact, in this case, one religion is
10 right. Dr. Norton is right here.

11 In fact, there is no teeth, whatsoever, to what
12 the FDA can do in this area. He is absolutely right that
13 guidances are liable to be flaunted and the experience of
14 this very committee at our last, or next-to-last, meeting is
15 that some of the regulated industries have done just that.

16 When the FDA passed its guidance with regard to
17 sourcing of materials for injection, implantation and the
18 like, to not source them from BSE countries in '93, we
19 discovered that the regulated industries spent about seven
20 years flaunting precisely that.

21 So there is no assurance here. I find, frankly,
22 the assertion by FDA that they have "adequate regulatory
23 authority" in this area is incredible.

24 It is incredible because there is no guarantee of
25 safety except that which the self-interested manufacturer

1 might, itself, provide. There are no regulations on good
2 manufacturing practices and there is certainly nothing on
3 efficacy.

4 If that is what we call adequate regulatory
5 authority, I just don't understand. Furthermore, if the
6 issue is safety, the FDA knows well that it lost a case out
7 west someplace of 34 people killed by Ephedra. Even 34
8 bodies, let alone the potential for illness about which Dr.
9 Norton is concerned, were not enough to result in the change
10 of the regulation of Ephedra.

11 So this is a tremendously worrying area. I agree
12 that, at present, there may be no evidence of harm, but Dr.
13 Norton has well indicated a real hole in the regulation not
14 only here with respect to BSE but with regard to dietary
15 supplements more generally.

16 DR. DETWILER: May I just, at least, correct one
17 thing, and then I will tell you how you can--Dr. Norton
18 mentioned about the USDA. There is no exemption for dietary
19 supplements. There is for cosmetics. There is for gelatin.
20 But there is no exemption for dietary supplements.

21 I will tell you one thing, though. In importation
22 and what happens with importation, USDA, if it is bulk
23 product, our regulations can keep out organs and tissues of
24 ruminant origin. That is a given. If it is labeled with
25 products of ruminant origin, we don't regulate end use. We

1 don't regulate end use.

2 Our regs is the material coming in. If it is
3 Labeled as ruminant-origin coming in, then our system can
4 pick it up and keep it out, with the exemptions of certain
5 tissues going for cosmetics, but then CFSAN has a system in
6 place there for cosmetics. Then gelatin, as this committee
7 has looked at the issue of gelatin, those are our two
8 exemptions.

9 The dietary supplements, since they are labeled
10 with certain tissues, we can keep them out. However, if the
11 Labeling is such where that is not apparent--that is what
12 happened in the vaccine issue--we don't know--there is no
13 way in our system, and maybe this is what you are alluding
14 to--there is no way in our system to pick that up. We have
15 no mechanism that says we know, the USDA knows, what is in
16 there.

17 But there is no exemption in the 9 CFR for dietary
18 supplements.

19 DR. NORTON: That's wonderful. I feel good to
20 hear that but I just know that what I can obtain from the
21 USDA's website it says, for example, since 1991, the USDA
22 has prohibited the importation in the U.S. of certain
23 tissues and organs from ruminants from countries where BSE
24 exists.

25 Then it goes on to that 9 CFR 94.18. But then, at

1 the end of the paragraph, it says, "The USDA regulations do
2 not apply to imports of bovine-derived materials intended
3 for human consumption as either finished dietary-supplement
4 products or for use as ingredients in dietary supplements."

5 DR. BROWN: The other point that could be made
6 here is that something which--

7 DR. DETWILER: This is not the USDA's; is it?

8 DR. NORTON: That is the FDA's import alert 17-04
9 that cites the USDA.

10 DR. DETWILER: I just want to correct--this is not
11 the USDA's.

12 DR. BROWN: Let me say something here and that is
13 that it strikes me that if the FDA is depending on the USDA
14 to be the primary stop gap, then that is punting the ball.
15 If it is a question of the port authority stopping material
16 that might be a risk in terms of its presence in dietary
17 supplements, that is probably not the ideal solution.

18 It is nice that the USDA gets in on the act. It
19 is a little disquieting to think that the USDA has the
20 primary role in the act. I bring to the committee's
21 attention just a case that we published as part of our
22 iatrogenic disease paper a few months ago. I thought it
23 would be instructive.

24 It is an anecdote, but it was the case of a woman
25 in Massachusetts, several years ago, who died from CJD.

1 After the fact, it was discovered that she had been taking a
2 dietary supplement for a year or two or three before--more
3 than that, actually. She had been taking dietary
4 supplements for years.

5 That is probably not uncommon either. I think
6 probably it was, in fact, a case of sporadic CJD but it was
7 a little worrisome to learn the ingredients of what she was
8 taking. She was taking a half a gram of brain, of bovine
9 origin, which, on the label, said was "imported," as though
10 this was a merit.

11 It was not specified from what country it was
12 imported but it was specified that it had been specially
13 processed to retain all its natural purity and its potency.
14 Here is a lady who is taking half a gram of brain for years,
15 not knowing the origin of the brain, at a time when BSE was
16 rampant.

17 As I say, there is no indication that the two were
18 related. But it was a little troubling to realize that this
19 had occurred. Brain is a favorite substance in many dietary
20 supplements. And pituitary is a favorite substance in many
21 dietary supplements. God knows what they do, in terms of
22 improving human health. But I think there is a real
23 consideration that they might do the reverse.

24 That is one of the reasons why I hoped, and now
25 have gotten the opportunity, at least to bring into the

1 public domain certain concerns about these supplements.

2 MS. OLIVER: My name is Janice Oliver. I am from
3 FDA's Center for Food Safety. I would just like to comment
4 on a couple of things and a couple of comments that have
5 been made.

6 When Bob was commenting on dietary-supplement
7 regulation in terms of BSE coming in from countries and how
8 we regulate it coming from other countries, he was not
9 commenting on DSHEA and its regulatory authority all the way
10 across the board. That would have taken much longer. He
11 had a very small presentation on it.

12 The import alerts that we have are guidances to
13 our field. The primary focus, because it is easier to do,
14 is looking at bovine ingredients that might be coming in
15 from BSE countries. That is in the bulk.

16 There are two ways for doing that. One is USDA,
17 and some of it is done through USDA. The other is that
18 companies, through Customs, have to notify FDA of any food
19 products or other products that are coming in, and so we get
20 notified. Our inspectors, then, are notified of those
21 things and automatically detain them. They are not allowed
22 into the country.

23 That is what the import alert does. It is
24 automatic for notifying the investigators and inspectors.
25 The products are also included in there that are dietary

1 supplements if they come from BSE-originated countries and
2 have bovine ingredients.

3 As you said, there are a number of products that
4 are on the market, and I think two were pointed out, that
5 don't have the source. They should have the source on them.
6 They are not required to have the country of origin for
7 bovine ingredients or for any ingredients on the list. It
8 is only when they come in that they know that or when we go
9 to the inspections of establishments to find out where do
10 they get their ingredients and what do they get.

11 I just wanted to clarify those things that you
12 were talking about before. That is an import alert which is
13 not a regulation. There are two parts to it. The first
14 part you read was very clear. I looked at it again this
15 morning and it is very clear dealing with the bulk
16 ingredients.

17 The second part is, basically, saying, if you get
18 dietary ingredients in, or a dietary supplement from, a
19 country that has BSE, then you are to call the Center for
20 Foods. There is a contact in there. It basically ends up
21 being an automatic detention. That is what happens. They
22 are detained.

23 DR. NELSON: But the number of countries that are
24 endemic for BSE are changing.

25 MS. OLIVER: Yes.

1 DR. NELSON: How quickly--I mean, you must always
2 be behind the risk, given the BSE situation?

3 MS. OLIVER: It originally said U.K. It has been
4 updated several times as it has been--I don't have the list
5 of countries. Bob has it. It includes all of Europe and it
6 includes a number of other countries, so it has been updated
7 since additional information has come in. That is what has
8 happened. It includes the new BSE countries as the
9 information comes from USDA.

10 DR. NELSON: Why is there no requirement that it
11 is on the label? That doesn't make sense--where it comes
12 from?

13 MS. OLIVER: There isn't any. I can't answer why,
14 but there is no requirement that it be on the label where
15 the specific ingredients come from.

16 DR. BROWN: Linda, would it be okay to share a
17 couple of--information about possible loopholes to this from
18 last week's meeting, or not?

19 DR. DETWILER: The one thing that I do--there are
20 loopholes. I am not going to say that--but I don't want
21 people to be misled. If it is brought to our attention, we
22 do have the regulatory authority to keep it out, other than
23 the exemptions that I said. So that is where I really
24 wanted to make it clear. I'm sorry. I get defensive about
25 that.

1 But there is nothing in our regs that exempts
2 dietary supplements per se, if it has those. But, again, we
3 have to know about it. See; we have to know what is on
4 there in order to prevent that. I think that is where we
5 don't have--like, for animal vaccines; that is one thing
6 that comes under our jurisdiction: So we do require, just
7 like the FDA, for human vaccines, the list of things and
8 what is in there.

9 DR. BROWN: Maybe I could just ask you what is the
10 way station for determining what, in fact, is in a package
11 or a bulk or anything. The first people that see it, when
12 it comes into this country, I think you said were the
13 Customs and Immigration people. They are responsible for
14 categorizing it according to what documentation they have.

15 DR. DETWILER: That's correct; yes.

16 DR. BROWN: One of the categories is
17 miscellaneous.

18 DR. DETWILER: This was something Bob probably
19 could address because that is something that he brought up
20 yesterday. I am not sure if that applied just to the
21 products. Maybe he could clarify that.

22 DR. BROWN: Even before he does, you remember the
23 wonderful story that was told about a bulk shipment of a
24 material that was labeled pesticide. I am just recounting
25 this to indicate what can happen. An alert Customs and

1 Immigration officer looked at the size of this thing and
2 said, "That is an awful big package for pesticide."

3 He opened it and it turned out to be meat and
4 bonemeal. It was meat and bonemeal because it was going to
5 be spread on ground to prevent grazing by deer who hate the
6 smell of meat and bonemeal. That is the kind of thing that
7 probably doesn't happen but very rarely, but it can happen.

8 If we are depending on sawy Customs and
9 Immigration officers as our first line of defense, it is a
10 very nice line of defense to have but it seems to me there
11 ought to be one or two closer to the FDA.

12 DR. DETWILER: That was a combination of Customs
13 and USDA. Just to give our guy credit.

14 MS. OLIVER: May I say one more thing--Janice
15 Oliver--on the closer--and on the thing that you were
16 talking about where something is brought in under another
17 name. Over this past year, there was a initiative, a
18 presidential initiative, in which FDA and Customs basically
19 put into place a plan and the development of additional
20 rules and additional safeguards really to target bad actors,
21 which is really what you are targeting about.

22 There has been additional surveillance. There is
23 additional secured storage, additional civil money
24 penalties. There are a number of things to go with that. I
25 am just acknowledging that that can happen and we are

1 putting into place, as has Customs, additional things to try
2 to have that not happen. That is different than when things
3 are identified when they came in and they are identified to
4 customs and rightly identified and we do something with
5 them. That is a second part of the story.

6 DR. BROWN: The use of blocking imports is
7 certainly one approach to maintaining the safety of the
8 product. But another approach, of course, is requiring the
9 producers of the product to document, in a satisfactory way,
10 the authenticity of what they are telling you.

11 My understanding is that the FDA really cannot do
12 that under the present law, not in the way they can do it
13 with other products including other food products; that is,
14 you ask a manufacturer, you suggest it to a manufacturer,
15 you guide the manufacturer, say, "It would be awfully good
16 if you did this and we certainly recommend that you do do
17 it; do you do it?" and the manufacturer sends back a letter
18 and says, "Yes."

19 You put the letter in a file. I would be much
20 more assured if I knew that there was some mechanism in
21 place that was actually used by the FDA to authenticate and
22 document the truthfulness of this industry's response to
23 your guidance.

24 It just seems to me that you ought to hold--I
25 can't say that you ought to hold, because maybe you don't

1 have the authority to hold, but it just seems to me a
2 logical thing to hold this industry to the same standards of
3 safety that you hold every other industry to.

4 DR. CLIVER: A couple of impressions. First of
5 all, it was clear to me when the dietary supplements law was
6 passed that it was the intent of Congress to make it as
7 difficult as possible for FDA to do the things that they had
8 always done with drugs, for example; no proof of efficacy,
9 safety is sort an ephemeral concept there. Beyond that,
10 though, as far as division of responsibilities among the
11 various agencies is concerned, it gets very difficult, at
12 the federal level especially, to build in redundancy.

13 The fact that USDA APHIS has a specific
14 responsibility for what comes in at a port that may be of
15 animal origin or that might have agents of animal or plant
16 disease, yeah; we could look for backups on that. But it is
17 not our place to reinvent government. This is the way
18 government works.

19 There is an inauguration going to happen tomorrow.
20 We may find government being reinvented on very short notice
21 here but I bet that some of the things we would most like to
22 see happen are not going to.

23 So we are in a situation where we are working
24 within a system and we need to provide as good guidance that
25 may have some scientific basis, as we can. I think that is

1 what we are being asked to do. Personally, I wonder about
2 calling something an herbal supplement that has all these
3 animal products in it. That strikes me as clearly
4 mislabeling. But, beyond that, just the fact that we don't
5 explicitly outlaw--including eye of newt or wing of bat
6 doesn't necessarily mean that the public isn't being
7 protected with reasonable certainty.

8 DR. BROWN: You can. I would add only to that--I
9 think you are absolutely right--that we also have a little
10 part in reinventing government. And that is what we are
11 doing.

12 DR. MOORE: I would address two issues. What we
13 can do is to limit the availability or to take action
14 against a substance. We can do--with the authority that
15 Congress has given us in the statute. If the statutory
16 authority doesn't exist, FDA, as a regulatory agency, can't
17 dub itself with that authority. That is a legislative fix
18 to the extent that you may not be perfectly satisfied with
19 some of the elements in our statutes. It is Congress that
20 is the appropriate part of the federal government that can
21 change that law, not FDA.

22 The second is regarding the authority for identity
23 and to document source. Clearly, our view is that authority
24 exists. It is authority that exists probably in the parts
25 of the statute that gives us the legal authority to impose

1 good manufacturing practices.

2 To the extent of what types of records we can
3 require the industry to keep, whether or not we have access
4 to those records, are legal questions that are way beyond
5 the time we have here.

6 We have been working on good manufacturing
7 practices. They are written. They are at the Office of
8 Management and Budget. When, if or what they do with them
9 is out of our hands. We have gone as far, under the
10 Administrative Procedures Act, as we can go at this point to
11 address these issues. It is a matter, now, of, once that
12 proposal is released for publication in the Federal Register
13 and the rulemaking process moving on.

14 DR. BROWN: I don't want anybody in the room to
15 think that I, personally, am putting the FDA on the
16 defensive. I think the FDA, as you say, is doing about as
17 much as it can. What I had hoped would be to put on the
18 public record a sentiment which desires more. I think
19 probably the FDA feels the same way.

20 DR. PRUSINER: I wonder if we could just take a
21 few minutes--I don't want to drag this out because it is
22 probably not--it may not be what you think is appropriate
23 but I think it is appropriate in the sense that it is really
24 illustrative. I would like to see the FDA comment on this.

25 If we take a specific dietary supplement that is

1 sold. Let's just take one. What we have heard about are
2 these sort of lots of stuff in one. Let's talk about
3 melatonin for a moment because here is something that large
4 numbers of people are taking. There are books that are
5 written about this. This is a real fad.

6 In many European countries, you can't even buy it,
7 for whatever reasons; it is not clear to me. In the United
8 States, it is freely available. I can tell you that I think
9 the stuff works. It does good things for jet lag and, after
10 trying it a few times and becoming convinced it works, then
11 someone says to me one day, "You know, this stuff is all
12 extracted from bovine pituitaries."

13 I threw it all out. So the next thing I do is I
14 start to investigate where does the stuff come from. Then I
15 am told by the manufacturers in the United States, at least
16 one of them, that it is all synthetic. Then, if you look on
17 the labels, it says, "HPLC-analyzed," whatever this means.

18 I would like to know if we have any information
19 about something like this as a specific instance of
20 something where we--everyone on this committee, I am sure,
21 would not want to have melatonin extracted from bovine
22 pituitaries whether it is in the United States or whether it
23 is from Europe, especially from Europe, flowing into the
24 consumer market. Tons of this stuff is being purchased and
25 used.

1 DR. BROWN: Let's follow up with that specific
2 example. You say the label or the manufacturers say it is
3 synthetic. I suppose a synthetic substance could be
4 imported from Europe. So suppose we have this example,
5 melatonin, said to be synthetic, imported from Europe. Is
6 there any documentation to indicate that the synthetic is
7 really synthetic? Is it manufactured somewhere in a
8 factory?

9 Is there any validation of what is on a label or
10 do you simply trust what is on the label and what the
11 manufacturer says.

12 DR. BOLTON: I think that is an excellent
13 question. I think a recent example of that goes back the
14 term "organic" in terms of organic foods. Is there a
15 definition of synthetic or natural that is used and accepted
16 by the FDA or any regulatory agency that has actual meaning?

17 DR. PRUSINER: This gets amplified in Dr. Moore's
18 presentation about, let's say, glucosamine. We have U.S.
19 materials going to Spain, being extracted in Spain, maybe
20 extracted in a plant that is extracting glucosamine from
21 European animals. Then it is cleaned by whatever process
22 they decide to clean it by and then they start extracting
23 materials from the U.S., and they return these to the U.S.,
24 Especially in the case of the prions which are so
25 difficult to inactivate, how good is the cleaning process?

1 DR. BOLTON: I have an additional question about
2 that. What is the assurance that additional locally sourced
3 tracheas are not added into that manufacturing process, thus
4 boosting the yield, if you will, but being returned to the
5 U.S. as being produced from U.S.-sourced raw material?

6 DR. McCURDY: Are there data to indicate how many
7 grams, or whatever, of infected brain are likely to infect
8 an organism, either animal or man, when taken orally?

9 DR. BROWN: If I am not mistaken, and I can be
10 corrected, I think a half a gram is enough in a cow, orally;
11 in other words, one good dietary-supplement pill.

12 DR. McCURDY: What I am driving at is the question
13 we are asked is really not do we wish to regulate these
14 things coming in. I think the statements about difficulties
15 in regulating things in the future or near future for new
16 regulations were probably accurate.

17 But I think that we could exhibit some quite
18 reasonable concern about blood donors who are taking dietary
19 supplements that contain a certain amount of unspecified-
20 origin brain, brain-related, brain and pituitary material.
21 If they have done this for more than a sniff or something
22 like that, then, perhaps, they should be deferred as blood
23 donors.

24 That is probably worse than spending six months in
25 the U.K.

1 DR. BROWN: That is exactly right. I think that
2 is why the discussion has apparently been on things that are
3 not directly related to these questions because, in order to
4 think about deferrals for blood donors who are taking
5 dietary supplements with things like bovine brain in them,
6 it is very important that we know that those products are
7 safe.

8 I think we have heard enough to suggest that they
9 may not be.

10 DR. McCURDY: There is one other item that needs
11 to be considered and that is what proportion of blood donors
12 are doing this; that is, how many blood donors would you
13 lose, and I don't know what the demographics--there is
14 fairly good information on the demography of blood donors.
15 I have no idea what the demography of people who take these
16 supplements is. Maybe they are old men like me and aren't
17 going to be blood donors anymore.

18 DR. BROWN: The wording of the question is not as
19 demanding as the wording of other deferral questions; that
20 is, the question here is "consider recommending." We are
21 not even recommending at this point. We are saying to the
22 FDA, please think about this. It is worth thinking about.

23 DR. DETWILER: One point about brain from Europe,
24 and Jean Philippe is still here, those are considered
25 specified risk material and it is not correct to be

1 ealing with that data and these issues and the concern in a
2 ore dedicated fashion.

3 I think what we know now is that we are concerned
4 bout this, that we don't think it is as regulated as it
5 hould be but what I am not sure about is, in fact, how much
6 ovine central-nervous-system product really is on the
7 helves at the moment or has been over the last five years.

8 I think we need to know that. Now, maybe we can't
9 'igure it out exactly, but I think we could change the
10 anecdote. We could figure out where melatonin comes from
11 and get that data and have it returned to committee because
12 I think that is a very important piece of information in
13 order to guide us in our actions and the level of our
14 concern.

15 DR. BROWN: I can tell you from having visited a
16 shop locally that sells nutritional supplements that about a
17 quarter of the nutritional supplements on the shelves
18 contained brain or pituitary. There is a lot of brain out
19 there, number one.

20 The other matter is that we do already ban the
21 importation, for example, of brain. And brain is being
22 ingested.

23 DR. ROOS: But remember, Paul, there are plenty of
24 people in the United States who have brain regularly and
25 want to do that.

1 DR. BROWN: But we don't import it. We can't
2 import it from Europe.

3 DR. ROOS: The importation is, yes, to me, the
4 issue. How much of what you see on the shelf is imported
5 central nervous system.

6 DR. BROWN: What I am trying to say, Ray, is that
7 we did not require a systematic epidemiologic study of CJD
8 in people who eat imported brain from Europe before banning
9 its import. We just didn't do it. To require that would
10 set a different standard for nutritional supplements than it
11 does for brain--and I use brain because it is orally
12 ingested. We obviously don't do it for blood.

13 I am not arguing with you in terms of yes, it
14 would be nice to have this data, but we have certainly acted
15 without--

16 DR. ROOS: No; there are two issues. One is
17 whether one should ban it, and I agree with it. And the
18 other is should one be concerned about individuals who have
19 ingested it and who have known dietary histories that might
20 be more worrisome than spending six months in U.K. It is
21 that issue which really relates to deferral practices here
22 by the FDA that I think we need a little bit more data on.

23 I agree with the ban.

24 DR. BROWN: One source of that data, and I don't
25 think anybody is here from the European CJD Surveillance--

1 DR. BROWN: That is exactly right. I think that
2 s why the discussion has apparently been on things that are
3 ot directly related to these questions because, in order to
4 nink about deferrals for blood donors who are taking
5 ietary supplements with things like bovine brain in them,
6 t is very important that we know 'that those products are
7 afe.

8 I think we have heard enough to suggest that they
9 ay not be.

10 DR. McCURDY: There is one other item that needs
11 o be considered and that is what proportion of blood donors
12 re doing this; that is, how many blood donors would you
13 ose, and I don't know what the demographics--there is
14 airly good information on the demography of blood donors.
15 I have no idea what the demography of people who take these
16 supplements is. Maybe they are old men like me and aren't
17 going to be blood donors anymore.

18 DR. BROWN: The wording of the question is not as
19 demanding as the wording of other deferral questions; that
20 is, the question here is "consider recommending." We are
21 not even recommending at this point. We are saying to the
22 FDA, please think about this. It is worth thinking about.

23 DR. DETWILER: One point about brain from Europe,
24 and Jean Philippe is still here, those are considered
25 specified risk material and it is not correct to be

1 incinerated; correct? Or destroyed? Brain and spinal cord
2 and other high-risk tissues in Europe?

3 DR. NORTON: In tomorrow morning's British Medical
4 Journal, which has appeared on-line today, there is an
5 article called "U.S. Takes Precautions against BSE." One
6 paragraph says, "Even though the U.S. and U.K. governments
7 ban the practice of feeding cattle products to cows, in the
8 early 1990s, some U.K. renderers continued to manufacture
9 and ship contaminated meat and bonemeal around the world.
10 British export statistics show that thirty-seven tons of
11 meal made from offal was sent to the United States in 1997,
12 well after the U.S. government banned imports of such risky
13 meat. The ultimate use of these imports has not been
14 identified."

15 That will appear tomorrow morning.

16 DR. DETWILER: That actually was in The New York
17 Times. That is a direct quote out of The New York Times
18 article. We called the reporter on that. That statement,
19 the thirty-seven tons, was taken out of the U.S.
20 Geographical BSE Risk Assessment. What they didn't put in
21 there, in the statement, was the remainder of the GBR is at
22 that time, the big labeling for that category in the U.K.,
23 because it was illegal for them to ship it to us from their
24 own regs. It is illegal for us to get that.

25 We did go and try and trace that so that wasn't

1 correct on there. But we did import feather meal out of the
2 U.K. at that time, but the big labeling, and it is right in
3 the GBR, says that there was a big catch-all category from
4 that.

5 DR. PRUSINER: Can you translate that?

6 DR. DETWILER: I'm sorry; it has been a long two
7 days. They have a category--this is from their export
8 statistics. It is flowers, meals, and what not. The notes
9 on there to say that it was illegal there. Britain was not
10 shipping that product out. It was illegal to send it into
11 the U.S., however, it could contain--that category was
12 other; flowers and poultry and non-mammalian protein.

13 At that time, it was still okay to import to the
14 United States poultry and feather meal which we had done.
15 We had some shipments.

16 DR. ROOS: I guess we have heard about the legal
17 aspects of this from the FDA and the ingredients of two
18 products which were vaguely noted and concern about
19 melatonin, and your anecdotal report, Paul. But all of
20 these are anecdotal. I would like to say what we really
21 need is data, if we can get it.

22 In other words, how often has bovine central-
23 nervous-system product come in or how often is it coming in
24 now. Is that able to be identified or known even by
25 figuring out what is bovine product by analysis and then,

1 dealing with that data and these issues and the concern in a
2 nore dedicated fashion.

3 I think what we know now is that we are concerned
4 about this, that we don't think it is as regulated as it
5 should be but what I am not sure about is, in fact, how much
6 bovine central-nervous-system product really is on the
7 shelves at the moment or has been over the last five years.

a I think we need to know that. Now, maybe we can't
9 figure it out exactly, but I think we could change the
10 anecdote. We could figure out where melatonin comes from
11 and get that data and have it returned to committee because
12 I think that is a very important piece of information in
13 order to guide us in our actions and the level of our
14 concern.

15 DR. BROWN: I can tell you from having visited a
16 shop locally that sells nutritional supplements that about a
17 quarter of the nutritional supplements on the shelves
18 contained brain or pituitary. There is a lot of brain out
19 there, number one.

20 The other matter is that we do already ban the
21 importation, for example, of brain. And brain is being
22 ingested.

23 DR. ROOS: But remember, Paul, there are plenty of
24 people in the United States who have brain regularly and
25 want to do that.

1 DR. BROWN: But we don't import it. We can't
2 import it from Europe.

3 DR. ROOS: The importation is, yes, to me, the
4 issue. How much of what you see on the shelf is imported
5 central nervous system.

6 DR. BROWN: What I am trying to say, Ray, is that
7 we did not require a systematic epidemiologic study of CJD
8 in people who eat imported brain from Europe before banning
9 its import. We just didn't do it. To require that would
10 set a different standard for nutritional supplements than it
11 does for brain--and I use brain because it is orally
12 ingested. We obviously don't do it for blood.

13 I am not arguing with you in terms of yes, it
14 would be nice to have this data, but we have certainly acted
15 without--

16 DR. ROOS: No; there are two issues. One is
17 whether one should ban it, and I agree with it. And the
18 other is should one be concerned about individuals who have
19 ingested it and who have known dietary histories that might
20 be more worrisome than spending six months in U.K. It is
21 that issue which really relates to deferral practices here
22 by the FDA that I think we need a little bit more data on.

23 I agree with the ban.

24 DR. BROWN: One source of that data, and I don't
25 think anybody is here from the European CJD Surveillance--

1 they have about, I don't know, 500, 600, 700, maybe 1000
2 cases of CJD for which extensive dietary histories were
3 taken throughout Europe. I am pretty sure one of the
4 questions was dietary supplements.

5 That would just be one source of information. It
6 wouldn't be definitive but at least it might give an idea of
7 what you are talking about; that is, is there any
8 relationship between dietary supplements--on the other hand,
9 they probably couldn't ask if the people knew what the
10 dietary supplements contained.

11 They might have been arrow root instead of brain.
12 So it probably is not information that is available anywhere
13 in the world.

14 DR. BURKE: I don't know what the word "banned"
15 means yet because we have heard several interpretations of
16 what a ban is. If we knew today that somebody was
17 importing, still, from the United Kingdom brain that was
18 going into nutritional supplements and it wasn't being
19 picked up at importation, do either the FDA or the USDA have
20 any powers to do anything about that?

21 DR. DETWILER: Yes. If you found something that
22 came in, we would have the power to go back, if we had found
23 something on the shelf, to go back. The cosmetics or the
24 gelatin; those are the only ones we don't--to go back and
25 trace that back, to take action.

1 DR. BURKE: What would be the actions that would
2 be possible?

3 DR. DETWILER: It depends on the circumstance. If
4 it was brought in versus administrative, and that is the
5 most likely action, versus a criminal action.

6 DR. BURKE: So there is some authority there in
7 existence today.

a DR. LURIE: That is if you knew.

9 DR. BROWN: But it is interesting that it is USDA
10 authority.

11 DR. DETWILER: Right. That is it exactly, if you
12 knew. But that was the point. You said if we found it;
13 right?

14 DR. BURKE: That was the question

15 DR. LURIE: But the point is you might not know.

16 DR. DETWILER: Absolutely.

17 MS. OLIVER: FDA has authority, too, to take it
18 off the market. We have it on an import alert which,
19 basically says we deny entry on certain charges. If it came
20 into the country, we would--we would have to know about it,
21 obviously. We would seize it. We would ask for it to be
22 recalled. We would do those things. We are talking about
23 the BSE.

24 DR. BOLTON: What obligation is there of the
25 manufacturer to document and inform the FDA or the USDA of

1 the source of the raw materials in these products? Is there
2 any obligation?

3 DR. DETWILER: That is where I said before, those
4 things for human use, other than meat which comes under the
5 meat inspection, but for human use, those things don't come
6 under our authority to document what is in them.

7 DR. BOLTON: So if I am a manufacturer of a
8 supplement and I have a bottle on the shelf that does not
9 say where the raw materials come from, and I don't have to
10 document that and I don't have to inform anybody, how will
11 you find out?

12 MS. OLIVER: There are two things that we do. It
13 is not foolproof, but I can answer that question for how we
14 find out. One is on imported products that come in, if it
15 has a bovine ingredient, we will, from a BSE country--it is
16 coming from a BSE country--we will detain it and we will
17 require that they provide us the source of that information,
18 as long as we know about it.

19 If we go on inspections and it is one of the
20 things that Bob was saying, one of the things that we have
21 our inspectors do during inspections of dietary-supplement
22 manufacturers is, if a bovine ingredient is being used by
23 the firm, we ask that they find out the source of the bovine
24 ingredient and where it is coming from.

25 So they determine that. But the manufacturer

1 does--

2 DR. BROWN: Would you run that by again, please,
3 one more time?

4 MS. OLIVER: Yes. The manufacturer--

5 DR. BROWN: The whole of that sentence.

6 MS. OLIVER: Okay. Going from the imports. On
7 the imported products, we have a directive, an import alert,
8 to our field that when the product comes in, and we have to
9 be notified of entry of dietary supplements, whether it be
10 in bulk or whether it be as a finished product. If we are
11 notified of it, and USDA is also one criteria for the bulk
12 ingredients--if we are notified, we are to detain them which
13 is denying entry. We do not allow it entry from a BSE
14 country.

15 If it is an importer and it is from a BSE country
16 and it has multiple ingredients on it, some of which are
17 bovine origins, we will ask the importer to determine for us
18 where that has come from or we will deny it entry until
19 then.

20 If, during an inspection, and I say during an
21 inspection because we do not require it of everybody, during
22 our inspections of dietary-supplement manufacturers, one of
23 the items that we have for the inspectors to check during
24 the inspections is if bovine ingredients are used in the
25 dietary supplements, to check the source. They are checking

1 to see if it has come from a BSE country. That is what they
2 are doing.

3 Now, we do not inspect every country regularly, if
4 you come back to ask what we do. But that is what we do
5 during the inspection.

6 DR. BROWN: What if Spain, or let's say what if a
7 manufacturer in this country using bovine brains, say, has
8 it processed in Spain. Perhaps it comes from Spain. And
9 then they send it to Canada. And then Canada runs it across
10 the country on a train?

11 MS. OLIVER: That was one of the things that Bob
12 Moore talked about earlier. He had a number of things that
13 he talked about in the slides and what we have found out in
14 inspecting dietary-supplement manufacturers is that some
15 products are shipped either from the United States, from
16 Canada, somewhere else to be further processed and that we
17 need to further look into what controls are needed and do
18 some additional work in that.

19 DR. BROWN: I agree, but--go ahead.

20 DR. BOLTON: So, for example, if a company was
21 using a bovine brain extract that was imported from Morocco,
22 say, there is no guarantee that that material might not have
23 actually originated in the United Kingdom, the brains being
24 sent to Morocco, ground into a paste, bottled and shipped
25 from Morocco. Here it would look like they came from

1 Morocco.

2 MS. OLIVER: The information we would have is the
3 source information that was either provided by the importer
4 or was provided by the processor.

5 DR. BROWN: I don't think the committee is
6 expecting the FDA to act as policemen and to detect out-and-
7 out fraud, dishonesty,

8 MS. OLIVER: Right.

9 DR. BROWN: We know that, but what I am getting at
10 and what I think some of the other members of the committee
11 are getting at, is that there is a spongy quality to the
12 precautions.

13 MS. OLIVER: All I am trying to do is clarify what
14 we have and what we don't have. I am not trying to say it
15 is a fail-safe thing. We did not bring everyone here from
16 the Office of Dietary Supplements or otherwise to provide
17 all the information for some of the questions that you are
18 asking.

19 We provided information on looking at the controls
20 for BSE that are coming into the country. We did go
21 further. One of the things we are going to do after this
22 committee is go back and look at, again, what additional
23 things besides what we are doing do we need to do

24 DR. LURIE: The two kinds of authority you
25 mentioned were, one, in effect, the labeling which you have

1 already shown can be eluded, at least in principle, by
2 transshipment. The second was inspection. I guess my
3 question to you is how many of these dietary-supplement
4 manufacturer plants have you actually inspected?

5 What I am struck by is we are worried about the
6 definition of organic--was that the expression? I am
7 worried about the definition of the word "milligram" because
8 the variation in the amount of these substances from drug to
9 drug, from batch to batch, is enormous as it is.

10 So what kinds of inspections, really, are you
11 doing and what fraction of places have been inspected?

12 MS. OLIVER: I can't give you that. The people
13 who would give you that information are not here with me
14 today, that would have the information. We can certainly
15 provide that later. I just don't have it offhand.

16 DR. DETWILER: I think one of the points here, and
17 I heard it a little from FDA, but just from the government
18 agencies, I think it is really important to take away--as
19 Dr. Cliver said, we can only do what the authority of
20 Congress gives us to do. You can even see with the Vermont
21 sheep, that is exactly why we are in court because someone
22 is challenging that we don't have the authority to do it.

23 I think we are all facing that, that we get
24 challenged more and more. And so that is one thing that I
25 think is important take-home for the committee.

1 DR. BROWN: I don't think anybody disagrees with
2 lat.

3 DR. CLIVER: I was just going to say, apropos of
4 reinventing government, though, it is all a matter of rigor.
5 Some years ago, I wrote a piece proposing that FDA and USDA
6 get together and ban ingredients from the U.S. food supply.
7 You may have missed it. It was published in the Journal of
8 Irreproducible Results. But, all the same, I think that
9 could get the job done.

10 DR. BROWN: Shucks.

11 DR. ROOS: I think one aspect that will fuel FDA's
12 ability to act and give it authority is if we know how well,
13 or poorly, things presently ran. In other words, for
14 something like Stan's melatonin, or some of the drugs we
15 saw--in other words, if, in fact, we could demonstrate that
16 bovine central nervous system was, in fact, on the shelf,
17 perhaps that would drive home the message here and the
18 concern and also provide some of the authority as well.

19 DR. BROWN: You have seen two bottles. It is out
20 there, Ray. I don't know how many bottles we have to bring
21 in.

22 DR. ROOS: We know that it is central-nervous-
23 system tissue. But is that from U.K. or local?

24 DR. BROWN: Ah; from the U.K. That is another
25 issue.

1 DR. ROOS: Anybody can get central nervous system
2 locally here and it is up to people if they want to take it
3 or not.

4 DR. BROWN: Right; but a lot of the discussion
5 surrounded the idea that it is labeled bovine. Maybe the
6 country of origin isn't specified and maybe it could come
7 from the U.K. and it is a hell of a thing to try and figure
8 out, under present law and authority, what the truth of
9 those statements is.

10 DR. ROOS: I think it is a good epidemiological
11 study.

12 DR. BROWN: I would also respond to Linda, just as
13 I responded to Dr. Cliver, if somebody doesn't make a noise,
14 nothing happens. So just to say we can only do what we can
15 do and not try to do more doesn't fly with me.

16 DR. DETWILER: That was not my point I was making.
17 It was the point to get that on the record again as the
18 emphasis here that that is some of the--

19 DR. BROWN: Right.

20 DR. DETWILER: That was not my point to give that
21 as an excuse to all of us. I have actually come here and
22 asked the committee to make recommendations to help us get
23 support.

24 DR. BOLTON: I think it is worth putting on the
25 record that at least I, personally, as a committee member,

1 believe that the USDA and the FDA do need the authority to
2 do more. If it is Congress that needs to act, then they
3 should look into it.

4 DR. NELSON: If we voted yes to this question,
5 wouldn't that send a message that this is an area that has
6 been neglected or not adequately dealt with, or spongy or
7 whatever, that the FDA needs to look into without saying--

8 DR. BROWN: Certainly together with the
9 transcript, I think that is true.

10 DR. NELSON: I think the Congress might get the
11 message if we found out that half of our blood donors are
12 taking dietary supplements and we don't know where the hell
13 we are coming from.

14 DR. McCURDY: That was going to be my point. I
15 think we could probably bring this to closure by simply
16 making a recommendation or indicating that we are deeply
17 concerned about the likelihood that blood donors would be
18 taking orally sufficient brain-derived material to be of
19 potential risk for CJD.

20 DR. BROWN: One way to begin to bring it to
21 closure is to go ahead and vote on question 1, which we can
22 do, now, I think.

23 DR. DAVEY: Just one last comment, along with what
24 Paul has said, I think that I would agree with Dr. Roos that
25 we need to get data on the supplements. Whatever we can get

1 that makes sense at this point would be useful for
2 committee, I think.

3 We also need data on blood donors in terms of what
4 they are taking and how much of it before we can even begin
5 to address the problem because it is going to be a huge
6 percentage of donors. Before we move on to that, which we
7 are not, we have to have those data in hand.

8 DR. BROWN: Right. I want to remind the committee
9 and reread the question; should the FDA be sufficiently
10 concerned about the suitability of any blood donors
11 potentially exposed to TSE agents of animals to consider
12 recommending deferral. We are not voting on recommending
13 deferral. We are voting on considering it.

14 DR. BELAY: Why don't we take out that last part
15 of the sentence?

16 DR. BROWN: Because I think it is an important
17 part of the sentence to leave in. That's why.

18 DR. BELAY: I agree with what Dr. Roos is saying.
19 This is because we don't know how many donors--

20 DR. BROWN: How much of the sentence do you want
21 to take out?

22 DR. BELAY: Just the last part.

23 DR. BROWN: That could be two-thirds, or two
24 words.

25 DR. BELAY: No, no; the part which starts with "to

1 consider recommending deferral."

2 DR. BROWN: Should the FDA be sufficiently
3 concerned about the suitability of any blood donors
4 potentially exposed to TSE agents of animals?

5 DR. BELAY: Yes.

6 DR. BROWN: Sufficiently concerned to do what? To
7 consider recommending deferral.

8 DR. McCURDY: I think that is accurate.

9 DR. BROWN: I think we are going to vote on the
10 question as written.

11 DR. McCURDY: Yes.

12 DR. ROOS: It is not recommending deferral. It is
13 just considering it. So I think it is a little spongy.

14 DR. BROWN: Ray?

15 DR. ROOS: I will give it a yes.

16 DR. DETWILER: I just want this broad thing
17 because sheep scrapie is not known to--I don't know; would
18 that--I guess the FDA can sort that out; right? Because we
19 have already had that.

20 DR. FREAS: Is that a yes or a no?

21 DR. DETWILER: That is a yes.

22 DR. EWENSTEIN: Yes.

23 DR. BURKE: Yes, but it seems that the FDA is
24 already considering it.

25 DR. McCURDY: Yes.

1 DR. FREAS: Is Dr. Gaylor here? No?

2 DR. NELSON: Yes.

3 DR. BOLTON: Yes.

4 DR. BROWN: Yes.

5 DR. BELAY: Yes.

6 DR. CLIVER: Yes.

7 DR. LURIE: Yes.

8 DR. WILLIAMS: Yes, but with the same caveats

9 about U.S.-derived TSEs.

10 DR. PRUSINER: Yes.

11 DR. FREAS: That was thirteen yes votes.

12 DR. BROWN: Thirteen to zero. The second

13 question; if so, which animal TSE agents present or imported

14 into the USA, what types of product and intensity--has that

15 been crossed out of the final--should be or imported into.

16 Is that right, Dave? Is Dave still here? That didn't get

17 revised in time?

18 DR. ASHER: Give us a second here.

19 DR. BROWN: Or accidentally imported; right? If

20 so, which animal TSE agents present in the U.S.A, or

21 accidentally imported, what types of product and what

22 intensity of the exposure should be of concern?

23 I don't know if any of us can talk about intensity

24 of exposure, but we could probably make some comment about

25 what types of product, which animal TSE agents present in

1 what types of product.

2 Beth, you were concerned about that and so were
3 you, Linda. You were saying that you don't think scrapie is
4 one such TSE. We would all agree about that.

5 DR. DETWILER: Yes; it is thinking this whole big
6 thing, with the thing of possible exposure if you eat sheep
7 or what not, here, that you have a huge--

8 DR. BROWN: No, no; I agree. There is 250 years,
9 at least, of epidemiologic evidence that scrapie does not
10 pose a direct human pathogenic risk. There is direct
11 evidence in spades that BSE does, and there is the potential
12 for something like elk velvet antlers, too. We just have no
13 idea. We don't know.

14 But if the committee has any other suggestions
15 about--those are the only animal TSEs I am aware of. Mink
16 don't seem to be present in nutritional supplements--that I
17 know of.

18 DR. BELAY: Dr. Brown, the only animal TSE that I
19 would be concerned about under question 2 would be BSE.

20 DR. BROWN: That is, obviously, the major concern.

21 DR. BELAY: I would **say** the only concern that I
22 would have.

23 DR. NELSON: But, actually, which countries are at
24 risk is changing and I am not sure how well even regulations
25 are keeping up with the change.

1 DR. ROOS: Why shouldn't we be concerned about
2 ironic wasting disease? We basically discussed that we had
3 very little information about it, so it is certainly of
4 potential concern.

5 DR. BROWN: I agree. For the present, we have no
6 idea if it is a concern or not, but we all know that BSE is
7 a concern. So it seems to me a logical answer to question 2
8 would be BSE in BSE countries. That is the agent and that
9 is the area.

10 DR. NELSON: In neural tissue? Which tissue?

11 DR. BROWN: It doesn't say which tissue. Is that
12 new one?

13 DR. BOLTON: It says what types of product. I
14 heard the thinking on scrapie. I, personally, would not eat
15 sheep brain.

16 DR. NELSON: No; I wouldn't either.

17 DR. BOLTON: 'Even if it was from the U.S. in a
18 scrapie-free flock, I probably would not. I would be a
19 little concerned about a dietary supplement that was made
20 from CNS tissue of sheep or bovines, even if they were
21 sourced in the U.S.

22 DR. BELAY: But the question doesn't address
22 whether or not we should consume--or consumption of brain.
24 The question specifically mentions whether or not we should
25 consider deferring donors who have consumed this product.

af

1 DR. BOLTON: I think this question asks which
2 animal TSE agents present in the U.S. or accidentally
3 imported into the U.S., what types of product and what
4 intensity of exposure should be of concern.

5 DR. BELAY: It is related to question No. 1. It
6 cannot be taken without question 1.

7 DR. BROWN: So what we are mainly concerned about
8 is BSE brain.

9 DR. BELAY: That's correct.

10 DR. BROWN: That would be at the top of the list.
11 and possibly brain, period, as a kind of unattractive
12 nutrient.

13 DR. LURIE: Paul, maybe I am, again, confused
14 about these questions, but I understood, when I looked at
15 these questions, that 1 and 2 actually applied to these
16 three specific products that were identified for us under
17 issue 4 which were products derived from deer and elk, the
18 Vermont sheep and the dietary supplements.

19 DR. BROWN: No, no; my reading is that this is a
20 strictly independent issue. Issue 4 has nothing to do with
21 issue 3.

22 DR. LURIE: No, no, no; that was issue 4. That is
23 issue 4. It says, "the following will be discussed."

24 DR. BROWN: Oh; the TSE agents. Right

25 DR. LURIE: Yes.

1 DR. BROWN: So we have the full basket of agents
2 to think about but we don't have to make it global; that is,
3 we don't have to say, "Yes; it has to be mink
4 encephalopathy, BSE and scrapie." We can pick and choose.

5 DR. LURIE: My point is I thought we only had to
6 choose from these three. That is the way I understood it.

7 DR. BROWN: It is animal TSE agents. That is
8 global, any animal TSE agent. But there are not many.

9 DR. NELSON: Three is ruminant-derived tissues.
10 So that would be the BSE.

11 DR. EWENSTEIN: I take the point that is being
12 made. I think the FDA is directing us at a couple of very
13 specific points. I think it is fair to include, as our
14 chief concern, these dietary supplements which I find
15 astounding in terms of their risk given the fact that we
16 saw, even the data that Linda presented, if you want to give
17 a sheep BSE, you give them a half a gram. That is what you
18 were giving every day to that patient you took a look at.

19 DR. BROWN: No; I did not give that. No, no.

20 DR. EWENSTEIN: That is what "one" was giving to
21 that patient that you were asked to look at. But I think
22 there are specific questions. In other words, if someone is
23 heavily involved in this industry, if they eat deer or elk
24 meat, if they had cheese made from these Vermont sheep, I
25 think those are questions that we should address, even if

1 the answer is we don't want to have an answer right now to
2 the FDA.

3 I think the FDA is asking us to address those
4 specific risks.

5 DR. ASHER: Yes; it would be helpful if you would
6 address exposures to chronic wasting disease, the Vermont
7 sheep and dietary supplements. You already know that we are
8 concerned about all exposures to BSE agent. The only
9 concern is whether there are ways in which it can enter the
10 United States through these products.

11 You would be welcome to address scrapie but that
12 was already addressed a year and a half ago at some length
13 and no concern was expressed about dietary exposures, of
14 which we have a very long and reassuring experience.

15 DR. BROWN: Is the committee agreed that BSE is
16 the prime villain as a potential danger?

17 DR. BOLTON: Can we include in that the
18 possibility that undifferentiated scrapie in sheep, at this
19 time, may be BSE? It is going to take some time, I guess,
20 to document whether that is, in fact, scrapie in those sheep
21 or whether that is BSE in sheep.

22 At that time, does that become BSE in sheep or
23 does it become some other nomenclature?

24 DR. DETWILER: I think only time will tell. I
25 don't think we can predict that.

1 DR. BROWN: But, happily, there is no demonstrable
2 infectivity in any dairy product in any TSE. So that is a
3 good thing.

4 DR. DETWILER: That was the product--the broad
5 distribution was the cheese.

6 DR. EWENSTEIN: I think, to try to come to
7 closure, on the Vermont flap, as you called it, I think that
8 we can try to be reassuring in that the products that came
9 out probably are very low risk products even though we don't
10 fully understand the nature of the infection, itself.

11 So I think the part that I am struggling with,
12 because I don't know enough about it, is the sort of deer-
13 and-elk-meat exposure. Do we consider, at this point, that
14 is sufficient enough if somebody has consumed large amounts
15 or is in that industry to worry about them being potential--

16 DR. BROWN:, It wouldn't be the meat. It would be
17 the velvet antlers because that is what would be in the
18 supplements. Just substitute "velvet antlers" for "meat."

19 DR. EWENSTEIN: Okay, but are we--

20 DR. BROWN: We are just talking about dietary
21 supplements. Therefore, deer and elk meat would not be at
22 issue.

23 DR. EWENSTEIN: Why are we just talking about
24 dietary supplements? Point 1 deals with all deer and elk
25 issues.

1 DR. BROWN: I may be wrong.

2 DR. ASHER: Please address all exposures to
3 chronic wasting disease, potential exposures.

4 DR. EWENSTEIN: Paul, point 3 was then focussing
5 on the dietary supplements, but I think the issue had to do
6 with the CDC's presentation on the young CJD exposures and
7 whether there was any possible connection. I think that is
8 the point that the committee should address one way or the
9 other.

10 DR. BELAY: The BSE situation is different because
11 we have enough ample evidence that BSE is actually a human
12 pathogen whereas, in chronic wasting disease, we do not have
13 any evidence that chronic wasting disease is a human
14 pathogen.

15 It does not necessarily mean that there will never
16 be a human pathogen, or it will never be transmitted to
17 humans, but we do not have enough evidence to start
18 formulating policies.

19 DR. BROWN: That brings us back to the original
20 idea that we don't have any indication that blood is
21 infectious either but we are deferring for six months. It
22 jets back to the deferral, if you have stayed in Colorado
23 for six months. If you follow logical consistency long
24 enough, you get very illogical.

25 DR. LEITMAN: But we do have new variant. We know

1 that it has crossed the species barrier in the case of BSE.
2 And we have no data to support that CWD will cross the
3 species barrier. In fact, the little data we have from
4 Cohee's study suggests that it is unlikely to do so. so I
5 think it is a different group with respect to individuals
6 who have been exposed to CWD and eaten elk meat and our
7 level of concern at present.

8 DR. BROWN: I agree. And, incidently, we need, I
9 think, unless the FDA stipulates that we have to, I think
10 this part of the question--that is, so-called question 2,
11 does not merit a vote. It merits discussion which is what
12 is happening. Otherwise, we have to vote on every tissue,
13 each disease, and I don't think we are capable of doing
14 that.

15 DR. McCURDY: It seems to me that we have
16 indicated that the Vermont sheep tissues and material that
17 have been consumed are low risk. It seems to me that, with
18 CWD, most of the meat is low risk. I think I would be
19 unhappy at encouraging, or not discouraging, people from
20 eating brain of brain products from CWD animals. I just
21 don't know how to deal with antlers. I guess the antlers
22 may be dealt with on the basis of the number of individuals
23 that might be exposed and express some concern about that.

24 But I don't know--

25 DR. BROWN: And that is going to take an extended

1 trip to South Korea.

2 DR. McCURDY: No, thanks.

3 DR. DAVEY: Paul, I think we just want to make
4 sure the committee has the direction we are going clearly in
5 mind. I am concerned about what I have heard today. This
6 is disturbing about the supplements, for sure. I took my
7 glucosamine this morning.

8 I think to tie this all the way down to deferring
9 blood donors is getting a little bit ahead of the game.
10 What we need to be focussing on is regulating dietary
11 supplements and the concerns we have about that instead of
12 jumping ahead and saying we are going to have to consider
13 deferring blood donors at this point.

14 That is a huge step and I think we ought to be
15 very cautious before we make that--even a consideration of
16 that. Our consideration, now, should be getting data about
17 regulating these supplements.

18 DR. BROWN: I agree, but that was not,
19 unfortunately, what we were asked to do. I have no input in
20 terms of what we are being asked to do, but I think the gist
21 of using blood donors., in a sense, was a mechanism to open
22 up the entire issue to public discussion.

23 Therefore, we are not asked to do what most of us
24 would really like to do which is to get the dietary-
25 supplement people to get their act together and tell us

1 exactly what is going on. Rather, the window into that area
2 is blood donors. I think that is the rationale.

3 DR. DAVEY: I am not sure that is the right window
4 to be looking through right now.

5 DR. BROWN: But it is the only one we have.

6 DR. ASHER: It might be 'helpful if you attempted
7 to keep the discussion of the various exposures separate.
8 It would make it easier for us to intuit your opinions on
9 them. There is no reason why a conclusion has to be drawn.
10 I think that the agency now appreciates the level of concern
11 and also appreciates the level of uncertainty. That might
12 be sufficient for our needs.

13 DR. BROWN: Do you want us to consider each of the
14 diseases now?

15 DR. ASHER: Yes.

16 DR. BROWN: Paul--was it Paul or Linda--there were
17 two expressions that chronic wasting disease, per se, was--
18 no; excuse me--the Vermont sheep issue, that anything having
19 to do with Vermont sheep was extremely low--well, low risk
20 and not to worry, no matter what the products. The products
21 that were widespread were cheese.

22 DR. BOLTON: What about the animals, themselves?

23 DR. DETWILER: I'm sorry?

24 DR. BOLTON: Eventually, the animals will either
25 die or be destroyed. Products derived from their eventual

1 demise, are we considering those as well?

2 DR. DETWILER: Oh; you mean the eventual demise?

3 DR. BOLTON: Yes.

4 DR. DETWILER: Oh; the eventual demise, they will
5 either go up some chimney or through a sodium-hydroxide
6 digester.

7 DR. EWENSTEIN: So if we are going to run through
8 three questions, then the first one, which seems the
9 easiest, is a decision that folks who have been exposed to
10 these Vermont products do not have to be deferred. That
11 seems to be the black-and-white question. That would be my
12 opinion.

13 DR. BROWN: While we are at that, does anyone
14 disagree with that? Are there any dissenting ideas? All
15 right; we have disposed to Vermont sheep

16 DR. LURIE: I want to add something to Vermont
17 sheep. I think that the scenario laid out by Linda is very
18 worrisome. I think as long as we have been using this
19 committee as a sort of the bully pulpit to make our concerns
20 clear, I think it would be helpful to have the committee on
21 record **as** fully supporting what the USDA has been trying to
22 do in this area.

23 DR. FREAS: If I could add to that, our charge and
24 mission, as this committee, is to make recommendations to
25 FDA on FDA policies. We really are stepping outside of our

1 bounds of the mission of this committee. Advice is very
2 good. Even good advice is very welcome to the right place.

3 I honestly think, in light of the mission and the
4 large of this committee, for us to make recommendations
5 other than to the Commissioner of FDA, we are stepping out
6 of our bounds.

7 DR. BROWN: The jury will disregard the last
8 declaration. We have dispensed with the Vermont sheep and
9 now we are on to--we haven't dispensed with the Vermont
10 sheep?

11 DR. BURKE: I am afraid we haven't. The rationale
12 might be this way. The Vermont sheep are the closest thing
13 that we have. If we were to make a hierarchy of all of the
14 other agents available to us, the Vermont sheep appear to be
15 as close, perhaps, to BSE as another agent.

16 Thus far, we have said that the BSE agent is the
17 one that we know has gone cross-species and, therefore, the
18 one that would be most closely related to that, meaning
19 sheep that might be infected with a BSE-like agent, would
20 logically be the next most worrisome thing.

21 I am not saying that that is sufficient grounds
22 for deferral from donation, but just as a logical tree, that
23 would make the most sense to me.

24 DR. BROWN: I think the point is well taken. I
25 think the only thing that really tempers it is the products

1 that were distributed.

2 DR. ROOS: There were forty-five carcasses that
3 were sold for human consumption but, as I heard, some of it
4 went to the attorney.

5 DR. DETWILER: Just to put perspective, it was
6 muscle meat that was sold and it was of lambs.

7 DR. BELAY: I would like, also, to bring to your
8 attention that we are allowing people who have spent less
9 than six months in the U.K. to continue to donate blood. So
10 the question would be is the risk coming from people who
11 have eaten the Vermont-sheep product a higher risk than what
12 we have been allowing from the U.K.?

13 DR. McCURDY: Paul, I think, again, trying to put
14 it in perspective, I wouldn't recommend anybody who had been
15 eating brains from these sheep donate blood. I think they
16 should worry, but my information is that nobody has eaten
17 any brains.

18 DR. DETWILER: No brains.

19 DR. BROWN: That is what Linda said. It was
20 strictly meat. Not only strictly meat, it was young meat.

21 DR. McCURDY: Strictly meat and cheese. Young
22 meat and cheese. Those are low risk.

23 DR. BROWN: Right.

24 DR. EWENSTEIN: I understand, at best, these risk
25 assessments are semi-quantitative. They are probably just

1 qualitative, but I think if you look at what Dr. Belay was
2 saying, just taking everything together, the total amount of
3 exposure to any one donor in the U.S., the kind of product
4 that was consumed, et cetera, I think that this falls below,
5 and if we are using six months in the U.K. as some
6 threshold, and I know it is sort of an arbitrary threshold--
7 but, if we are using that, this seems to be falling below
8 that level, at least from what we know right now.

9 We have to make some sort of decision. Either we
10 are going to start tracking these people down who had some
11 of this cheese and say, you can't donate, or they can
12 donate. I think we have, probably by consensus, agreed that
13 they can. It seems like you can justify that on these sort
14 of qualitative grounds.

15 DR. DETWILER: One other issue on the cheese, just
16 because I know CDC, when they were requested for assistance
17 here on milk and cheese, is that milk and cheese, by WHO or
18 any milk and milk-products for known TSEs are not know to be
19 associated with infectivity. So this would open this whole
20 ball of wax on milk and cheese from Europe, actually, which
21 does freely move in and is consumed.

22 DR. BROWN: Dispense with Vermont.

23 DR. BURKE: With logical consistency; thank you.

24 DR. BROWN: The next issue, then, is chronic

25 wasting disease. I think everybody would agree that that is

1 in the middle of this triplet of hierarchies.

2 DR. WILLIAMS: I would just to say, and the
3 committee has already said, that there is no scientific
4 evidence that CWD is recognized as a human pathogen. Then,
5 to make the jump, that, then, people will get it and then
6 transmit it via blood, I think, going a little bit too far.

7 DR. BROWN: Is there a sentiment to put chronic
8 wasting disease in the same low-risk category, then, as the
9 Vermont sheep? Are they similarly unrisky? You are you
10 think it probably is.

11 DR. McCURDY: Except for neural tissue.

12 DR. PRUSINER: I just think we know so little
13 about chronic wasting disease that we don't know how to
14 think about it. I think that is really what we ought to
15 say.

16 DR. BROWN: Except we could probably say it is not
17 a good idea to eat brain, I would imagine, from, say, an elk
18 that died with chronic wasting disease.

19 DR. PRUSINER: I wouldn't disagree.

20 DR. WILLIAMS: And those are the recommendations
21 that are given.

22 DR. BROWN: Right.

23 DR. BOLTON: Just to echo, I guess, what I said
24 earlier, the fact that we voted that there is no evidence
25 that it is a human pathogen does not mean that there is

1 evidence that it is not a human pathogen. So it is still an
2 open question.

3 DR. EWENSTEIN: Excepting that, I think, we then
4 will have to assign a risk. We have to, at least,
5 determine that the risk is sufficiently high that we would
6 want to defer donors. I don't think, speaking for myself,
7 that we have crossed that threshold at this point.'

8 DR. BROWN: What it seems to me we are saying is
9 that the risk in chronic wasting disease and the products
10 here from, the risk in Vermont sheep and the products there
11 from, both are likely to be small, but they are absolutely
12 indeterminate on present knowledge whereas, the risk of BSE
13 products is both demonstrable and finite.

14 Does that give the FDA enough guidance?

15 DR. ASHER: Yes.

16 DR. BROWN: Does anyone on the committee have any
17 further remarks to make?

18 DR. EWENSTEIN: The only thing is--well, I guess
19 we have all said it before, but I would really urge the FDA
20 to prioritize these products--and I know that they don't get
21 to approve them ahead of time so that they, then, have to
22 prioritize what supplements to go after.

23 I don't suggest that they haven't already done
24 this, but I would certainly think that the sense of this
25 advisory committee is to prioritize those products that we

1 now have brain and neuronal tissue coming from cows of
2 nknown origin as high on the list of those that should be
3 nspected carefully.

4 DR. BROWN: Dave?

5 DR. ASHER: That answered it part of the way. It
6 s our take, then, that you are encouraging us to collect
7 ore information because of the concern that the BSE agent
8 ould be entering the United States in dietary supplements
9 ontaining ruminant materials of unknown origin.

10 DR. BROWN: That comes to the end of a very packed
11 wo days of meetings. I think I can speak for Ray and for
12 tan. I certainly speak for myself that, after five years
13 s chairman of the this committee, I want to thank every
14 ember here present and past for their intelligence, their
15 atience, their common sense and they have made my
16 hairmanship a pleasure.

17 Thank you.

18 DR. FREAS: Thank you, Dr. Brown.

19 [Applause.]

2c [Whereupon, at 4:25 p.m., the meeting was
21 adjourned.]