

1 Committee members that anything left on the tables
2 tonight, in the way of paper, will be shredded, gone
3 by tomorrow morning. So please, if it's important to
4 you, take it with you as is appropriate.

5 I'd like to move now to discussion point
6 two. We are not going to have a Committee vote on
7 discussion point two because there are outstanding
8 manufacturing issues that need to be addressed before
9 it's appropriate for this Committee to do that.

10 However, we are going to have the same
11 kind and Committee members willing, the same quality
12 discussion that we would have, were we to bring this
13 matter to a vote at the end.

14 So I'd like to begin with some general
15 comments on this question as the Committee wishes and
16 then we'll begin focusing each member to make a
17 comment. General comments and discussion point two
18 which Nancy has given me body english we should maybe
19 read it.

20 The following discussion points pertain to
21 safety. Please discuss whether there are, whether
22 available clinical data are adequate to demonstrate
23 the safety of this combination vaccine we're asked to
24 comment on today, when given to infants at a primary
25 series of 2, 4, 6 months of age are adequate?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I paraphrased a little bit but I think I
2 got it right. Please comment on the increased rates
3 of fever in infants receiving this combination
4 vaccine. And then, again, if you're feeling is that
5 the data are not adequate, what additional information
6 should be requested?

7 So I'll have general comments first and
8 Steve, I see you're getting into the habit of jumping
9 into the abyss here.

10 DR. KOHL: Take Dixie's spot. The biggest
11 concern I have is fever. I think it's real. I think
12 the FDA has helped me because I think it's real and
13 it's not trivial. We see fever that is increased both
14 at the lower range and also at the intermediate range
15 to the point where fever of a 101, and 101.5, I
16 believe it was, or greater, is increased by about five
17 percent.

18 That may not sound trivial but if this is
19 a vaccine that's going to be widely used which I would
20 anticipate it would, we're talking about four million
21 kids a year, roughly, that's about 200,000 extra kids
22 a year who are going to have a significant fever.

23 If a large proportion of those children
24 are in that first month of life, say at four weeks,
25 some of those, and I think a fair number of those, are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 going to translate to sepsis work ups. Because that
2 in this country I think is still a standard of care.

3 So I am, I'm quite concerned about that.
4 I'm also concerned, as I mentioned, that the data for
5 seizures are just not solid enough because of the zero
6 in the control group. It was seven in the vaccine
7 group and zero in the control group. And I would like
8 to see more data on seizures to be assured that
9 there's not an increased risk for seizure activity in
10 children receiving this vaccine.

11 CHAIRMAN DAUM: Thank you very much.
12 We're not necessarily going in order. So anybody that
13 wants to jump in can do so. But we will hear from
14 everybody before we're done. Ms. Fisher.

15 MS. LOE FISHER: Is it too late to ask the
16 manufacturer a question?

17 CHAIRMAN DAUM: No, I don't think so. As
18 it pertains to the statement on the screen.

19 MS. LOE FISHER: Yes.

20 CHAIRMAN DAUM: No, please, go ahead.

21 MS. LOE FISHER: During the trials, after
22 which adverse events did you discontinue vaccinating
23 with the combination vaccine and was the same criteria
24 used in control arms?

25 And the second part of that question is,

1 when a vaccine adverse event occurs with the
2 combination vaccine, do you have any idea which
3 component is responsible, which of course is relevant
4 in terms of contraindications to continue vaccination
5 after an adverse event occurs?

6 CHAIRMAN DAUM: Thank you, Dr. Howe?

7 DR. HOWE: Let me just make sure I
8 understand your first question. You're asking in the
9 context of the clinical trial for what type of adverse
10 event would you intentionally discontinue vaccinating
11 the child?

12 MS. LOE FISHER: Did you discontinue? Did
13 you decide you were not going continue to vaccinate?
14 They dropped out, then?

15 DR. HOWE: In the clinical trials, the
16 typical type of precautions for further vaccination
17 were specified in the protocol, which means
18 hypersensitivity reactions, allergic reactions to any
19 previous dose.

20 The precautions to DTP-whole cell, which
21 apply to DTPa as well would also be precautions to
22 further vaccination and those children could have been
23 withdrawn. But other than that, there were no other
24 mandates for withdrawing or discontinuing a child from
25 continuing in the trial. The usual practice.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. LOE FISHER: Well, I want to be real
2 specific about this. I think it's important in terms
3 of the outcome of the trial. So, children in the
4 trial who had high fevers, over 103, over 105?

5 DR. HOWE: It is 40.5, I believe.

6 MS. LOE FISHER: Children who had high
7 pitched screaming or unusual crying?

8 DR. HOWE: Yes. Seizures.

9 MS. LOE FISHER: What about seizures?
10 What about restlessness?

11 DR. HOWE: No.

12 MS. LOE FISHER: So it was basically three
13 things. It would have been --

14 DR. HOWE: As well anaphylaxis, obviously
15 to the previous dose.

16 MS. LOE FISHER: Anaphylaxis, but you
17 didn't have that in there.

18 DR. HOWE: Right.

19 MS. LOE FISHER: And then, do you have,
20 did you have any idea which component was involved and
21 what would you consider a contraindication? Would it
22 just be those three reactions?

23 DR. HOWE: I mean the contra, first of all
24 we wouldn't know in a combination vaccine exactly
25 which component would be causing an adverse event.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, if there was an adverse event explained or
2 reasonably associated with a component of the vaccine
3 based on historical data, such as for pertussis, one
4 might presume that an AE such as that would be related
5 to that component. But we don't really know when an
6 AE occurs which component to attribute it to.

7 MS. LOE FISHER: So with the combo you'd
8 basically want to, if an event occurred, you'd have to
9 not vaccinate with any of the components.

10 DR. HOWE: Well if a contraindication to
11 further pertussis vaccination occurred with the combo,
12 you would stop vaccinating with this combo.

13 CHAIRMAN DAUM: Okay.

14 DR. HOWE: Whereas if an anaphylactic
15 reaction, after the combination occurred, you would
16 stop vaccinating with the combo.

17 CHAIRMAN DAUM: I think with respect to
18 the discussion point, we've got the information we
19 need on this question. Can we move on to other
20 Committee comments about this discussion point. Dr.
21 Stephens? Dr. Gerber next.

22 DR. STEPHENS: You were kind enough to
23 provide us with data, I mean immunogenicity data on
24 027. Can you share any reactogenicity data on 027?

25 DR. HOWE: Yes, I have information on, do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you have the slide for fever? So, again, this study
2 involved nearly, well actually a thousand subjects who
3 received the DTPA-HepB-IPV mixed with Hib, given at 2,
4 4, 6 in U.S. infants as compared to separate
5 injections of Infanrix, Engerix, Hib and Oral Polio.

6 This shows the fever rates in the study.
7 You can see for the lower cut off rates those who
8 received the combined vaccine, forty-two percent had
9 a fever greater than or equal to 104.4 degrees versus
10 38.4 in those who received separate injections. And
11 once again for the higher cut off the rate was lower,
12 2.2 versus 1.4.

13 CHAIRMAN DAUM: Thank you. Dr. Gerber.

14 DR. GERBER: I wanted to echo Steve Kohl's
15 concern about the increased incidence in fever,
16 particularly in the youngest of the infants and also
17 my concern about extrapolating from what is primarily
18 a German experience with respect to the clinical
19 implications of this increased incidence of fever.

20 Although we're told that German
21 physicians' approach to fever in infants is
22 essentially the same as physicians in this country,
23 looking at the use of antipyretics, there was some
24 data about the very substantial use of antipyretics,
25 routine antipyretics in this country compared to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Germany.

2 That would to me suggest that the approach
3 to fever, that the feelings about fever, in this
4 country might very well be quite different from
5 Germany. And so I would very much like to see data in
6 this country as to the actual clinical implications of
7 this increased incidence in fever.

8 CHAIRMAN DAUM: Okay, thank you Dr.
9 Gerber. Other comments. Dr. Diaz, please.

10 DR. DIAZ: I, likewise, am somewhat
11 concerned about the increase in fever and yet I don't
12 know enough about how that increase in fever
13 translates into the end point of the, of disease
14 prevention.

15 And I might step back by making a comment.
16 I think in the manufacturer's package they comment for
17 safety overall. I think there were about 6,900 plus
18 children who had at least turned in one sheet
19 regarding some safety measures and that about 5,300 of
20 those were eligible for the analysis according to
21 protocol.

22 So there were about 1,600 plus children
23 that weren't overall in studies combined able to be
24 analyzed in terms of safety and it's, they were
25 excluded, I believe, at least the wording was due to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a departure from the visit schedule according to pre-
2 specified criteria. So what I was trying, I see
3 people shaking their heads. Is that incorrect?

4 DR. HOWE: The vast majority of the
5 children that were excluded from the ATP analysis for
6 safety were in study 011 and the reason was because in
7 study 011, if you recall, it was originally an
8 uncontrolled study with respect to U.S. license
9 separate injections and there were approximately 1,600
10 infants who were enrolled prior to the amendment which
11 allowed for the introduction of the relevant control
12 group, the U.S. license separate administration group.

13 So in terms of a controlled comparison, it
14 was felt invalid to include those first 1,600 children
15 in the ATP analysis. However, we did an ITT analysis
16 which does include all of those children and those
17 data, the ITT analysis, were actually in the FDA's
18 briefing document. And the conclusions are the same.

19 Furthermore, with all of the more
20 significant adverse events that we're talking about
21 such as the SAEs, seizures, what not, we're certainly
22 talking about the ITT cohorts. So we're taking all of
23 the children into account.

24 DR. DIAZ: Right. Thanks for clarifying
25 that. Because that was my concern was the intent to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 treat and the issue of how much of the fever may have
2 played into children not finishing the series per se.
3 But it sounds like that was not the issue.

4 DR. HOWE: Yes. And the seven thousand
5 twenty-eight children, the overall database includes
6 those 1,600.

7 DR. DIAZ: Okay. That having been said,
8 I feel a little bit more reassured about that, that
9 aspect. Back to the fever, overall, I think, I still
10 don't feel that there's enough information in terms
11 how much that additional fever translates into, for
12 instance, physicians visits, perhaps sepsis work ups,
13 hospitalizations, etcetera and whether that will
14 really play into issues of further safety associated
15 with the vaccine.

16 The fever, slight increase in fever, in
17 and of itself, may not be an issue. It's just that I
18 don't feel that I have enough information from the
19 studies to date to say how that increase in fever
20 translates into the overall care of the child during
21 that episode. I'd like to see more information.

22 CHAIRMAN DAUM: Thank you. Other comments
23 from the Committee. I'd really would like to hear
24 from everyone about this. Dr. Fleming then Dr.
25 Wharton. Dr. Wharton, then Dr. Fleming?

1 DR. WHARTON: Well, it was very
2 interesting to see the information that was just put
3 up on the board from the 027 trial. Where the, if I
4 interpreted this correctly, the rate of fever in the
5 standard U.S. licensed vaccine group was I believe
6 thirty-eight percent compared to I believe forty-one
7 percent in the other group.

8 Which is not a striking difference in, and
9 it's much less striking than the data we have been
10 provided from study 011 and 015 where we had rates in
11 the twenties compared to rates of forty or forty-one.
12 So that's interesting. I too am concerned about the
13 prevalence of fever in these studies.

14 While my impression is that these fevers
15 are at least in the trials to date have been largely
16 benign and the incidence of high fevers has been much
17 lower, it still is of concern and I share others
18 concern about the, it precipitating sepsis work ups in
19 very young children.

20 There isn't, given that when this vaccine
21 is licensed it will be given as part of the
22 recommended childhood immunization schedule, though,
23 I am concerned about concomitant administration with
24 Prevnar, which has also been associated with fever at
25 least by my interpretation of the data provided in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 package insert.

2 With fever reported from thirty-three, in
3 thirty-three percent of recipients of dose one to up
4 to forty-one percent for dose three and forty-two
5 percent for dose four.

6 And I don't know if these are going to be
7 additive or multiplicative or what interaction is
8 going to be between those two vaccines and I'm quite
9 concerned about that. And believe that additional
10 data are needed addressing that issue.

11 CHAIRMAN DAUM: So yours is a safety issue
12 to do with the simultaneous administration of Prevnar.
13 Okay thank you. Dr. Fleming.

14 DR. FLEMING: I think my thoughts are
15 quite consistent with what many others have already
16 indicated. My sense is that the data we have on
17 safety and the sponsor has really focused in
18 particular on the studies 015 and 011, provide us
19 important insights about what, as they refer to the
20 common AEs. The AEs that would occur at least as
21 frequently or more frequently than one per one
22 hundred.

23 Or it may be the only limitation to that
24 insight is the vast majority of that data comes from
25 011 which is not only in Germany but with a different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 schedule than what we would be looking at here. I am
2 struck, though, at the level of consistency across the
3 studies, not only the 015 and the 011 but the 003 and
4 the 027.

5 They all show patterns of increases
6 anywhere from the most modest which is 027, about a
7 ten percent increase, to the 015 and 011 trials that
8 show more on the order of a fifty percent or more
9 increase in fever.

10 What's interesting is if you look at 39.5,
11 then those four studies are all very consistent. They
12 all show about one and a half frequency increase in
13 those high fevers.

14 I'm inclined to in any study try to put
15 safety in the context of efficacy benefit to risk. We
16 always expect with interventions that there are some
17 risks, some safety issues.

18 Essentially if you're comparing a vaccine
19 against a placebo where the upside is preventing
20 disease, you're going to expect that, or you're going
21 to be willing to accept a higher level of safety
22 concern.

23 In this setting we're comparing the
24 combination vaccine against separate administrations
25 so it's to my knowledge we're not claiming that it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 being done specifically to improve efficacy, although
2 possibly to improve overall coverage.

3 But it does make me a bit more concerned
4 about the level of additional safety risks that you
5 all, that you would be willing to accept in a setting
6 where you're not comparing to a placebo but you're
7 comparing to another regimen that is presumably in
8 the same level of efficacy.

9 The last point is to state maybe the
10 obvious and that is that these data are not addressing
11 the more rare events. It has been noted and it's
12 reassuring at some level to note that there isn't any
13 evidence of anaphylaxis, HHE, SIDS.

14 But these are events that would to have
15 adequate power take trials anywhere from the size of
16 ten to twenty thousand for us to really be in a
17 position to rule out.

18 We do see the seven cases of seizures.
19 It's entirely possible that that doesn't reflect a
20 true increase and yet it certainly is possible that
21 these increases in fever would translate to a two or
22 three-fold increase in the risk of something on the
23 order of seizures from a rate of one per thousand to
24 three per thousand and these data obviously aren't of
25 the magnitude that we would be able to address that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN DAUM: Thank you very much.
2 Other Committee members, please. Dr. Faggett.

3 DR. FAGGETT: Yes, I just want to make a
4 point that fever is a pretty good response,
5 physiologically. Let's not forget that. However, in
6 the younger child, there are problems with it. Fevers
7 to the point of hospitalization are a problem.

8 I still restate my concern about the
9 numbers. Again, it's a vaccine that we are very
10 familiar with the components of, so seven thousand
11 might be adequate. But I think we really need more
12 information and we need to see what happens in more
13 diverse populations as well.

14 I agree with Dr. Kohl that the
15 preponderance of experience in Germany might not
16 translate or be applicable here. So I have real
17 reservations at this point.

18 I think that the, I appreciate the full
19 disclosure we've gotten. I think we have the power
20 curve. But I think we really need to really see what
21 this is about.

22 I wasn't clear on how many of the children
23 had septic work-ups. We implied that. So fever to
24 the point of hospitalization I guess is one of my real
25 concerns.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Thank you very much. Dr.
2 McInnes?

3 DR. MCINNES: Do you want me to respond to
4 the number of septic work-ups, or?

5 CHAIRMAN DAUM: Do you have information
6 about it?

7 DR. MCINNES: Yes.

8 CHAIRMAN DAUM: Oh sure. Thank you.

9 DR. HOWE: So there were only two children
10 in the context of 015 or 011 or 044 so the two pivotal
11 U.S. trials and the 011 study, who underwent a sepsis
12 work-up. And one of the two children, I believe,
13 there was also the possibility that they had
14 influenza. So there was potentially an alternate
15 explanation for the fever.

16 The other point I wanted to make is that
17 in the hospitalizations with fever and the rates that
18 were quoted in the context of 011, the proportion who
19 were hospitalized with a fever in those who received
20 the Infanrix HepB-IPV was identical to that in the
21 control group.

22 And I emphasize that this is
23 hospitalization with fever not necessarily for fever.
24 In many cases the children had other things going on
25 which clearly, gastroenteritis, dehydration, an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 alternate diagnosis to explain the fever.

2 So it wasn't, from what we can see,
3 looking very carefully at the data, it's not as if we
4 had a number of cases of unexplained fever, where the
5 children went into for a sepsis work-up, came up empty
6 handed and they said it's related to the vaccine.
7 Does that help clarify?

8 CHAIRMAN DAUM: It does. Do you want to
9 speak right to this issue?

10 DR. FLEMING: Right to this point.

11 CHAIRMAN DAUM: Okay.

12 DR. FLEMING: Just the distinction that if
13 overall hospitalization rates are much higher than the
14 specific rate of hospitalization for fever.

15 DR. HOWE: Yes.

16 DR. FLEMING: Then you could be inducing
17 a five-fold increase in hospitalization for fever and
18 not see an increase in hospitalization with fever if
19 other causes of hospitalization are far more frequent
20 than hospitalization for fever.

21 DR. HOWE: And the figures that we gave
22 were for hospitalization with fever. Maybe one other
23 thing that might help put this into context is that we
24 did look at the issue of not only how similar were the
25 reporting rates for the common solicited reactions in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the German population as compared to the U.S.
2 population where I think many people have pointed out
3 that for the objective symptoms they were remarkably
4 similar, within decimal points of each other, going
5 from 100 children up to 4,000 children.

6 In Germany the rate of low-grade fever was
7 identical in those who had received the Infanrix HepB-
8 IPV. But we also looked at things such as serious
9 adverse event reporting and hospitalizations in two
10 studies that we have conducted using Infanrix HepB-
11 IPV-Hib. One run in the U.S. on a 2, 4, 6 month
12 schedule.

13 And the other run in parallel, in the same
14 time frame, in Germany and what we found was
15 hospitalization rates and SAE rates, in point of fact
16 they're generally pretty close. I mean usually all of
17 the SAEs are for hospitalization. Were higher in the
18 German population.

19 So I think it was two point five percent
20 versus one point five percent in the U.S. population.
21 So German children were more likely to be
22 hospitalized. And that's with a shorter follow-up
23 period.

24 So it's three to five months of age versus
25 two to six months of age. And we consider this to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 indicative of the fact that Germany is a more
2 sensitive, you'll be able to pick up hospitalizations.

3 DR. FLEMING: And you do not have managed
4 care.

5 DR. HOWE: Yes.

6 DR. FLEMING: But the other point too. Do
7 you have a break-out on the age range of the
8 hospitalized comparison, two month, four month?

9 DR. HOWE: We do have hospitalization by
10 dose which you would be able --

11 DR. FLEMING: By age.

12 DR. HOWE: Well by dose will tell you by
13 age. Yes. So let me see if I can get hold of that.

14 CHAIRMAN DAUM: Okay. In the meantime
15 we'll go to Dr. McInnes and then Dr. Britt.

16 DR. MCINNES: Dr. Daum, I had a question
17 regarding the ages from the German trial and
18 extrapolating to what the safety profile was found to
19 be in the U.S. And I want to go back to a comment Dr.
20 Howe made earlier today.

21 I mean I'm impressed with the body of
22 safety data from 011, from Germany. And I'm trying to
23 think about how it's the same and how it's different
24 from the U.S.

25 I note that the age of presentation for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 first dose of vaccine in the Germany study ranged I
2 think between eight and fourteen weeks of age.

3 I'm trying to think about how a 2, 3, 4
4 regimen actually when vaccine was really delivered in
5 Germany and how that tied to the 2, 4, 6 regimen in
6 the U.S. And I think you mentioned earlier this
7 morning that, in fact, they looked not that
8 dissimilar.

9 And I'm wondering if we can go back to the
10 real ages at which the first, second and third doses
11 were delivered in Germany compared with the real ages
12 when the vaccines were delivered in the U.S. Trying
13 to address the comment of how young really were the
14 children at receiving the first dose and the concerns
15 about the work-ups for fever and for sepsis.

16 CHAIRMAN DAUM: So Dr. Howe, hurry up and
17 produce the first round of data we asked so we bother
18 you for the second. How are you coming?

19 DR. BALL: Maybe I can make a comment
20 while they're gathering the data. On page forty-seven
21 of the FDA briefing document there are two, three
22 graphs. Dose one, dose two and dose three. And
23 there's a comparison between the groups for study 011
24 and 015 in the age of the administration.

25 And you can see that particularly for dose

1 two there was considerable overlap. There was sort of
2 a biphasic look in I think it was in study 011 in
3 terms of when the administration dose was
4 administered. But you can see that the peaks were
5 different. But there was probably more overlap for
6 dose three.

7 And then subsequent tables in the, the two
8 subsequent tables, actually I think it's three,
9 compare studies 011 and 015 for each dose and overall
10 for the incidence for both a local reactions as well
11 as general reactions. And I think that it's hard to
12 make sense of these fairly dense tables.

13 But for fever it was very similar between
14 the two, particularly for a fever of greater than 38
15 degrees centigrade. For, also for the kinds of
16 symptoms that are more objective. Such as redness and
17 swelling where something was measured.

18 Those were fairly similar across the two
19 studies. For the events that were perhaps more
20 subjective and maybe more open to interpretation,
21 those were different between the two studies. Such as
22 like loss of appetite and that kind of symptom. So I
23 don't know if that helps answer your question.

24 CHAIRMAN DAUM: I think it does. And it
25 also has given us an opportunity to let Dr. Howe get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these data up that Dr. McInnes has asked for.

2 DR. HOWE: Right. So this is the figure
3 from the briefing document, which shows the overlap.
4 The dotted line is the age at vaccination for the
5 first dose, that is from the actual clinical trial 015
6 in the U.S. and the solid curve would be that for the
7 pentavalent recipients in study 011 in Germany.

8 And what you can see is that the greatest
9 overlap is dose two. Also, a fair amount of overlap
10 in dose one, dose three, excuse me, some overlap at
11 dose one, but the age of enrollment at age one for
12 study 011 was a bit wider. The eligibility criteria
13 in terms of age at enrollment.

14 CHAIRMAN DAUM: Thank you very much. Dr.
15 Britt.

16 DR. BRITT: I just had a quick question
17 about the adverse affects in the fever. Don't know
18 the presenters name, but besides hospitalization, do
19 you have any documentation on antibiotic usage for
20 these children with fever?

21 DR. HOWE: We do collect information about
22 co-administered medications throughout the course of
23 the trial but we don't have that data analyzed.

24 CHAIRMAN DAUM: Okay. I'd like to hear
25 from people who haven't addressed this question yet on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Committee, before I call on them. And then, also
2 other issues that haven't been raised. It's clear
3 that we're collectively, at least everyone who's
4 spoken so far, concerned about the differential rates
5 of fever.

6 It's also clear, at least from what I'm
7 hearing that people want more information about what
8 the consequences are of those increased rates of
9 fever. And are hearing that we'd like more
10 information about that. So other ideas. Dr.
11 Goldberg, then Ms. Fisher.

12 DR. GOLDBERG: I just wanted to echo some
13 of the other comments that have been already made.
14 I'm very concerned about the fever as well.
15 Particularly in face of my uncertainty about the
16 efficacy.

17 I mean I think that to have an increase in
18 a side affect such as fever, we need to be sure that
19 the efficacy really is the same. At least the same.

20 And I think also that given the size of
21 even the large trial, it is, we really, given that
22 these vaccines are really not that dangerous, it's not
23 surprising that we really don't have very many of them
24 to deal with.

25 And I think it's unlikely with even the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 new trials that we'd be asking, the new trial that we
2 might be asking for with regard to efficacy that we
3 would gather much more organized trial safety data.

4 And so all I would urge is that at some
5 point we'd be very careful about those surveillance
6 that we put on products such as these to ensure that
7 we are capturing the data, the outcomes and the
8 handling of those severe outcomes so that they can be
9 monitored actively.

10 CHAIRMAN DAUM: So do you think the data
11 are adequate to address the fever issue and you just
12 don't like the fact that it's there?

13 DR. GOLDBERG: I don't like the fact that
14 it's there. I don't know how much more could be done
15 in this context.

16 CHAIRMAN DAUM: Okay. Thank you. Ms.
17 Fisher. Then Dr. Griffin.

18 MS. LOE FISHER: I'm concerned about
19 limiting the active surveillance for adverse events to
20 four days post vaccination and total adverse event
21 surveillance to only thirty days. And the fact that
22 only 700 U.S. children have been evaluated the 2, 4,
23 6 month schedule.

24 And I'm concerned about the seven seizures
25 which occurred in seven thousand children with five of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 these being first-time afebrile seizures which are the
2 kind most likely to result in long-term permanent
3 neurological damage.

4 And I am concerned that without an
5 understanding of the biological mechanism of adverse
6 events, including fever, that when an adverse event
7 occurs, there will be few clues about which component
8 of the vaccine is at fault, or whether it is indeed
9 the combination, thereby leading to confusion about
10 whether to continue vaccinating with the combination
11 vaccine versus eliminating one of the vaccines or
12 giving the vaccines separately.

13 So I would like to see a larger trial in
14 the U.S. in 2, 4, 6 month-old children, with at least
15 a one-year follow-up to measure for all morbidity or
16 mortality outcomes, including the development of
17 autoimmune and neurological disorders.

18 This is the first five-in-one vaccine and
19 will have an enormous impact on vaccine policy. And
20 we have to be sure that it's the right one at the
21 right time. Because if it turns out to be far more
22 reactive in a real life setting because we failed to
23 get enough information pre-licensure, then it will
24 ultimately negatively affect the whole vaccination
25 system.

1 And I think you have to have at least
2 three thousand more U.S. children in your total
3 database so you have ten thousand children that you
4 have studied on this vaccine. So that the public has
5 confidence that you have proven safety and efficacy.

6 CHAIRMAN DAUM: Thank you. I'm going to
7 try and fill in the cracks here and get comments from
8 people who haven't spoken to this issue so that we can
9 move on. Before I do that though I'm going to call on
10 Dr. Kohl.

11 DR. KOHL: I've spoken to the issue but
12 I'm wrestling with a question that's sort of a basic
13 question. If, let's assume this vaccine is as
14 effective as the components are. Let's just assume
15 that. And let's assume that the only side affect is
16 increased fever.

17 And let's take the increased fever that
18 sticks in my mind as a ten percent greater than 101.5
19 versus a five percent greater than 101.5 in the
20 components.

21 Would you license this vaccine? Is it
22 worth the extra fever for the convenience and one of
23 the things that I could foresee is physicians finding
24 it so much easier to have this vaccine because they
25 wouldn't have to stock as many different kinds of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccines and they'll only give one shot, etcetera.

2 That not only won't it be licensed but it
3 might be the only one carried by the physician. And
4 one of the questions asked earlier was well would you
5 be able to go to the doctor and still get the other
6 vaccine components if you didn't want this combo
7 vaccine? I could see where lots of parents and their
8 children would not be able to get component vaccine.

9 CHAIRMAN DAUM: Okay I think we've heard
10 from Dr. Kohl. Dr. Faggett.

11 DR. FAGGETT: I just want to follow-up
12 with Dr. Kohl's comment. I think a lot of my
13 colleagues in practice in pediatrics would be a little
14 concerned with a couple of four-week, two-month olds
15 with fever, 101, might be enough to discourage them.

16 I would be concerned of the down side.
17 That we'd lose the confidence of the practicing
18 primary care provider, family practice pediatrician,
19 who indeed is the most effective one in talking to
20 those parents. So I think there is that aspect to it.
21 The fever there would be a real concern.

22 That they were just getting over the
23 hepatitis B issue and we're now convincing parent that
24 you need to give HepB at birth. So I think that we
25 need to consider that as well. That the pediatrician

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has to be convinced that this is a safe vaccine. I
2 don't think that we have the data to do that right
3 now.

4 CHAIRMAN DAUM: Thank you. Dr. Stephens.
5 We haven't heard a peep out of you on this important
6 issue. Could we ask for a comment?

7 DR. STEPHENS: Sure. There does appear to
8 be more fever. The data we have suggest that there is
9 more fever. There probably is more local
10 reactogenicity if you look at the data as well with
11 this particular vaccine.

12 I was kind of surprised by the 027 data
13 because I thought that would be even more impressive.
14 But it wasn't so that's interesting. I don't know
15 quite how to interpret it. In any event, I think that
16 we do see more fever, we do see more local reactions,
17 there may be more seizures. We don't know that.

18 It's not statistically evident. By
19 certain seven and zero is of concern. So I think
20 there are clearly issues regarding the reactogenicity
21 of this particular product in comparison.

22 Now from an adult infectious disease
23 perspective, this is, this would be a different issue
24 I think than it is from a pediatric perspective. And
25 I appreciate the comments the pediatricians.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 CHAIRMAN DAUM: Thank you. Dr. Faggett,
2 you've spoken. Do you want to embellish your
3 comments.

4 DR. FAGGETT: Too much.

5 CHAIRMAN DAUM: Dr. Griffin?

6 DR. GRIFFIN: Well I think that, I think
7 the big consideration is I think we're all convinced
8 there's more fever and more reactogenicity. And I
9 think the big consideration is what the trade off is
10 there.

11 And so, you might be willing to have some
12 percentage more children have fever for being able to
13 use only one shot rather than multiple shots.

14 And even parents might say rather than
15 poking my kid three times, you know, I'd be willing to
16 have some more, deal with fever for twenty-four hours
17 or something like that afterwards. So then I think
18 the real issue becomes what the consequences are of
19 that. And I think that is what we don't really know.

20 How, you wouldn't be willing to have your
21 child be hospitalized and worked up for a fever
22 because of that, I think, as an additional question.
23 So I think that it really does depend on and in part
24 and that could be seizures or other kinds of adverse
25 events. You know how much it really translates into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 significant medical problems to have that increased
2 reactogenicity.

3 CHAIRMAN DAUM: Thank you very much. Do
4 you think the data are adequate?

5 DR. GRIFFIN: Adequate for what? I think
6 they adequately say that we have a problem with more
7 reactogenicity. But they aren't adequate to say, I
8 don't think is that in our medical system what the
9 consequence is as far as extra hospitalizations and
10 that sort of thing for these kits.

11 CHAIRMAN DAUM: Thank you very much. Dr.
12 Diaz. Do you want to comment further? We have heard
13 from you. Okay. Dr. Goldberg, your pleasure.

14 DR. GOLDBERG: I mean I'm listening, I'm
15 listening to the pediatricians and again it's being on
16 the fence of when is enough, enough. And how serious
17 is the fever problem. And I think that remains
18 unanswered really.

19 CHAIRMAN DAUM: Mr. Fisher we've heard
20 from you. Do you want to say anything else directly
21 to this question. No. Thank you. Dr. Fleming?

22 DR. FLEMING: So is it accurate to say we
23 should also be specifically answering the second part
24 as well? If we need additional data.

25 CHAIRMAN DAUM: Yes. Absolutely.

1 DR. FLEMING: Okay. I just might begin by
2 just adding to what Dr. Stephens has pointed out that
3 one of your last comments related to the fact that
4 there are the seven seizures versus zero. My
5 understanding of the data I think though is it's
6 pretty tenuous.

7 If it were seven versus one we would have
8 equal rates. So it's very limited amount of
9 information. I have raised the issue as well stating
10 that it creates the suggestion that this is something
11 to be addressed more reliably as opposed to providing
12 any kind of direct reliable information that there is
13 in fact an increase.

14 So having said that my sense of the two
15 types of additional information that I would like to
16 see would be tied into what might happen for efficacy.
17 If in fact there is going to be further study to more
18 conclusively address efficacy and immunogenicity
19 related to the earlier discussion today, then I would
20 certainly hope that this would be a great opportunity
21 in the context of that larger comparative trial in the
22 U.S. to more carefully follow, not just what is the
23 relative increase in fever, but the sequelae, very
24 carefully looking at what the consequences appear to
25 be in those instances where particularly higher fever

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is occurring.

2 And in fact I would think that if it
3 involved Plevnar, in the context of Plevnar it would
4 be extremely important to see what that relative rate
5 of fever would be in that context.

6 The other thing that I would hope for is
7 if that study then is favorable, I think it's not
8 realistic for us to expect as in other settings that
9 we're going to be able to get at the rare event rates.

10 And so I would, if that study is
11 favorable, and if marketing occurs, then certainly
12 careful follow-up in post-marketing surveillance to
13 get a better clue, particularly about issues such as
14 seizures and sepsis but in general these rare events
15 and is there in fact evidence to suggest that the
16 occurrence of fever is translating into important but
17 rare events that I think probably would have to be
18 reliably addressed in large scale, post-marketing
19 surveillance. So those are the two sources of
20 information that I would hope to get.

21 CHAIRMAN DAUM: Dr. Broome would you like
22 to go ahead? And then we'll come back to Dr. Wharton
23 in a moment. You had your hand up.

24 DR. BROOME: Well I particularly wanted to
25 clarify David Steven's comment because I think it's

1 somewhat important rather than saying seven versus
2 zero, to say seven out of about five thousand versus
3 zero out of 876.

4 And as Tom clarified, you would expect one
5 at the rate of seven per five thousand. And you'd
6 expect one in the control group. So the fact that you
7 observed zero, I don't think really you know. What it
8 means is what the sponsors and Leslie have told us.
9 The study is not powered to detect rates that occur at
10 the frequency of one per thousand.

11 DR. STEPHENS: I think the concern is that
12 we see this with the clear increase in fever with the
13 clear increase in reactogenicity. That's my point.

14 DR. BROOME: I think we all are interested
15 in good probably post-licensure in terms of the
16 frequency of the one per thousand. But I think the
17 issue in terms of voting as I certainly agree with
18 everybody that there is an increase in low level fever
19 and I think this is concerning.

20 And it's certainly, it would be nice to
21 have additional clarifications on the Febrile episodes
22 and the clinical implications of those Febrile
23 episodes.

24 I guess I'm a little skeptical that you're
25 likely to get real good quality information on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think the information around hospitalizations and
2 septic work-ups is probably about as good as you're
3 going to get.

4 So, you know, I'm particularly interested
5 in you know should licensure occur, you know,
6 continued follow-up to focus on both the local Febrile
7 and any other possibly rare adverse events.

8 CHAIRMAN DAUM: Thank you. Dr. Ball wants
9 to make a clarifying comment.

10 DR. BALL: Right. This is a clarifying
11 comment. I think Dr. Stephens brought up the issue of
12 the seizure, the difference in seizure between the
13 combination recipients and the control, in the context
14 of the increased fever.

15 And I think, as was shown in my slides,
16 within the time period in which the fever was
17 observed, the four-day time period, we're not talking
18 seven to zero, we're talking two to zero, in terms of
19 the absolute number of seizures.

20 CHAIRMAN DAUM: Thank you Dr. Ball. Dr.
21 Wharton? Can we ask you to comment on this issue,
22 question that's on the screen?

23 DR. WHARTON: Well, I am concerned about
24 the fevers as well. Again I find some reassurance in
25 that they tend to be, they appear to be relatively

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 low-grade fever with the higher fevers being
2 substantially less frequent.

3 I think it will be important should the
4 vaccine be licensed to evaluate this in the context of
5 the current recommended childhood immunization
6 schedule.

7 CHAIRMAN DAUM: Thank you. Dr. Britt?

8 DR. BRITT: Yes, I'm coming back to just
9 one point. I think, unfortunately, because I do, not
10 unfortunately, that I do interface with community
11 physicians, but I do interface with community
12 physicians in treatment of infants with fevers is not
13 only with antipyretics, it's often with antibiotics,
14 in combination.

15 So I don't believe that we should ignore
16 this either for a vaccine that may be used for a large
17 number of children which does induce a high percent
18 increase in fever. There may be a concomitant
19 increase in the inappropriate use of antibiotics,
20 which I don't think anyone, I don't think this is
21 hiding needs right now.

22 CHAIRMAN DAUM: We have three non-voting
23 members at the end of the table but since we're not
24 voting we're about to hear their comments on this
25 question. Would you be willing to give us a terse

1 view on this question? Dr. Gerber, we'll start with
2 you.

3 DR. GERBER: Yes. Well, I think as I
4 already said, I am concerned about the increase in
5 fever. As Steve Kohl said, it's a trade off. And no
6 vaccine is a hundred percent safe. And what we need
7 to decide is how much fever are we willing to accept
8 given the potential benefits of this vaccine.

9 But it's not just how much fever, but what
10 the implications of that fever are going to be. So,
11 it's one thing if it's a temperature of 101 that get's
12 treated with an antipyretic at home, it's another
13 thing if it's going to result in getting antibiotics
14 or hospitalization or physician visit. And I think
15 that's the information that we need.

16 CHAIRMAN DAUM: Thank you very much. Ms.
17 Libera?

18 MS. LIBERA: Well I understand that
19 convenience of this vaccine may give you more
20 compliance. I would hope that the convenience or the
21 need, perceptive need, for this convenience wouldn't
22 be the driving force.

23 CHAIRMAN DAUM: Thank you very much. Dr.
24 McInnes. Not least.

25 DR. MCINNES: I think I'm sitting where

1 Michael is in terms of really wrestling and looking at
2 the data that Dr. Ball had prepared on the safety
3 profile following each of the doses from 011 and
4 trying to look at the four pooled groups compared to
5 the group five that received the non-combination
6 Infanrix vaccine and trying to get a sense of how
7 fever, low-grade as well as high-grade fever, sat, per
8 dose, and moving forward.

9 And I think the picture is, you're left
10 with a sense of this overall increased fever, but I
11 really can't get my hands around what it really means.
12 The categories are broad and I don't really understand
13 the antipyretic use pattern.

14 It makes me go back and remember ten years
15 ago in wholesale DTP studies where clinical
16 investigators used to send subjects out the door with
17 antipyretic on board already to try to deal with the
18 predictable Febrile response.

19 And so, I don't really have a handle on,
20 I see the patent, I see the increase in frequency with
21 dose, but I don't really know what it means and
22 whether. I'm not overtly concerned about it but I'd
23 like to be able to look at it more and know more about
24 it.

25 CHAIRMAN DAUM: So that's your additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information requested category? Okay. I guess I'll
2 complete this round of comment by saying that I think
3 that data are adequate to make a number of comments
4 about safety. I think that it's clear as many have
5 said that this combination vaccine causes a little bit
6 of excess low-grade and some intermediate-grade
7 fevers.

8 My sense is that overall the pattern of
9 the vaccine is a safe one. And whether people
10 tolerate the excess of fevers or not, I think is a
11 question to wait for the marketplace to decide. And
12 I would like to see an effort made to gather more data
13 about its implications, but, as a pediatrician, I can
14 guess what some of its implications are.

15 If we start doing this to millions of
16 children, there probably will be an occasional Febrile
17 seizure. There probably will be an occasional septic
18 work-up. And I think people will have to decide
19 whether they think that's sufficient to not license
20 this vaccine.

21 I think the safety profile overall that
22 we've seen today is adequate and suggest that this
23 vaccine is safe, but I too am troubled by the rate of
24 fever. And what I would do in my own practice,
25 counseling about saving an injection versus the higher

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk of fever, I think is a, I think is a separate
2 question.

3 I'm intrigued by one of, comments that
4 only was said once by Dr. Wharton, and that is that
5 the interaction with Plevnar from a point of view of
6 safety. And I hadn't thought of it that way. And it
7 would be pretty easy, I would think, to get enough
8 data to reassure me and a small clinical trial, that
9 looked at them together, to see about whether there's
10 a synergy with fever.

11 Because that's something I don't think I
12 would be comfortable with. As Dr. Fleming raised a
13 point, I think with the rare events, we have choices
14 here that are almost murderously difficult. One is to
15 do pre-licensure trials so big that we have a
16 confidence down to some minute level of comfort about
17 rate side effects.

18 And the other is to get trials adequately
19 big so that we as a vaccine community believe that
20 something sufficiently safe to go to post-licensure
21 surveillance and documentation as a way of getting at
22 the very rare events that we hadn't seen any of in the
23 pre-licensure trials.

24 I'm not wise enough to know the definitive
25 answer to that, but, in general I would come down on

1 the side of the post-licensure approach being a more
2 efficient one to do that.

3 So that's a very complicated answer to
4 what might at first seemed like a simple question, but
5 I think we've seen a lot of data about safety and I
6 think that, I hope FDA folks and sponsor folks have
7 heard the concerns and heard the good points as well.

8 I'd like to move on to the next question
9 now. Could we flash it up on the screen, there. God.
10 Thank you. Discussion Point Three. We've heard a
11 number of comments about this and we might be able to
12 go through this part of the discussion fairly quickly.

13 And that's, we'd like, the FDA would like
14 to hear us discuss the data submitted in support of
15 the concurrent administration of other routinely
16 recommended childhood immunizations. With this
17 combination, this DTPa-HepB-IPV vaccine.
18 Specifically, they've asked for Hib and Prevnar
19 comment.

20 So we will again put out the net for
21 general comments or clarifying things we need, but
22 then I'd like to hear some specific comment directed
23 at this discussion. We may have done this one. We
24 may be able to go right to the specific comments.
25 Shall we try? Dr. Fleming would you like to start?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FLEMING: Well the aspect of this that
2 strikes me as being particularly important is the
3 interaction with Prevnar both in terms of effects in
4 immunogenicity and effects on safety. We have been
5 presented some data in our packages that certainly
6 suggest that there could well be an affect on
7 immunogenicity and others on this panel will be much
8 more capable than I to address the fact that there is
9 also the risk of interactions and safety and
10 specifically with fever.

11 So, certainly I would urge that there be
12 studies, in whichever strategy the sponsor and the FDA
13 wish to pursue for future investigation, very
14 important elements of that should be getting
15 information that will allow us to adequately address
16 overall effects on immunogenicity and on safety, in
17 particular fever, when there's concurrent
18 administration with Prevnar.

19 CHAIRMAN DAUM: Thank you very much. Dr.
20 Wharton?

21 DR. WHARTON: On the issue of concomitant
22 administration with Hib, it's nice to see in the
23 material provided in the briefing package, testing of
24 the combination vaccine with multiple different Hib
25 products from different manufacturers. And those data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I find very reassuring in terms of concomitant
2 administration with Hib vaccine.

3 I am troubled though about the Prevnar
4 issue based on the data provided in the briefing
5 package as well as the more general issue of Prevnar
6 interactions with other DTPa vaccines, as suggested in
7 the Prevnar package insert. And I do think that's an
8 area that requires additional information.

9 CHAIRMAN DAUM: I point out for no
10 particular reason, except to try and be helpful, is
11 that the company had no opportunity to study Prevnar,
12 because of a variety of things that have occurred
13 since this package was being put together and the
14 approach to the agency and to this Committee was being
15 made.

16 And so, I think it's perfectly legitimate
17 for the Committee to decide that we need to have this
18 information to reach conclusions, but on the other
19 hand I think we should be careful to not believe
20 anybody should be criticized for the fact that those
21 data aren't here. So I'd like to just off-hand make
22 that comment. And keep going around the circle. Dr.
23 Broome?

24 DR. BROOME: Well, I mean I would hope
25 that it would be fairly self evident since that's one

1 of the routine childhood immunizations, we do need to
2 have some data about concomitant administration with
3 Prevnar, particularly in light of the potential for
4 impact on immunogenicity of the pertussis components
5 as well as the question of safety issues.

6 I think it has been clear that it wasn't
7 possible to include it at the time the initial trials
8 were done. So, I think it's appropriately discussed
9 as the third issue.

10 CHAIRMAN DAUM: Can I press you about one
11 thing? If it were, if it came down to this, I don't
12 know if it does, but I know input is desired, should,
13 is that information necessary before licensure, or
14 could it be obtained after?

15 DR. BROOME: Well, it, you know, I would
16 think you would ideally have some before since it is
17 something you're proposing for mass concomitant
18 administration. It's an unfortunate result of timing
19 of availability that that couldn't be done with the
20 initial studies.

21 But I think the ultimate bottom line is if
22 you've got a product licensed for use in U.S. infants,
23 you'd like to know how it interacts with the currently
24 administered products.

25 CHAIRMAN DAUM: Thank you. Dr. Britt?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BRITT: I have nothing to add. Those
2 are my sentiments.

3 CHAIRMAN DAUM: Thank you. Your point of
4 view has already been expressed I presume.

5 DR. BRITT: Yes.

6 CHAIRMAN DAUM: Okay. Dr. Gerber.

7 DR. GERBER: I agree with Linda, I feel
8 very comfortable with the data that were presented on
9 the concomitant use of this vaccine with Hib, both in
10 terms of safety and immunogenicity. As far as Prevnar
11 goes, I think we tell parents that all new vaccines
12 are tested in combination with the current vaccines
13 that they're going to be used.

14 I think that if we're going to be using
15 this vaccine with Prevnar, which we would, I think
16 that safety and immunogenicity of that combination
17 needs to be established before licensure.

18 CHAIRMAN DAUM: Thank you. Ms. Libera.

19 MS. LIBERA: Nothing.

20 CHAIRMAN DAUM: Okay, Dr. McInnes?

21 DR. MCINNES: I love the Hib data. I'm
22 very excited to see immunogenicity profile from that.
23 I'm troubled by the concept that this has to work
24 together with Prevnar as a condition of licensure, am
25 inherently troubled by that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think in terms of understanding
2 recommendations of how the entire pediatric
3 immunization regimen is going to have to work, we need
4 to see the data. But I'm not comfortable with it
5 being a condition of licensure for this product.

6 CHAIRMAN DAUM: Post-licensure's okay with
7 you? Thank you. I'm just, I'm not putting words in
8 your mouth, I'm trying to understand what you said.
9 Who's over there. Dr. Kohl. It's you.

10 DR. KOHL: I'm still over here. I agree
11 with everything my colleagues have said. There's one
12 problem that I foresee. Looking at the Prevnar
13 prescription information it looks like there is
14 already, at least preliminary data, that this Prevnar
15 interferes with some antibody responses of currently-
16 licensed vaccines.

17 So, I guess what I'm trying to focus, and
18 some of my statistically-oriented colleagues might be
19 able to help me better, is what are we going, how do
20 we set the bar? Does it have to not interfere at all?
21 Or does it not interfere as much as Prevnar interferes
22 with Acel-Imune? How's that bar going to be set?
23 It's an interesting problem.

24 CHAIRMAN DAUM: And if I could toss in,
25 what about interpreting interference with respect to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pertussis antigens?

2 DR. KOHL: Right. And is it even fair to.
3 Fair is not the right word, but obviously Prevnar's
4 licensed and all these other preparations are licensed
5 and there may be major interferences there and that's
6 not going to pull those products from the shelves.

7 But if this one doesn't meet whatever
8 we're going to set it will preclude it from being
9 licensed. So, there's some really sticky issues in
10 the Prevnar and other vaccines.

11 DR. FLEMING: Should we comment on his, on
12 Steve's statistical question?

13 CHAIRMAN DAUM: If you could make a brief
14 helpful comment.

15 DR. FLEMING: Just a very brief comment.
16 It's certainly a very relevant issue as to say, if in
17 fact Prevnar interferes as the evidence that we've
18 seen suggests it does with let's say components of a
19 pertussis vaccine, how do we assess, in a clinical
20 trial, what, in essence, what the impact is now on
21 this combination.

22 My fundamental principle I guess of
23 clinical trials is that I want to design a study to
24 compare in a real-world setting, benefit-to-risk of an
25 experimental approach versus standard of care. And

1 so, if standard of care now involves wide-spread use
2 of Prevnar with separately-administered components,
3 then that's my control.

4 And I want to compare that to the
5 administration with a combination vaccine, presumably
6 in the context of Prevnar there as well. And I want
7 to understand the relative difference. It's possible
8 that if people are accepting the use of Prevnar with
9 single components that diminishes some of the FHA
10 responses, etcetera, that what we will see in that
11 randomized trial is no relative further increase in
12 reduction and that is, in fact, a relevant answer to
13 my perspective.

14 We would then see that the combination,
15 with Prevnar use, against how the current components
16 are being administered with Prevnar use, doesn't
17 provide any further diminishment of immunogenicity.

18 CHAIRMAN DAUM: Thank you. Dr. Stephens?

19 DR. STEPHENS: A couple of comments. One
20 is and we've heard a lot of positive comments about
21 the association with the Hib vaccines. I am troubled
22 though, and maybe Dr. Ball can clarify, on page fifty-
23 four of your hand-out, it is, we've talked, or you've
24 indicated, looks, the equivalencies look very good for
25 this vaccine in combination with most of the Hib

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 products.

2 My only concern was on the level, and I
3 mentioned this earlier this morning, on the level of
4 Anti-PRP at one microgram for at least two of the
5 doses, two of the dose schedules. Could you comment
6 on those lower levels with?

7 DR. BALL: Are you talking about the 2, 3,
8 4 month schedule, 017?

9 DR. STEPHENS: Correct. Correct. It's a
10 different schedule, I appreciate that.

11 DR. BALL: It's a different schedule. I
12 really think that

13 DR. STEPHENS: It's just a schedule issue.

14 DR. BALL: I think it may be a schedule
15 issue. Because if you look at

16 DR. HOWE: It's a phenomena for compressed
17 schedules with Hib. So it's a one, six, ten, fourteen
18 weeks. And the other is 2, 3, 4 months and that
19 explains the results that you see there.

20 DR. BALL: If you look at the 2, 4, 6,
21 which is at the top for the Anti-PRP response at the
22 one microgram per mL level, it's between eighty-nine
23 and ninety-four percent.

24 DR. STEPHENS: My concern has to do with
25 the effectiveness of the Hib vaccines as we, if this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were an effect, in terms of lowering levels that might
2 interfere with transmission, and that would be an
3 effect we would not want to see with this vaccine.
4 And so that was the concern I had. And I think you're
5 point is reassuring.

6 I must say I share the concerns about the
7 Prevnar issues that have been raised by Dr. Wharton
8 and Dr. Broome, in particular, and I think prefer to
9 see this as a pre-licensure issue rather than a post-
10 licensure issue.

11 CHAIRMAN DAUM: Thank you very much. Dr.
12 Faggett, please.

13 DR. FAGGETT: Yes. I concur with my
14 colleagues that the Hib data is impressive. And that
15 we need more information about Prevnar. I think
16 really pre-licensure investigation and clarification
17 will enhance exceptions of both, of Prevnar and the
18 combination vaccine.

19 CHAIRMAN DAUM: Thank you very much. Dr.
20 Griffin.

21 DR. GRIFFIN: I agree and don't have much
22 else to add.

23 CHAIRMAN DAUM: Dr. Diaz.

24 DR. DIAZ: Well, I agree in terms of
25 needing more data, especially on the Prevnar issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I saved one of my safety comments for this round
2 because it's pertinent at this point too.

3 From a pediatric, pediatrician's
4 standpoint and a parent, I think I would be more than
5 willing to tolerate a little extra fever for having a
6 combination vaccine.

7 And yet, at the same time, again I think
8 we've raised a lot of issues as to what that extra
9 fever would lead to and sort of where does one draw
10 the bar and I'm sure people have differing opinions of
11 where they might draw the bar but, myself, I wouldn't
12 certainly tolerate a combination along with Prevnar,
13 leading to a higher incidence of fever such that there
14 are many more febrile seizures.

15 Because when you get off into that realm
16 then you're not only talking about the potential for
17 hospital encounter and perhaps sepsis work-up, but the
18 likelihood that a child will receive a spinal tap.
19 And again, along with that sepsis work-up, is almost
20 a hundred percent at that point in time.

21 Where somebody might not include the
22 spinal tap if the child was there with just increased
23 fever. Make a clinical judgment and delay that.

24 So that's where I would draw the bar and
25 I think I would want to know prior to licensure, what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the combination does with Prevnar along those lines in
2 terms of just how much fever and what are the
3 consequences again, as we pointed out, to that fever.

4 CHAIRMAN DAUM: Pre-licensure? Thank you.

5 DR. DIAZ: I mean, I hate to say that
6 because I recognize the timing of this and it's
7 unfortunate but better pre-licensure than after, I
8 believe.

9 CHAIRMAN DAUM: Dr. Goldberg. Not least.

10 DR. GOLDBERG: I guess I'm concerned about
11 two things. One is I think that the Prevnar issue has
12 to be investigated and ideally pre-licensure. We've
13 also, some of us believe that there ought to be some
14 more combination efficacy trials done pre-licensure.

15 I have a question, though, to my
16 colleagues. One is the Prevnar issue is kind of
17 unfortunate and almost unfair here. And second of
18 all, is it even possible to study the combination
19 vaccine versus its components without putting it in
20 the context of Prevnar, if Prevnar is being widely
21 used now?

22 Therefore, I think it has to be
23 incorporated into one new paradigm if you will, for
24 study, unless there's some place in this country you
25 can use, because I'm assuming it's being used and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 kids would be in the trials, but then they would have
2 Pevnar being given outside of the trial.

3 Which would be far worse than having it
4 incorporated into the trial design and being able to
5 evaluate the total combination and the way it's
6 administered. So I defer to, I mean I'd like an
7 answer actually, from my colleagues about Pevnar use
8 to help me finish my thinking.

9 CHAIRMAN DAUM: What, you have to form the
10 question a little more precisely, maybe we can get the
11 whole --

12 DR. GOLDBERG: It's can you today do a
13 trial of this combination vaccine, against its
14 components, without some kind of co-administration or
15 somewhere in the schedule during this period and
16 administration of Pevnar, given, I mean am I
17 understanding this, it's being widely used in these,
18 in children of these ages. I mean?

19 CHAIRMAN DAUM: Well, it's recommended
20 for, with universal immunization.

21 DR. GOLDBERG: Pardon?

22 CHAIRMAN DAUM: It's recommended.

23 DR. GOLDBERG: Okay. So, therefore, we're
24 in a very sticky situation in requesting a trial of
25 the combination against its components. Without

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 having be a trial of the combination plus Plevnar
2 against the components plus Plevnar.

3 So that would be my recommendation in that
4 context and then with one trial we would accomplish
5 pre-licensure what we need.

6 CHAIRMAN DAUM: Thank you very much. I
7 just end this part of the discussion by saying, with
8 the exception of Plevnar, I think we've been
9 reasonably satisfied that there is unlikely to be
10 vaccine-antigen interference.

11 The Plevnar issue, with respect to antigen
12 interference, for me, could be done post-licensure.
13 If it interfered with pertussis antibodies, I wouldn't
14 know much about what to do with those data anyway and
15 those are basically my comments.

16 I do want to say though that I keep coming
17 back to this increased fever issue. And I guess I'm
18 talking a little out of both sides of my mouth, but I
19 would like to see some safety data in a small trial to
20 reassure myself that there's not synergistic fever
21 between Plevnar and this combination.

22 On that side, I guess I'm siding with the
23 people concerned about safety, Dr. Diaz and others who
24 made that point. So I'd like to move on now to
25 Discussion Point Four. And I think that we've had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enough discussion that we can do this pretty quickly
2 and meet our five o'clock finish.

3 Please identify any issues that should be
4 addressed in post-licensure studies. Please
5 specifically include a discussion of the safety
6 immunogenicity of concurrent administration of other
7 routinely-administered vaccines, Prevnar. I think
8 we've done that.

9 Safety and immunogenicity of a fourth and
10 fifth dose of Infanrix DTPa, which we haven't talked
11 about and we need to. Following a primary series of
12 DTPa-HepB-IPV, this combination. And the safety of a
13 primary series of this combination following a birth
14 dose.

15 So I think the birth dose is one issue we
16 need some discussion on, as is the fourth and fifth
17 dose. And unless somebody objects on the Committee,
18 I think we've addressed the Prevnar issue and the need
19 for studies on that.

20 Some have spoken to pre-licensure
21 preference and some to post-licensure preference, but
22 discussed, nevertheless, it has been. So, let's deal
23 with the birth dose issue and the fourth and fifth
24 dose issue and any other post-licensure study issues
25 that people want to talk about. Are there general

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comments that more information is needed or can we go
2 right to -- yes, Dr. Finn?

3 DR. FINN: Dr. Daum.

4 CHAIRMAN DAUM: Yes.

5 DR. FINN: Just a point of clarification.

6 CHAIRMAN DAUM: Please.

7 DR. FINN: I'm not sure if you're reading
8 the question from what's up on the screen versus
9 what's on, in front of you, perhaps.

10 CHAIRMAN DAUM: Ah. Could be.

11 DR. FINN: And I would just like to point
12 out that there's an extra clause in there.

13 CHAIRMAN DAUM: Thank you. You snuck it
14 in.

15 DR. FINN: Yes. We snuck it in.
16 Apologies. Safety and immunogenicity of the
17 combination following a complete or partial primary
18 series of Infanrix or other DTPa vaccine.

19 CHAIRMAN DAUM: Oh. Okay. The extra
20 clause is the or other vaccine.

21 DR. FINN: Right.

22 CHAIRMAN DAUM: So, it speaks to the
23 booster issue, nevertheless. So --

24 DR. FINN: To complete the primary, sorry,
25 it's to complete the primary series with the kid who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 may have initiated with dose one or two.

2 CHAIRMAN DAUM: Yes. Thank you. Thank
3 you. Thank you. So we have general discussion on
4 this point and then we will sort of invite point and
5 comment. Or we can go right to point and comment
6 then. Dr. Kohl, start us off here.

7 DR. KOHL: Let me do what I think is the
8 easier one first. I was satisfied with the birth dose
9 hepatitis B, so I'm not going to ask for anything more
10 of that. I think the, completing the primary series
11 is not something that I would hold for a pre-
12 licensure, but I would like to see that as a post-
13 licensure.

14 It gets complex because, as we talk about
15 multi-component vaccines, and multi, multi-component
16 vaccines and multiple manufacturer's vaccines, the
17 combinations, permutations get to be a little mind
18 boggling. But I guess we do need that. And, where
19 else are we?

20 The question of boosters. I think that's
21 not being requested at this point in licensure for a
22 booster. And I think the booster licensure dose
23 should await a further discussion at a different time.

24 CHAIRMAN DAUM: All right. Dr. Stephens.

25 DR. STEPHENS: I basically agree with

1 those comments. I think the hepatitis B data, was at
2 least in my view, convincing enough that I'm not sure
3 that we need in post-licensure kind of study. The
4 other issues I think require further data and post-
5 licensure types of studies.

6 CHAIRMAN DAUM: Dr. Faggett.

7 DR. FAGGETT: Yes. I agree with my
8 colleagues' comments. I think we're going to find
9 some variability in terms of the birth dose of
10 hepatitis B, because there's still some concern for
11 some parents, we're getting them back online, but I
12 think its, you're going to find that those parents who
13 don't want that birth dose are probably going to be
14 resistive to the combination vaccine.

15 So that's going to be a real challenge for
16 us in practice. But I think the post-licensure study
17 of this issue will assist us in better accounts. In
18 the booster issue, I agree it needs to be looked at.

19 CHAIRMAN DAUM: Thank you very much, Dr.
20 Faggett. Dr. Griffin, please.

21 DR. GRIFFIN: I agree that hepatitis B I
22 don't think is an issue from the point of view of
23 immunogenicity. I think the only issue is the one I
24 raised before which I just, would be how confusing it
25 starts to get whether a child has actually had the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 birth dose or not and what kinds of series they needed
2 and combinations. But I don't think that's an issue
3 for this Committee.

4 The, and I think all of the other issues
5 can really be post-licensure issues as far as the
6 incredible, increasing complexity of what these
7 different immunization schedules might be as these
8 combination vaccines come on board. And children have
9 had different varieties in different health care
10 situations.

11 CHAIRMAN DAUM: Thank you. Dr. Diaz.

12 DR. DIAZ: I don't have anything to add
13 that others haven't already stated, particularly Dr.
14 Kohl, very well stated what I would comment on with
15 the caveat of the birth dose of HepB vaccine. Just to
16 reiterate that this, I feel comfortable with the HepB
17 vaccine, birth dose data that was presented.

18 Again, only obviously for those children
19 who are born to mothers who are HepB surface antigen-
20 negative. And that would follow along with using the
21 HepB vaccine in a 2, 4, 6 schedule. Again only with
22 those particular children.

23 CHAIRMAN DAUM: Thank you. Dr. Goldberg?

24 DR. GOLDBERG: I have nothing to add
25 really. I think the HepB data are adequate and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other issues should be post-licensure.

2 CHAIRMAN DAUM: Dr. Wharton?

3 DR. WHARTON: At least from my
4 understanding from what's in the package, the safety
5 data, the data on the safety of the 2, 4, 6
6 administration of this product to 2, 4, 6 following a
7 birth dose are limited. That said, this has been an
8 accepted practice in the United States for a number of
9 years and I expect it will continue to be so.

10 So I think I can probably live with it,
11 but clearly the data that I think have been presented
12 are limited in quantity. Regarding the safety of
13 this. The issue of the indication for use of this
14 vaccine for completing the series, I have no doubt
15 that the vaccine will be used in that way once it is
16 licensed. I think doing those studies is bound to be
17 difficult.

18 And clearly if information is needed on
19 that it should be obtained post-licensure as that will
20 be how the vaccine will be used to complete the series
21 in those children who have already started the series
22 with the individual vaccines. And I don't have
23 concerns with that practice. I believe it is likely
24 to be safe and effective but monitoring that in the
25 post-licensure setting would seem to me to be

1 appropriate.

2 CHAIRMAN DAUM: Thank you. Dr. Broome.

3 DR. BROOME: I think the thing we haven't
4 commented on much is data that I assume will be
5 forthcoming which is the impact of the booster doses.
6 But I think it will be real important to take a
7 careful look at those.

8 CHAIRMAN DAUM: Yes. I agree with you
9 totally. I think that that's a separate whole concern
10 for this Committee for the agency or sponsor, whatever
11 the right -- of consideration ought to be but I don't
12 think that's an add-on consideration or a given that
13 it's either safe or effective. And I think we should
14 really study that very carefully. Dr. Britt?

15 DR. BRITT: I have nothing to add.

16 CHAIRMAN DAUM: Dr. Gerber?

17 DR. GERBER: I have nothing to add.

18 CHAIRMAN DAUM: Well. I have nothing to
19 add except for what I just said embellishing or
20 agreeing with Dr. Broome's comments. And I think that
21 brings the Committee's business to a close for the
22 day, barring Dr. Midthun's appearance at the table.

23 DR. MIDTHUN: This will be short. I
24 wanted to come back and I'm looking at Dr. Fleming
25 because he had addressed this and I'd like a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bit more discussion on this. The question had been
2 raised with regard to Prevnar.

3 And the potential for interference with
4 some of the pertussis antigens and I think that you
5 had indicated that what you would envision as a
6 control arm for such a study would sort of be what is
7 being done right now. I just want to make sure I
8 understood that correctly.

9 In other words you would take the
10 routinely-recommended vaccines, they're being
11 administered right now. Let's say for example,
12 Infanrix, Hepatitis B, Hib, and Prevnar and I'm
13 missing one, IPV. And so that would be the control
14 because that's what's currently in practice.

15 And then the study arm would be the
16 combination vaccine plus Prevnar, plus Hib? Do I
17 understand that correctly.

18 DR. FLEMING: Precisely. What I would
19 assume is that standard of care, as it's currently
20 being delivered, has factored in the benefits that are
21 understood from each of these vaccines. And the
22 theoretical or real risks that might be incurred,
23 based on the effects that a given vaccine, like
24 Prevnar may have on other vaccines, such as pertussis
25 vaccines.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And in that setting I could readily
2 believe that the benefit-to-risk is favorable because
3 you're targeting a specific disease and influencing
4 the occurrence of that disease and that is a benefit
5 that offsets the theoretical, possibly negligible,
6 possibly meaningful, risk to another disease, in this
7 case for example, pertussis.

8 So, I will assume that standard of care
9 has evolved in a way that judgment has led to a
10 weighing of the known benefits against the known risks
11 or perceived risks. What I want to know then is, if
12 I change standard of care, in this specific case, by
13 altering the administration of several of these
14 vaccines in a combination form, what influence will
15 that have overall on the safety profile and on
16 efficacy, or if I can't get efficacy directly, on
17 appropriate measures of immunogenicity?

18 So I would think that the very trial that
19 would be the most natural one to do, would give me
20 very important, real world answers. It may well be
21 that, this is something that requires more than a
22 quick response, that that study design might have some
23 kind of stratification in it so that you ensure a
24 proper balance, because there is a heterogeneity in
25 what that standard of care administration would be.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 CHAIRMAN DAUM: Thank you. Now that we've
2 reopened the conversation. Dr. Kohl.

3 DR. KOHL: What if Pevnar really
4 decreases the efficacy of pertussis vaccines?

5 CHAIRMAN DAUM: Do you mean efficacy?

6 DR. KOHL: I mean efficacy. Clinical
7 efficacy or pertussis. It looks like it may decrease
8 the antibody response, in a preliminary look at the
9 Pevnar package insert. What if it really decreases
10 efficacy?

11 And I can't envision a place where we can
12 do an efficacy study. What I'd be interested to know
13 is if my statistical colleagues can design a thought
14 experiment where they can run kind of sensitivity data
15 to show that if it reduces the efficacy to such and
16 such a point, then the increased lives saved by the
17 Pevnar is actually, is more than offset by the
18 increased deaths or morbidity or whatever from
19 pertussis.

20 DR. FLEMING: Should I respond?

21 CHAIRMAN DAUM: Sure. We're in outer
22 space now.

23 DR. FLEMING: This is a good point, Steve.
24 And it's one that I would say is particularly relevant
25 to Pevnar and discussion about it's use, more so,

1 than specifically the discussion today about the
2 combination vaccine question. What you've said is a
3 very important issue. And it needs post-marketing
4 study as it relates to the continued use of Prevnar.

5 CHAIRMAN DAUM: And I would have a plea
6 that the post-marketing study be designed if it's
7 going to look at immunogenicity, alterations in
8 pertussis antibodies to something that we know is
9 biologically and clinically relevant to decreased
10 efficacy.

11 Because I think we spend a lot of time
12 worrying about a ten percent or five percent decrease
13 in one or another pertussis antibodies. Without
14 having any idea of what the consequences are for
15 effectiveness. Dr. Broome?

16 DR. BROOME: Well, on the day we reach a
17 hundred percent coverage of Prevnar, we're going to be
18 in trouble. But in the meantime, that's why we do
19 surveillance for vaccine-preventable diseases and do
20 follow-up studies to assess whether there's any, like
21 a case control approach suggestion of increased
22 effectiveness.

23 CHAIRMAN DAUM: Thank you for reminding us
24 of that. Last comments. We're ready for an on-time
25 arrival here. It's five o'clock, or four minutes to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 five.

2 Nancy has asked me to give special thanks
3 to those who braved the weather, those who are under
4 the weather, and those who weathered red-eyes to get
5 here. And thank everybody who presented and had a
6 stimulating conversation here today. Tomorrow morning
7 at eight a.m

8 (Whereupon, the above-entitled matter was
9 concluded at 4:58 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Vaccines and Related Biological Products
 Advisory Committee

Before: DHHS/FDA/PHS/CBER

Date: March 7, 2001

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


