

UNITED STATES OF AMERICA  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

6048 01 MAR 27 P2:39

**OPEN**

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS

ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

FRIDAY

MARCH 9, 2001

+ + + + +

The Committee met at 8:00 a.m. in the Versailles Room of the Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, Dr. Robert S. Daum, Acting Chair, presiding.

PRESENT:

- ROBERT S. DAUM, M.D. Acting Chair
- CLAIRE BROOME, M.D. Temporary Voting Member
- JAY BUTLER, M.D.
- NANCY COX, Ph.D.
- MICHAEL DECKER, M.D.
- PAMELA S. DIAZ, M.D. Member
- THEODORE EICKHOFF, M.D. Temporary Voting Member
- WALTER L. FAGGETT, M.D. Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## PRESENT (Continued):

JUDITH D. GOLDBERG, Sc.D.	Member
DIANE E. GRIFFIN, M.D., Phd	Member
CAROLINE HALL, M.D.	Temporary Voting Member
SAMUEL L. KATZ, M.D.	Member
KWANG SIK KIM, M.D.	Member
STEVE KOHL, M.D.	Member
DOLORES LIBERA	
PAMELA McINNES	
NANCY CHERRY, Executive Secretary	

I-N-D-E-X

Page

Introductions, Administrative Matters . . . . . 4

SESSION 8 - OPEN SESSION

Completion of Formulation of Influenza Vaccine:

Review of Current Situation . . . . . 6

Dr. Roland Levandowski, FDA

Additional Information on Strain Surveillance  
and Epidemiology, Dr. Nancy Cox, CDC . . . . . 11

Additional Information on Serologic Results . . 28  
and Reference Strains, Dr. Roland Levandowski

Options for Strain Selection  
Dr. Roland Levandowski, FDA . . . . . 35

Open Public Hearing . . . . . 55

Committee Discussion and Voting . . . . . 55

SESSION 9 - OPEN SESSION

Briefing on Activities in the Laboratories  
of Retrovirus Research and Immunoregulation

Introduction to the Review Process  
Dr. Peter Patriarca, FDA . . . . . 67

Activities in the Laboratory of Retrovirus  
Research, Dr. Hana Golding, FDA . . . . . 81

Activities in the Laboratory of . . . . . 102  
Immunoregulation, Dr. Ira Berkower, FDA

## P-R-O-C-E-E-D-I-N-G-S

(8:15 a.m.)

ACTING CHAIRMAN DAUM: Good morning.

Could everybody take their seats and get ready to do our business, please.

This is an open session, Number 8, of this meeting. I think we will begin by asking the Committee members to introduce themselves, and then we will turn the floor over to Nancy for a conflict of interest statement. Dr. Kohl, will you tell us who you are?

DR. KOHL: Steve Kohl, Oregon Health Sciences University.

DR. KIM: Kwang Sik Kim, Johns Hopkins.

DR. GRIFFIN: Diane Griffin, Johns Hopkins.

DR. DIAZ: Pamela Diaz, Chicago Department of Health.

DR. KATZ: Yesterday I was Sam Katz. I guess I'm still Sam Katz from Duke University. How many times do we introduce ourselves?

ACTING CHAIRMAN DAUM: We are glad to hear that.

DR. GOLDBERG: Judy Goldberg, New York University.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COX: Nancy Cox, CDC, Atlanta.

2 DR. EICKHOFF: Ted Eickhoff, University of  
3 Colorado.

4 DR. BUTLER: Jay Butler, CDC.

5 DR. HALL: Caroline Hall, University of  
6 Rochester.

7 MS. LIBERA: Dolores Libera, Allergy and  
8 Asthma Network/ Mothers of Asthmatics.

9 DR. McINNES: Pamela McInnes, National  
10 Institute of Allergy and Infectious Diseases, NIH.

11 DR. DECKER: Michael Decker, Aventis  
12 Pasteur and Vanderbilt University.

13 DR. LEVANDOWSKI: Roland Levandowski,  
14 Center for Biologics.

15 ACTING CHAIRMAN DAUM: And I am Robert  
16 Daum from the University of Chicago.

17 We now will turn the floor over to Nancy  
18 Cherry for a conflict of interest statement regarding  
19 today's matters.

20 MS. CHERRY: And today it is brief. The  
21 following announcement addresses conflict of interest  
22 issues associated with sessions 8 and 9 of the  
23 Vaccines and Related Biologics Products Advisory  
24 Committee meeting on March 9, 2001. These sessions  
25 focus on completing the formulation of the influenza

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 virus vaccine for the 2001-2002 season and on  
2 activities within two laboratories of FDA.

3 The Director of the Center for Biologics  
4 Evaluation and Research has appointed Doctors Broome,  
5 Eickhoff and Hall and temporary voting members for the  
6 discussion on influenza. Based on the current agenda,  
7 it has been determined that these committee  
8 discussions present no potential for a conflict of  
9 interest.

10 That's it.

11 ACTING CHAIRMAN DAUM: That's a remarkable  
12 statement, I must say, in my experience here. Thank  
13 you, Nancy.

14 We will now consider the first issue on  
15 today's agenda, which is the completion of the  
16 formulation of the influenza virus vaccine for next  
17 year that the Committee initiated at its last meeting.

18 For a review of the current situation, we  
19 will call on Roland Levandowski of the FDA to initiate  
20 this discussion.

21 DR. LEVANDOWSKI: Thank you, Dr. Daum.  
22 Good morning, everybody. Welcome back. It hasn't  
23 been so long since we were here before discussing  
24 influenza. I know that some of the members on the  
25 Committee today weren't here in January. So I will do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a brief review of information that we went over and  
2 then try to bring you up to date on what's happened in  
3 the interim.

4 In January, using the information that was  
5 then available, the Committee made some preliminary  
6 recommendations for the composition of influenza virus  
7 vaccines to be used in the United States during the  
8 upcoming 2001-2002 influenza season.

9 The information that was available at that  
10 time, which was presented by a number of sources,  
11 indicated that influenza A(H1N1) and influenza B  
12 viruses were predominant in most parts of the world  
13 and the United States, and that relatively few  
14 influenza A(H3N2) viruses were being found.

15 The vast majority of the influenza A(H1N1)  
16 strains were very closely related to the current  
17 vaccine strand, which is A/New Caledonia/20/99, but a  
18 few of the strains were similar to an older vaccine  
19 strain, A/Johannesburg/82/96. Those have continued to  
20 appear over the last few years and don't appear to  
21 pose any particular problem, since we had seen in the  
22 past that the New Caledonia vaccine produces antibody  
23 responses that cover those strains quite well.

24 The few influenza A(H3N2) viruses that  
25 were being recovered in the United States and

1 elsewhere were, for the most part, similar to the  
2 current vaccine strain, which is A/Panama/2007-99.

3 The influenza B viruses being identified  
4 were all of the B/Yamagata/1688/HA lineage, and that  
5 included many related to the current vaccine, which is  
6 B/Yamanashi/166/98. But the majority of the strains  
7 were divergent, and they were really more similar to  
8 the B/Sichuan/379/99 reference strain.

9 There were very few B/Victoria/02/87 HA  
10 strains being identified, and we are all wondering  
11 whether that particular lineage is now going to die  
12 out or whether it will persist in some little niche  
13 somewhere.

14 Serologic data from people who had been  
15 immunized with the current vaccines indicated that the  
16 H1N1 viruses and the H3N2 viruses would be expected to  
17 be pretty well covered by the current vaccine.

18 For the influenza B viruses, however,  
19 there was evidence that suggested that the current  
20 vaccines would not provide the best match with most of  
21 the circulating strains and, although there were  
22 reductions in antibody responses to the new influenza  
23 B viruses seen in serologic testing, those reductions  
24 were actually on the moderate side. But they seemed  
25 to be pretty consistent, even though they weren't seen

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 in every one of the tests that was done.

2 An additional concern about the influenza  
3 B strains was whether it would be possible to find a  
4 strain that is suitable for large scale manufacturing.  
5 We heard somewhat mixed messages from the  
6 manufacturers regarding the strains that had been  
7 examined at that time.

8 We were told that many of the possible  
9 influenza B candidate strains were very poorly  
10 growing, and they would be expected to give yields  
11 that were so low that manufacturing would be very  
12 difficult on a large scale basis.

13 Although the B/Victoria/504/2000 strain,  
14 which is a B/Sichuan/379/99-like strain, appeared to  
15 grow well, on manufacturer who maybe through his  
16 Liverpudlian accent didn't come across that clearly,  
17 but he noted that the yield through the entire process  
18 had been somewhat disappointing in their experience.

19 It was also noted that the other  
20 B/Sichuan-like strain that has been used for  
21 manufacturing for the Southern Hemisphere during this  
22 last campaign, the B/Johannesburg/599 strain, was a  
23 very low yielding strain and definitely would not, in  
24 its current form, be acceptable for large scale  
25 manufacturing.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           As a result, the Committee recommended  
2           that the H1N1 strain in the vaccine should remain as  
3           the A/New Caledonia/20/99 and that, in the absence of  
4           any new data that might alter the recommendation, the  
5           H3N2 strain in the vaccine should remain as an  
6           A/Panama/2007/99 strain and, again in the absence of  
7           any data, that the influenza B strain for the vaccine  
8           should be changed to a B/Sichuan/379/99-like virus,  
9           and many of the members focused on the B/Victoria  
10          504/2000 strain as the most likely candidate.

11           In the interval between January and today,  
12          the World Health Organization made its recommendations  
13          for vaccine composition and, not surprisingly, they  
14          have recommended an A/New Caledonia/20/99(H1N1)  
15          strain, an A/Moscow/10/99-like(H3N2) strain, which  
16          really means the related -- the closely related  
17          A/Panama/2007/99 strain that has already been widely  
18          in use around the world, and a B/Sichuan/379/99-like  
19          strain.

20           There was a notation in the WHO  
21          recommendations that both B/Johannesburg/599 and  
22          B/Victoria/504/2000 have been used for preparing  
23          vaccines for the Southern Hemisphere.

24           Those WHO recommendations were made with  
25          information that was updated from what we had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 presented here, and that's what we would like to do  
2 this morning. We would like to bring to the attention  
3 of the Committee for its consideration.

4 We do have a question, but it's sort of a  
5 question that the answer will be probably immediately  
6 obvious or immediately apparent: Based on the updated  
7 information that we are going to present today, would  
8 the Committee make any changes in recommendations that  
9 were provided in January for the composition of the  
10 influenza virus vaccines to be used in the U.S. in  
11 2001-2002?

12 I think we know the answer to that  
13 question already, but we would like to give you the  
14 opportunity to answer it.

15 ACTING CHAIRMAN DAUM: Thank you very  
16 much. Dr. Cox, would you like to give us additional  
17 surveillance and epidemiology information?

18 DR. COX: Good morning. It's very nice to  
19 be on the -- in the final stages of what is for us a  
20 three-part process in terms of vaccine  
21 recommendations, first of all, the January meeting  
22 here in Washington, then the WHO meeting in Geneva in  
23 February, and now once again in Washington.

24 I should mention that for the meeting in  
25 Geneva we really have a lot of additional information

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 that's presented -- that's brought to the table by  
2 other WHO collaborating centers. There has, over the  
3 past ten years, been an increase in the amount of data  
4 that is being generated globally, and I think that  
5 meeting in Geneva is extremely important to bring the  
6 whole global context into perspective.

7 So having said that, we will go ahead and  
8 look at the data that we have for the season in the  
9 United States, an update compared to what you had last  
10 time, and also we will go over some of the virus  
11 characterization that's occurred since we last met.

12 As Roland just said, this year we have had  
13 predominantly influenza A(H1N1) and influenza B  
14 viruses being isolated. The B is represented here in  
15 green. Actually, the proportion of isolates that are  
16 influenza B is increasing as we go through the season.

17 It appears that the season -- It appears  
18 from this index of activity that the season peaked in  
19 about week 4, the last week in January, and we had a  
20 percent positivity for influenza of respiratory  
21 specimens that were submitted of 24 percent, and this  
22 compares as being a bit lower than some of the  
23 percentages we've seen in the past which had ranged up  
24 to 33 percent at the peak of previous seasons.

25 Overall, about 13 percent for the entire

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 season, overall about 13 percent of the specimens were  
2 positive for influenza.

3 This year over 30 percent of the influenza  
4 A's have been subtyped, and we have really only had  
5 sporadic cases of influenza H3N1, and you can see them  
6 barely represented here. Next slide.

7 When we look at pneumonia and influenza  
8 mortality peaks for the past years, and we remember  
9 back to what was going on, we can see very substantial  
10 peaks associated with the last four influenza seasons.  
11 Those were all seasons that were dominated by H3N2  
12 viruses.

13 This year, when we have had relatively  
14 little or very little H3N2 activity, we haven't had  
15 the percentage of deaths that are attributed to  
16 pneumonia and influenza go above the baseline in any  
17 week. So it's really been a very mild season when you  
18 look at this particular index.

19 Likewise, when we look at the percentage  
20 of visits for influenza-like illness in our Sentinel  
21 Physicians Network, peak activity occurred during  
22 weeks three, four and five, I think, and the percent  
23 of visits for influenza-like illness peaked at about  
24 four percent. That compares with five or six percent  
25 in past years when H3N2 viruses have circulated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           If we look at the weekly assessment of  
2 influenza activity as reported by state and  
3 territorial epidemiologists, we can see that, again,  
4 peak activity occurred in around weeks four and five,  
5 and that widespread activity was reported by fewer  
6 states than in previous years.

7           We still have four states reporting  
8 widespread activity, but this index is certainly in  
9 decline as well. Next, please.

10           So the season has been very mild and is  
11 declining and, although the proportion of influenza B  
12 isolates is increasing in some areas of the country,  
13 we are really not seeing increases of influenza  
14 activity associated with the increasing proportion.

15           Now I am going to go on to the virologic  
16 surveillance, and this is just an update of H1N1. I  
17 just wanted to demonstrate that we had actually looked  
18 at a number of additional viruses, about 100  
19 additional H1N1 viruses, and we are seeing the same  
20 picture that we saw before. That is to say, the  
21 viruses are really New Caledonia-like.

22           As Roland mentioned, we are seeing some of  
23 the Bayern or Johannesburg-like strains, but they are  
24 in the minority, and we are not concerned about these,  
25 because they appear to be well covered by the vaccine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Then we are seeing just a very small  
2 proportion of viruses which are reduced in titer to  
3 the New Caledonia strain, and this would be expected,  
4 and this is what we were seeing before. So even with  
5 additional information, there's nothing really new.  
6 Next, please.

7           Now we are going to move on to the H3N2  
8 viruses. There was a lot of discussion about H3N2  
9 and, of course, we really want to make sure that we  
10 don't miss anything important, because these viruses  
11 do cause the most severe morbidity and are responsible  
12 for mortality.

13           I'll just spend a moment orienting you to  
14 this particular slide. We have here the old Sydney  
15 strain, the old vaccine strain, and then here we have  
16 Moscow/10, which is the recommended strain, Panama,  
17 the strain that was in last year's vaccine, and then  
18 we have a series of additional viruses and their  
19 antisera.

20           What we were seeing at the meeting in  
21 January, at the end of January, and what we are  
22 continuing to see is that the viruses that are -- the  
23 H3N2 viruses that are being isolated now are very well  
24 inhibited by antisera to all of these reference  
25 strains.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The viruses are looking very homogeneous,  
2           and they are very well inhibited even by antiserum to  
3           the old Sydney vaccine strain.

4           Here we have viruses from the U.S., one  
5           from Europe, and then some strains from Korea. We  
6           were most interested to see if any of the strains that  
7           we could get our hands on from Asia might look  
8           different. The bottom line is none of the strains  
9           looked different. Next, please.

10           We also received two influenza H3N2  
11           viruses from China. They are not very new strains.  
12           In fact, one was isolated in May, the other in July,  
13           but just to be sure that we weren't seeing anything  
14           new at all, we put them into ferrets and developed  
15           ferret serum to these and put the ferret sera into  
16           these tests.

17           Here we have some strains from December  
18           and January. We have strains from the U.S., from  
19           Asia. We have a number of recent Korea viruses that  
20           came through to us through the military surveillance  
21           that is going on in Asia, and then these two strains  
22           that were isolated in November from Thailand.

23           As you can see, there is really nothing  
24           different at all. So this was actually very  
25           reassuring to us.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           There was a great deal of discussion at  
2 last January's meeting about the neuraminidase, of the  
3 N2 neuraminidase. That is the neuraminidases of the  
4 Panama-like viruses versus some other strains.

5           We had developed some data at that time,  
6 but it was rather preliminary, and I really didn't  
7 want to discuss it in detail until we had been able to  
8 repeat our test results a number of times.

9           We knew that genetically the neuraminidase  
10 of the Panama vaccine strain was different from the  
11 neuraminidases of the majority of strains that were  
12 circulating, but we didn't know if this would  
13 translate into an antigenic difference, and there was  
14 concern expressed by Dr. Kilbourne and others.

15           So this is the test that we did. We did  
16 a number of tests, and they were all consistent, but  
17 this is the test that was done very recently,  
18 actually, at the beginning of this week. Here we have  
19 ferret antisera to Panama, specific rabbit serum that  
20 was made against a reassortant which has an irrelevant  
21 hemagglutinin, but the neuraminidase of the Panama  
22 strain.

23           This perhaps is the most interesting  
24 antiserum to look at, because you are interested in  
25 determining whether you can detect differences in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 way this antiserum inhibits some of these viruses that  
2 have the neuraminidases that are in the different  
3 genetic groups.

4 We have down here just as a control virus  
5 the Bangkok/179. We have a good rabbit serum, or  
6 relatively an okay rabbit serum to that virus. We  
7 would certainly expect to see fairly dramatic  
8 differences between this 1979 strain and the strains  
9 that are isolated in '99 to 2000.

10 What we can see is that we really don't  
11 have -- we don't have differences that we can really  
12 detect here. So this was very reassuring to us, that  
13 we don't need to be concerned about the neuraminidase  
14 of the Panama strain. Next, please.

15 This is just to demonstrate that we had  
16 analyzed additional viruses since our last meeting and  
17 that all of them are Panama-like. I think we can move  
18 on to influenza B viruses now.

19 There has been a lot of influenza B  
20 activity worldwide, and at our last meeting we were  
21 particularly interested, and there was a certain  
22 amount of discussion about viruses that we had  
23 received from China and whether these viruses would  
24 look like B Sichuan or whether they would have moved  
25 on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 We have a number of antisera here. I'll  
2 just spend a minute orienting you. This is the  
3 Beijing/184 recommended B strain. This is the  
4 Yamanashi actual vaccine strain, and then we have a  
5 variety of strains here, C, D, E and F, which are  
6 considered to be Johannesburg-like and which had been  
7 explored by the manufacturers as possible vaccine  
8 strains for use.

9 On the right here we have an antiserum to  
10 Beijing/243, which represents the old Victoria  
11 lineage. I think at the time of our previous meeting,  
12 we really had not seen viruses on the Victoria lineage  
13 anywhere, but now we have four viruses that have been  
14 submitted from the National Influenza Center in Hong  
15 Kong that are Victoria-like, and they are represented  
16 here by the last two antigens on this table. You can  
17 see that it's very easy to distinguish the Victoria  
18 and the Yamanashi lineages of viruses.

19 I would just like to mention that,  
20 similarly to what we were seeing in January, many of  
21 the current viruses are not well inhibited by  
22 antiserum to the Yamanashi virus, and that they are  
23 better inhibited by antisera to Johannesburg,  
24 Sichuan/379, Victoria/504 and this Japanese strain,  
25 Shizuoka/480.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The viruses from China that are  
2 represented here by antigens 19 through 24 -- from  
3 mainland China, I should say -- look, generally  
4 speaking, Sichuan-like, although there are occasional  
5 viruses which are not as well inhibited, and we are  
6 looking into those a bit more. They are, in some  
7 cases, low reactors which just are not well inhibited  
8 by any antisera.

9           Here we have a whole series of viruses  
10 from the United States, again with the same --  
11 basically, the same panel of antisera. These are  
12 relatively recent viruses compared to what you've seen  
13 before with isolation dates primarily in January.  
14 Again, we can see that the titers are really quite  
15 dramatically reduced against the Yamanashi strain and  
16 against the Beijing/184 reference serum and that,  
17 generally speaking, these strains are much better  
18 inhibited by antisera to Sichuan-like viruses. Next,  
19 please.

20           The final B table has some additional data  
21 that we'll go over. We have now made ferret antisera  
22 against the Guangdong/120 and for two strains which  
23 were mentioned by some of the vaccine manufacturers as  
24 potentially growing better than some of the other  
25 strains. We have confirmed that these antisera to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these strains do inhibit the viruses that are  
2 circulating at the current time very well.

3 We have at the bottom of this table two  
4 Chinese strains, B/Wuhan/356 and B/Shenzhen/306, which  
5 are less well inhibited by antisera to some of these  
6 viruses. This is a phenomenon that we always see. We  
7 always have a small proportion of viruses that aren't  
8 as well inhibited and, as I mentioned before, we will  
9 be exploring these viruses in greater detail as we  
10 move on.

11 My final overhead shows the frequency  
12 table that kind of summarizes what we have been  
13 seeing, and it's very clear that we have -- that the  
14 majority of viruses are better inhibited by antisera  
15 to the Sichuan-like strains than to the Beijing and  
16 Yamagata-like strains, but some viruses that are  
17 circulating still are inhibited by antisera to these  
18 strains.

19 We do have about nine percent, 21 strains,  
20 that we consider to be low reactors, and we are  
21 exploring some of these strains in greater detail, but  
22 the bottom line is that the viruses are Sichuan-like  
23 in the main.

24 I think I'll stop there and entertain any  
25 questions that the Committee might have.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N.W.  
WASHINGTON, D.C. 20005-3701

1                   ACTING CHAIRMAN DAUM: Questions for Dr.  
2                   Cox? Dr. Katz.

3                   DR. KATZ: Nancy, on your B viruses on one  
4                   of your sheets where you have 28 different viruses  
5                   listed, you have two at the bottom from Hong Kong in  
6                   2001 that look to be very little inhibited. Do you  
7                   have the page that I'm talking about?

8                   DR. COX: Yes.

9                   DR. KATZ: What do those represent?

10                  DR. COX: Those are the Victoria-like  
11                  strains that I mentioned. There are now four  
12                  Victoria-like strains that have been isolated in Hong  
13                  Kong and submitted to us.

14                  Remember, they were circulating in the  
15                  past in Asia, either -- specifically, in China,  
16                  mainland China and Hong Kong, and in Japan in the  
17                  past, but they never actually moved out of Asia into  
18                  Europe and North America.

19                  DR. KATZ: But they look as if they are  
20                  not inhibited by B/Sichuan.

21                  DR. COX: No, they are not, and we  
22                  wouldn't expect them to be, based on historical  
23                  experience with Victoria-like strains.

24                  DR. KATZ: Epidemiologically, do we expect  
25                  them to persist or to circulate or that this is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 last dying gasp of those?

2 DR. COX: We don't know. We have been  
3 watching Victoria-like strains circulate in China for  
4 the past ten years, and they haven't moved out of  
5 China, and they have waxed and waned during that ten-  
6 year period.

7 So the best thing we can do is to keep our  
8 eyes on these strains and monitor what's happening.  
9 We had actually done an experimental vaccine trial  
10 with a Victoria-like strain a few years ago and had  
11 developed some data to look at whether or not we would  
12 have antibody -- if we immunized people with a  
13 Victoria-like virus, would we have antibody against  
14 the Yamanashi lineage of viruses.

15 So we continue to monitor them. If it  
16 looks like we need to do experimental trials again,  
17 we'll go ahead and get those underway, but it's just  
18 impossible to predict if these viruses will die out or  
19 emerge.

20 What we do know is that we have a lot of  
21 children who would have no experience at all with this  
22 lineage of viruses. So, potentially, they could take  
23 off.

24 ACTING CHAIRMAN DAUM: Thank you. Dr.  
25 Kim. Dr. Hall next.

1 DR. KIM: Nancy, you indicated that there  
2 are four states which have a widespread activity in  
3 influenza. Do those states have a similar types of  
4 spectrum for the viruses being isolated compared to  
5 states which have sporadic activities?

6 DR. COX: Yes. The states that are  
7 reporting widespread activity are not the states that  
8 are switching to the B predominant. So it looks like  
9 continuing activity is independent of this move toward  
10 influenza type B circulating in certain areas.

11 ACTING CHAIRMAN DAUM: Dr. Hall.

12 DR. HALL: Nancy, I just wanted to say I'm  
13 amazed and I always thank you for this wonderful  
14 summary. It's really amazing how you've gotten all of  
15 this together so logically.

16 I'm curious, though, about your thoughts  
17 on the adequacy of the means of surveillance to detect  
18 the import or burden when it is an influenza B year,  
19 in the sense that that affects mostly children. I  
20 think -- I listen to my colleagues now in medicine who  
21 say, gee, it's a mild year, and in pediatrics it's not  
22 a mild year.

23 Part of that may be that the P&I, of  
24 course, does not detect as much for children. The  
25 other thing, I think, is that even the Sentinel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Physicians and the ones who -- the isolates come more  
2 often from adults proportionately or relatively than  
3 they do from children, because once people know that  
4 influenza is in the community, the pediatricians tend  
5 to say, oh, you've got influenza, and don't see them.

6 So I'm wondering if this really -- As you  
7 present it, it's a very mild year, but in terms of the  
8 actual burden in health care visits, do you have  
9 another way of assessing that than -- Are these milder  
10 -- "milder" adult years at least?

11 DR. COX: Right. The only other way that  
12 we have at the moment is to look at hospitalizations  
13 after the fact. We don't have an early index of  
14 hospitalizations as we do for mortality. But we have  
15 been looking at hospitalizations to see if we can pick  
16 up more about the burden of disease in other age  
17 groups that aren't affected by death.

18 Then, of course, I think that some of the  
19 other surveillance projects that are going on in  
20 Rochester and elsewhere will help us understand the  
21 burden of disease in pediatric populations better than  
22 we do now.

23 I agree that our indices probably do not  
24 pick up burden of pediatric illnesses nearly as well  
25 as we would like. So we are going to have to put in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 place some new surveillance systems to pick that up.

2 We do know that we've had a couple of  
3 pediatric deaths due to H1N1 and that any influenza  
4 virus can affect even a healthy person with death as  
5 a result, and these are real tragedies. So we would  
6 like not to discount the fact that we know that, even  
7 though overall it looks very mild, there are  
8 communities that are hit hard, and there are segments  
9 of the population that have been hit hard this year.

10 ACTING CHAIRMAN DAUM: Thank you. Dr.  
11 Diaz, please.

12 DR. DIAZ: I think Dr. Hall brings up a  
13 really important point, and from my standpoint it's  
14 not only a matter of acknowledging the burden of  
15 disease in the pediatric population but also from a  
16 surveillance standpoint in terms of the timeliness of  
17 identifying flu in a community.

18 There have been many studies that have  
19 shown that influenza in a community usually initiates  
20 in the pediatric population, and school absenteeism  
21 goes up, and then so on and so forth down the line to  
22 adult and elderly deaths. I would just acknowledge  
23 that and say, from the standpoint of future  
24 surveillance, it would merit us, I think, to look at  
25 the pediatric population more carefully and build in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some kind of Sentinel Physician reporting that has a  
2 percentage, at least, of pediatric population in that,  
3 and to separate that out and use them in a sense for  
4 identifying flu when it does come into a community  
5 earlier than we typically do.

6 DR. COX: I should probably emphasize  
7 that, in contrast to ten years ago when our Sentinel  
8 Physicians were really family practitioners, we now do  
9 have a proportion who are pediatricians. So we have  
10 broadened the base of our Sentinel Physicians, but we  
11 don't analyze our data separately from the  
12 pediatricians.

13 We are really trying to recruit more  
14 Sentinel Physicians so that we'll have a robust enough  
15 system to start looking at different populations.

16 ACTING CHAIRMAN DAUM: Thank you. Dr.  
17 Katz?

18 DR. KATZ: I think both Dr. Hall and Dr.  
19 Diaz's comments are very important, because those of  
20 you who attend ACIP and the American Academy of  
21 Pediatrics, Infectious Disease Committee, are aware  
22 that there is an increasingly strong movement,  
23 particularly if the cold adapted viruses become  
24 licensed, to include children in the routine  
25 immunization program, not just high risk children but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 children, period, with the same thought that Pamela  
2 has expressed, that children are frequently the  
3 transmitters to adults.

4 I was surprised to see how much consensus  
5 there is to consider children for routine influenza  
6 virus vaccine immunization. That, again, may markedly  
7 change your epidemiology and your surveillance data.

8 ACTING CHAIRMAN DAUM: Thank you very  
9 much, Dr. Cox, for your usual efficient downloading of  
10 much interesting data.

11 We'll go back to Dr. Levandowski for  
12 additional information, serologic results and  
13 reference strains, and then options for strain  
14 selection.

15 DR. LEVANDOWSKI: Thank you. In terms of  
16 additional information on serologic results, at the  
17 WHO meeting, as I mentioned, there was a lot of  
18 additional information, and there were serological  
19 data that were not previously available the last time  
20 we met.

21 The data that were available in February  
22 included more from different sources, different serum  
23 panels, and some additional antigens for both  
24 influenza A and B viruses. What I can state in brief  
25 is that, although the amount of data was expanded

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pretty dramatically, the additional serologic data  
2 were very similar to the data that had already been  
3 presented to the Committee in January.

4 Just to mention briefly, the data  
5 continued to indicate that current vaccines from  
6 whatever source containing the A/New Caledonia/20/99  
7 component as the H1N1 strain and A/Panama/2007/99 as  
8 the H3N2 strain provided very good coverage for the  
9 circulating H1N1 and H3N2 subtype influenza A viruses.  
10 But very much like what we had seen here earlier, the  
11 data also demonstrated that there were moderate  
12 reductions in response to the circulating influenza B  
13 viruses, particularly those that were very definitely  
14 B/Sichuan/379/99-like; and of course, the current  
15 vaccine contains the B/Yamanashi/166/98 strain.

16 In terms of the strains and reagents, we  
17 have also been collecting a lot of additional  
18 information about them, and at this point there are  
19 quite a few candidate strains that the manufacturers  
20 are providing feedback to us about.

21 The additional influenza B viruses that  
22 have been distributed are not all in the same stage of  
23 being assessed, and there's still some discussion  
24 going on. Just as we had in January, there's still  
25 some mixed feedback from the manufacturers about how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they see these different strains.

2 There's very generally good agreement that  
3 the B/Johannesburg/5/99 strain is low yielding, and  
4 most manufacturers would prefer not to use that strain  
5 if they are going to achieve high enough yields for  
6 timely production. It's clear that it could be used  
7 for manufacturing if it was absolutely necessary, but  
8 it would mean that there would be a lot less vaccine  
9 that would be produced if that strain were used.

10 The B/Victoria/504 strain appears to be  
11 the best strain for growth when it's assessed based on  
12 the hemagglutinin titer, but some manufacturers have  
13 expressed some reservations, because what they have  
14 seen, as with some of the other B/Sichuan-like  
15 strains, is that there's somewhat of a fluctuation  
16 from passage to passage for the B/Victoria/504/2000  
17 strain.

18 They are concerned that this might lead to  
19 some unpredictability in the yields that they would  
20 get through the process, and so not all of the  
21 manufacturers are convinced that that would be the  
22 best strain.

23 The B/Guangdong/120/2000 strain is also  
24 being assessed. That was mentioned on one of the  
25 slides that Nancy showed a few minutes ago. From a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 growth characteristic point of view, it seems to be  
2 almost as good as B/Victoria/504, although not all the  
3 manufacturers agree that that's true.

4 Some of the manufacturers are also  
5 reporting to us that they believe that this strain is  
6 more stable in its titer and, therefore, they would  
7 have a little bit more confidence in the performance  
8 of that strain from batch to batch as they would be in  
9 production.

10 Unfortunately, at this point the antigenic  
11 characterization of that strain has not been  
12 completely -- It's not been completed, and there's not  
13 total agreement as to whether this strain would truly  
14 be appropriate for manufacturing.

15 In terms of the reagents for  
16 manufacturing, the reagents for a New  
17 Caledonia/20/99(H1N1) and for the A/Panama/2007/99  
18 strain, both the antigens and the antisera that are  
19 needed for potency testing are available now as  
20 needed, and there is no problem with access to those  
21 for manufacturers of an activated influenza virus  
22 vaccines.

23 Previously, there were some reagents made  
24 by the Therapeutic Goods Administration of Australia,  
25 and we talk about them as TGA. So if I forget and use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the acronym, that's who I'm talking about.

2 They have made reagents for both the  
3 B/Johannesburg/5/99 strain and the B/Victoria/504/2000  
4 strain, and were supporting those vaccine components  
5 for vaccines being prepared for use now in Australia  
6 and other parts of the Southern Hemisphere. However,  
7 those reagents were in very limited supply, and they  
8 are no longer available either through the Center for  
9 Biologics or from TGA.

10 NIBSC in London has prepared an antigen  
11 and an antiserum for use with the B/Johannesburg/5/99  
12 strain. Those reagents are also available from NIBSC.  
13 However, we are in the process of preparing reagents  
14 for use with B/Victoria/504/2000. Those reagents from  
15 us will not be available until May at the earliest.  
16 That's usually true when there's a strain change, and  
17 this would be typical for what would be expected for  
18 production of new reagents.

19 If there are some other strains that are  
20 chosen for use in inactivated vaccines and, in  
21 particular, any new B strain that would be chosen now  
22 that hasn't already been discussed, we would have to  
23 make some arrangements on tight schedules to try to  
24 prepare additional reagents for potency testing, and  
25 that would be -- could be somewhat of a ratelimiting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 step for the manufacturers in terms of doing their  
2 production for the year.

3 Of course, as an interim measure for any  
4 new strain that gets used, the manufacturers can use  
5 the old reagents to get an idea of where they are,  
6 although we know for certain that use of the old  
7 reagents will give a higher estimate of what the yield  
8 actually is, and they won't know until they have the  
9 specific reagents to know exactly what they are  
10 getting in terms of yield for production.

11 Therefore -- I'm not sure I'm paraphrasing  
12 this right, but an over-exuberant enthusiasm should be  
13 avoided in the absence of the specific reagents.

14 So if you would like me to go ahead -- If  
15 there are questions or comments from the Committee at  
16 this point?

17 ACTING CHAIRMAN DAUM: Are there questions  
18 at this time for what's been said so far? Dr.  
19 Griffin?

20 DR. GRIFFIN: I am just curious. It  
21 sounds like different manufacturers are getting  
22 somewhat different results with a couple of the  
23 candidate strains, and do they all have to use the  
24 same one?

25 DR. LEVANDOWSKI: Well, there are two

1 strains that are already being supported worldwide  
2 that are Sichuan-like, the B/Johannesburg/5/99 strain  
3 and the B/Victoria/504.

4 To answer the question directly, do they  
5 all have to use the same strain, no. We've had  
6 instances in the past when manufacturers -- some  
7 manufacturers have used different strains. It really  
8 becomes a matter of trying to make the reagents to  
9 support that and how we can get those, and the reagent  
10 sets are actually made.

11 The antigen that's used for production is  
12 actually a whole virus vaccine product that is made by  
13 a manufacturer. That's true everywhere in the world.  
14 So in order to do the test as it's been done at this  
15 point, we would need access to such a reagent, and we  
16 would have to find a way to produce that.

17 If the manufacturer that wanted to use a  
18 different strain -- If they wanted to do that, they  
19 would probably have to be able to supply us with the  
20 material to be able to do that production.

21 So we are willing to, and I think it's  
22 okay to support that. The other consideration here  
23 would be the confusion that is caused by all the  
24 different names of the strains that are out there. We  
25 talk about the recommendation from the WHO, for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 example, as being an A/Moscow/10/99-like strain, but  
2 we all know that it's actually the A/Panama/2007/99  
3 strain, and that does cause people to worry about am  
4 I getting last year's vaccine or am I getting the  
5 current vaccine.

6 So we have a consideration in that respect  
7 as well, although I think it's less important than the  
8 consideration about whether the vaccines can actually  
9 be made.

10 ACTING CHAIRMAN DAUM: I think we'll go on  
11 then.

12 DR. LEVANDOWSKI: Okay. Well, we'll go  
13 ahead with the options here. Just to review then, for  
14 what we've heard about the H3N2 viruses, there have  
15 been very few influenza A(H3N2) viruses that have been  
16 recovered this year.

17 The HA of most of these strains are  
18 antigenically very similar to the A/Moscow/10/99  
19 strain and to the A/Panama/2007/99 strain, and these  
20 H3N2 viruses are generally very well inhibited by the  
21 antisera from people who have been immunized with the  
22 current vaccines containing A/Panama/2007/99.

23 In addition, high growth reassortants for  
24 A/Panama/2007/99 are available. They do grow well,  
25 and the manufacturing is now very well worked out. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for the H3N2 strain, the first option, of course,  
2 would be to maintain the current vaccine strain.

3 In favor of that, as we had discussed  
4 before, the manufacturing is worked out. the yield is  
5 very predictable at this point. Most of the viruses  
6 this year are A/Panama/2007/99-like by their antigenic  
7 characterization, both for the hemagglutinin and also  
8 for the neuraminidase.

9 On the non-pro side, I really don't have  
10 anything to offer at this point for that option.

11 To go on, the other option is to change  
12 the current strain to something that is more  
13 representative of currently circulating viruses. At  
14 this point, really, the only alternative high growth  
15 strain that would be available would be this A/Ulan  
16 Ude/01/2000 strain which, as we had heard in January,  
17 has a neuraminidase that genetically is more closely  
18 related to the circulating strains. But as we also  
19 heard this morning, antigenically it does not appear  
20 to be divergent.

21 So for our purposes the antigenic  
22 characterization is more important and more relevant  
23 to the immunologic responses that people are going to  
24 experience when they get the vaccine.

25 So on the con side here, a new strain, of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 course, may not be superior. It may not give any  
2 superior coverage to the current vaccine strain, and  
3 there are manufacturing issues that would need to be  
4 worked out that have not been addressed at this point  
5 for that particular strain.

6 So you just hold on for a second, and then  
7 going on to the influenza B viruses, what we've seen  
8 is that antigenic drift is continuing. Most of the  
9 strains are antigenically distinguishable from the  
10 current vaccine strain, which is B/Yamanashi/166/98.

11 A new variant that is represented by the  
12 B/Sichuan/379/99 reference strain has been identified,  
13 and it clearly is spreading widely. There is evidence  
14 that the strains that are related to B/Sichuan/379/99  
15 are less well inhibited by the antisera from people  
16 who have been immunized with the current vaccines  
17 containing B/Yamanashi/166/98.

18 There are several vaccine candidate  
19 strains that are B/Sichuan-like that are being  
20 assessed, and it seems likely that one or more of  
21 these will be acceptable for large scale  
22 manufacturing.

23 The B/Sichuan strains such as  
24 B/Johannesburg/599 and B/Victoria/504/2000 have been  
25 used for manufacturing, and although the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 B/Johannesburg is low and would probably not be  
2 acceptable, the other strains probably are going to be  
3 suited for the large scale manufacturing and  
4 antigenically acceptable as well.

5 So the options for B, if we can just go  
6 on: The first option would be to retain the current  
7 vaccine strain, which is B/Yamanashi/166/98. The only  
8 thing that I can find in favor of that is that  
9 manufacturing is very well defined, and it's very  
10 predictable. But against that, the new variant  
11 strains that are recently identified are clearly  
12 spreading, and they are increasing at proportion and,  
13 furthermore, not well inhibited by the current  
14 vaccines.

15 So the other option here at this point is  
16 to change the strain. Option 2 would be to change the  
17 strain to a B/Sichuan/379/99-like strain. In favor of  
18 that, of course, the vaccines might provide broader  
19 coverage for the current influenza B viruses. We can  
20 never tell that for sure until the vaccine is made and  
21 is in use.

22 Several candidate strains have been  
23 identified, and they are being examined against this.  
24 However, some of these strains that have been assessed  
25 are not going to be adequate for manufacturing and, of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 course, the new strains, whatever they are, can always  
2 have some unpredictable difficulties that may not show  
3 up until manufacturing is really underway, as we have  
4 seen in past years. This would be nothing new in  
5 terms of experience in the past, but it's something  
6 that would need to be considered.

7 I guess I will stop there and turn this  
8 back to you, Dr. Daum.

9 ACTING CHAIRMAN DAUM: Thank you very  
10 much. Are there Committee questions regarding Dr.  
11 Levandowski's presentation of the options? Dr.  
12 Decker, please. Then Dr. Kohl.

13 DR. DECKER: Roland, would you  
14 recapitulate what --

15 ACTING CHAIRMAN DAUM: Could you speak  
16 into the microphone, Mike, or turn it on or something.

17 DR. DECKER: Would you recapitulate what  
18 considerations, if any, might favor Guangdong and what  
19 would disfavor that choice?

20 DR. LEVANDOWSKI: Well, what would favor  
21 it would be whether a manufacturer could use that  
22 strain. We would have to support that with reagents  
23 as well. So there would have to be some way to work  
24 out production of the reagents for potency testing to  
25 standardize the vaccine for hemagglutinin content.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. DECKER: These sound like the  
2 disadvantages. I thought you indicated that you  
3 thought there might be some advantage to Guangdong.

4 DR. LEVANDOWSKI: Well, what I indicated  
5 was that some -- Maybe I didn't state that clearly.  
6 But some of the manufacturers have indicated to us  
7 that they see Guangdong -- the Guangdong/120/2000  
8 strain as having growth characteristics that are  
9 almost but not quite as good as the  
10 B/Victoria/504/2000 strain.

11 Some manufacturers have expressed the  
12 concern about the fact that, for the B/Victoria  
13 strain, some passages -- There's been some fluctuation  
14 in HA titer as the strain has been passaged. So they  
15 have a concern about how stable that strain would be  
16 and how it would behave in manufacturing.

17 There may be some further issues that  
18 specific manufacturers have about how things go  
19 through the process. Many manufacturers -- Actually,  
20 we may have some additional information from our  
21 colleagues in Europe.

22 Many of the manufacturers in Europe have  
23 capability for doing small scale processing and do so  
24 to try to assess these strains, and we actually do  
25 expect to get some feedback from manufacturers in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Europe in that regard, probably in the next week or  
2 so, related to the Guangdong strain itself.

3 DR. DECKER: So at this moment, although  
4 people aren't perfectly happy with Victoria, there is  
5 no reason to presume that Guangdong would end up being  
6 any better, and it would pose the problem of having to  
7 develop the reagents?

8 DR. LEVANDOWSKI: Yes. But as I also  
9 said, we have supported more than one strain in the  
10 past, and you know, we'll do our best to try and  
11 support that, if it's necessary. If this looks like  
12 the best option for some manufacturer, we certainly  
13 are not going to reject that idea that another strain  
14 may be -- as long as it's antigenically acceptable,  
15 may serve the purpose.

16 It certainly causes confusion to have  
17 multiple strains in use, but I guess we always are  
18 dealing with the issue of communication about  
19 influenza. It's a very complex situation always to  
20 try to communicate what is happening for all these  
21 different strains that have names that people don't  
22 really want to hear about, much less the simple things  
23 about how to administer the vaccine.

24 ACTING CHAIRMAN DAUM: Dr. Kohl?

25 DR. KOHL: Roland, thank you for a nice

1 presentation, as usual.

2 I'd like to broaden the scope a little bit  
3 to touch on our, as Dr. Katz said, fragile vaccine  
4 system at this point. Is the system anymore robust  
5 than it was last year, and given what you are  
6 describing as potential problems of reference material  
7 available to manufacturers, potential problems of  
8 growth of a new B isolate or new B antigen, are there  
9 contingency plans for making sure that this year  
10 vaccine gets to high risk people before it gets to  
11 supermarkets and shopping centers?

12 DR. LEVANDOWSKI: It's always a concern  
13 for FDA and CDC and the Public Health Service  
14 generally that the vaccines be made available. So,  
15 yes, we are doing the best we can to try to plan for  
16 whatever may come to us in terms of manufacturing  
17 issues.

18 We do the best we can, I think, to try to  
19 support the manufacturers by CDC, by finding -- and  
20 the World Health Organization generally by finding as  
21 many isolates as possible that might be appropriate  
22 for manufacturing.

23 I guess, to give some reassurance, I have  
24 heard -- I have heard some confidence expressed by  
25 some of the manufacturers for the B/Victoria/504

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 strain based on their experiences in the past with  
2 similar kinds of viruses. That's not to say that they  
3 won't run into difficulties early on in manufacturing,  
4 but the fact that they are saying that to me suggests  
5 to me that it's unlikely that they will run into  
6 something more than the typical problems that they  
7 have to deal with.

8 I don't think that there's going to be  
9 some major surprise. There may be some variability in  
10 the manufacturing. Yes, that's always true, but I  
11 think in general, maybe I should give reassurance that  
12 we don't anticipate that there would be some  
13 insurmountable problem at this point with supporting  
14 a B/Sichuan/379/99-like recommendation. We think we  
15 have the capability to deal with that.

16 ACTING CHAIRMAN DAUM: Thank you very  
17 much. Dr. Decker, then Dr. Kim.

18 DR. DECKER: I have some information that  
19 may help respond further to Steve's question.

20 The problem last year really had two  
21 roots. One was the production problem and the dropout  
22 of two manufacturers, basically, which was  
23 unprecedented, those things happening simultaneously.  
24 The other one was the demonstration that in that  
25 circumstance the distribution system didn't work well,

1 and steps have been taken to address both of those.

2 With respect to production, the company  
3 that produced the largest volume of vaccine last year  
4 expects to be able to produce substantially more this  
5 year, up to 55 million doses, which would actually  
6 cover any defect caused by another manufacturer  
7 unexpectedly dropping out.

8 So supply -- Assuming things work the way  
9 they are supposed to work, supply shouldn't be a  
10 problem. On the distribution side, that large volume  
11 manufacturer has taken steps to limit distribution so  
12 that nobody will get their full order initially.  
13 Rather, everybody will get half their order or part of  
14 their order to ensure that no one is left unable to  
15 immunize their high risk people.

16 Given the restrictions of our laws and  
17 society where you have to honor contracts, that's the  
18 best a manufacturer can do. But those two steps --  
19 Either one of those steps should be enough to address  
20 last year's situation. The two taken together, it is  
21 hoped, provides some redundancy so that that won't  
22 arise again.

23 ACTING CHAIRMAN DAUM: Thank you. Dr.  
24 Kim, please.

25 DR. KIM: In looking into Nancy's handout,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 page 11, Victoria/504 -- B/Victoria/504 has a -- in  
2 addition to recent Hong Kong isolates, also had a  
3 decrease activity against a strain named the 24,  
4 B/Shenzhen/877. This is a fourfold decrease.

5 Is there any information available whether  
6 these types of a B strain is prevalent or what's the  
7 magnitude of a Number 24-like strain in the influenza  
8 B?

9 DR. COX: No. We know that we have a  
10 proportion of viruses that are low to Sichuan. We  
11 were seeing this before. Oftentimes, they are low  
12 across the board. You have pointed out one of the  
13 examples where we are not -- with some new antisera we  
14 are not seeing it low across the board, but low with  
15 some specific antisera.

16 We are going to be doing more testing to  
17 see if this is consistent and so on, but we -- And of  
18 course, we will be putting some of these viruses into  
19 ferrets -- additional viruses into ferrets. We have  
20 already put some in, and very often what you see is  
21 that you get a low homologous titer, which often  
22 indicates that the virus is low avid.

23 So it's not a true antigenic variant.  
24 It's simply a virus that, for whatever reason, does  
25 not have a very high affinity for antibody. So we are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exploring these, but right now they don't fit into any  
2 category. It isn't a situation where we can identify  
3 a new variant that is emerging on top of the  
4 B/Sichuan-like viruses.

5 ACTING CHAIRMAN DAUM: Dr. Kohl, then Dr.  
6 Eickhoff.

7 DR. KOHL: Nancy, is the B/Shizuoka/480 a  
8 possible manufacturing antigen? It looks like it had  
9 really nice cross-reactivity in the tables you handed  
10 out.

11 DR. COX: The B/Shizuoka was distributed  
12 to the manufacturers, and I don't believe that they  
13 have had very good success with that particular  
14 strain. It was being explored in Japan. It was one  
15 of the strains that was explored, because the Japanese  
16 manufacturers, at least initially, indicated that they  
17 thought it was doing well. But that hasn't seemed to  
18 -- It hasn't been a consistent finding.

19 ACTING CHAIRMAN DAUM: Dr. Eickhoff,  
20 please, then Dr. Levandowski. Did you want to speak  
21 to this? Hold, Dr. Eickhoff, one minute, please.

22 DR. LEVANDOWSKI: Yes. I just wanted to  
23 add a little information. That's correct. There are  
24 probably, I think, about 15 B strains that have been  
25 distributed to manufacturers that are Sichuan-like or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something in the new category, and only three or four  
2 of them really have appeared to be high growth.

3 Shizuoka is not one of those that looked  
4 it grew very well for any manufacturer. Another  
5 strain that we really didn't talk about or concentrate  
6 on is the Perth/02/2000 strain. That one also seems  
7 to be -- would be maybe the third choice after  
8 Victoria and Guangdong.

9 So that's a consideration also, although  
10 it's not getting that much attention from  
11 manufacturers, but that seemed to be -- Those are the  
12 top three.

13 ACTING CHAIRMAN DAUM: Thank you. Thank  
14 you, Dr. Eickhoff, for being patient.

15 DR. EICKHOFF: Thank you. This is a two-  
16 part question addressed primarily to Roland, but I  
17 guess, to some extent, also to Mike Decker.

18 Given the current manufacturers and given  
19 that everything works more or less smoothly, what's  
20 the total manufacturing capacity for influenza vaccine  
21 in the United States? That's question one.

22 Question two --

23 ACTING CHAIRMAN DAUM: You turned your  
24 head at the key moment there, Dr. Eickhoff. Can you  
25 repeat the last part of that question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. EICKHOFF: If everything works well,  
2 how much vaccine can U.S. manufacturers or can we make  
3 in the United States?

4 Question two: As we sit here this  
5 morning, am I correct in assuming that there have been  
6 no substantive changes to the distribution system in  
7 this country once vaccine leaves the shipping dock at  
8 the manufacturer?

9 ACTING CHAIRMAN DAUM: Thank you.  
10 Responses? Dr. Levandowski?

11 DR. LEVANDOWSKI: Okay. I'll speak to  
12 question one only, and actually others could probably  
13 speak to this, too.

14 It seems to me that at the ACIP meeting a  
15 few weeks ago, the manufacturers who were represented  
16 there indicated that they thought their total capacity  
17 for this year might be in the range of 80 million  
18 doses.

19 I believe that the representative from  
20 Aventis indicated that they thought they could produce  
21 55 million doses. Someone from Wyeth indicated 25  
22 million doses, and I think that the Medeva people were  
23 quoted as around 10 million.

24 So I believe that's what they have  
25 indicated they think their capabilities are, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 that's very much in line with what has been happening  
2 over the past five or six years.

3 ACTING CHAIRMAN DAUM: Thank you. Dr.  
4 Katz, please. Did you want to speak to this question,  
5 Michael?

6 DR. KATZ: I thought that he asked Mike  
7 Decker to say something.

8 ACTING CHAIRMAN DAUM: Well, he did. But  
9 I didn't know whether Michael wanted to.

10 DR. EICKHOFF: Well, Michael partly maybe  
11 can answer the question about distribution system.

12 ACTING CHAIRMAN DAUM: Excellent. I'm all  
13 for clarity. Go ahead, Dr. Decker, and then Dr. Katz.

14 DR. DECKER: Ted had a two-part question,  
15 and the second part, the distribution question, I  
16 already answered that in part in terms of how the  
17 large volume manufacturer is going to regulate their  
18 distribution to ensure that everybody gets part of  
19 their order.

20 Then it will be up to the recipients to  
21 ensure that they use that part to immunize the right  
22 people. The manufacturer can't do that for them, but  
23 they will get enough vaccine early to make sure they  
24 immunize their high risk people.

25 The second part of it: Part of the

1 problem last year was that so much of the production  
2 went based on existing signed contracts to  
3 distributors over whom no one had any control. That's  
4 why vaccine early in the system showed up in places  
5 that irritated people.

6 Our experience was that, as the message  
7 became better heard about the need to prioritize,  
8 there was a redistribution of vaccine, and the early  
9 season problems appeared to be correcting themselves  
10 late in the season.

11 Part of the message that we've mentioned  
12 at NVAC and ACIP that we thought we perceived out of  
13 this is that, although those of us in public health  
14 hear very well and very early the message, those who  
15 aren't attuned to reading the MMWR and aren't  
16 attending these meetings don't hear it very well at  
17 all, and we had a communication shortfall, and by  
18 "we," I mean the whole public health fraternity had a  
19 communication shortfall, and the distributors and the  
20 grocery stores weren't hearing this message until it  
21 got so loud that it spilled over well into the public  
22 press, and then they heard it.

23 So part of last year's problem was the  
24 unfamiliarity of the totality of the distribution  
25 chain with the need to prioritize, and they are now

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sensitized to that.

2 So I think both the changes in the  
3 distribution rules and the attention from last year  
4 are going to help that problem.

5 ACTING CHAIRMAN DAUM: Thank you. Dr.  
6 Katz, then Dr. Faggett.

7 DR. KATZ: Well, I think Dr. Decker  
8 answered in part what I was going to say, in that the  
9 impression we were given was it isn't the manufacturer  
10 who sends it to the public health clinic or to the  
11 physician's office.

12 He sends it to a distributor, and it's the  
13 distributor then who has the option of where it goes  
14 and whether his annual contracts who buy a given  
15 number of doses every year get it first or Dr. So and  
16 So who takes care of geriatric patients gets more this  
17 year, because you're saying it's a shortage year.

18 I think it's important to realize two  
19 things happened. The delay was such that CDC ordered  
20 and bought an extra 9 million doses, and 7.5 million  
21 of those doses are still sitting there, because they  
22 were never used. So that sometimes the public  
23 perception and the actual utilization may be awry, and  
24 I don't know what NIP will do with its extra 7.5  
25 million doses. I don't know if you will buy them

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 back, Michael, or not.

2 I think the other issue I wanted to ask  
3 Roland about was: We were told that one company had  
4 dropped out. Is that just for this year or is that  
5 company dropping out of influenza virus vaccine  
6 production, quote, "permanently"?

7 DR. DECKER: If you are referring to  
8 Parkdale --

9 DR. KATZ: Yes.

10 DR. DECKER: -- they had press releases  
11 indicating that they were permanently leaving  
12 production of influenza vaccine. That's my  
13 understanding from what I've seen in the press.

14 DR. KATZ: The other issue was several of  
15 the pediatricians who were interested -- there are  
16 some vaccines that are licensed for children down to  
17 six months of age. That other vaccine was only  
18 licensed for children four years of age and up.

19 So if you were going to get into childhood  
20 immunization, you were constrained not because anyone  
21 ever showed it was a bad vaccine in younger children,  
22 but it had never been tested in younger children.

23 ACTING CHAIRMAN DAUM: Okay. We are going  
24 to try and steer the conversation toward the issues  
25 focused on strain selection. Dr. Faggett and then Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Decker, please.

2 DR. FAGGETT: Right. Just one comment.  
3 Dr. Eickhoff raised the question in terms of  
4 distribution, and Dr. Katz and I were privy to the  
5 Institute of Medicine review showing how the state  
6 infrastructure for distribution was really inadequate  
7 in some instances.

8 So it's going to be dependent on 307  
9 funding and a lot of things like that as well. So I  
10 think that's another consideration. I don't know if,  
11 Dr. Decker, you have any information that is a state  
12 infrastructure now better capable of distributing?

13 DR. DECKER: Well, I'm not the one to  
14 answer that question, and I don't know if there is  
15 anybody here from CDC who really works at that, that's  
16 in a position to answer it. Nancy, can you handle  
17 that?

18 DR. COX: I really can't answer that  
19 question with the answer that you would like. It's  
20 actually going to take funding and time to build the  
21 infrastructure within the states to deliver influenza  
22 vaccine.

23 DR. FAGGETT: Yes. We recommended that  
24 they made more, so they should get that.

25 DR. COX: We are working extremely hard --

1 Walt Orenstein and his group are working extremely  
2 hard to try to increase the resources that are  
3 available for CDC to distribute to the states to try  
4 to improve this infracture for influenza vaccine  
5 delivery, and there is a general consensus among  
6 public health authorities that this would really help  
7 a great deal in the future.

8 ACTING CHAIRMAN DAUM: Thank you.  
9 Michael?

10 DR. DECKER: One last -- I know you want  
11 to get back on topic, Bob. But the drift of the  
12 Committee into this area is an evidence of the concern  
13 and interest, and I have one more piece of information  
14 that a couple of questions or comments suggested was  
15 still necessary to pass out.

16 Although this was discussed at NVAC and  
17 ACIP, don't underestimate the economic issues involved  
18 here. Parkdale is out, because there is not enough  
19 money to be made in the flu business to pay for  
20 bringing their factory up to standards, and they said  
21 that very clearly in their press release. This is the  
22 cheapest vaccine sold. It's basically sold at a  
23 commodity price.

24 The question was asked by Ted about how  
25 many doses were being made in the U.S. Let's remember

1 that one of the three manufacturers makes their  
2 vaccine in England, and they make vastly more in  
3 England than they sell in the U.S., because they can  
4 sell it for a lot more money in Europe.

5 We get their leftovers. We're the Third  
6 World country for influenza vaccine. We could have  
7 had plenty of vaccine to solve our shortage last year  
8 if we were willing to pay as much as Europeans pay for  
9 it.

10 ACTING CHAIRMAN DAUM: And why aren't we?  
11 Companies have never been shy about charging before,  
12 to my knowledge.

13 DR. DECKER: I can't answer that.

14 ACTING CHAIRMAN DAUM: Okay. Good. I  
15 think -- Thank you very much.

16 I think it would be good to go on at this  
17 point, having heard the options for strain selection,  
18 to the open public hearing portion of this morning's  
19 session, and at this time ask individuals in the  
20 audience who wish to speak to come forward and be  
21 heard.

22 I think we have had an appropriate hiatus,  
23 and what I'd like to do is to move into the Committee  
24 discussion and voting and finish this item, then  
25 break, and then we'll consider the issues related to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Session 9.

2 So, Dr. Levandowski, let me ask you to  
3 provide one more piece of orientation for us. When we  
4 met last in January, I thought this Committee did  
5 quite well, frankly, in terms of providing guidance as  
6 to our thoughts about what should be in next year's  
7 vaccine.

8 We were a little vague about which B  
9 Sichuan-like strain to replace the current B  
10 Yamanashi-like strain on purpose, because of the  
11 issues that you raised, and others, about growth and  
12 manufacturing and reagents and left latitude.

13 We were fairly specific about the type A  
14 issues, although we are always anxious to have more  
15 data. I'm struck by the fact that the neuraminidase  
16 concern that we had in January -- more data does not  
17 appear to have more concern associated with it.

18 I am also struck by the analysis of  
19 further Type A viruses that appear to be similar to  
20 the data that we heard in January, no new directions  
21 or major concerns there; and I am again struck by the  
22 fact that our B analysis in January appears to have  
23 been on the money and that the notion that a change  
24 was in the cards was a good decision.

25 What would you like us to accomplish this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 morning? We can rubber stamp and say we've heard the  
2 new information, and we affirm what we said in January  
3 or we can try and be more specific for you.

4 DR. LEVANDOWSKI: Okay. Well, I guess our  
5 intent -- As you know, I mean, these meetings have to  
6 be scheduled long in advance of the time that they  
7 actually occur. So that some of what we are doing  
8 today we couldn't anticipate whether there would be  
9 changes that would occur in between January and March,  
10 and as you know, the January meeting has been  
11 established to try to give manufacturers some  
12 information so that they can begin what is a very long  
13 and arduous task of making vaccine.

14 It is important for them to have some  
15 notion of what direction to be heading, but again we  
16 don't always know that things are going to work out so  
17 clearly. I guess I would comment that this particular  
18 year has been a little bit different from what we have  
19 experienced in many of the past years, partly because  
20 everything does seem to be more -- or seems to be a  
21 lot clearer in terms of what the epidemiology and  
22 surveillance is, and also just the overall nature of  
23 what's been happening with influenza has been so quiet  
24 that there is not as much to talk about as we  
25 sometimes have.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So I guess, if you would be comfortable in  
2 affirming your recommendations previously, I think we  
3 would be -- we and the manufacturers would be  
4 completely understanding of what you tried to tell us  
5 before and what you are telling us here again this  
6 morning.

7           If there's a concern about the B strains  
8 not having -- at this point having a very specific  
9 notion of which of the strains to be used, I guess I  
10 would ask the Committee, as has been done in past  
11 years -- it would not be a precedent -- to permit  
12 those of us in the Public Health Service and the  
13 manufacturers to work out what the actual  
14 B/Sichuan/379/99-like strain might be, if that's what  
15 the recommendation is.

16           ACTING CHAIRMAN DAUM: Very good. So  
17 here's some guidance for discussion. Maybe there  
18 won't be a lot of discussion. We'll see.

19           We've heard new information. We thank our  
20 colleagues very much for the update, but it looks like  
21 we were pretty good in January, to me. What I'd like  
22 to hear is if people disagree with that assessment and  
23 want to put different ideas on the table.

24           Do we need to have a motion and a vote?  
25 Let me get this clarified first, Michael, please, or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are we comfortable without that? FDA people?

2 MS. MIDTHUNE: We can just go around and  
3 say agree, agree, agree or not agree.

4 ACTING CHAIRMAN DAUM: Fine. Okay, let's  
5 hear from -- Thank you, Dr. Midthune. Dr. Decker,  
6 please.

7 DR. DECKER: I agree with the thrust of  
8 what Roland said. My only suggestion would be --  
9 really, subject to Roland's comment, would be that  
10 perhaps we ought to make more clear recommendation at  
11 this time for B/Victoria with the understanding that,  
12 should a clearly superior strain be identified, there  
13 is the option to switch. But where we left it last  
14 time, we didn't clarify between Johannesburg and  
15 Victoria, and the data available now seem to suggest  
16 that the Victoria is a better strain.

17 I think there is a public health benefit,  
18 all else being equal, in the manufacturers having a  
19 common choice. so to the extent we can provide a  
20 little bit sharper guidance today on that, we may  
21 achieve something with some public health benefit.

22 ACTING CHAIRMAN DAUM: And yet the  
23 downside would be that we would tie somebody's hands.

24 DR. DECKER: No, I'm not trying to tie  
25 hands. What I'm saying is that we could make it a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 little less vague than we had it in January. It's  
2 just a slight difference, but in January we were  
3 considering Johannesburg and Victoria in equal play,  
4 and the data now suggest that Johannesburg is not a  
5 good choice.

6 Victoria is the best known present choice,  
7 but we would still allow Roland and the manufacturers  
8 to agree on a third alternative, such as Guangdong, if  
9 it turns out that there is really superior.

10 So we are sharpening it a little bit,  
11 removing a little bit of the imprecision.

12 ACTING CHAIRMAN DAUM: Dr. Levandowski,  
13 could you be heard on this issue, please?

14 DR. LEVANDOWSKI: I think that it would be  
15 possibly in the interest of people using these  
16 vaccines that you not be too specific about what the  
17 strain ought to be. Now what I'm thinking about is  
18 if, say, there are travelers who go to another country  
19 and they want to use a vaccine that's in use in  
20 Europe, and it says B/Johannesburg/599, for example,  
21 that they know that we think that particular strain is  
22 okay.

23 I think what we don't want is to eliminate  
24 the possibility that somebody could manufacture and  
25 that there could be use of another influenza B virus.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We don't really want to have confusion. It is better  
2 in some sense to have a limited number of options and  
3 to stick with what seems to be the best option  
4 overall, but again there will be vaccines elsewhere in  
5 the world that will not necessarily be B/Victoria/504.

6 I do think we want to be sure that we are  
7 not sending a message to anybody that we think that  
8 those strains would not be acceptable for use.

9 ACTING CHAIRMAN DAUM: I'm hearing from  
10 that that we should stick with what we said in  
11 January. But let's get Committee input on that, and  
12 we can certainly have -- agree or disagree as we go  
13 around, but let's hear from everyone. Steve, would  
14 you start, please?

15 DR. KOHL: I'd like to affirm our  
16 recommendations that we made in January, that the H3N2  
17 strain for the coming year be the A/Panama/2007/99,  
18 and that the B strain be a B/Sichuan/379/99-like  
19 strain such as B/Vic/504 or B/Johannesburg/5/99 or  
20 another suitable strain that comes up.

21 ACTING CHAIRMAN DAUM: Dr. Kim, can we  
22 hear from you?

23 DR. KIM: Yes. I'd like to continue to  
24 support the recommendation being made by this  
25 Committee last January for the selection of influenza

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 A and B viruses.

2 ACTING CHAIRMAN DAUM: Thank you. Dr.  
3 Faggett.

4 DR. FAGGETT: I agree with the previous  
5 speakers.

6 ACTING CHAIRMAN DAUM: Thank you.

7 DR. GRIFFIN; I agree.

8 DR. DIAZ: Likewise, I agree.

9 DR. KATZ: I agree.

10 DR. GOLDBERG: I agree.

11 DR. EICKHOFF: I agree.

12 ACTING CHAIRMAN DAUM: Dr. Butler? Agree?

13 DR. BUTLER: Agree.

14 DR. HALL: Agree.

15 ACTING CHAIRMAN DAUM: You are now a  
16 speaking member. Ms. Fisher is not here. Is that  
17 correct?

18 MS. CHERRY: No, she's not.

19 ACTING CHAIRMAN DAUM: She's not? Okay.  
20 Would you like to speak to this issue or not?

21 MS. LIBERA: Only a couple of comments.  
22 There seems to be a lot of mixed signals concerning  
23 flu shots to the public. Every year there is a -- you  
24 know, the age ranges, whether you really can get the  
25 flu from the flu shot itself. We spend an awful lot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of time convincing people, even healthy people, to get  
2 flu shots.

3 So I would hope that there would be -- I  
4 guess I'm a little confused about the manufacturers  
5 saying that they can supply it without knowing exactly  
6 what it's going to be. Also, I don't remember in the  
7 past manufacturing problems, and I don't -- either I  
8 just wasn't aware of it or it just got more publicity  
9 this year. So I'm curious about why that happened.

10 I guess that's all I have to say.

11 ACTING CHAIRMAN DAUM: Okay. I'm going to  
12 sort of note those comments, but we had had the  
13 discussion period, and I think we are now at the point  
14 of deciding whether we agree or disagree with the  
15 January recommendations. So with your permission, I  
16 will note those questions, and I'd like to at least  
17 get finished getting a consensus here.

18 I guess we are finished except for me, who  
19 agrees. So I think you have your answer from the  
20 Committee.

21 Is there anyone else who would like to say  
22 anything about influenza? Dr. Decker, of course.

23 DR. DECKER: Just a question, and clarify  
24 it for me, procedure. Based on what was just done,  
25 are the manufacturers now free to go into production

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with one of the strains that would be consonant with  
2 this recommendation or are there still discussion  
3 steps with FDA and CDC that have to occur and some  
4 agreement among you, Roland, and your colleagues and  
5 the manufacturers on what the actual strain would be.  
6 How does that work?

7 ACTING CHAIRMAN DAUM: Dr. Decker, I'm not  
8 sure we need to hear this discussion. The Committee's  
9 task is to give advice to the FDA about strain  
10 selection, and what happens between the FDA and the  
11 manufacturers, I think, goes beyond the scope of what  
12 we're doing here.

13 DR. DECKER: Well, I don't think it's  
14 completely irrelevant, because we had the problem last  
15 year because three things happened in confluence.  
16 There was a late recommendation leading to late  
17 initiation of production. There was a delay -- There  
18 was a production problem, and then manufacturers fell  
19 out.

20 So what I'm actually asking is have we now  
21 solved the late recommendation issue or have we -- are  
22 we still, in fact, absent a firm, reliable  
23 recommendation on which production can start? So it  
24 goes to the heart of what we were talking about  
25 earlier.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)



1                   ACTING CHAIRMAN DAUM: Dr. Levandowski,  
2 would you like to respond to that? You are welcome to  
3 say no.

4                   DR. LEVANDOWSKI: Well, I'm trying not to  
5 say no. I think that the information from the  
6 Committee and the information that we have provided  
7 suggests that the B/Victoria/504/99 strain will be  
8 acceptable. It's already been used for manufacturing  
9 worldwide. I don't see any reason that we would want  
10 to reject that, manufacturers who want to use that  
11 strain, or if they want to use the B/Johannesburg/599  
12 strain, I think we are prepared to try to support  
13 that.

14                   When I say that, if you are going to ask  
15 me the next question, are we going to make reagents in  
16 the United States for B/Johannesburg/5/99, the answer  
17 is no. We will share reagents with our colleagues at  
18 NIBSC, as we have done in similar situations in the  
19 past where there's been more than one actual strain  
20 that's been used for manufacturing.

21                   We will be making reagents to support the  
22 B/Victoria/504/2000 strain for manufacturing and, as  
23 I mentioned, if there are other strains that are  
24 necessary, we are going to find a way to support those  
25 also. But for that, we need help from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 manufacturers.

2 So I think our answer is that, both in  
3 terms of the B/Johannesburg/599 and the B/Victoria/504,  
4 which are already widely in use and are being  
5 supported and will be supported, there's not really  
6 any question about the validity of those strains for  
7 use in manufacturing. It's other strains that we  
8 would have to assess at this point.

9 So I guess that is the answer to what you  
10 are concerned about, what the manufacturers will  
11 understand from this session.

12 DR. DECKER: Good answer.

13 ACTING CHAIRMAN DAUM: Thank you very  
14 much. That brings this discussion and voting to an  
15 end. We will now take a break, a slightly long break.  
16 We can't really start the next session early, because  
17 we have people joining us by speakerphone.

18 MS. CHERRY: We can go into the open  
19 session.

20 ACTING CHAIRMAN DAUM: Right. But if we  
21 get done too early, we'll have to take another break.  
22 So I'd like to start at ten o'clock. So we will take  
23 a 20 minute break and resume.

24 DR. GRIFFIN: Can't you do the open  
25 session before so that we don't have to --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   ACTING CHAIRMAN DAUM: I'm sorry. I don't  
2 completely understand.

3                   MS. CHERRY: Well, we can go ahead. What  
4 he's saying: If we start the open session that is now  
5 scheduled for ten o'clock anyway, so if we take coffee  
6 break, that brings us right on time. We can't start  
7 the closed session until eleven.

8                   ACTING CHAIRMAN DAUM: So we're taking a  
9 20 minute break instead of a 15 minute break.

10                   (Whereupon, the foregoing matter went off  
11 the record at 9:43 a.m. and went back on the record at  
12 10:10 a.m.)

13                   ACTING CHAIRMAN DAUM: Good morning, and  
14 welcome to Session 9, the briefing on activities in  
15 the Laboratories of Retrovirus Research and  
16 Immunoregulation.

17                   I'd like to go straight into our business  
18 here and call on Peter Patriarca to introduce the  
19 laboratories and the review process.

20                   DR. PATRIARCA: Thank you, Bob. If  
21 somebody could turn on the slides.

22                   ACTING CHAIRMAN DAUM: He says he's ready  
23 to go, Peter.

24                   DR. PATRIARCA: Okay. Can everyone hear  
25 me okay? I'd just like to briefly introduce the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 session by giving the Committee a little bit of  
2 background about the Division of Viral Products and  
3 also to remind them of the important role that they  
4 have in helping us make management decisions and  
5 resource decisions about the workings of our research  
6 programs.

7 I'd just like to remind the Committee that  
8 the Office of Vaccines Research and Review headed by  
9 Dr. Karen Midthune has three divisions. There are two  
10 laboratory based divisions, one the Division of  
11 Bacterial, Parasitic and Allergenic Products that is  
12 headed by Dick Walker, and then Division of Viral  
13 Products headed by myself.

14 There is also the Applications Division,  
15 which is composed of desk based scientists as opposed  
16 to laboratory based scientists, headed by Dr.  
17 Goldenthal.

18 Within the Division of Viral Products we  
19 are organized into eight different sections, seven of  
20 the laboratories and then also the Office of the  
21 Director. I think it's important to point out,  
22 particularly since the laboratory review today will  
23 focus on Dr. Golding and Dr. Berkower's lab, that the  
24 FDA and CBER specifically is unique among Federal  
25 agencies in the sense that HIV research and HIV

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 review, including HIV vaccines, is actually confined  
2 within the same division as other viral pathogens.

3 Very briefly, our mission and functions,  
4 as you might imagine, primarily encompass research and  
5 review. You will be hearing about the research  
6 programs today, and I'll mention a few things about  
7 the review situation.

8 I would also like to point out that we are  
9 also very much involved post-licensure surveillance.  
10 We work very closely with the compliance folks. We  
11 also focus in on adverse event review in collaboration  
12 with our colleagues who are responsible for the VAERS  
13 system.

14 We have a very active lot release testing  
15 and protocol review system. We are also very closely  
16 involved in labeling changes and promotional  
17 activities, and we spend a great deal of time in  
18 consultation with organizations on the outside, both  
19 governmental and non-governmental organizations, but  
20 in particular the manufacturers.

21 Now as you might imagine, looking simply  
22 at the names of our laboratories, you might imagine  
23 that we have very specific pathogen related  
24 priorities. But what may not be apparent are all the  
25 programmatic areas that we are particularly interested

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in that involve collaborations across laboratories and  
2 areas of interest that are directly pertinent to the  
3 evaluation of vaccines.

4 I don't have time to go into these, but  
5 some of these you will hear about in more detail in a  
6 few minutes.

7 So I'd like to say that our laboratories  
8 are actually improperly named. For example, the two  
9 laboratories that you are going to hear about should  
10 be named something like this to reflect all the things  
11 that they currently do.

12 Let's talk a moment about our review  
13 workload. This shows what's happened since FY '94,  
14 continuing through last year. You can see from here  
15 that we have in essence over 4,000 pieces of paper  
16 that just come through just this division.

17 You can see that these are a variety of  
18 activities, and you will notice also the enormous  
19 workload we have in terms of INDs. Now I would  
20 mention that, in addition to the increasing workload,  
21 I would also point out that the complexity of these  
22 reviews is dramatically increasing as the vaccine era  
23 really goes more from traditional approaches to  
24 approaches that are discussed in some of Michael  
25 Crichton's novels.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So there are some really sort of science  
2 fiction approaches that are on the near term horizon  
3 which make our job much more difficult.

4                   Now I would also like to point out a  
5 little bit about resources. There is good news and  
6 bad news here. The good news is that at least the  
7 number of people within the Division has not  
8 substantially changed over the last five years.

9                   Just for your information, we have about  
10 65 full time employees in the Division, and these are  
11 supplemented by about 40 contract employees, usually  
12 post-doctoral folks, who participate with the senior  
13 investigators in carrying out some of the research  
14 programs.

15                   While the number of personnel has remained  
16 relatively stable, as you can see here, our internal  
17 budget has declined dramatically between FY '95 and  
18 '99, reduced by approximately 60 percent, six-zero  
19 percent. Now that's the bad news.

20                   The other part of the good news is that,  
21 because of the extremely high quality of science that  
22 is done by many of the investigators in the Division,  
23 we've been very successful in attracting outside  
24 money. This comes not only from NIH, for example,  
25 from targeted AIDS grants, but also the National

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Vaccine Program Office. It comes from the Department  
2 of Defense and miscellaneous other grant mechanisms.

3 Just to point out that in FY 2000, last  
4 year, this discrepancy has now really become enormous,  
5 so that only about 15 percent of our entire operating  
6 budget now comes from internal sources.

7 So what this means and the importance of  
8 the Committee's involvement in this is that we have  
9 fewer and fewer resources to do more and more things  
10 and that these external resources, while large, are  
11 devoted to very specific programs, leaving other core  
12 programs pretty much under-supported.

13 So this puts folks like me and the people  
14 higher than me in a position of making very difficult  
15 decisions about resource management, allocation to  
16 different research programs. So this is why your  
17 input is so important today, and the rest of the  
18 presentations then will delve into some of the  
19 specifics faced by Dr. Golding and Dr. Berkower who,  
20 as you will hear, are primarily involved in HIV  
21 related research.

22 If there are no questions, perhaps we  
23 could just proceed to their presentations.

24 ACTING CHAIRMAN DAUM: Are there Committee  
25 questions or things you want to ask Dr. Patriarca?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Dr. Katz, Dr. Kim.

2 DR. KATZ; Does the diminution of  
3 intramural funds represent something across the board  
4 in FDA or something that is targeted at your group?

5 DR. PATRIARCA: Generally speaking, what's  
6 happened over the years is that the agency's budget  
7 has been, quote/unquote, "flatlined." That doesn't  
8 sound so bad, except that what has happened is that  
9 the things like salary increases and so on have not  
10 been accounted for in this so called flatlined budget.

11 By the time it gets down to the Division  
12 level, the lowly division that actually does the work,  
13 a lot of things happen with that money. There are  
14 other important agency priorities, Center priorities.  
15 So that by the time it actually filters down to the  
16 Division -- now granted, some of the programs,  
17 obviously, that the agency has funded are  
18 extraordinarily important, and decisions have to be  
19 made about the relative importance of, for example,  
20 viral vaccines versus other things.

21 So the flow of money, I think, is very  
22 complex to explain and really differs from year to  
23 year.

24 ACTING CHAIRMAN DAUM: Dr. Kim.

25 DR. KIM: Knowing that about 85 percent of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 your operating budget are derived from extramural  
2 funds, I think that certainly indicates the system may  
3 be very fragile and that whether you guys -- I am  
4 sure, looking to continuously some alternative  
5 resources. Can you share with us what, you know,  
6 those alternative resources might be in case there are  
7 some decrease in extramural funding in certain areas?

8 DR. PATRIARCA: That is a very difficult  
9 thing for us to do. Part of my job is basically  
10 almost like a fundraiser, an advocate, for the  
11 Division, trying to make people aware of -- as I think  
12 you've very accurately described -- the fragility of  
13 the research program.

14 We also are having difficulty in  
15 attracting new people, because of the resource  
16 problem. We are also getting to be a very top heavy  
17 organization in terms of most of the staff that are  
18 now -- For example, the laboratory chiefs are, by and  
19 large, eligible for retirement.

20 So even though things are -- we're trying  
21 to cope with this situation as much as we can, we're  
22 very worried about the future, and it is fragile. Any  
23 marked reduction in the availability of funds to us  
24 will, I think, severely compromise what we can do in  
25 the laboratory at least.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Of course, the review activities will  
2 continue onward, but part of that is attracting good  
3 people, good reviewers, good scientists. They are all  
4 very closely tied together. So you really have to  
5 have all of those elements in the system in order to  
6 have a well functioning review program.

7           ACTING CHAIRMAN DAUM: Dr. Diaz, then Dr.  
8 Kohl.

9           DR. DIAZ: It is my understanding -- and  
10 correct me if I'm wrong -- that despite having a huge  
11 role in some of the laboratory aspects of preparing  
12 for things like bioterrorism, the FDA hasn't really  
13 actualized a lot of the money that has been set aside  
14 for not necessarily specifically laboratory issues but  
15 that in general.

16           Do you anticipate seeing any of that in  
17 the future and, if so, how would that help your  
18 intramural funding in terms of those bars?

19           DR. PATRIARCA: Yes. Dr. Egan, Bill Egan,  
20 has just -- would like to address that.

21           DR. EGAN: I would like to make just a  
22 brief comment about the funding. Peter has remarked  
23 about some of the intramural funding that has -- the  
24 internal funding and how that has been decreasing and  
25 the reason for it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   ACTING CHAIRMAN DAUM:    We need some  
2                   microphone support of some sort here.  Otherwise, we  
3                   are going to miss your pearls, Bill.

4                   DR. EGAN:     Dr. Patriarca has remarked  
5                   about the internal funding and how that has gone down  
6                   and some of the reasons for that, the flatlining of  
7                   FDA's budget.  But I'd like to make a little bit of  
8                   comment about the external funding for FY 2000, you  
9                   know, where some of that money came from.

10                   It's external in one sense, and it's not  
11                   external in another sense.  For example, you know,  
12                   over \$2 million of that was from the Department for  
13                   support of bioterrorism research.  Another part of  
14                   that money was Departmental money for pandemic  
15                   influenzas.  Part of that money was from the  
16                   Department and from the NIH for vaccine safety related  
17                   issues.

18                   Now granted, this is one-time money, and  
19                   it's hard to carry on programs in that fashion.  It's  
20                   internal and it's external at the same time, but it  
21                   was in support of Departmental programs, laboratory  
22                   based programs.

23                   What will happen this year, we are still  
24                   not completely sure.  I just wanted to make a little  
25                   comment about the kind of funding.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   ACTING CHAIRMAN DAUM: Thank you. Pam, a  
2 follow-up question?

3                   DR. DIAZ: That's the difficulty, as you  
4 are pointing out. It's sort of internal, yet external  
5 in that it's targeted for a specific issue, and yet  
6 how would you -- Would you mark that in these graphs  
7 as external or internal? Just out of curiosity, how  
8 do you see it?

9                   DR. EGAN: Yes. A good portion -- I mean  
10 some of it -- for example, like for the National  
11 Vaccine Program Office. That's competed monies for  
12 special -- for investigator initiative -- well, no,  
13 mission related programs, and the same with some of  
14 the DOE money.

15                   The bioterrorism money, the pandemic  
16 influenza money, a lot of the vaccine safety money,  
17 those were sort of Departmental targeted dollars  
18 toward laboratory programs within the Office.

19                   DR. DIAZ: Right. Thanks.

20                   DR. EGAN: But how we sustain them is  
21 going to be an issue, too.

22                   ACTING CHAIRMAN DAUM: Thank you. Dr.  
23 Kohl, then Dr. Faggett.

24                   DR. KOHL: I was impressed with the slide  
25 you showed of what you called pieces of paper going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 across the Department or Division. I think it may not  
2 be obvious from what you said, but it's probably  
3 painfully obvious to all of us sitting here that the  
4 time, effort, anxiety, etcetera, in generating these  
5 external funds, the grants that have to be written,  
6 all the extra activity is another piece of work that is  
7 an increasing burden to your position, and I think  
8 that is worth noting.

9 DR. PATRIARCA: Agreed. Thank you for  
10 pointing that out.

11 ACTING CHAIRMAN DAUM: Dr. Faggett.

12 DR. FAGGETT: Mine is a related question.  
13 with the criticality of your mission, it would appear  
14 that you really need permanent staff. I'm concerned  
15 that, rather than have FTEs, you have visiting  
16 fellows. You have to beg, borrow or steal staff to  
17 really get your mission done.

18 As Dr. Diaz has mentioned, your missions  
19 are multiple. Is there any relief in terms of getting  
20 more permanent FTEs for you to properly do your  
21 mission?

22 DR. PATRIARCA: It's a very difficult  
23 thing, because you've only really heard about the  
24 microcosm of my Division and, when you consider all  
25 the other activities that are going on throughout the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Center, including such important areas as gene  
2 therapy, for example, then there are important  
3 research -- or resource decisions that are made at the  
4 Center level and even the agency level to determine  
5 that.

6 The short answer, I think, to your  
7 question is that I think everyone recognizes the  
8 importance of what the vaccine related research  
9 divisions are doing. We certainly have advocates all  
10 the way up and down the line. People are aware of  
11 what's happening, but whether that will actually  
12 translate into more resources really remains to be  
13 seen.

14 ACTING CHAIRMAN DAUM: Thank you. One of  
15 the reasons that I was -- I must say, I was willing to  
16 serve in this capacity as Chair of this committee is  
17 to try and get some sense of helping with this  
18 problem, which I consider to be enormous.

19 The agency needs to be strong and vibrant  
20 and, if I might say editorially, conduct research and  
21 be seen in the academic and intellectual communities  
22 that they work in. Otherwise, it just becomes a  
23 regulatory agency that doesn't have real insight into  
24 the problems that they are working on.

25 If there are Committee members that are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 interested in beginning to have discussions with me  
2 about how to best strategize and do this, I would like  
3 to hear from you after the meeting, and we'll get  
4 together.

5 I think now we need to move on. Thank you  
6 very much, and we'll call on Dr. Golding next, if she  
7 would, to tell us about activities in the Laboratory  
8 of Retrovirus Research. Thank you, Peter, very much  
9 for sharing your thoughts with us.

10 Dr. Huang, good morning. This is Bob  
11 Daum.

12 DR. HUANG: Good morning, everybody.

13 ACTING CHAIRMAN DAUM: This is Bob Daum  
14 speaking. I'm delighted you are here. I keep  
15 remembering to make some comments to this box sitting  
16 on the table, but now I've done it. We cannot hear  
17 you. We'll work on trying to hear you in the next  
18 little bit. We'll get the microphone lined up better  
19 or something.

20 The noise in this room, you should know,  
21 the background noise is awful.

22 DR. HUANG: Yes.

23 ACTING CHAIRMAN DAUM: You remember. We  
24 have a very loud heating system. We are also getting  
25 construction noises, and we'll check in with you in a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 few minutes and see if your hearing is any better.

2 DR. HUANG: All right. Thank you.

3 ACTING CHAIRMAN DAUM: We'll try to ask  
4 the microphone people to rev up the speaker so that  
5 you can hear better. Thank you very much, and  
6 welcome. We wish you were here.

7 DR. HUANG: I'm here.

8 ACTING CHAIRMAN DAUM: Okay. I mean here  
9 here, you know. Please.

10 DR. GOLDING: Thank you very much. I  
11 would like to really say that this is a real privilege  
12 for me to stand here and to present to you the program  
13 of the Laboratory of Retrovirus Research. It's a  
14 privilege and an honor, because I am lucky enough to  
15 head a fantastic team of not just highly qualified  
16 people, but really dedicated people to public health,  
17 and more than once during the past four years they  
18 were willing to put aside everything else that they  
19 did and respond very quickly to public health issues  
20 that helped to keep very important child vaccination  
21 in place. I hope some of it will be at least cast and  
22 shown in my slides.

23 So the Laboratory of Retrovirus Research  
24 is actually divided into three sections. I am also  
25 the head of the Section on Retroviral Pathogenesis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and another independent investigator is Dr. Keith  
2 Peden who has been tenured in the past two years ago.

3 The other section is of Retroviral  
4 Immunology. It is headed by Dr. Dennis Klinman, and  
5 the Section of Molecular Retrovirology that is headed  
6 by Dr. Arifa Khan. Next slide.

7 So in order to set the ground for the  
8 scientific program, I think it's really important for  
9 you to realize the type of regulatory work that is  
10 covered by this laboratory.

11 The main -- One of the main areas, of  
12 course, is review of HIV vaccine applications. Both  
13 prophylactic and therapeutic vaccines get reviewed by  
14 members of our committee -- of our lab, and there are  
15 many types of vaccines that have been developed,  
16 starting from live attenuated vaccines to inactivated  
17 vaccine, peptide and subunit vaccines, live viral and  
18 bacterial vectors, nucleic acid vaccines and new  
19 adjuvant and carriers.

20 As you can see from the recent table that  
21 has been published in Science this month, the number  
22 of clinical trials that are either started, ongoing or  
23 scheduled to start in either 2001 or 2002 is quite  
24 large. I think there is a really big hope for the  
25 future of HIV vaccines, not just for this country but

1 globally.

2 If we look just to the type of vaccines  
3 that are going to be put forward and move into various  
4 phases of clinical trials, we have this very simple  
5 subunit vaccines to combinations of vectors and DNA,  
6 and bacterial lipopeptides, a variety of vaccinia  
7 vectors, and so forth.

8 If you think about the complexity of these  
9 vaccines, you start to realize how much expertise are  
10 needed to be evaluate them, both in terms of the  
11 manufacturing as well as the potential safety  
12 consideration, and very often the type of cell  
13 substrate that need to be used to produce these  
14 vaccines.

15 Another very important is we have been --  
16 Our team has been reviewing plasmid DNA vaccines  
17 against viruses other than HIV and, as I mentioned to  
18 you just a minute ago, review of cell substrate used  
19 for viral vaccines and other biological products;  
20 because other biological products are also made in  
21 mammalian cells, monoclonal antibodies, gene  
22 therapies, and xenotransplants also pose unique  
23 issues, safety issues related to adventitious agents.

24 It's very important to have in the Center  
25 very sensitive assay for detection of known and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 unknown retroviral contaminations and come up with a  
2 mechanism for removal or inactivation of retroviral  
3 contaminations.

4 We have also been assisting the Office of  
5 Therapeutics in review of some other anti-HIV and AIDS  
6 therapies, such as soluble CD4 and its derivative,  
7 some monoclonal antibodies, Kaposi sarcoma therapies,  
8 and our members have been participating in license  
9 applications, related viral vaccines and monoclonal  
10 antibodies.

11 So just to give you-- We all like to have  
12 a few numbers. If we just looked at the total  
13 submission of the LRR during the past three years, it  
14 went, the total submission from 270 to 310 in year  
15 2000, and importantly, I think, is the number of  
16 original submission. You can see this recent boost in  
17 the number of original submissions.

18 I think it's great. It's really hopeful.  
19 It means that the sponsor out there, the industry out  
20 there, academia, international health initiatives  
21 believe that there is hope for HIV vaccines, and we  
22 want to work with them to see most and more of these  
23 vaccines getting into clinical trial, both in the  
24 United States and elsewhere.

25 So what is the scientific program that

1 needs to be in place to support this kind of  
2 regulatory challenges? So the overall goals of the  
3 lab is, first of all, to establish and develop new  
4 state of the art in neurological and virological  
5 assays to assure the safety and immunogenicity of  
6 candidate HIV and plasmid DNA vaccines, and to improve  
7 detection of retroviral contamination in cell  
8 substrates, vaccines and other biological products.

9 We also aim to conduct high standard  
10 research on HIV with emphasis on cell entry and viral  
11 tropism, animal models for assessment of HIV  
12 infection, candidate vaccine and biological therapies,  
13 new concepts in vaccine development, and viral genes  
14 and their role in pathogenesis.

15 I would now like briefly to describe to  
16 you the main PIs in the Laboratory of Retrovirus  
17 Research and outline their main scientific project and  
18 then, hopefully, to tell you at the end how some of  
19 this scientific work led to very important regulatory  
20 achievements.

21 So Dr. Dennis Klinman: As I mentioned, he  
22 is the head of the Section of Retroviral Immunology.  
23 He joined CBER in 1989 after five years as a medical  
24 officer and a senior staff fellow at the NIH. He  
25 brought a very strong background in rheumatology,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 immunology, autoimmune diseases and in murine models  
2 of retrovirus induced immune abnormalities.

3 He established a highly productive  
4 research program on immune responses and safety of new  
5 vaccine candidates with emphasis in the past several  
6 years on DNA vaccine and CpG-containing  
7 oligonucleotides.

8 Just for a simplified version of a plasmid  
9 DNA vaccine, basically, as you know, all of these  
10 vaccines have some sort of antibiotic resistance  
11 selection marker that allows for large scale  
12 production in bacterial. In addition to the plasmid  
13 backbone, it expresses foreign gene. Here we show an  
14 HIV envelope. It can be multiple genes from different  
15 types of viruses, under the strong eukaryotic  
16 promoter. One of the most popular ones is the CMV  
17 immediate early promoter.

18 Dr. Klinman has spent a lot of time  
19 understanding these plasmids, how they work, and also  
20 identifying those motifs which are -- seems to be much  
21 more common in bacterial DNA and plasmid DNA compared  
22 to mammalian DNA, and they are highly unmethylated.  
23 These motifs, what's called unmethylated CpG, were  
24 shown to have very important adjuvant activity.

25 So as part of his both scientific and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 regulatory work, Dr. Klinman studied the mechanism of  
2 action of DNA plasmid vaccines and potential of these  
3 novel agents to induce adverse events, autoimmunity  
4 and tolerance. Also the immune activation by DNA  
5 vaccine was linked to the presence of unmethylated CpG  
6 motif of bacterial origin in the plasmid backbone, and  
7 this motif may act as an immune adjuvant and function  
8 by inducing strong innate immune responses.

9 Dr. Klinman went on to show that this  
10 innate immunity can provide a very powerful antiviral  
11 and some even antibacterial responses that can precede  
12 the specific pathogen vaccination.

13 So the overall program in the past seven  
14 years in Dr. Klinman's lab, as I said, concentrated in  
15 various areas: First of all, immunogenicity of DNA  
16 vaccine, evaluate DNA vaccine in terms -- in Malaya,  
17 and HIV in three different species, mice, monkey and  
18 there are now human trials with some of these  
19 vaccines.

20 That was done in collaboration with  
21 investigators outside of the NIH -- of the FDA -- at  
22 the Navy; development of a DNA vaccine to anthrax  
23 toxin, which is part of the anti-bioterrorism effort;  
24 evaluated the activity and safety of cytokine-encoding  
25 plasmids such as IL-4, IL-12, Interferon gamma, GM-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CSF. This is a very important study, because several  
2 sponsors want now to add these kind of plasmid --  
3 cytokine expressing plasmids to their antigen specific  
4 plasmid.

5 Studies the use of plasmid for gene  
6 therapy and the potential outcome, and he tested  
7 erythropoietin plasmid as a model.

8 Dr. Klinman also established the immuno-  
9 stimulatory activity of CpG, ODN in vitro and in vivo.  
10 He studied B cells, macrophages, dendritic cells and  
11 NK cells in a variety of species, mice, rats, rabbit,  
12 chickens, cows, monkeys and men. It does seem that  
13 this particular element seems to be very cross-species  
14 activities, although the sequences that are optimal  
15 for activation of the innate system in various species  
16 may vary.

17 He evaluated the therapeutic potential as  
18 vaccine agent, anti-allergens, immunoprotective  
19 agents, and monitored the intracellular pathway by  
20 which they induce cytokine production with emphasis on  
21 IL-12 and IL-6, also looked for ways to improve their  
22 uptake and activity using liposomes.

23 I would like now to shift to Dr. Arifa  
24 Khan. As I mentioned, she is the head of the Section  
25 of Molecular Retrovirology. She joined CBER in 1991

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 after ten years in the Laboratory of Molecular  
2 Microbiology at the NIH with Malcolm Martin.

3 She brought very strong expertise in  
4 retrovirology with avian, murine, feline, primates and  
5 human retroviruses. She established a scientific  
6 program that was aimed at development of monkey models  
7 for HIV infection and testing of new vaccines and  
8 therapies in such models; development and  
9 standardization of sensitive assays for the detection  
10 of retroviruses in cell substrates used for biological  
11 products.

12 In the area of vaccine safety, Dr. Khan  
13 conducted infectivity studies using a variety of human  
14 cell lines, indicated the absence of infection,  
15 integration and replication of retroviral particles  
16 that are present in all chicken cell derived vaccines.  
17 I'll get back to that aspect a little bit later, but  
18 that was part of the work that allowed us to alleviate  
19 the concern about measles, mumps and other vaccines  
20 that are made with chicken cells.

21 She was also providing important  
22 consultania in work on xenotransplant in primate cell  
23 derived vaccines by conducting analysis of naturally  
24 occurring simian foamy viruses for macaques, and she  
25 predicted reduced replication efficiency of primary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 virus that are involved in cross-species infections to  
2 human. This is again very important aspect of how the  
3 research in the lab can add to the reduced -- to the  
4 evaluation of risks associated with renal transplant  
5 and the use of primate cells for production of  
6 biological product.

7 Her work also helps the gene therapy  
8 field, because she was able to show in vivo that  
9 murine replication competent retroviruses that  
10 occasionally contaminate gene therapy products may  
11 actually establish an infection and long term virus  
12 persistence in non-immuno suppressed rhesus macaques.  
13 That again may be a long term safety concern with some  
14 of the gene therapy products.

15 In another direction, she is involved in  
16 development of sensitive assays and strategies for  
17 detection of occult and adventitious retroviruses in  
18 vaccine cell substrates in biological products. Dr.  
19 Khan was the first to show that Mus dunni was  
20 identified as the most sensitive cell line for  
21 detection of simian foamy virus in primate cell  
22 substrate.

23 She was using PCR integration assays for  
24 detection of human infection with avian retrovirus  
25 sequences that are present in all chicken cell derived

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 vaccines. A quantitative real time TM-PERT assay was  
2 modified as a single tube assay with greater  
3 reproducibility and reduced background for detection  
4 of low level retrovirus particles, and chemical and  
5 biological agents are under investigation for  
6 activation of endogenous retroviruses in cell  
7 substrate.

8 Again, all of these studies are highly  
9 relevant to the regulatory issues that we have to  
10 face.

11 On the side of the HIV vaccine  
12 development, she has a long term interest in the  
13 development of the pig-tailed macaque with a  
14 preclinical model for HIV vaccine and therapeutics.

15 Dr. Khan was able to show transient  
16 replication and long term persistence of HIV in pig-  
17 tailed macaque. HIV multigenic DNA vaccines have  
18 recently been shown to elicit long term and boostable  
19 Gag and Env-specific humoral responses in these pig-  
20 tailed macaques.

21 HIV DNA vaccinated pig-tailed macaques  
22 boosted with gp160 were partially protected against  
23 challenge with HIV, and other HIV type viruses will be  
24 investigated for infection in pig-tailed macaques to  
25 develop the species as a model to evaluate the potency.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and efficacy of HIV antiviral agents.

2 You are all aware of the fact that there  
3 are very few very good animal models for HIV vaccines.  
4 Most of the animals that are currently used have to  
5 use some form of SIV or SIV/HIV chimeric. The ability  
6 to identify new models that can be infected with HIV  
7 besides chimeras, which are very expensive, will be  
8 very helpful.

9 Keith Peden, who is in the Section of  
10 Retroviral Pathogenesis, joined CBER a little bit  
11 later, in 1994, after seven years in Johns Hopkins  
12 University, a year at the Pasteur Institute, and five  
13 years as an expert visiting scientist in the  
14 Laboratory of Molecular Microbiology at the NIH.

15 He brought strong expertise in both DMH  
16 humoviruses, viral mediated oncogenesis, as well as  
17 lentiviruses, HIV-1, HIV-2 and SIV. He established a  
18 scientific program focusing on HIV/SIV accessory  
19 genes, HIV/SIV cell tropism, an adaptation to  
20 different target cells, and development of sensitive  
21 assays for detection of adventitious agents.

22 So the regulatory related research was --  
23 Dr. Peden was really instrumental in the development  
24 of several quantitative assays to detect adventitious  
25 agents: First of all, retroviruses. He helped to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 develop the PCR-based RT assays or the TM-PERT, which  
2 is at least a million times more sensitive than the  
3 previously used RT assays.

4 For DNA viruses, he has also developed a  
5 PCR-based assay to detect primate polyomaviruses using  
6 the TaqMan PCR technology, and looked at SV40, BKV and  
7 JVC. As you know, there was sort of recent suggestion  
8 that in some human tumors there are sequences of SV40.  
9 So as an agency, we have to address this issue, and  
10 it's important to develop highly specific assays to  
11 distinguish between SF40 and other human related  
12 viruses.

13 He is currently involved in a very  
14 important and exciting study on the formation of  
15 pseudotype between retrovirus core and the envelope  
16 glycoproteins from viruses of the paramyxoviridae and  
17 orthomyxoviridae.

18 It has a very kind of interesting  
19 potential safety risk evaluation, because it addressed  
20 the issue of what happened when we are infected with  
21 more than one virus at the same time. If we are  
22 infected with, let's say, HIV and measles, is it  
23 possible that some of the HIV can be coated with  
24 measles envelope and expand the target cells?

25 So these kind of experiments are both

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cutting edge technology and allows us to evaluate all  
2 the potential risks associated both in terms of a  
3 disease but also in terms of certain vaccines.

4 Development of neutralization assays for  
5 viruses that did not replicate in vitro will greatly  
6 benefit from this pseudotype technology, and he  
7 already was successful in developing, along with Steve  
8 Feinstone, an assay for neutralization of Hepatitis C  
9 based on the ability to pseudotype HIV with the  
10 Hepatitis C envelope. That will be really exciting,  
11 because no such assays -- It is very difficult to grow  
12 Hepatitis C in vitro, as you know.

13 Assessment of TM-PERT assay to monitor  
14 viral clearance -- that was basically showing help  
15 across to OTRR to the Division of Monoclonal  
16 Antibodies -- and assessment of RT activity in porcine  
17 factor, again helping a PI in the Office of  
18 Therapeutics Gene Therapy.

19 Dr. Keith Peden also had a very exciting  
20 basic research in identifying the determinant of cell  
21 tropin of HIV and SIV, an analysis of relevance of  
22 alternative co-receptor for HIV and SIV biology.

23 I would just like to take this opportunity  
24 to introduce to you by cartoon the major findings in  
25 the past four years in the area of HIV entry. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 while the CD4 has been identified as the main receptor  
2 for HIV more than 15 years ago, it took another ten  
3 years for scientists, both at the NIH and elsewhere,  
4 to identify the fact that a second receptor, mainly  
5 that belongs to the seven transmembrane G protein of  
6 the chemokine receptors are important factors in  
7 allowing the virus to enter cells.

8 This is just sort of a cartoon showing how  
9 subsequently direction between the envelope and the  
10 CD4 confirmation of change takes place. That then  
11 allows for recruitment of one of several co-receptors  
12 -- here we show CCR5 or CXCR4 -- into the sort of tri-  
13 molecular complex that leads to additional changes,  
14 eventually allow for an insert of the putagenic part  
15 of the gp41.

16 You will hear later from Dr. Berkower  
17 about the work of Dr. Carol Weiss who is interested in  
18 this part of viral entry. But both Dr. Keith Peden  
19 and myself have focused a lot of our research in the  
20 past several years to these additional co-receptors,  
21 both in trying to understanding their function in  
22 viral entry and what could be the outcome of designing  
23 new therapies to target them.

24 That takes me to my research program: As  
25 I indicated, HIV cell entry, studies on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 expression, biochemical properties and function of the  
2 HIV co-receptor on primary human cells, evaluation of  
3 safety and efficacy of murine monoclonal antibodies,  
4 and polyclonal IgG against HIV and its cellular  
5 receptors. And I have a long term, ongoing  
6 collaboration with Dr. Golding at the Office of Blood,  
7 evaluation of heat inactivated Brucella abortus as a  
8 carrier for HIV vaccine, specifically for therapeutic  
9 vaccines.

10 In the terms of the co-receptor on HIV  
11 reentry, our goal through the past four years was to  
12 generate reagents for use in multiple biochemical and  
13 biological analysis of the HIV co-receptor, and to  
14 study the expression and function of these co-receptor  
15 in primary human tissues and cell types.

16 That included T-cells, thymocytes,  
17 dendritic cells, monocytes and macrophages, because we  
18 believe studies of the primary target for HIV are the  
19 most relevant studies to understand, based on the role  
20 of these co-receptors on viral entry and how new  
21 therapies or vaccines targeting these co-receptors are  
22 likely to work in vivo, and what could be the safety  
23 or the side effects that may result.

24 So the last part is identify the potential  
25 benefit and adverse effects of agents targeting the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 co-receptor.

2 With regard to -- The whole question of  
3 immunotherapy, as you know, has now come to the  
4 forefront, because when they find out that people  
5 affected with HIV can control their virus quite  
6 efficiently while on highly active antiviral therapy,  
7 there is not evidence that their immune response can  
8 be fully reconstituted.

9 A lot of efforts are now targeted to how  
10 can we bring back the viral specific immune response.  
11 So eventually, maybe we can give them a break for  
12 these highly active and highly toxic antiviral  
13 therapies and let their immune system take care of the  
14 residual virus.

15 So the concept of immunotherapy and HIV  
16 vaccines as the therapeutic agents are very important  
17 now, and many investigators try them. One of the main  
18 goals of immunotherapy is maybe to bypass the  
19 requirement for CD4 T-cells by eliciting helpful  
20 cytokines such as Interferon gamma and from other cell  
21 types such as CD8 T-cells to induce Il-12 production  
22 and improve antigen presentation function of  
23 macrophages and to, hopefully, stimulate B cells in  
24 cytotoxic T-cells in a CD4 T-cell independent manner.

25 That's why we concentrated on a bacteria

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE. N.W.  
WASHINGTON, D.C. 20005-3701

1 vector, the inactivated Brucella abortus, and were  
2 able to show that is a very good candidate, because it  
3 can stimulate both humeral and cellular immune  
4 responses in the total absence of CD4 T-cells.

5 So I would just like to finish this  
6 presentation by just outlining some of our sort of  
7 regulatory achievements that benefitted from all this  
8 expertise that I mentioned to you.

9 As I told you before, there was, in  
10 response to public concern regarding the possible  
11 presence of low level reverse transcriptase activity  
12 in licensed child use vaccines, including measles and  
13 mumps, LLR scientists Peden and Maudru established an  
14 improved, highly sensitive PCR based RT assay, and  
15 this assay is at least a millionfold more sensitive  
16 than the classic RT assays and can detect the G1-10  
17 retrovirus particles of any known or unknown  
18 retrovirus from various species.

19 Very quickly, a panel of licensed vaccines  
20 was tested in CBER and five other cooperating  
21 laboratories in the USA and Europe, and positive RT  
22 activities were indeed detected in all vaccines grown  
23 in primary chick embryo fibroblasts, but not in  
24 vaccines grown in mammalian cells.

25 That led our scientists Kahn and Peden to

1 establish an infectivity assay to show that the chick  
2 cell derived RT activity is not associated with the  
3 replicating infectious agents, and furthermore, Dr.  
4 Kahn with Dr. Shahabuddin demonstrated that even  
5 exposure of human cells to measles vaccine does not  
6 result in entry or integration of endogenous avian  
7 retrovirus sequences.

8 This work took a lot of time and effort  
9 and was very instrumental in the ability of the agency  
10 to work with the industry and to determine that  
11 measles vaccines are indeed safe and that childhood  
12 vaccination should continue.

13 We also were involved in a variety of  
14 workshops in the past several years. We organized a  
15 workshop on live attenuated HIV vaccine with emphasis  
16 on safety considerations in preclinical studies, which  
17 was co-sponsored by CBER, the Office of AIDS Research  
18 and DAIAIDS.

19 We are also -- Dr. Peden and Khan  
20 particularly were involved in a DVP sort of OVR  
21 workshop with other members of our Division on  
22 transformed cell lines as potential cell substrates  
23 for viral vaccines.

24 This is a very important area that we are  
25 still pursuing, because many of these new HIV vaccines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that you have seen in the original table need new cell  
2 substrates. Many of them, unfortunately, are  
3 transformed cell substrates. We have to understand  
4 the risks associated with them as well as the way to  
5 show that the risk/benefit is really coming up on the  
6 side of the benefit, and the risk evaluation assays  
7 has to be developed.

8 In addition, there was a workshop also  
9 that Dr. Peden along with Dr. Andrew Lewis were  
10 involved in a workshop on the detection of SV40  
11 sequences in human tumors and, as I mentioned to you,  
12 Dr. Peden went on to develop important PCR based assay  
13 to look for SV40 sequences in human tumors and other  
14 tissues.

15 I would like to finish here, and be glad  
16 to answer any questions.

17 ACTING CHAIRMAN DAUM: Thank you very  
18 much. It certainly sounds like an active group. Are  
19 there questions based just on this presentation now?  
20 Dr. Faggett, please.

21 DR. FAGGETT: A question on that very  
22 exciting PCR assay <sup>10</sup> particle sensitivity. How soon  
23 will that be available?

24 DR. GOLDING: As a matter of fact, not  
25 only it now has been widely available, several vendors

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701