

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH (CBER)

+ + + + +

ALLERGENIC PRODUCTS ADVISORY COMMITTEE

+ + + + +

MONDAY

MARCH 5, 2001

+ + + + +

5547 01 MAR 21 4:43

The Advisory Committee met in Versailles I and II Rooms in the Holiday Inn Bethesda, 8120 Wisconsin Avenue, Bethesda, Maryland, at 8:30 a.m., Dennis R. Ownby, Chairman, presiding.

PRESENT:

- | | |
|-----------------------------|---------------------|
| DENNIS R. OWNBY, M.D. | Chairman |
| HENRY N. CLAMAN, M.D. | Member |
| SAMUEL B. LEHRER, Ph.D. | Member |
| DOLORES C. LIBERA | Member |
| ANDREW SAXON, M.D. | Member |
| MARIA C. SOTO-AGUILAR, M.D. | Member |
| DALE T. UMETSU, M.D., Ph.D. | Member |
| WILLIAM FREAS, Ph.D. | Executive Secretary |

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

OPEN

ORIGINAL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

I-N-D-E-X

Page

Opening Remarks 8
 Dennis R. Ownby, Chairman

REPORTS:

Laboratory of Immunobiochemistry 9
 Personnel Update, Jay E. Slater, M.D.

Lot Release Statistics 12

New Guidance Documents 15

Research Update 17

Cockroach Standardization 44

Compliance Report, Mary Anne Malarkey 77

REGULATORY UPDATES:

TSE as it affects allergens, Jay E. Slater . . . 91

Committee Discussion 127

Open Public Hearing 142

Statistical Power of Clinical Studies 143

Committee Discussion 158

Particulates in Allergen Extracts 179

Invited Comments from APMA 192

Open Public Hearing 236

Committee Discussion 236

ADJOURN 243

P-R-O-C-E-E-D-I-N-G-S

(8:34 a.m.)

DR. FREAS: Good morning. I would like to welcome everybody here to this, our 17th meeting of the Allergenic Products Advisory Committee.

At this time I normally would go around and introduce the participants on the Advisory Committee. However, those of you who were following the weather over the last few days know that those who are here are not really participants. They are heroes and heroines. So I would like to go around and introduce them, because we sure are indebted to them for braving the weather predictions and arriving here on time.

In the first seat -- I'm going to be starting around the edge of the table -- we have our consumer representative, Ms. Delores Libera, Director of Publications, Allergy and Asthma Networks, and Mothers of Asthmatics, Inc., from Fairfax, Virginia.

In the next seat is Dr. Andrew Saxon, Professor of Medicine, UCLA School of Medicine.

Coming around the table in front of the podium is Dr. Dale Umetsu, Chief, Division of Rheumatology and Clinical Immunology, Stanford University.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next we have Dr. Maria Soto-Aguilar,
2 rheumatologist in private practice in Hudson, Florida.

3 Next we have our Chairman, Dr. Dennis
4 Ownby, Professor of Pediatrics, Medical College of
5 Georgia.

6 Next at the corner of the table we have
7 Dr. Henry Claman, Distinguished Professor of Medicine
8 and Immunology, University of Colorado Health Sciences
9 Center.

10 We have two FDA participants that are
11 joining us, and they are Ms. Jennifer Bridgewater,
12 actually at the end of the table, who is our consumer
13 safety officer for FDA; and we have Dr. Jay Slater who
14 is Chief, Laboratory of Immunology, again at FDA.

15 I would now like to read into the official
16 record the conflict of interest statement for this
17 meeting.

18 The following announcement addresses the
19 conflict of interest issues associated with this
20 meeting of the Allergenic Products Advisory Committee
21 on March 5, 2001.

22 To determine if any conflicts of interest
23 existed, the agency reviewed and submitted the agenda
24 and all relevant financial interests reported by
25 meeting participants. As a result of this review, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 following disclosures are being made related to the
2 discussions to be held today.

3 Doctors Gruchalla, Ownby and Saxon have
4 associations with firms that could or appear to be
5 affected by committee discussions. However, in
6 accordance with 18 U.S. Code and Section 2635.502 of
7 the Standards of Conduct, it has been determined that
8 none of these associations is sufficient to warrant
9 the need for a waiver, a written appearance
10 determination or an exclusion.

11 In the event that the discussions involve
12 other products or firms not already on the agenda for
13 which FDA participants have a financial interest, the
14 participants are aware of the need to exclude
15 themselves from these discussions, and their exclusion
16 will be noted for the public record.

17 With respect to all other meeting
18 participants, we ask, in the interest of fairness,
19 that you state your name, affiliation, and any current
20 or previous financial involvement with any firm whose
21 product you may wish to comment upon.

22 So ends the reading of the conflict of
23 interest statement. At this time, the Director of the
24 Office of Vaccines at FDA would like to come and make
25 a few announcements, Dr. Karen Midthune.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Oh, we have just been joined by Dr.
2 >Samuel Lehrer, who is the Research Professor of
3 Medicine, Tulane University Medical Center, and he, I
4 know firsthand, had a lot of trouble getting here. So
5 we really are appreciative that he made it. Thank you
6 very much.

7 Dr. Midthune.

8 DR. MIDTHUNE: Good morning, and thank you
9 for braving the predictions of bad weather.

10 I would like to take this opportunity to
11 mention that we have three exceptional members of our
12 Advisory Committee whose terms ends this coming
13 August. Since we don't at this time plan to have
14 another meeting between now and August that would be
15 a face to face meeting, we would like to take this
16 opportunity to express FDA's gratitude for the
17 dedication and service that these members have
18 provided to the Advisory Committee.

19 Of course, it's our hope that we will
20 continue to be able to work with these individuals of
21 consultants to the Center in the future. Would
22 Doctors Henry Claman, Andrew Saxon and Dennis Ownby
23 please come to the podium.

24 First off, we are very thankful to Doctors
25 Claman and Saxon for their four years of service on

1 the Advisory Committee. During that time, they
2 provided us with meaningful and insightful advice
3 regarding our research program, our grass
4 standardization program, and also recommendations for
5 standardization of other antigens. They also provided
6 strong support for FDA to maintain its standards and
7 distribution program.

8 You also provided us with advice on
9 expanding our lot release limits and assisted us with
10 implementing our proposed algorithm for the
11 standardization of new antigens. As a token of our
12 appreciation, we've prepared some special plaques
13 commemorating your service to FDA.

14 First, Dr. Saxon.

15 DR. SAXON: Thank you very much.

16 DR. MIDTHUNE: First, I would like to read
17 the letter that Dr. Linda Sudaym, Senior Associate
18 Commissioner, has written:

19 "Dear Dr. Saxon: I would like to express
20 my deepest appreciation for your efforts and guidance
21 during your term as a member of the Allergenic
22 Products Advisory Committee. The success of this
23 committee's work reinforces our conviction that
24 responsible regulation of consumer products depends
25 greatly on the participation and advice of the entire

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 health community.

2 "In recognition of your distinguished
3 service to the Food and Drug Administration, I am
4 pleased to present you with the enclosed certificate.
5 Sincerely yours, Linda Sudaym, Senior Associate
6 Commissioner."

7 DR. SLATER: Thank you very much.

8 DR. MIDTHUNE: And now Dr. Claman. I
9 won't read the letter again, but Dr. Claman, of
10 course, got a similar letter from Dr. Linda Sudaym,
11 and here's the plaque.

12 Now for Dr. Ownby, in addition to your
13 service as a committee member, we are especially
14 thankful to you for your willingness to serve as the
15 Committee Chair and lead us through deliberations from
16 1998 through the present. Thank you very much for
17 your willingness to perform this public service and
18 for working so closely with us over the years. Thank
19 you very much.

20 (Applause.)

21 CHAIRMAN OWNBY: Now it's my turn to say
22 something. I would like to welcome you all here today
23 to these deliberations. We have a somewhat full
24 agenda today, although I think we should be able to
25 get through it without too much trouble, and we will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 try to keep everything on time.

2 I believe our first report is going to be
3 from Dr. Jay Slater, who is going to give us a review
4 of what the laboratory of Immunochemistry has been
5 doing.

6 DR. SLATER: Thank you, Dr. Ownby. Again,
7 I want to thank everybody for coming out, in spite of
8 the threats of bad weather.

9 The Laboratory of Immunobiochemistry had
10 a very good year this year. It's been a very busy
11 year, especially over the last several months. What
12 I would do at this point is just review what our
13 staffing is, and many of the staff members are here in
14 the audience, and I am going to ask them to stand when
15 I mention their names.

16 I am Jay Slater. I'm already standing.
17 I've been here for two and a half years as the Lab
18 Chief. Dr. Lyudmila Soldatova -- please stand -- is
19 a visiting scientist. She has been with the Lab for
20 three and a half years, and we are going to be talking
21 more about her work with bee venom and Hyaluronidase
22 mutants later on.

23 Melissa Patterson is our Senior Biologist.
24 She has been with us for a year and a half. She has
25 been very busy with cockroach studies over the last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 six months, and in addition, during a fairly lengthy
2 period that we were pretty short staffed, she pretty
3 much single handedly kept up the regulatory functions
4 of the Laboratory.

5 Mona Febus is a microbiologist who has
6 been with us for a year. She came from a different
7 laboratory and has been learning our regulatory
8 techniques very nicely, and really contributing
9 actively to the Lab activities.

10 William J., or Jonny, Finlay is one of the
11 newest additions to our laboratory. He is a post-
12 doctoral fellow from Ireland, and he is going to be
13 doing some further work with cockroaches that I'll
14 make reference to later on. He came with money that
15 we obtained from the Asthma Initiative last year
16 through the ERDA Fellowship Program.

17 Marc Alston and Cherry Valerio are our two
18 newest biologists. They are standing back there.
19 Marc and Cherry just joined us a very short time ago,
20 and actually in the short time that they have been
21 with us, they have really picked up the protocols
22 very, very quickly.

23 They are actively involved in our
24 regulatory functions, and they are -- to my great
25 excitement, they are just getting very much into the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 research activities as well. So we are looking
2 forward to them being major contributors to the
3 Laboratory.

4 Al Gam was a happy inheritance that we
5 obtained from another laboratory. Al is a very
6 experienced biologist in the Division, and actually
7 was closely involved with our laboratory during a
8 period about three or four years ago, helping to get
9 some of our assays into somewhat better shape. Al is
10 joining us, and will be involved with several special
11 projects over the next several years.

12 Finally, Ron Rabin indeed is our new
13 Senior Staff Fellow. He literally started one week
14 ago today with our lab. He comes from NIH, and will
15 be doing both clinical reviews, medical activities
16 and, in addition, is setting up his laboratory to
17 continue some of the very exciting lymphocyte research
18 that he has been doing as a part of his work at NIH
19 for the past several years.

20 Beth Cardinale is working part-time with
21 us. She is a biologist who has been working mostly on
22 the Hev b 5 mutation studies.

23 Gerry Poley is a physician at Children's
24 Hospital who has been a Guest Worker with us doing
25 research a day and a half a week, and Li Shan Hsieh,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Ph.D., used to work in the lab full time. She is now
2 at CDER, but she's been continuing some of her
3 projects in the lab part-time.

4 This is a graph that I started showing two
5 years ago, and this is a graph of the biologist
6 staffing from 1998 to 2001. You can see here that we
7 are at a very happy state of really being, I think, at
8 a full complement in terms of our biologist staffing.

9 Just to note again that we went through
10 several months this year, from August until just last
11 month, of being fairly low in terms of our staffing,
12 and this was a period in which Melissa and Mona really
13 bore the full brunt of the regulatory activities on
14 their shoulders, and I think they did a really, really
15 good job, and we really didn't miss a beat during that
16 period.

17 Among the routine regulatory activities
18 that we are involved in, many of them involved
19 maintaining the U.S. references -- the U.S. standards
20 of potency. Our laboratory develops references, and
21 we will be talking about that with reference to
22 cockroaches shortly.

23 We also distribute the reference standards
24 to all of the manufacturers. I just want to show you
25 the volume that we do distribute. In 1999 we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distributed 1983 vials in 104 shipments sent to our
2 allergen manufacturers.

3 That volume has increased. In the year
4 2000 we sent our nearly 2400 vials in 146 shipments
5 sent to manufacturers. So the trend at least over the
6 last couple of years is we are already sending out
7 quite a large number of shipments and vials, and that
8 has gone up.

9 We are involved in reference maintenance,
10 and this is actually a very time consuming activity.
11 We check all of our references every six months for
12 potency and for appearance on SDS-PAGE, and we are
13 involved in replacing those references that are either
14 running out because of expiry or just not having
15 enough reagent to continue.

16 The reference replacement procedure is one
17 that I've shown you before. We identify the
18 references to replace. We select good candidates from
19 recent submissions from the manufacturers. We do
20 initial testing.

21 I think I sort of give short shrift to
22 this initial testing. We do a lot of initial testing
23 of these products at this point. Then we select a
24 provisional reference replacement.

25 We send it out to all of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 manufacturers, and ask them to add to our body of
2 information about reference replacement. Then we
3 review the results. We either confirm our original
4 selection or we go back to step 2, depending on the
5 data that the manufacturers sent us.

6 In the year 2000 we replaced four
7 extracts: sweet vernal, a dust mite serum, an anti
8 Amb a 1 serum, and a dust mite extract. We sent three
9 out of four of these out to the manufacturers for
10 testing. For the sweet vernal, three manufacturers
11 participated by sending us back data. For the anti
12 Amb a 1 five did, and for the dust mite four did.

13 This is a comparison of the relative
14 potencies of the new and old extracts of those that we
15 have replaced, actually a slightly longer period,
16 since October of 1999, and you can basically see that
17 the potencies have really been maintained fairly
18 tightly, which is what we are looking for.

19 It's certainly very disruptive to the
20 manufacturers when we change references, and they are
21 not really right on target as to the previous ones.
22 So we do invest quite a bit of effort to try to avoid
23 that from going on.

24 We are going to be replacing more
25 references in the year 2001. On the basis of volume

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 remaining, we absolutely have to replace these two,
2 the Anti-Fel d 1 serum and the cat hair.

3 On the basis of the nominal expiry date,
4 we have another six extracts that we should be
5 replacing and, hopefully, we will be able to replace
6 all of these over the course of the next year.

7 We are trying to escape from the cycle of
8 having to replace references on an ongoing and
9 frequent basis by lyophilizing the extracts, as I
10 reported to you two years ago, and last year we have
11 started a study in which we are lyophilizing a portion
12 of all of our extracts, and we are going to be
13 following their stability performance over a period of
14 several years, comparing it to the extracts that we
15 have available, and I will be showing you some of that
16 data in the next lecture.

17 Before we get on to the presentation about
18 the research activities, I just wanted to very briefly
19 note that two guidance documents that you have seen as
20 an Advisory Committee in their pre-draft stages and in
21 their draft stages actually came out this year.

22 I included those in your packet for you to
23 see them. I did not intend for there to be a lengthy
24 discussion of them, but I do want to note that we do
25 actually come out with guidance documents, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 good work that the Committee does to help us out does
2 actually come to fruition.

3 This is the document that we presented to
4 you two years ago regarding the revision of the way we
5 handle lot release for grass and mite allergen
6 extracts in which we tested a slightly broader
7 interval than the manufacturers actually test. That
8 came out in November 2000.

9 In fact, at the same electronic moment on
10 the Web, this other guidance document came out. This
11 is a document on the stability protocols for
12 standardized grass pollen extracts. This is the
13 document that introduced the concept into those
14 stability studies of using the Bonferroni adjustment
15 in order to correct for the errors that can be built
16 into multiply looking at the same extract over a
17 period of a stability protocol.

18 Those two both came out in November 2002,
19 and are now being used as our guidance documents for
20 these kinds of features of our program.

21 That ends the sort of introductory part of
22 our presentation. My next presentation will be the
23 research review, and the next presentation after that
24 will be the cockroach standardization studies that we
25 have done. But first I would be happy to answer any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questions that anyone may have on what I've said so
2 far.

3 DR. SAXON: Jay, when you replaced like
4 the cat Anti-Fel d 1 serum, how do you do that?

5 DR. SLATER: The Anti-Fel d 1 serum is a
6 sheep anti-serum.

7 DR. SAXON: Oh, it's not human.

8 DR. SLATER: It's not human. That's
9 right. The first thing you do is you obtain a good
10 preparation of Fel d 1. The second thing you do is
11 you find a sheep, and you immunize it, and then having
12 identified an adequate titer, you plasmapherese the
13 animal.

14 DR. SAXON: It's sheep. That wasn't clear
15 to me, because if it was human, I was wondering where
16 -- You don't go get one of the sheep from Britain,
17 though.

18 DR. SLATER: I'm sorry?

19 DR. SAXON: It's another biologic issue we
20 don't want to discuss, hoof and mouth disease. But,
21 okay, I got it. So you just make it sheep.

22 DR. SLATER: Yes. Any other questions?
23 Shall I proceed?

24 It's been a very busy year in terms of
25 research in the laboratory. Our drop in the number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 biologists and the census of biologists between August
2 and December did have an effect, though, on some of
3 our research productivity during that period. In
4 spite of that, I hope you will agree that we've really
5 accomplished quite a bit this year.

6 I have very good, good hopes for next
7 year. This is scheduled to be a very brief
8 presentation. It's really going to be bullets from
9 the research program.

10 This is a slide that those of you that
11 have been to these meetings before have seen. This is
12 the basic outline of what we are trying to do, the
13 questions that we think it is of validity for a
14 laboratory in our position to attempt to answer and to
15 attempt to address.

16 The first broad area of interest that we
17 have is the issue of allergen structure and function,
18 and the second broad area of interest that we have is
19 the area of immunomodulation. Everything that we do
20 in the laboratory addresses these two broad areas.

21 What I am going to do later on at the end
22 of this particular sub-presentation is I am going to
23 show you the specific regulatory purpose of each of
24 those, just so you can have an idea of where we see
25 our research fitting into the regulatory mission of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 the laboratory.

2 So the first issue of allergen structure
3 and function is the stability of lyophilized
4 references, and this is something I referred to at the
5 end of the first presentation.

6 This summarizes a lot of data, and the
7 basic message I want you to get from this is that,
8 when we lyophilize the references that we have
9 lyophilized so far -- and that's *D. pteronyssinus*, *D.*
10 *farinae*, red top, meadow fescue, and Bermuda grass,
11 and we have also done cat but that's in a different
12 slide -- when we look at it immediately and when we
13 compare the glycerinated product with lyophilized
14 product or with lyophilized product in the presence of
15 mannitol, there is really no significant effect on the
16 relative potency of these products. These are
17 potencies that are measured compared to the current
18 standard.

19 Reassuringly enough, when we come back at
20 four months, in some cases at eight months or ten
21 months, or even 14 months, we continue to see no
22 significant difference in terms of the overall potency
23 nor do we see any consistent trends over the period of
24 time that we have observed.

25 Yes, Dr. Lehrer?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEHRER: I wondered -- It's something
2 that hasn't been addressed generally with lyophilized
3 materials, but it's been our experience that sometimes
4 there are solubility issues after lyophilization,
5 although I think you addressed that in that you showed
6 you change in activity, which I would expect if there
7 were solubility problems. But I wondered, just
8 visually, do you see any differences?

9 DR. SLATER: Yes. I'm actually glad you
10 brought that up, because I probably wouldn't have in
11 this presentation.

12 In terms of the -- Let me just go over
13 this and view it another way. One of the reasons to
14 add mannitol to the lyophilized product is a bulking
15 agent. There's a fear that if you have a very small
16 amount of protein, you might lose it in some deep,
17 dark corner of the vial, and mannitol prevents that
18 from happening.

19 In fact, we have had significant problems
20 with resolubilizing the mannitol containing vials, but
21 we've had no problems at all resolubilizing the vials
22 in which the product was lyophilized just in water.

23 So far, with the ones that we have done,
24 we have not had a problem with resolubilizing just the
25 lyophilized product.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEHRER: How and for how long are the
2 lyophilized materials stored?

3 DR. SLATER: We've been storing our
4 lyophilized materials at minus 20, and we only started
5 this project a little under a year and a half ago. So
6 the longest that we have is -- The longest data point
7 we have is 14 months, but we are going to be carrying
8 this out for years.

9 DR. LEHRER: Are they stored under
10 desiccation?

11 DR. SLATER: The vials themselves are
12 packaged in a vacuum, and the vacuum -- One of the
13 features is, is the vacuum maintained over the period
14 of storage. But, yes, they are supposed to be. But,
15 no, the vials themselves are not desiccated. They are
16 not in desiccator containers.

17 DR. UMETSU: Jay, what do these numbers
18 represent?

19 DR. SLATER: These numbers are relative
20 potency numbers. So a potency that is equal to the
21 reference standard would be 1.0. However, due to the
22 standard deviation of the test itself, anything
23 between .7 and 1.4 is statistically indistinguishable
24 from 1. So that range would bring your attention down
25 to this vial here, which has a potency of 0.59, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that actually was a concern to me.

2 If you notice, this is the meadow fescue
3 that was lyophilized in the presence of mannitol. So
4 I was very interested in what the follow-up potencies
5 were going to be on that. As you can see, they fell
6 right back into line at 8 and 14 months.

7 It makes you wonder whether, when this
8 study was originally done, there was a problem getting
9 the whole thing back into the solution.

10 DR. UMETSU: And are there standard
11 deviations for each one?

12 DR. SLATER: Yes, there are. I didn't put
13 them on this, because I wanted to -- I actually
14 originally had this sort of separated out into the
15 whole -- into each extract preparation individually.

16 The standard deviation for the test,
17 regardless of the allergen that is being tested, is
18 0.1375, but that's in log space. So the 95 percent
19 confidence intervals tend to range about plus or minus
20 .25 or something like that.

21 DR. UMETSU: Because I notice, for
22 example, in the second line at four months it goes
23 from .71 to 1.4.

24 DR. SLATER: Yes. Now in fact, you know,
25 with this particular test, these two numbers just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about bracket one, one on the low end and one on the
2 high end.

3 CHAIRMAN OWNBY: Jay, these are all ELISA
4 inhibition potencies?

5 DR. SLATER: These are all competition
6 ELISA potency tests.

7 CHAIRMAN OWNBY: And this is -- Again,
8 it's a human pool or an animal?

9 DR. SLATER: This is with human pooled
10 sera. Now the cat pelt extracts that we lyophilized,
11 we test for Fel d 1, again this time using a sheep
12 anti-serum and the radioimmunoassay, and we
13 have data from one month, four months, eight months
14 and 15 months.

15 You can see again that the Fel d 1 content
16 of the two different lyophilized preparations are
17 certainly in range compared to the glycerinated
18 product itself.

19 We also ran SDS-PAGE gels on all of these.
20 I am not going to show all of the SDS-PAGE gels,
21 simply to point out that in general we see a pattern
22 somewhat similar to this. This is with the red top
23 pollen extract at one month and at eight months. This
24 is glycerinated, lyophilized, and lyophilized in the
25 presence of mannitol, lyophilized glycerinated,

1 lyophilized and lyophilized in the presence of
2 mannitol.

3 Almost invariably, what we see is that the
4 major appearance, the overall appearance looks
5 absolutely identical, and there certainly have been no
6 differences in any bands that we could identify as
7 major or important allergens. But in two or three of
8 the extracts we have the kind of pattern that we see
9 here where there appears to be fairly small and subtle
10 bands that are present in the glycerinated product.
11 In other words, the product had never been through the
12 lyophilization, resolubilization process.

13 That seemed to disappear right up front.
14 So it's not a stability issue. This is an issue of
15 how it handles lyophilization. We have this in two or
16 three of our other extracts as well, where there will
17 be usually one small band of uncertain importance that
18 appears to disappear in the lyophilized resolubilized
19 product.

20 Again, we will be following this, and
21 certainly this is one of the things that's going to
22 come into our thinking before we decide to adopt
23 lyophilization.

24 CHAIRMAN OWNBY: Jay, relative to a band
25 like that, if you just did a straight aqueous extract

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 without the glycerol, does that band stay there or
2 not?

3 DR. SLATER: I don't know the answer.

4 The next area of interest within the
5 general topic of allergen structure and function is
6 the question of glycosylation of allergens. Dr.
7 Soldatova has been actively pursuing her studies with
8 bee venom hyaluronidase. She has four mutants at
9 putative glycolation sites that she has been
10 expressing. She also has mutants at active site
11 areas, and her studies really are continuing and going
12 forward quite nicely.

13 In addition, we are pursuing cockroach
14 studies that I am going to discuss in great detail in
15 the next talk, and those are really aimed toward the
16 establishment of the U.S. standard, but in addition --
17 and this is the work that I have Jonny Finlay working
18 on -- we are interested in trying to amplify on the
19 work that's been done by other labs in terms of the
20 identification of significant allergens, in view of
21 the fact that most of the current data are based on
22 seroprevalence studies.

23 Although seroprevalence studies have great
24 strength, they also have some weaknesses as well.
25 Furthermore, I am interested in pursuing with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cockroach allergens a comparison of native and
2 recombinant products, again thinking that down the
3 line we are going to have to face the regulation of
4 recombinant allergens, just as CBER is involved in the
5 regulation of other recombinant proteins.

6 Jonny is currently working on generating
7 an IgE-specific Fab library, a combinatorial library.
8 He has actually just started on working on that, but
9 our plan is to try to develop that library from
10 several individuals who have demonstrated cockroach
11 allergy and to use this library as a tool for several
12 subsequent studies that we think will be very
13 important for us.

14 One is for the affinity purification of
15 native allergens. As you know, several cockroach
16 allergens are available now in recombinant form, but
17 the purification of native allergens can be difficult,
18 and we would like to attempt at least using this IgE-
19 specific library to do some affinity purification of
20 native allergens.

21 We would also like to use the library to
22 help identify important allergens. Although there are
23 quantitative problems with using this kind of library
24 toward that end, we would like to try to identify
25 which allergens are important in these individuals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We certainly should be able to help
2 identify important epitopes, and most importantly, we
3 would like to use what we learn from these to compare
4 the immunogenicity and allergenicity of native and
5 recombinant cockroach products. This is a project
6 that has literally just gotten off the ground in the
7 last two weeks, and I'm hoping next year to have much
8 more to tell you about it.

9 Last year I introduced -- Two years ago I
10 introduced our interest in using physicochemical
11 methods to identify and characterize allergens. In
12 particular, we were going to focus on MALDI-TOF, and
13 MALDI-TOF, as those of you that have been at this
14 meeting before heard, is a matrix assisted laser
15 desorption/ionization time-of-flight mass spec.

16 MALDI-TOF is a technique that can give
17 very precise prints, very precise profiles, of protein
18 mixtures. The protein mixture is embedded in a matrix
19 on the sample plate. The matrix and the protein
20 mixture are bombarded with laser energy that vaporizes
21 the protein and the matrix.

22 The protein molecules are drawn into a
23 tube by an electric field, and the particles then fly
24 down the tube in free flight, down the tube toward a
25 detector. The time of flight through the flight tube

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is a direction function of molecular mass and an
2 inverse function of the ionic charge.

3 So by measuring the time of flight from
4 ionization to the detector, we can determine the mass/
5 charge ratio with great accuracy.

6 Last year what I showed you were several
7 MALDI-TOF profiles of bee venom allergens, and the
8 lesson that we learned from the bee venom allergens is
9 that we probably could make a pretty good profile of
10 the bee venom allergens.

11 I was able to show you several different
12 proteins that were supposed to be present in one venom
13 and not the other, and you could clearly see there
14 the profile was rather different.

15 This year we looked at ragweed extracts,
16 and we showed once again that you could see Amb a 1
17 pretty clearly here in the SDS-PAGE, and this is a
18 representative MALDI-TOF of several ragweed MALDIs
19 that we did. You can see very clearly that there is
20 a peak here.

21 You can also see that there are several
22 other peaks at lower molecular weights, as you can on
23 the SDS-PAGE. But we get a very good degree of
24 precision here, and we are very optimistic about what
25 we will continue to find as we look at some of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 extracts.

2 So far, we know that we can do this with
3 venoms. We think we can do it with ragweed as well,
4 and we've had quite a bit of difficulty with cat,
5 which was our next target, and we have really,
6 surprisingly, failed to detect Fel d 1 in MALDIs, and
7 we think that we may need to do some further
8 purifications prior to MALDI mass spec analysis with
9 cat, but we will be continuing our studies with cat,
10 and we will be extending our studies to some of the
11 pollens as well.

12 Again, our objective here is to try to
13 develop some non-immunologically based, some
14 physicochemical methods that are highly reproducible
15 to profile the allergens that we are charged with
16 regulating.

17 Under immunomodulation, I presented last
18 year some of our LPS work, some of our epitope work.
19 I am actually going to pause for a moment to talk
20 about Ron Rabin's work.

21 Now Ron Rabin, as I said, just joined the
22 lab a week ago, and he's been involved in important
23 issues such as getting his computers hooked up and
24 getting the phone lines hooked up, and all of these
25 things take a great deal of time. But when he starts

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 doing his research, which, hopefully, will be very
2 soon, Ron's work has been to look at the role of the
3 chemokine receptors, CXCR-3 and its ligands in
4 secondary lymphoid tissue and in Th-1, Th-2 cd4 T-cell
5 polarization.

6 He is preparing a manuscript to describe
7 the work that he's been doing over the last several
8 years at NIH, and he is going to continue that work,
9 and his work is really very exciting, as far as our
10 lab is concerned because, first of all, it's
11 integrally related to the immunomodulation work that
12 we do, and yet will really add a completely new
13 dimension to our capabilities and to our expertise.
14 I'm really very happy that he is going to be working
15 with us.

16 The LPS and the allergen response, you
17 will remember, is a project that I became involved in
18 when I was still at Children's, and it really has
19 continued here, largely because the results have been
20 so interesting, but also because, while I was
21 interested in LPS for one reason while I was at
22 Children's, I'm now interested in another reason being
23 here at FDA.

24 We know that several allergen extracts,
25 most notably house dust extract but many others, have

1 endotoxin in them, and one of the questions that
2 really needs to be answered is what is the
3 immunomodulatory role of those components, if any.

4 We know that LPS is ubiquitous. We know
5 that it elicits broad immunologic effects in mice and
6 in humans. It has some adjuvant activity. It can
7 accentuate both Th1 and Th2 responses.

8 I won't go through all the data that I
9 presented last year. What we've done this year is we
10 have expanded the study to look at the anatomic
11 specificity of the effects that we have observed. So
12 we studied -- and this was a fairly large and lengthy
13 study in which we looked at four groups of mice that
14 were all given antigen and LPS. So there was no
15 negative control group in this group.

16 They were all given allergen and LPS. One
17 group was given both of them by the nasal route.
18 Another group was given antigen by the tracheal route
19 and LPS by the nasal route. Another group was given
20 antigen nasally and LPS tracheally, and finally group
21 four received both of them tracheally.

22 Obviously, they were immunized on a
23 specific regimen. There were several bleeds. We did
24 plethysmography on the mice, and we harvested their
25 organs at the end of the study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm only going to show the really
2 interesting result, and the really interesting result
3 is the plethysmographic data. Plethysmography, for
4 those of you that haven't done it, can be done on mice
5 now by a noninvasive technique. You don't have to
6 cannulate the mice and intubate the mice. You can
7 actually just put them in a chamber.

8 What you get out of that is a parameter
9 called penh, which is the enhanced pause in their
10 expiration curve. By measuring their enhanced pause,
11 you can get a good measure of what their degree of
12 bronchospasm is. So the higher the penh, the more the
13 bronchospasm.

14 These were mice that had completed their
15 immunization schedule several weeks before. At time
16 zero they were each given an injection intraperitoneally
17 of ovalbumin, and then they were put into the box and
18 measured for many hours. This is a six and a half
19 experiment.

20 All of the mice did very, very well up
21 until just about two hours, and at two hours one group
22 of mice, the group 4 mice that received both allergen
23 and LPS by the tracheal route, began to have some
24 moderate amounts of bronchospasm that worsened over
25 the next half-hour, and then plateaued and remained so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 until they were taken out of the box and put back into
2 their cages.

3 Now all of the mice recovered, and you can
4 see here that the mice in groups 2 and 3 had some
5 slight bronchospasm that developed later, but the
6 bronchospasm that appeared in group 4 was really
7 significantly different from the other mice,
8 especially from that interval of about 120 to 150
9 minutes out.

10 Now the other data were also collected,
11 antibody, spleen cell proliferation, all those things.
12 They weren't different in any of the groups. In other
13 words, all of these groups received antigen and LPS.
14 They all had indistinguishable immune responses
15 otherwise except for this, and we are going to be
16 expanding on these studies this year.

17 DR. CLAMAN: Do you have any idea what the
18 responsible immunoglobulin isotype might be, assuming
19 that it is antibody mediated?

20 DR. SLATER: We found no differences in
21 the isotype profiles among the four groups. So the
22 answer is no. That doesn't mean that there isn't a
23 difference, but we looked at IgE. We looked at IgG-1,
24 IgG-2A, total IgG. We found no differences in those
25 groups that were measurable, but we were measuring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 serum antibody. There may have been local antibody
2 differences.

3 Beth Cardinale has continued her work on
4 the site directed mutagenesis of Hev b 5. There are
5 three areas based on our previous studies that we are
6 interested in studying in detail. She has
7 successfully obtained three mutants, one mutant at
8 each of these locations.

9 She has successfully expressed those
10 mutants. So we actually have three working mutant
11 proteins of Hev b 5 that we are going to be continuing
12 studies with.

13 During the past several months we have
14 expanded on our collaboration with Robyn O'Hehir's
15 group in Melbourne, Australia. We started
16 collaborating with Robyn over two years ago with our
17 studies with Hev b 5, and we have actually published
18 two papers with that group together. So it's been a
19 very productive collaboration so far.

20 We are now going to expand it around these
21 Hev b 5 mutants. Robyn is going to be splicing our
22 mutants into her expression vector, which is a His-tag
23 vector, and she is going to be expressing them, and we
24 are going to perform the following antibody studies in
25 parallel.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We have a series of human antisera to Hev
2 b 5, a series of mouse monoclonal antibodies to Hev b
3 5, some rabbit hyperimmune sera that we obtained from
4 Don Beezhold, and Robyn especially will be doing some
5 T-cell stimulation studies on the individuals that she
6 has recruited in Melbourne to study with Hev b 5
7 allergy.

8 Well, let me summarize now quickly and
9 just go back over each of the items and tell you what
10 the specific regulatory part of -- role in the program
11 is.

12 Our studies on the stability of lyophilized
13 extracts, obviously, is tightly bound to our ability
14 to maintain a U.S. reference standard in a timely
15 manner.

16 Our glycosylation studies, both of the bee
17 venom proteins and of the cockroach proteins, is very
18 closely involved in our guess that we are going to be
19 heavily involved in the regulation of recombinant
20 allergens over the next five to six years.

21 Our studies with MALDI-TOF and the
22 identification methods is part of our effort to
23 improve lot release for current and future products.

24 Our epitope studies are intended to help
25 open new immunotherapy options, and our LPS studies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are very important in terms of adjuvant safety and
2 efficacy.

3 We submitted five abstracts to the Academy
4 meeting that is going to be happening in March,
5 actually in two weeks, in New Orleans. Three of them
6 are being presented as posters, two of them as oral
7 presentations. We also have two other abstracts that
8 appeared in collaboration with other laboratories.

9 We have six publications from authors in
10 our laboratory in refereed journals from this past
11 year. Two of them that are bolded in top are
12 primarily from our laboratory. This is a paper by Dr.
13 Soldatova and the rest of us on the stability of dust
14 mite allergens in glycerinated extracts, which
15 appeared early in the year in the JACI, and a paper by
16 Rich Pastor and myself on statistical methods for
17 determining equivalent doses of standardized allergens
18 that appeared also early in the year in JACI.

19 These other four papers are the product of
20 collaborations, both with Dr. O'Hehir's group and with
21 other groups around the country.

22 We published three reviews this year, I
23 published an article with Jerry Poley in JACI on
24 latent allergy, and then Lyudmila and I published our
25 presentations at the last Paul Ehrlich Symposium as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 review articles in those proceedings.

2 Are there any questions about the research
3 program before I go on to cockroaches?

4 DR. SAXON: Jay, you used a phased display
5 library to do what?

6 DR. SLATER: To generate a combinatorial
7 IgE library. So the idea is to develop a library of
8 Fab --

9 DR. SAXON: IgE Fab?

10 DR. SLATER: Well, it's going to be --
11 Yes, that's the idea. There are some questions as to
12 whether you really can do that, but that's what --

13 DR. SAXON: It's been done twice.

14 DR. SLATER: Right.

15 DR. SAXON: One I know in Australia, and
16 I was very concerned when looking at the results with
17 those at what they may have picked up. They think
18 they have picked up -- Basically, they are all excited
19 about what they think are B 1 type antibodies from
20 humans, and I pointed out it looks to me what they
21 have picked up is carbohydrate reactivity and not the
22 clinically relevant antibodies. So it's very tricky.

23 DR. SLATER: Well, we are aware of the
24 trickiness of that.

25 DR. SAXON: Good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SLATER: And in fact, I think it's
2 from Robyn O'Hehir's group that that was done.

3 DR. SAXON: Well, it's a guy named Collins
4 in Sydney. He's not in Melbourne. But I just think
5 it's very tricky when you -- to find out when you're
6 talking about clinically relevant, because all of this
7 culture activity against carbohydrates, which you are
8 very, very aware of anyway --

9 DR. SLATER: I agree.

10 DR. SAXON: I'm sure you will knock it on
11 the head, but it's going to be tough.

12 DR. SOTO-AGUILAR: I have a question.

13 DR. SLATER: Yes?

14 DR. SOTO-AGUILAR: In the LPS study with
15 the mice, you said you harvested the lungs and the
16 spleen at the end of the study.

17 DR. SLATER: Yes.

18 DR. SOTO-AGUILAR: Did you see lymphocyte
19 infiltrating the lung or those particularly
20 synthesized with the trachea?

21 DR. SLATER: Yes. Yes, and actually, the
22 mice that received especially LPS through the trachea
23 had significantly more inflammatory responses when
24 their lungs were harvested. Mind you, the lungs were
25 harvested several weeks after the immunization

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 program. So these were chronic changes. These were
2 not acute changes.

3 The mice that received just antigen in the
4 lung with the LPS nasally did not have as much
5 inflammation as the two groups of mice that received
6 LPS in the lung.

7 DR. SOTO-AGUILAR: So was it a picture of
8 hypersensitivity pneumonitis?

9 DR. SLATER: No, it was not a picture of
10 hypersensitivity pneumonitis, but it might have been
11 burned out hypersensitivity pneumonitis. It might
12 have been early on that they had hypersensitivity
13 pneumonitis.

14 DR. SAXON: Jay, what is your feeling
15 about using LPS in a mouse? It's so different than
16 the human. When she brings an issue up like that,
17 people are getting concerned. I think it's pretty far
18 different. I mean, humans don't have the md-1
19 comolecule for the tol system, and they are just
20 different. We're not mice.

21 In many ways, we are, but not in the --
22 when it comes to the LPS, I'm not sure you are getting
23 a good look at human disease.

24 DR. SLATER: Well, I think you raise a
25 good point, if you were talking about a B-cell, T-cell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 response purely. Clearly, mice have a different B-
2 cell response in this, by a lot, to LPS from humans.
3 But if you look at -- If you look at the effect in
4 vivo in mice on the generation of IgE, this is not a
5 B-cell driven process. This is clearly a T-cell
6 driven process. It doesn't happen in athymic mice.
7 You can't do it. And so --

8 DR. SAXON: Yes, but that is probably for
9 another reason. I mean, all the works done by Honjo,
10 they take IL-4, LPS and pure B-cells, and they get
11 antibody. It does not happen in humans.

12 DR. SLATER: So if there were good reasons
13 to believe that the effect that we see with the
14 adjuvancy were a pure B-cell effect, your point would
15 be 100 percent correct. But there's actually a fair
16 amount of evidence that it's not, and --

17 DR. SAXON: Dave Peden does it in humans
18 and gets interesting results. I'm just not sure they
19 are the same system.

20 DR. SLATER: Yes. Dave Peden's study of
21 administering it nasally to humans was actually very
22 interesting, and actually correlated pretty neatly
23 with what we are doing.

24 DR. SAXON: I think as a model it's
25 interesting, because you get away from those questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of what's relevant in the human, because he does it in
2 the human.

3 DR. SLATER: Right. I agree with you up
4 to a point.

5 DR. LEHRER: Jay, not to kick a dead
6 horse, but I just want to touch upon lyophilization
7 again, because I have some real concerns about it,
8 just based on my own experience. I think one has to
9 be cautious when considering adopting that for storage
10 of standards.

11 Keep in mind that there can be variability
12 in lyophilized vials, too. For example, our
13 experience has been that, if you -- when you are
14 lyophilizing a large batch of material, if you have
15 any differential in temperature, and you might have
16 some slight thawing and then refreezing which can
17 occur, you will see the material looks very different.

18 You can have white, fluffy material as
19 opposed to brown, crusty material even apart of that,
20 and that material is very insoluble. So, clearly,
21 there can be significant changes. If this occurs,
22 then there can be subtle changes that one may not
23 necessarily pick up that could alter activity.

24 Lyophilized material picks up water very
25 readily. Maybe it's the high humidity in New Orleans,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but we've actually weighed out lyophilized material on
2 a scale and actually just seen it increasing pretty
3 rapidly.

4 Now I realize that's not going to be your
5 approach, but nevertheless, one should be careful in
6 terms of someone taking out a sample and then putting
7 it back, taking it out at room temperature and putting
8 it back in the refrigerator or freezer, because those
9 small differences can significant changes.

10 My last point is that, in my opinion,
11 lyophilized materials can vary with regard to their
12 solubility according to the source. I think that
13 highly soluble extracts from pollens and so on
14 generally will probably work well, but some of the
15 foods, for example, could be a big problem in terms of
16 solubility.

17 I know we've seen this, and I don't
18 remember what extracts they were. I just can't
19 remember at this point. But it's just a word of
20 caution, that if you are thinking of adopting this for
21 all types of allergenic preparations, you, I think,
22 should try a variety of them first before adopting
23 this.

24 DR. SLATER: Well, I don't think we are
25 going to adopt it for any one without having

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 demonstrated with that one particular allergen that it
2 works well, and I think everything that you've said is
3 something that we need to keep in mind as we go
4 forward. In addition, we probably are going to need
5 to replicate our results on multiple lots of the same
6 allergen, just to make sure we get the same kind of
7 consistency. I think every point you made is very
8 important, and we will look at it very carefully.

9 This is not a change that's going to
10 happen between now and the next Advisory Committee
11 meeting. This is something that's going to take a
12 while to generate enough data. But if we don't start
13 now, we're never going to generate the data.

14 DR. LEHRER: You're exactly right, and I
15 think that it just -- One of the reasons why I'm
16 making these remarks is because these are long term
17 experiments, and I think -- and I'm sure you are
18 cognizant of all of these issues. Then it won't be a
19 year from now or two years from now that you say, oh,
20 well, we should have tried this.

21 I think your point is well made that
22 probably it's going to work well for some extracts and
23 for others it may not.

24 DR. SLATE: And it may not be an option
25 for others. Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Cockroach: At last year's Advisory
2 Committee meeting, we talked at great length about how
3 we are going to go forward with standardizing new and
4 different allergens. We talked about some of the
5 standards that we were going to use, some of the
6 criteria we were going to use, and I proposed three
7 possible broad areas that we might standardize,
8 cockroach and two different molds.

9 There was a considerable amount of very
10 constructive concern by the Committee at that time
11 about how difficult, how inadvisable it might be to go
12 down the road of trying to standardize molds at this
13 point.

14 In contrast, there was enthusiasm about
15 trying to standardize cockroach allergens. So that's
16 what we have actually gone forward with, and this is
17 work that has gone on since the last Advisory
18 Committee meeting.

19 Now let's just review very quickly on the
20 standardization of new allergens how we choose new
21 allergens. What are the impact criteria that we are
22 looking for with a candidate allergen?

23 We want to make sure that, when we
24 standardize an allergen, that we at least have
25 somewhere a stable, preferably lyophilized material,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 if possible, for use as a long term reference extract.

2 We want to have a good idea of what the
3 consistency is of the currently marketed product. We
4 want to -- Ideally, if we have a choice between
5 products, we would like to standardize one that is in
6 widespread use, again just a matter of conserving your
7 resources. If you want to have as much impact as
8 possible, you want to standardize a product that is
9 currently in great use.

10 Take into consideration the number of
11 manufacturers producing a product. Take into
12 consideration whether it's used just for diagnostics
13 or whether it's used for diagnostics and
14 immunotherapy.

15 Finally, in a specific evaluation of a
16 specific product, what the public health impact is of
17 the correct diagnosis and/or of adequate treatment.

18 So this slide is a shameless attempt to
19 get into the record horrible pictures of cockroaches.
20 In fact, the cockroaches at the bottom are the
21 cockroaches that we will be concerned about, German,
22 American and Oriental cockroaches. But these
23 creatures, although they look like they are out of B-
24 movies, really are --

25 These are the Madagascar hissing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cockroaches, and they really are consuming a real
2 child-size piece of birthday cake here. These are big
3 things, and they actually had them at the Smithsonian
4 some years ago, and the workers would take them out
5 and put them on your shoulder. It was really a
6 wonderful event.

7 They are called the hissing cockroaches.
8 They do hiss, and if you want have fun at your
9 Website, go ahead in and search for Madagascar hissing
10 cockroaches. There's one site where, if you click a
11 real audio button, you can hear them hissing. I don't
12 suggest that if you have trouble sleeping at night.

13 Why is cockroach allergy important? Well,
14 cockroaches are ubiquitous. They are all over.
15 Wherever humans live, there are cockroaches. There
16 are sometimes cockroaches in smaller numbers, in
17 greater numbers, but they are there, and they are
18 extremely difficult to control.

19 If there is one convincing lesson that
20 those of us that have heard the presentations from
21 Peyton Eggleston and others, part of the inner city
22 asthma study, it is that it doesn't matter what
23 environmentally safe or environmentally toxic weapons
24 you throw at cockroaches, the cockroaches come out of
25 it. It seems just fine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It's very, very difficult to decrease the
2 exposure to cockroach allergens in the human
3 environment.

4 Thirdly, there are data, especially over
5 the past five or six years, that suggest that
6 cockroaches may, in fact, be connected to inner city
7 asthma.

8 Finally, due to the good work of several
9 laboratories, there appear to be several cloned
10 allergens for us to work with and for us to study.

11 So Phase I of the standardization approach
12 that I proposed last year is the laboratory phase, and
13 that's what I am going to report to you now on, in
14 which we develop or adapt preexisting methods for
15 allergen determination, compare the allergen content
16 of different lots.

17 In many cases, what we are doing here is
18 we are reproducing work that's already been done. Dr.
19 Lehrer did many of these studies several years ago,
20 but we needed to repeat them and to expand them for
21 the purposes of standardizing.

22 If the commercial products are highly
23 consistent -- in other words, if looking at what's
24 available commercially they all look pretty much
25 alike, then we may wish to reconsider the impact. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 may find that we want to go to other allergens to
2 standardize rather than to standardize these.

3 Likewise, if the commercial products are
4 all comparable to the best available material -- and
5 of course, best is in quotation marks, because that's
6 a loaded question -- then we may also wish to
7 reconsider impact.

8 In other words, if we want to get our
9 maximum impact for standardization, we would like to
10 standardize allergens that seem to be in trouble in
11 terms of either their consistency or their overall
12 potency.

13 So for our preliminary studies we obtained
14 multiple lots of cockroach from all of the
15 manufacturers. The idea was to characterize and
16 compare the extracts and to identify target allergens
17 that we might be interested in.

18 The immediate goals were to determine the
19 consistency of available U.S. products in terms of
20 protein content, specific allergen content, and
21 overall allergenicity and, hopefully, eventually to
22 determine the best lot release measures.

23 What do we use as our reference? You have
24 to pick a reference before you can start studies like
25 this, and the reference that you choose is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 necessarily going to be the reference that you end up
2 with. But it turns out that we had large quantities
3 of references that were cleverly named E2-Cg for
4 cockroach German and E2-Ca.

5 These were lyophilized extracts that CBER
6 had obtained many years ago from one of our
7 manufacturers, and the CBER lab had actually done
8 several studies on them many years ago. So we had a
9 body of data. We had a large quantity of lyophilized
10 product, and we thought we would start studying that.

11 Now before you ask the question, there was
12 an E1-Ca, and there was an E1-Cg. That was a
13 glycerinated product that we also studied and turned
14 out to be less potent than the E1-Ca and Cg. You will
15 be seeing E1 in some of the other studies, but it's
16 not the standard that we used.

17 Very attractive about E1-Ca and Cg is that
18 we even had limited skin test data on them from
19 studies that were done at several centers. Let me
20 talk about that.

21 You are all familiar with the ID₅₀ method
22 of determining the potency of a new allergen. This is
23 basically a method in which serial dilution
24 intradermal skin tests are done on a highly sensitive
25 population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The orthogonal diameters of the erythema
2 responses are measured for each of the dilutions.
3 Some of the erythema responses are plotted against the
4 log of the allergen dose, and these lines in log space
5 are characterized by a slope and an intercept.

6 Now when you are doing the parallel line
7 bioassay study to compare to extracts, you actually
8 can measure the relative potency as a function of the
9 difference in the intercept. But when you have a new
10 allergen, an uncharacterized allergen, you use the
11 ID₅₀ method in which you determine the mean dose at
12 which a 50 millimeter response occurs, and you can
13 average those and then go backwards and determine what
14 the potency is.

15 Now when these initial studies were done
16 by Dr. Turkeltaub and colleagues many years ago in our
17 laboratory, they found that for several allergens the
18 model D50 was about 14. So allergens with a D50 of 14
19 were defined as having 100,000 BAU per ml. By
20 rearranging the numbers, you can actually calculate
21 the BAU per ml as $100,000 \times 3^{(D50-14)}$.

22 Now before I show you the clinical data,
23 these are very limited clinical data, and we are going
24 to actually give a talk later on that will give you an
25 idea of just how many study subjects you actually need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to do a statistically valid study, but based on the
2 limited data that we have, we can draw some limited
3 conclusions.

4 These are studies that were done between
5 1995 and 1998 in four different centers in the United
6 States. Some 20 to 25 individuals were recruited, and
7 out of them we only really got valid data on ten or 11
8 of them, depending on the extract.

9 To you look at the German cockroach
10 extract, there were 11 individuals on whom there was
11 valid data. Now these data are stratified in two
12 different ways. On line 1 of each of these two
13 tables, there is a sigma E of zero.

14 That means that all these individuals were
15 screened with a prick test, and that means that this
16 is all of the study subjects in whom valid data was
17 obtained without regard to what their initial level of
18 sensitivity is. In other words, all comers that came
19 to the study are included in line one.

20 In line 2, however, we apply a certain
21 exclusion standard. If your initial sigma E is not
22 above 50 millimeters, you are excluded. So this
23 second line would tend to be the more hypersensitive
24 individuals.

25 Nonetheless, if you look at the D50 that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was calculated for these groups, for the German
2 cockroach it's 11.2 for all comers, and for the more
3 allergic individuals it's slightly higher, say 11.9.
4 If you convert that into BAU/mL, you get something
5 between 4,000 and 9,000 BAU per mL.

6 Now look at the 95 percent confidence
7 interval. Okay. It's huge. Why is it huge? Well,
8 it's huge because n is very small. You need more
9 study subjects in this kind of study in order to get
10 a tighter interval. We are heading in that direction,
11 but we're not there yet.

12 What you can say is that this German
13 extract product is not super potent. This is not a
14 100,000 BAU per mL product or at least it doesn't seem
15 to be, based on these initial studies.

16 The American cockroach, likewise,
17 interestingly, whether we used all comers or whether
18 we excluded the bottom three individuals and only
19 looked at the seven most hypersensitive individuals,
20 we came out with essentially the same D50, again 16-
21 1700 BAU per mL.

22 So the take-home message from this is we
23 don't know a lot about these extracts, but we don't
24 think they are very hot.

25 We obtained cockroach extracts from all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the manufacturers. We obtained 26 extracts. Six of
2 them were aqueous. Twenty of them were glycerinated.
3 They are widely varied in their appearance. The three
4 on the left are aqueous extracts. The one on the
5 right is a glycerinated extract.

6 I show this really to show you the vast
7 difference in color and appearance. You won't be
8 surprised to learn that vial number 14 is a one to
9 1,000 aqueous extract, but I am also showing this as
10 a sort of a preamble to a talk that Jennifer
11 Bridgewater is going to be giving this afternoon.

12 This little darkness at the bottom here,
13 this orange color, is not a photograph artifact nor is
14 the cloudiness in this extract. These extracts both
15 have precipitates in them, which was a fairly common
16 finding among our cockroach extracts, the aqueous
17 cockroach extracts in our study.

18 Interestingly, even though this
19 glycerinated material looks much darker and richer and
20 you could easily imagine a lot more cockroach stuff in
21 this, this was completely in solution. There was no
22 precipitate in this one.

23 We looked at all of these extracts using
24 various protein assays, SDS-PAGE, various kinds of
25 ELISAs and Western Blots. So here's the protein

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 concentration data using the ninhydrin method.

2 The bottom line on this is that the
3 concentration of the glycerinated American cockroach
4 extracts was about 9 milligrams per mL with a range of
5 about 3.5 to 21, German glycerinated extracts about
6 4.5 with a range of 2.5 to 10.5.

7 Now the aqueous data looked horrible in
8 terms of the range. It's about 6.8 mean, but the
9 range is 0.09 to 24.82. This is a little bit of an
10 unfair representation of the aqueous extracts, because
11 while the glycerinated extracts are either 1 to 10 or
12 1 to 20, the aqueous ones go down to 1 to 1,000.

13 So don't get too -- There are lots of
14 reasons to say things about aqueous extracts that
15 suggest that they are not as good as glycerinated
16 extracts, but this isn't one of them. This is simply
17 a matter of the labeled dilution of the extract.

18 DR. LEHRER: Jay, just a quick question.
19 For your protein assay, what do you use as the
20 standard?

21 DR. SLATER: Bovine albumin. So it's an
22 estimate. Is there a standard you would think would
23 be more appropriate for insect proteins?

24 DR. LEHRER: No. It's always a concern,
25 because any value is really artificial based on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 protein that you use, and there are just
2 variabilities. But you're right. I think there are
3 going to be issues with any standard, and it's really
4 just a reference to give you an idea.

5 DR. SLATER: But one thing to remember
6 then -- So remember our numbers were about 4 to 8
7 milligrams per mL for the glycerinated extracts. Now
8 the E2 standards that we used were considerably
9 higher. They were 25 to 30 by the ninhydrin assay
10 and, therefore, we scaled up the allergen levels and
11 the relative potencies to the reference protein
12 concentration.

13 I didn't want to just measure this protein
14 difference over and over and over again. So we scaled
15 up the numbers for the allergen levels and the
16 relative potencies. You'll see that in the data.
17 You'll be able to see that we've done that, but I just
18 want to explain why we're doing that.

19 We revisited the question of which protein
20 assay is best and which ones are interfered with. We
21 came up with very familiar answers. When we look at
22 the correlation of the protein assays to each other,
23 we find a fairly good correlation among the aqueous
24 extracts among all the different assays, r^2 of 99, 95
25 and 92. So not too bad.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 When we look at the glycerinated extracts,
2 things fall apart, and the correlation especially
3 between the ninhydrin and the Bradford assay and the
4 BCA and Bradford assay, and even the ninhydrin versus
5 the BCA assay is really not well correlated at all.
6 Again, this is not particularly surprising.

7 We know from work that Paul Richman did
8 when he was part of the allergenic products laboratory
9 that glycerine interferes with the Bradford assay and,
10 to a lesser degree, with the BCA assay; and it doesn't
11 appear to interfere with the ninhydrin assay.

12 So, really, this part of our study really
13 validated the value of the ninhydrin assay as being a
14 good protein assay when you are looking at
15 glycerinated extracts. It's not interfered with. So
16 for the rest of this study, we used the ninhydrin
17 protein concentrations for the remainder of our
18 analysis.

19 Again, our conclusions were that the
20 protein concentrations of the commercial extracts vary
21 widely, and are lower than the protein concentrations
22 of our references, and again that glycerin interferes
23 with the Bradford and, to a lesser degree, with the
24 BCA assays.

25 So now we're going to start to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some of the specific allergens, and listed here is a
2 listing of the different relevant allergens in
3 cockroach, Bla g 1, 2, 4, 5, and 6, and an American
4 cockroach Per a 1, Per a 3, and Per a 7.

5 This is an SDS polyacrylamide gel of our
6 various reference extracts. Shown on the left are the
7 German cockroach extracts and on the right are the
8 American cockroach extracts. You can identify several
9 bands that you might think, based on their molecular
10 mobility, might be some of the identified bands.

11 Needless to say, this pattern is a little
12 bit too complicated to identify this band specifically
13 as Bla g 2 or this band specifically as Bla g 1, but
14 you do get a good sort of rich pattern of specific
15 bands that you can further study.

16 Likewise when we look at -- I'm sorry.
17 Oh, when we look at the specific commercial products
18 of German cockroach, we come up with some interesting
19 observations. The way this is organized is that
20 multiple lots from manufacturers are grouped together.
21 So the first two columns are two different lots from
22 one manufacturer. The next two lanes are two
23 different lots from another manufacturer.

24 This fifth lane is from one manufacturer,
25 and these next two lanes are again two different lots

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from one manufacturer. What you see is within a
2 manufacturer you have a pretty decent amount of
3 consistency between the two products, but among the
4 manufacturers you see a lot of heterogeneity.

5 Again, this is an observation that, I
6 think, has been made before. Again, Dr. Lehrer's
7 studies from the past looked at comparisons of
8 different manufacturers' products. But you can see
9 specific bands that are present, major bands that are
10 present for some manufacturers that seem to be almost
11 completely absent for others, and vice versa.

12 Looking at the commercial glycerinated
13 American cockroach products -- Incidentally, the
14 reason I am not showing the aqueous products is that
15 there's not much to look at. When you do the aqueous
16 products on SDS-PAGE, you don't see much at all. So
17 you do see something. It's not completely gone, but
18 there's just not that much there.

19 The glycerinated American cockroach
20 products: Again, there's a lot of heterogeneity
21 between the manufacturers. But for instance, these
22 two -- and actually, even these two lanes, which are
23 from the same manufacturer, there's really a big
24 difference here in these bands.

25 Again, are these specific bands important?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We don't know, but there's certainly evidence here of
2 heterogeneity.

3 Now one of the things that bothered us was
4 that all of these commercial products and our re-
5 ference standards had a significant number of bands
6 up in the region above 75 kilo-Daltons. One of the
7 things you always worry about with allergen extracts
8 is whether you have aggregates present in those or
9 whether you are really looking at well defined
10 individual proteins.

11 So we did some studies looking at these
12 gels in the presence of 4 mole urea. Now you all know
13 that SDS, which is used as our denaturant in SDS
14 polyacrylamide gels, is a strong denaturant, and also
15 it has the additional benefit of conferring uniform
16 charge density on the proteins so that they can be
17 separated according to their molecular mass rather
18 than mass and charge.

19 Four mole urea appears to be a stronger
20 denaturant than SDS, but in our case we used the urea
21 in addition to SDS, because we still wanted to have
22 that charge density uniformity so that we could read
23 the gels more easily.

24 What you see here on the left is a repeat
25 of the German and American cockroach standard that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 had using a regular SDS-PAGE gel. The same products
2 run concurrently in an SDS-PAGE gel that contained a
3 significant amount of urea, 4 mole urea in the gel,
4 and actually I think there were six or eight mole urea
5 in the sample buffer itself.

6 What you see here -- and since the markers
7 migrated differently as well, I put these blue lines
8 in to sort of guide you through it. The lower blue
9 line represents the 35 kilo-Dalton standard, and the
10 upper blue line represents the 75 kilo-Dalton
11 standard.

12 What you see here is that, when you go to
13 the urea gels, the products -- the bands that are
14 above 75 kilo-Daltons disappear entirely, and the
15 bands that are -- even the bands that are between 35
16 and 75 kilo-Daltons, while they don't disappear
17 entirely, they go away a lot, and you see a real
18 enrichment of the bands that appear below 35 kilo-
19 Daltons.

20 So we certainly seem to see some evidence
21 here that a lot of the higher molecular weight bands
22 that have been observed before may well be aggregates
23 of lower molecular weight proteins. Well, that's
24 actually good news and bad news.

25 It's good news, because it suggests that

1 the list of allergens that we are looking at, which
2 are, for the most part, lower molecular weight
3 proteins, may in fact be a list that is, if not
4 complete, at least close to complete. At least we
5 have a reasonable number of those.

6 It's bad news, because urea gels are
7 harder to run, but I suspect we are going to have to
8 end up doing that for a good portion of our studies.

9 Just for interest's sake, since we were
10 pouring urea gels, we actually ran some recombinant
11 cockroach proteins on urea gels, just to see if they
12 migrated substantially differently. So this is a
13 regular SDS-PAGE gel, and this is a urea gel run
14 concurrently.

15 What you see here-- this is recombinant
16 Bla g 5, recombinant Bla g 4 and recombinant Bla g 2,
17 in the same order, recombinant Bla g 5, Bla g 4, and
18 Bla g 2. So if you look at recombinant Bla g 2, it
19 migrates at about 50 kilo-Daltons in the SDS-PAGE gel,
20 and it migrates just at about 50 kilo-Daltons in the
21 urea gel. So that's reassuring.

22 Let's skip over to Bla g 5. It migrates
23 at about 25 kD in the SDS-PAGE gel. It migrates a
24 little bit faster in the urea gel, say at about 23 or
25 22 kilo-Daltons by apparent molecular mass.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So that's not wildly different, and that's
2 not terribly surprising. But, gee, look what happens
3 to the recombinant Bla g 4 that we got. This migrated
4 at 30 kilo-Daltons as, for the most part, a monomer.
5 There was a small looked like a contaminant peptide
6 here. This is in the SDS-PAGE gel. But it almost
7 completely disappears in the urea gel, but if you look
8 carefully, you see there's a band at 16 and a band at
9 14 kilo-Daltons. It almost looks as though it's a
10 heterodimer.

11 Now that's not what is described, and I'm
12 not about to take this and publish it as evidence that
13 recombinant Bla g 4 is a heterodimer, but it certainly
14 is something that we were surprised to see, and we are
15 going to be looking at it some more.

16 Back to our commercial products, we
17 performed immunoblots using pooled allergic sera of
18 our different products, of both American and German
19 products. For the purpose of time and efficiency, I
20 am only showing the German immunoblot here.

21 You can just see that there are a lot of
22 different proteins that seem to light up, which
23 suggests to us, at least pooled allergic sera does
24 recognize several peptides in both of these products,
25 and again with specific anti-Bla g 1 and specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anti-Bla g 2 that we obtained from Endo
2 Biotechnologies, you see a sort of a different
3 picture, depending on what you are looking at.

4 In the blot using the anti-Bla g 1
5 monoclonal antibody, we see a band, a prominent band,
6 at less than 10 kilo-Daltons and another band that's
7 somewhere between 35 and 50 kilo-Daltons. Now Bla g
8 1 is supposed to be between 20 and 25. So we're not
9 really sure what we are seeing here, but the migration
10 of some of these does go differently, depending on the
11 conditions under which they are run.

12 Now with the anti-Bla g 2 monoclonal
13 antibody, that's somewhat more reassuring. The
14 predominant band is at 36 kilo-Dalton right here,
15 which is what you would expect to see in terms of the
16 migration of Bla g 2.

17 There is another -- a larger protein of
18 about 80 kilo-Daltons, and we are not sure what that
19 is, whether that is a dimer of Bla g 2 or whether
20 that's another protein that cross-reacts.

21 Okay. Let's get down to the major
22 business, and that is looking at the relative potency
23 of the cockroach extracts. This was measured by
24 competition ELISA using our pooled allergic serum,
25 which consists of serum from 16 individuals allergic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to cockroaches, both clinically and by skin testing.

2 What you see here in this column is the
3 relative potency data of the glycerinated American
4 cockroach, glycerinated German cockroach and the
5 aqueous cockroach. And they are all pretty low, .07,
6 .05 and zero.

7 So just to orient you, a relative potency
8 of .05 means that within the statistical deviation of
9 the study it has about 120th of the potency of the
10 reference material. Remember, too, our reference
11 material is not all that potent. Okay? Skin testing
12 that I showed early on.

13 Now when we scale it for the amount of
14 protein there, interestingly, the American cockroach
15 doesn't change all that much. The German cockroach
16 mean does increase to .22 with a range of .01 to .46.
17 So one or two of the German cockroach products
18 actually came to something within 30 to 50 percent of
19 the potency of the reference German product, E2-Cg.
20 The aqueous products are all just about at zero by
21 this testing.

22 We also did specific allergen assays for
23 Bla g 1 and Bla g 2 using monoclonal antibodies.
24 These were actually done for us by Endo
25 Biotechnologies, and the results there were sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interesting.

2 Again, this would only be done for German
3 cockroach. You wouldn't expect American cockroach to
4 have much Bla g 1 or Bla g 2. The mean scaled up Bla
5 g 1 level for the various commercial products that we
6 had was about 3500 units per mL with a range of 2200
7 to 4800.

8 Now just by way of comparison, E2-Cg had
9 significantly more Bla g 1, 13,800 units per mL.
10 Interestingly, Bla g 2 -- it was just the opposite
11 story. There was a wide variation in the amount of
12 Bla g 2 present in our commercial products with a mean
13 of about 43,000 units per ml, but the variation was
14 from 8000 to 66000 units, depending on the particular
15 product. But look at where our E2-Cg came out with
16 the Bla g 2 level. It was really on the low end.

17 So, interestingly, although the products
18 seemed to have significantly lower potency and,
19 certainly, at least a moderately lower amount of Bla
20 g 1, they don't have very much Bla g 2. They have
21 more Bla g 2 than our reference product does, in spite
22 of those other findings.

23 Just to look at the relationship of all of
24 the different studies that we did, we found a very
25 good correlation of protein concentration and relative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 potency, a very good correlation of protein
2 concentration of Bla g 1 levels, and an excellent
3 correlation of Bla g 1 levels and relative potency.
4 And Bla g 2 levels are sort of left out in the dark
5 here. They really don't correlate well with either
6 potency or Bla g 1 levels or protein concentration.

7 Now one word of warning about interpreting
8 these data. The lack of correlation between Bla g 2
9 levels and the relative potency could be completely
10 artifactual. Remember, I showed you that our
11 reference standard doesn't have very much Bla g 2 in
12 it.

13 So even if the individuals that constitute
14 our pool, our serum pool, have a lot of antibody
15 directed to Bla g 2, we are not going to put Bla g 2
16 in the relative potency equation, because our standard
17 doesn't contain much of it. In other words, the stuff
18 that we are coating with doesn't contain very much Bla
19 g 2.

20 Therefore, the absence of this arrow here,
21 the absence of a correlation between the potency and
22 the Bla g 2 level, may be an indication of a problem
23 that we have with our reference standard. That being
24 said, the lack of correlation between Bla g 1 and Bla
25 g 2 levels and protein concentration and Bla g 2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 levels is not a victim of that particular artifact.

2 So our conclusions: Commercially
3 available cockroach allergen extracts vary widely in
4 protein content, Bla g 2 content, SDS-PAGE banding
5 patterns, and overall allergenicity. They appear to
6 be less potent and to contain less Bla g 1 than the
7 candidate reference extracts.

8 So where do we go from here? Clearly, our
9 next stage is to go back into study subjects that are
10 cockroach allergic to determine by extensive IDEAL
11 skin testing the best in vitro potency measures, and
12 presumably the selection of a reference standard,
13 which as I said at the beginning, may not be what we
14 started out with so far. We may go with something
15 else.

16 Just finally, as a way of acknowledgment,
17 all of the experiments done here were done by Melissa
18 Patterson. Again, during that period in which we were
19 relatively short staffed, she really did a terrific
20 job. Jonny Finlay, who just came on board a short
21 time ago, has contributed to these studies as well,
22 and we are hoping that all three of us will be
23 continuing to do good studies in cockroach over the
24 next year.

25 Thank you. Any questions about cockroach?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEHRER: Jay, you mentioned that these
2 were standards that had been in storage for sometime
3 that you were using for the study.

4 DR. SLATER: Yes.

5 DR. LEHRER: Do you have any concerns
6 about the stability of your standards?

7 DR. SLATER: Well, I have concerns, but I
8 can't address the concerns. In other words --

9 DR. LEHRER: But could you have a fresh
10 extract, for example?

11 DR. SLATER: Yes. I think that's
12 something that we are going to need to do.
13 Absolutely. That's one of the things that Jonny is
14 actually going to be helping us with, is making fresh
15 extracts.

16 We have actually obtained some fresh
17 cockroach material which we are going to start working
18 with very shortly.

19 DR. LEHRER: The other point is with
20 cockroach a major problem, as with a lot of fungal
21 extracts that Bob Esch demonstrated, I think, very
22 elegantly, is the high levels of protease enzymes.

23 DR. SLATER: Yes.

24 DR. LEHRER: And this can have substantial
25 impact on stability, not only relative to the issues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 that you are addressing here, but also a concern I
2 would have is use in the clinic, you know, of taking
3 these extracts out and putting them back in.

4 I don't know if that's something that you
5 can address, but it might be a consideration as you
6 look into stability studies later on.

7 DR. SLATER: Thank you.

8 DR. SOTO-AGUILAR: I understand they used
9 to prepare them in formaldehyde precipitated products.

10 DR. SLATER: I'm sorry?

11 DR. SOTO-AGUILAR: I don't know if they
12 are still doing those, you know, with formaldehyde
13 precipitation. That does contain enzymes, while the
14 right products are freer of enzymes and contaminants.
15 Which ones are being produced right now?

16 DR. SLATER: Which allergen extracts are
17 being produced?

18 DR. SOTO-AGUILAR: Right.

19 DR. SLATER: They are not formaldehyde
20 precipitated products. These are either aqueous
21 products that are just extracted in aqueous solutions
22 of various sorts or they are glycerinated products in
23 somewhere close to 30 to 50 percent glycerine.

24 DR. SOTO-AGUILAR: Have you recognized any
25 enzymatic products in there?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SLATER: I'm sorry?

2 DR. SOTO-AGUILAR: Have you recognized any
3 enzymatic products?

4 DR. SLATER: I haven't looked.

5 MS. LIBERA: When extracts are finally
6 sent out for commercial use, how are they labeled?
7 Are they labeled with the actual potency or are they
8 labeled with -- I mean, how are they labeled?

9 DR. SLATER: These are non-standardized
10 products. So these are products that bear the label
11 that there is no U.S. standard of potency. The
12 labeling of non-standardized product can be one of --
13 typically is one of two ways.

14 One way is by the dilution, which simply
15 indicates really the manufacturing technique, a one to
16 10 dilution, one to 20 dilution, one to 1,000
17 dilution, or it can have a measure of the protein
18 content on it by PNUs or protein-nitrogen units per
19 ml.

20 When those measures have been compared to
21 actual potency measures, which is what we are trying
22 to aim for here, actual potency measures, they have
23 fallen short. In other words, within a narrow
24 dilution range, there will be a very broad range of
25 potencies or within a relatively narrow PNU range,

1 there will be a broad range of potencies.

2 So the correlation between the labeled
3 measures of the non-standardized extracts -- the
4 quantification of those doesn't correlate well with
5 the quantification of actual potency measures.

6 So these products were all labeled by
7 dilution, one to 10, one to 20, one to 1,000. And,
8 you know, between the one to 10 and one to 1,000,
9 there's actually a pretty good correlation. One to
10 1,000 has a lot less stuff in it than the one to 10.

11 The real question is, among products that
12 are labeled one to 10, how close are they to each
13 other in terms of potency? The answer is they don't
14 seem to be all that close.

15 MS. LIBERA: Okay.

16 DR. SLATER: Did I answer the question?

17 MS. LIBERA: Is it confusing for a
18 physician to know then if something is actually
19 working with which patients?

20 DR. SLATER: Well, one of the advantages
21 of standardization is to give some kind of
22 comprehensible, uniform unitage that a physician can
23 use, not only to compare the same product from
24 different manufacturers, but also to compare different
25 products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In other words, the unitage is really
2 based on the size of the skin test reaction that it
3 will illicit in a highly sensitive population of
4 individuals. So there is at least some -- One of the
5 great advantages of standardized products is that the
6 unitage, once you get used to it, is really fairly
7 straightforward to understand. The unitage of non-
8 standardized products is not quite as informative and
9 can be confusing. That's one of the problems.

10 DR. CLAMAN: Yes, it is confusing. And
11 also, if your one to 1,000 doesn't have less than your
12 one to 100, you're in real trouble.

13 What are the practical -- this is in the
14 same line. What are the practical consequences of
15 your findings? What should the practicing physician
16 do with regard to cockroach diagnostics and cockroach
17 immunotherapy in the light of what you've just told
18 us?

19 I mean, this is, obviously, a very
20 difficult area of biochemistry dealing with complex
21 materials and having to ultimately rely on bioassays
22 as well as biochemical tests. What should the
23 practicing physician do, if anything, with regard to
24 your findings, if the practicing physician knows about
25 them?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. SLATER: The practicing physician
2 should immediately try to encourage the FDA to go
3 forward with its standardization efforts of
4 cockroaches, should encourage the manufacturers to
5 fully participate in that process, and to help us go
6 forward with standardization of cockroach allergens.

7 I think to give the practicing physician
8 guidelines on dosing or appropriate usage of a non-
9 standardized group of extracts is simply not possible.
10 I can't give guidelines based on this, because this is
11 a study based on looking at 26 different lots.

12 We don't have reason to believe that our
13 specific quantitative conclusions are applicable to
14 the far greater population of lots of German and
15 American cockroach that are out there. So I can't
16 give specific applied conclusions for practicing
17 physicians other than the practicing physician should
18 understand that standardization is what really makes
19 their job substantially easier in terms of knowing
20 what dose is to be used and what a label means.

21 CHAIRMAN OWNBY: But, Jay, against your
22 initial criteria that you set out as you started this
23 pilot, you said, one, you wanted to know whether the
24 current materials were consistent between
25 manufacturers. I think you would suggest that that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not true. There's wide variability between current
2 manufacturers.

3 DR. SLATER: Right.

4 CHAIRMAN OWNBY: And the second was
5 whether the current materials are potent or not. By
6 the admittedly limited skin test data you currently
7 have with your standard compared to these materials,
8 you would say they are not very potent, far less
9 potent than we would like to have.

10 DR. SLATER: Right. So in case I wasn't
11 clear, by the impact criteria that we discussed last
12 year and that I laid out at the beginning of this
13 talk, it's clear that cockroach is a good target for
14 standardization, and we should be going forward with
15 it, by all criteria.

16 DR. SOTO-AGUILAR: With the test method,
17 the ID₅₀ EAL, you are measuring basically the erythema
18 reaction, while the Europeans used the wheal
19 measurement. Are you looking for work comparing the
20 result on the same study population and see how they
21 correlate -- the two methods will correlate?

22 DR. SLATER: Well, we know how the two
23 methods correlate. We know how intradermal and
24 erythema reactions correlate. They do correlate. The
25 reason that erythema is advantageous over intradermal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is that you get a better slope.

2 In other words, with intradermal you'll
3 get a flatter slope. You'll get a more consistent --

4 CHAIRMAN OWNBY: You said intradermal,
5 meaning wheal.

6 DR. SLATER: What I meant was -- thank you
7 -- with the wheal reaction you get a flatter slope,
8 and it's harder to get good comparative data among
9 products, and it's harder to identify where the right
10 ID₅₀ is, because there's an error associated with all
11 of these measurements.

12 So if you are working in a flat slope and
13 you've got this big error, it's impossible to know
14 whether the ID₅₀ is here or here; whereas, if you have
15 a steeper slope, which is what you have with the
16 erythema, even with the same error you can make a
17 pretty guess at where the D₅₀ actually is.

18 DR. SOTO-AGUILAR: I'd like to make a
19 little comment. I'm sorry about the time. But I was
20 involved in the studies with cockroach in '95 and '96,
21 and as I remember, this is in a highly atopic
22 population in Mobile, Alabama. We saw differences
23 between the Caucasian and the African American
24 population where there were different epitopes
25 apparently recognized with the two different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 populations. So are you looking at that possibility,
2 too?

3 DR. SLATER: Well, we have to look at that
4 possibility. One of the reasons that you need to do
5 a large ID₅₀ study is you need to get a population
6 that is both geographically and ethnically diverse.
7 Certainly, from what you said, it makes it especially
8 important for cockroach, but we would do that in any
9 case, just because that's one of the things that we
10 need to find out.

11 DR. LEHRER Can you get an ethnically
12 diverse population with the erythema assay?

13 DR. SLATER: Well, there are limits on how
14 ethnically -- You know, there certainly are some
15 limits with the erythema assay, but you can. You can.
16 I think it makes it more difficult, but it doesn't
17 make it impossible.

18 CHAIRMAN OWNBY: Okay. Jay, why don't we
19 move on, and we'll try to take the next report and
20 just have our break a little late.

21 DR. SLATER: Okay. Let me introduce --
22 Mary Malarkey is the Director of the Division of Case
23 Management in the Office of Compliance and Biologics
24 Quality, and she will be giving us a compliance update
25 on allergenic products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. MALARKEY: Can everyone hear me? All
2 right.

3 Well, good morning. It's a pleasure to be
4 here to present the Committee an update of the
5 compliance status of the allergenics industry. As Jay
6 said, I am with the Division of Case Management in our
7 Office of Compliance and Biologics Quality.

8 When an inspection is performed of an
9 allergenics manufacturer or any of our manufacturers
10 and it is felt that significant deviations from the
11 law and applicable regulations have been recognized,
12 the case comes to our Division for further evaluation
13 to determine whether additional action needs to be
14 taken.

15 So today, briefly, I would like to go over
16 some of the enforcement actions that we have done,
17 kind of go through what we mean by enforcement
18 actions, and which have been taken against this
19 industry, what we call biological product deviation
20 reports, used to be called errors and accidents,
21 recalls, issues leading to the enforcement actions
22 that Ill mention, and finally some forward thinking in
23 this area.

24 Please, if you have any questions at
25 anytime, don't hesitate to interrupt me.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In addition, there are a couple of
2 mistakes on my slides. I apologize, but I'll point
3 those out as we go along.

4 So starting with enforcement actions, this
5 is a listing of those that we normally use. There are
6 others, but these are what are used by all the FDA.
7 The first two are legal actions, meaning that we would
8 have to go in front of a court to take them.

9 Seizures are when we feel a product is a
10 danger to health. We will ask a judge to allow us to
11 seize the product. U.S. Marshals are actually sent in
12 to do so. Injunctions are taken against firms that
13 have a continuing history of compliance problems.
14 They are again a legal action, and I'm happy to report
15 that neither of these actions have been taken in the
16 allergenics industry.

17 The rest of these actions are what we call
18 administrative. That is, FDA can take these actions
19 on our own with just legal counsel, internal legal
20 counsel. Warning letters are exactly what they sound
21 like. They are a warning to the manufacturer that
22 they are out of compliance with the applicable
23 regulations and the law, and it's an opportunity for
24 them to address the issues and move on, basically,
25 without us taking any further action.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Unique to biologics is the fact that we
2 have a license. Each one of these products, the
3 standardized extracts, are all separately licensed.
4 The non-standardized fall under one license. But with
5 this we have tools that we can use.

6 If we really feel there is a danger to
7 health, we can suspend the license, and this means
8 that the manufacturer can no longer distribute the
9 product and is basically shut down. Unfortunately,
10 some of these actions have been taken against this
11 industry, but I'm happy to report that none in recent
12 years.

13 License revocation is just that. It's
14 actually taking the license away. We don't usually go
15 to this unless a firm requests it under suspension.
16 Normally, we send what we call a notice of intent to
17 revoke, and this is where, for example, after we've
18 sent a warning letter and we go back out and the firm
19 has to taken things seriously, if you will, or hasn't
20 been able to correct the deficiencies, we will proceed
21 to the notice of intent to revoke.

22 This actually puts the firm under a
23 corrective action plan and gives them an opportunity
24 to come back into compliance. We have other actions
25 such as sending letters after further inspections

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 under the notice of intent or often we will ask the
2 firm to come in and speak to us face to face, because
3 often that helps get them on the right track.

4 This is kind of a rundown of the
5 enforcement actions that we have taken with regard to
6 this industry from 1997 to the present time. I'll
7 highlight the mistake in this slide. In 1999 there
8 were actually two notices of intent to revoke that
9 were issued that are not reflected on this slide.

10 It's interesting to note, if you've heard
11 of Team Biologics -- and I think most people have
12 these days -- Team Biologics took over the inspections
13 of this industry in 1999. So as you can see, many of
14 the issues were first identified by CBER inspectors as
15 well as those from the ORE district offices, and we
16 have seen what we hope to continue to see, a decline.

17 Now this kind of breaks it off into
18 enforcement versus the inspections that were done. So
19 it gives you a better idea of percentages. I've
20 included here our other major industries, the plasma
21 derivatives, the in vitro diagnostics -- that is, the
22 viral marker test kits for our blood supply, for
23 example -- therapeutics which are namely biotech, our
24 vaccines, and finally the allergenics.

25 So in fiscal year 1999, 25 percent of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inspections of the allergenics industry resulted in --
2 Oh, I'm sorry. That's a mistake as well. It's 37.5
3 percent because of the additional notices of intent to
4 revoke on my first slide.

5 Unfortunately, in fiscal year 2000 there
6 was an increase from the number of inspections to the
7 number of enforcement actions, up to 42 percent.
8 Finally, for this year I need to make a couple of
9 notes. Our fiscal years, of course, begin in October.
10 The numbers I have taken are from October to the
11 middle of February.

12 Given that, if an inspection occurred in
13 fiscal year 2000 but action was taken this year, I
14 included the inspection number under this year's
15 numbers to make the numbers more meaningful. Also, we
16 have other inspections that are either under review in
17 our office or have not been classified.

18 Now what we mean by classified is, again,
19 when the investigator feels that there's a problem, he
20 will make it official action indicated, he or she, and
21 this will then come to us. But there are other
22 classifications as well: No action indicated, when
23 the compliance looks good, and voluntary action
24 indicated when there are some misuse, but it's felt
25 they can be resolved without further action taken.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So given those caveats, this is this year
2 so far. As you can see, we've done seven inspections
3 and taken two actions, which comes out now to 28.5
4 percent. That is a decrease, but again it's
5 preliminary data. So we really can't -- I wouldn't
6 want to say anything. I hope this holds out as the
7 year progresses, but this is about five months in.

8 Moving to biological product deviations,
9 under Title 21 of the Code of Federal Regulations,
10 Section 600.14, it is required that manufacturers
11 report deviations that occur during manufacturing that
12 may affect product that is already in distribution.

13 These used to be called errors and
14 accidents, but now we have a new regulation. We call
15 them BPDs or BPDRs for reporting, and this final rule,
16 we hope, will be effective in July. Most firms are
17 already adopting it. It's a much better system.
18 There's a form that can be used. It can be submitted
19 electronically. So we are trying to streamline the
20 process.

21 In regard to this industry, the problems
22 that we have seen: Of course, we have talked about
23 precipitates, and I think Jennifer will be speaking
24 about them later, and there's also stability failures
25 that may result in the need to report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is again a three-year history of all
2 of our manufacturers. As you can see, there is a
3 steady increase in allergenics. There's also a bit of
4 an increase in the year 2000 for just about everyone,
5 and this is mainly because our manufacturers weren't
6 reporting under the regulation.

7 The blood and plasma industry was used to
8 it, but our drug and device manufacturers were not.
9 So this is why -- partially why we have seen an
10 increase. But in the area of allergenics, really, the
11 precipitates account for the high numbers that we see,
12 and this year I believe there's been 81 -- there have
13 ben 81 reports so far.

14 Now in October we had discussions with
15 APMA on this issue, and industry asked if there was
16 some way they could combine these reports to make it
17 less burdensome. As it stood, or as it stands, they
18 are reporting each lot that is affected as a separate
19 report.

20 We concur that this is burdensome. It's
21 burdensome both on the industry and on FDA. So we
22 have determined that the reports may be combined.
23 There is a 45-day reporting requirement. So 45 days
24 after a BPDR is discovered, it must be reported, but
25 again these can be combined now. So, hopefully, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will help matters and burden to industry and FDA.

2 Now with regard to precipitates, there are
3 interim measures in effect. We recognize that firms
4 may still be cited for this by an investigator.
5 However, as long as the firm is working toward ways to
6 resolve this issue and have procedures in place to not
7 allow product with precipitates to get out to the
8 consumer, then we would not take further action at
9 this time.

10 Moving on to recalls: Recalls, some
11 people don't understand, are really voluntary.
12 Manufacturers choose to do this themselves based on
13 potential for harm to the public. FDA mandated
14 recalls are very rare. I can't remember us having
15 done one. This would be an imminent danger to health
16 situation. So what I am going to present are the
17 numbers that the manufacturers themselves have done
18 voluntary recalls on.

19 In allergenics the main issue, at least
20 for this year, has been sterility assurance. That is,
21 the manufacturer is unsure about the sterility of the
22 product on the market, and as a prudent measure has
23 decided to recall that product.

24 As you can see again, there was some
25 increase from '98 to 2000. Thus far this year, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have nine on record. Again, one recall may involve
2 several lots or several different extracts. So each
3 extract was treated as one.

4 What are the most frequent issues that
5 result in these enforcement actions that I mentioned
6 earlier? Well, these aren't unique to this industry,
7 I must say. This is pretty much commonplace
8 throughout industry.

9 Probably the main issue is inadequate
10 investigations when failures occur and what we call
11 CAPA, which stands for corrective and preventive
12 action taken to prevent those recurrences. These can
13 entail failures during production and testing,
14 handling of complaints, and again the precipitates.

15 In this industry we were seeing a lot of
16 refiltration, reprocessing, and reworking. I won't
17 define those terms unless you would like me to. I
18 think refiltration is pretty self-evident.

19 There isn't anything that precludes
20 manufacturers from doing these things, but if they do
21 them, there has to be validation to support their use,
22 and when there is a final product sterility failure,
23 we do not feel that refiltration and revalidating of the
24 product is in the best interest of the public. So we
25 are discouraging that in all cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The container/closure issues: These are
2 the actual vial and stoppers that are used for the
3 product. These need to be shown to be integral when
4 they are in place over the life span of the product,
5 and they need to be processed in such a way to
6 guaranty that they are sterile and pyrogen free.
7 These were some issues that were raised in earlier
8 years.

9 Validation: The gentleman mentioned
10 lyophilization. That is certainly one, but all the
11 processes, the systems, the assays, as well as the
12 equipment used to manufacture the products. There are
13 some specific CFR regulations that were not being
14 followed. We have a requirement for retention samples
15 to be kept and inspected on a yearly basis to see that
16 the product is still okay.

17 Again, the biological product deviation
18 reporting: This is no longer a real issue, with one
19 exception that I'll get to in a moment.

20 SOPs are standard operating procedures.
21 It's an expectation that for everything a manufacturer
22 does, they will have procedures in place to follow.
23 We found that there weren't procedures in place for
24 all processes or all testing.

25 Finally, we require an annual review of

1 each product to look at trends, see if there are
2 problems that are starting to crop up, and this again
3 is a CFR requirement.

4 Now where have we seen improvement? I
5 like to report positive where I can. Certainly, with
6 the container closure issue, manufacturers took that
7 very seriously and we're no longer seeing a problem in
8 that area. SOPs are in place. Some still need a
9 little tweaking. They are there quite yet, but they
10 are in place and being followed.

11 Validation is being addressed. Of course,
12 that can be a long term action. It's not something
13 that you can do in a day or a week or even a month at
14 times. Refiltration, where being done, has been
15 validated. Annual reviews and retention samples,
16 those are being addressed.

17 Finally, the biological product deviation
18 reporting has improved dramatically, as can be seen by
19 the numbers, with the exception of the areas not
20 related to precipitates. So if there is a stability
21 failure, for example, that needs to be reported, and
22 it's not -- we're not quite there yet.

23 Looking at kind of the future, the
24 precipitate issue, we are very aware of it now in the
25 Office of Compliance, and we've made the investigators

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 aware of it.

2 We are hoping to continue work with the
3 manufacturers, and I'm sure the Office of Vaccines is
4 really the most involved in this, but we are trying to
5 keep in the loop so we know what progress is being
6 made. We have made the BPDs allowance so that they
7 can be reported en masse to, hopefully, help the
8 industry as well as ourselves.

9 One issue I'd like to mention: We have a
10 sterility test requirement under 610.12 of our regs,
11 and it's actually a sterility method that is derived
12 from the USP-22, United States Pharmacopoeia. We
13 recognize that this is a very outdated regulation. We
14 are currently in USP-24. All drug products -- that
15 is, those regulated by CDER, Center for Drugs -- are
16 required to follow the USP.

17 The CFR requirement allows for automatic
18 retest when there is a failure and actually a repeat
19 retest. In addition to that, there is no need for
20 investigation into these failures to find out why they
21 may have occurred. This is not in keeping with
22 current USP.

23 So we are now presently discussing what to
24 do about the situation, whether we are going to revise
25 or eliminate 610.12(b). I think probably in the best

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interest of everyone, it would be best to eliminate
2 the reg and refer to USP. USP is always changing.
3 There are revisions, updates all the time. As many of
4 us know, regulations aren't quite that easy to change.

5 Before any decision is made, a policy
6 statement will certainly be disseminated to the
7 public, and we hope to move on this later this year.

8 So finally, just some contact information:
9 If you would like to get some more information, the
10 CBER home page is, of course, a wealth of that, not
11 just in my area but in all areas affecting the
12 biological products.

13 The BPD final rule that I mentioned
14 earlier is available on the Web, and if you have any
15 questions after today regarding compliance issues,
16 please don't hesitate to call us in the Division of
17 Case Management.

18 So I've tried to really condense this to
19 try to keep everybody on somewhat of a schedule. So
20 if you have any questions, I'd be happy to answer
21 them.

22 DR. SOTO-AGUILAR: About the containers,
23 are the stoppers latex-free?

24 MS. MALARKEY: I'm sorry?

25 DR. SOTO-AGUILAR: About the containers,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the vials, are the stoppers latex-free?

2 MS. MALARKEY: Latex-free --

3 DR. SOTO-AGUILAR: Is there any regulation
4 about it?

5 MS. MALARKEY: We are currently working on
6 that. I can't say that all of our closures are
7 presently latex-free. Studies have to be done. I
8 don't know in this industry. I'm talking in general
9 terms, but it's a very big undertaking to replace the
10 closures with latex-free.

11 Stability work has to be done. Integrity
12 studies have to be done, and we are moving in that
13 direction. I don't know if anyone from OBRR has any
14 sense for the allergenics industry where we are.

15 MS. BRIDGEWATER: Yes. Some of the
16 manufacturers are moving in that direction to change
17 to latex-free stoppers. I don't recall exactly how
18 many, which ones might be done, but it is a very time
19 consuming process.

20 DR. UMETSU: With regard to the
21 precipitate issues in the extracts, is that a new
22 issue or it's just that it hasn't been reported in the
23 past?

24 MS. MALARKEY: It hasn't -- My impression
25 is it hasn't been reported in the past, but this has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been an issue that has been going on or has been
2 identified for sometime. I think it probably came
3 more to light during inspections, seeing it, you know,
4 actually seeing it. So this now in the last couple of
5 years has really become a very large issue.

6 CHAIRMAN OWNBY: Okay. Why don't we go
7 ahead and take a 15 minute break. So we'll reconvene
8 at 10:45.

9 (Whereupon, the foregoing matter went off
10 the record at 10:28 a.m. and went back on the record
11 at 10:46 a.m.)

12 CHAIRMAN OWNBY: Are there questions right
13 now? Otherwise, we will move on to the discussion of
14 TSE and the questions of whether we need to be
15 concerned about this with the allergenic extracts.
16 Dr. Slater, I believe, is going to start that.

17 DR. FREAS: While he is getting set up,
18 those of you who have been complaining that it's been
19 cold, we apologize for that. The thermostat is set
20 all the way up. Apparently, they are doing work down
21 below. They have told us the heat will be on shortly,
22 but it will take a little while to warm up.

23 CHAIRMAN OWNBY: You mean spring will come
24 eventually.

25 DR. SLATER: We are now going to talk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about a topic that we have not discussed with
2 reference to allergens before. That is the
3 transmissible spongiform encephalopathies and allergen
4 vaccines. I'm about to embark on a fairly lengthy
5 excursion about TSEs before I get back to allergens.

6 So what I'd like to do before I do that is
7 give my summary in advance, so you know what direction
8 we are heading in, in terms of the allergenic products
9 themselves.

10 In summary, most allergen extracts are
11 produced without any bovine components other than
12 glycerol. Glycerol, just again to give you a
13 punchline in advance, is not a problem in terms of TSE
14 for a number of reasons. However, among allergen
15 extracts, mold extracts are stored and propagated in
16 culture media, some of which contain bovine
17 components, and some of these are of uncertain origin.
18 However, the risks associated with these
19 contaminations are minimal, and I will show you these
20 calculations as well.

21 So what are the transmissible spongiform
22 encephalopathies? Well, it's a group of fatal
23 neurodegenerative diseases that are transmissible.
24 They are known to be transmitted from one organism to
25 the other.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 They are encephalopathies. They are
2 conditions characterized by abnormal brain function,
3 and the spongiform refers to the microscopic
4 appearance of the brain tissue in affected patients
5 with the formation of holes or vacuoles and scarring
6 or gliosis. So the name itself includes both evidence
7 about the epidemiology of the disease, the clinical
8 appearance of the disease, and the pathological
9 appearance of the disease.

10 Well, what's the clinical appearance of
11 the disease? It's somewhat variable, but it almost
12 invariably contains some cerebral symptoms, including
13 dementia, behavior changes, visual disturbances.
14 There are often cerebellar symptoms, ataxia, nystagmus
15 and speech abnormalities; pyramidal signs and
16 symptoms, spasticity, weakness, and hyperreflexia;
17 extrapyramidal signs and symptoms such as tremor,
18 myoclonus, rigidity and akinesia; and invariably,
19 death. These are degenerative, fatal diseases.

20 Pathologically, they are characterized by
21 gliosis or proliferation of glial cells, neuronal
22 loss, vacuoles, and amyloid plaques, and the amyloid
23 plaques are variable. They are not all associated
24 with -- They are not all present uniformly in all of
25 the diseases. They are variable in CJD, but they are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 universal, for instance, in the new variant CJD.

2 Now the first transmissible
3 encephalopathies were described in animals, and of
4 course, they weren't always known to be transmissible
5 spongiform encephalopathies. These were known in the
6 past as the sort of slow viral diseases. When I was
7 in medical school, that's how we studied them.

8 Scrapie was the first to be described.
9 It's a fatal, progressive disease of sheep, and it was
10 described in 1932 -- I'm sorry, in 1732. Scrapie
11 affects sheep and goats naturally and can be
12 transmitted experimentally to several other animal
13 species.

14 Now chronic wasting disease is a rare
15 disease of elk and deer, primarily in the United
16 States. It happens both in captive populations and in
17 wild populations, and it appears to be a disease that
18 is pathologically very similar to the other TSEs that
19 have been described.

20 Transmissible mink encephalopathy takes
21 the form of a rapidly developing epidemic, usually
22 involving single mink farms. Again, the disease is
23 uniformly fatal.

24 The bovine spongiform encephalopathy is
25 the one that, of course, concerns us most. It was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recognized as a potential member of the scrapie family
2 as soon as it was first discovered in 1986 and shows
3 all the pathological characteristics of TSE.

4 Now feline spongiform encephalopathy,
5 which appears in cats, is clearly a derivative disease
6 of the bovine encephalopathies. In other words,
7 clearly, it is transmitted to cats who are fed
8 contaminated materials from cows, and the epidemiology
9 follows fairly precisely the epidemiology of the
10 bovine encephalopathies.

11 Of the human TSEs, the first described was
12 kuru, which was described in the 1950s in isolated
13 tribes in New Guinea. It was spread by the ingestion
14 of brain tissue of deceased relatives, and the
15 prevalence of this disease decreased rapidly after the
16 cause of it was identified and the practice
17 discouraged.

18 Kreutzfeldt-Jakob disease, CJD, occurs in
19 about one case per million individuals per year. This
20 is the sporadic form of CJD. It also appears in a
21 familial form and in an iatrogenic form, by the
22 transplantation of neural tissue contaminated with
23 CJD, and in addition there is a new variant form that
24 is associated with transmission of bovine TSEs.

25 Now these other human TSEs are much rarer,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and I am not going to discuss them, but simply note
2 that there have been other human TSEs described. But
3 there is a key point that has to do with the
4 pathogenesis of these diseases, that the diseases
5 occur both in what appears to be endogenous cases --
6 in other words, the familial or genetic cases of human
7 TSEs -- and what appear to exogenous cases, the
8 clearly infectious ones.

9 What's important to note is that, whether
10 you have a so called endogenous type or an exogenous
11 type, your tissue is infectious. So that's a key
12 point to remember when we get to talking about the
13 pathogenesis of the disease.

14 This is a slide to show the epidemiology
15 of bovine TSE, and the point of the slide is to show
16 that bovine TSE was isolated in both time and place.
17 The epidemic of bovine TSE was fairly well defined.
18 It started in 1986, and it peaked in around 1991-92,
19 and it has been dropping rapidly since that time.

20 So the interval between 1986 and,
21 certainly, 1995 to 1997 were certainly the peak
22 periods. It hasn't dropped off to zero quite yet, but
23 it has been dropping quite rapidly and, clearly, this
24 is a well identified interval in time in which bovine
25 TSE seemed to be occurring quite a great deal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Now this is a little harder to read, and
2 these are the different countries in Europe and the
3 number of cases of bovine spongiform encephalopathy
4 detected. This is cumulative detected through the
5 year 2000, and you can see here that the epidemic is
6 localized in terms of place as well, nearly 180,000
7 cases in Britain. The next nearest country is Ireland
8 which had 540 cases, Portugal 473, and on down from
9 there. So the epidemic is localized in terms of time
10 and relatively localized in terms of geography,
11 although as you can see, there have been cases
12 reported in Europe as well.

13 What you have here in the lower righthand
14 corner are the cases of new variant CJD, which
15 appeared in '95, '96, and '97, all at that time in the
16 United Kingdom.

17 Now what about this new variant CJD? The
18 new variant CJD can be distinguished on clinical
19 grounds from the classic CJD, on the basis of the
20 differences that are demonstrated here.

21 The time course of the new variant from
22 diagnosis to death is somewhat slower, from eight
23 months to 16 months. The EEG changes that are very
24 common in the sporadic form of CJD don't appear in the
25 new variant CJD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The clinical presentation tends to be more
2 behavioral for a longer period of time in the new
3 variant than it is in the sporadic form, and the age
4 at presentation is significantly younger. The
5 sporadic, the mean age is 60, whereas the new variant
6 has a mean age of 28 with a range of 16 to 52.

7 Note here that the incidence of the
8 sporadic is, as I said, one case per million per year.
9 Even at its peak in the United Kingdom, there were 22
10 cases over a period of 1994 to 1997 or for an
11 incidence of 1.5 times 10^{-7} per year.

12 So even at the peak of the new variant CJD
13 epidemic, if you had CJD and weren't clinically
14 distinguished between the two, you had more of a
15 chance of having the sporadic than the new variant
16 form. But, clearly, this was an epidemic, because the
17 new variant doesn't occur elsewhere.

18 So what are the pathogenesis clues? CJD
19 occurs in both familial and infectious forms. The
20 infectious material is insensitive to UV and ionizing
21 radiation, has a low ratio of nucleic acid to protein,
22 is partially susceptible to treatments that are known
23 to destroy proteins.

24 Hence, in 1982 Dr. Pruzner formulated the
25 word prion for proteinaceous infectious particles that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 he proposed to be the infectious particle associated
2 with the spongiform encephalopathies. This is a group
3 of 33 to 35 kilo-Dalton sialoglycoproteins.

4 It's important to note that these are
5 normal proteins. They are present in CNS tissue.
6 they are present in non-CNS tissue, and there is a
7 normal cellular isoform so called PrP^c. However, the
8 prion proteins appear to be transmissible agents for
9 TSEs.

10 The conversion, the infection with a TSE
11 is associated with the appearance of protease
12 resistant prions, which are designed either PrP^{res} for
13 protease resistant, or PrP^{sc}, short for scrapie.

14 Infection with PrP^{res} or PrP^{sc} induces
15 conformational changes in the normal cellular isoforms
16 that are associated with a switch from an alpha
17 helical formulation to a beta pleated confirmation,
18 and associated with increased insolubility and
19 aggregation of the proteins.

20 Now susceptibility is a function of the
21 primary sequence of the PrPs that are involved. There
22 is a species barrier associated with infection with
23 TSE. That appears to be a function of the differences
24 between the PrP sequences among different species.

25 There even are intraspecies polymorphisms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which may in part account for differences in
2 susceptibility of individual organisms. And some of
3 these susceptibility factors can be overcome with a
4 transgene. In other words, the experiment is, if you
5 try to infect a mouse with a bovine TSE, you can
6 overcome the species barrier by giving the mouse a
7 transgene with bovine normal prions, and you can
8 especially do so if you ablate the original murine PrP
9 gene.

10 Now this doesn't account for all
11 infectivity factors. So you still can be infected.
12 Knock-out mice, for instance, that have no prion
13 protein at all have quite a significant barrier to
14 infection.

15 This is simply a three-dimensional model
16 of the mouse and hamster PrPc. You can see the alpha-
17 helical conformations, and what you can see is that
18 there's -- in the normal conformation there is a
19 minimal beta-pleated sheet in this region, but this
20 expands when the conformation is changed by the
21 appearance and aggregation with a mutant PrP.

22 Well, how do you get from a inoculation to
23 disease? Typically, inoculation is by GI absorption.
24 The abnormal prion proteins replicate, and they
25 replicate in the sense of inducing conformational

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701