

ajh

1 frequency of false positive as was expected. With the
2 current data set, Roche feels that the current AmpliScreen
3 protocol can be modified to allow test results investigation
4 to resolve true NAT positives from false positives.

5 In addition, using the information and data from
6 the current clinical studies, Roche recommends and supports
7 the convening of a joint workshop with the FDA and industry
8 to align language for testing, donor and produce management.

9 Thank you.

10 DR. NELSON: Thank you very much. Questions,
11 comments? Thank you.

12 Dr. Chuck Watson from Aventis Bioservice.

13 DR. WATSON: I am Chuck Watson and I am here to
14 present on behalf of Aventis Bio-Services. We have been
15 testing NAT in plasma since 1998.

16 [Slide.]

17 For those of us who sit in the back and can't see,
18 I am showing some of these, the overheads. All of our
19 samples are serology-negative, like all the others. We test
20 in 2-dimensional grids, and I think this is one of the
21 problems why it is so hard to come up with an algorithm is
22 that there a couple of different ways to skin this cat and
23 do the test, and actually do the test right and get valid
24 results.

25 Part of the algorithms for unexpected results

1 really need to take a look at what are the sensitivities of
2 the pools, the subpools that are being tested. There is a
3 big difference between the whole blood industry and the
4 plasma industry, and there probably does need to be two
5 separate algorithms. If the plasma industry is to test and
6 go to either 500 or 1,200 individual tests, you are going to
7 stop the testing. The labs will not be able to put that
8 kind of work out.

9 We have a lot of data and hopefully, I can show
10 some data to try and simplify at least from our point of
11 view what we do and we think it is right, but it is just one
12 way to be right, there are many others.

13 [Slide.]

14 The way we pool, we start with 12 donations, and
15 that is because we ship 12 units in a box and it is easier
16 for us to handle. We pool them together into what we call
17 minipool, if you want. You can call this a small, medium,
18 and large pool. Our minipool, we then take 10 of them and
19 pool them into one test tube to provide us with a midipool
20 or medium pool of 120.

21 We then take 100 of the midipools, the 120, and
22 put them together. The computer does this, we don't do it
23 literally, the computer does this and gives us a grid of
24 12,000, which is our starting point. 12,000, 100 times 120
25 is a 12,000 unit working group.

ajh

1 Each of the maxipools consists of 1,200 samples,
2 because each midipool is pipetted both a horizontal
3 direction and a vertical direction, so you have 10 times 120
4 to give you the 1,200, but you have 100 midipools to make up
5 the initial working unit. Each of the maxipools is tested
6 in duplicate. So, that is our test system and how we form
7 our pools.

8 [Slide.]

9 This is just a simple diagram to show that
10 theoretically at least, and we really like it when we have
11 horizontal and vertical positives, it makes it a lot easier.
12 We can draw a line from the positives to determine what we
13 need to look at, what are the indicated pools that we might
14 need to do further testing on.

15 This is a simple case. In this particular case,
16 we can either test the midipool, then to go the minipool,
17 and then go to individual donors, or we could go directly to
18 the minipool, there is no reason to test the midipool.

19 [Slide.]

20 More complicated cases. In this particular case,
21 we have 4 indicated pools, but in reality this may be caused
22 by as few as 2 positives. Because you draw the
23 intersections, you are not always going to find a positive
24 in each of the pools, but you should explain each of the
25 maxipools. So, in other words, we should find one of the

1 two positive and one of these two positive in a normal case.
2 Hopefully, it is, let's say, one here and one here that
3 explains all the four lines, all the four intersections.

4 [Slide.]

5 This is an unexpected case for us although it does
6 happen. You will get a maxipool that is positive in both
7 replicates with no intersecting positive maxipool. In this
8 case, we actually test all 10 of the midipools.

9 This is the end, I guess, of the different types
10 of positives that we can have. The next slide, I believe
11 shows our algorithm.

12 [Slide.]

13 We do our maxipool test. If everything is
14 negative, we love it, we release results, everybody is
15 happy. If there is a positive, we can test the midipool.
16 When we test the midipool, we can get two types of results.
17 We can get results, a positive that explains our maxipool,
18 in which case we can release the negative midipools and we
19 go down with the positive midipool, and we continue to work
20 trying to identify a positive reason for it.

21 However, we can have another result. All the
22 midipools are negative. What we do is if all the midipools
23 are negative, we take and do all the minipools for the
24 negative midipools. We go back down the second level.

25 Part of the reason for this is sensitivity. Our

1 midipool and our maxipool, there is not a statistical
2 separation that we can live with and say that this is,
3 statistically speaking, a more sensitive test when you look
4 at the unit level. So, therefore, we don't want to trust
5 that this negative result might just be that we have a low
6 level, we caught it at the maxipool, we ran it at the
7 midipool with an equivalent sensitivity, but we weren't able
8 to pick it up, so therefore, we go down to the next level.

9 This is a group of 12 remember. If the minipool
10 is negative, we release negative results for the minipools.
11 If it is positive, we will do an individual sample test on
12 the individual test tube. If that is negative, we will
13 release negative results. If it is positive, we then look
14 at the donor history and we do a unit test.

15 In the plasma industry, you have closed collection
16 systems and you have open collection systems. The open
17 collection systems at the collection centers are subject to
18 contamination, and you need to avoid that. So, you look at
19 the donor history. If the donor history shows that this is
20 a multiple positive, you have had another PCR-positive, run
21 it before, or NAT-positive, run it before, or let's say in
22 the meantime there has been serology-positive, you can
23 release without obtaining the unit, without testing it.

24 However, if it is the first positive on an
25 individual at the individual sample tube, we actually obtain

1 the unit, bring the unit into the laboratory and test it.
2 If the unit is positive, we will report positive results, we
3 will destroy all units, destroy those units, and we will
4 gather all the look-backs and notify everyone. If the
5 individual unit is negative, we will release negative
6 results.

7 Now, originally, what we did was if the maxipool
8 was positive and the midipool was positive, if we believe
9 going from a maxipool to a minipool is 100-fold more
10 concentrated, and we release from there, then, if the
11 midipool is positive, we would have to go to the individual
12 samples to release from there, again 100-fold more
13 concentrated sample.

14 However, we did a lot of work and got nothing,
15 lots of work.

16 [Slide.]

17 So, what we did, in 2000, actually, it is the
18 first six months of 2000, we actually did that. If we had a
19 positive midipool, and every minipool in that midipool was
20 negative, we tested all individual donor samples.

21 Now, this is with all five viruses, this is not
22 just HCV and HIV. The majority of these are HBV actually.
23 There is at least 1,300 samples in here that come from HCV.
24 We found zero positive individual sample results when we
25 tested over the 7,000 samples. So, we feel very comfortable

1 in releasing from the minipool level when the minipool
2 itself is negative.

3 One of the other things that we believe in, and
4 which I really spoke about earlier, is that the test
5 sensitivity of your smaller pools, if you have a better
6 sensitivity on those smaller pools, that is one of the
7 reasons why I say you need to look at the test sensitivity,
8 what are you actually testing.

9 You are not retesting something that you have
10 already tested before. You are testing a lower pool,
11 smaller concentration. You should be able to detect that.

12 In our industry we have found, you know,
13 parvovirus B-19 concentrations are up to 10^{14} . We have got
14 HCV concentrations of around 10^8 , 10^9 . No one in our
15 industry has really seen a prozone effect.

16 The other thing that we feel comfortable with is
17 the fact, for Europe, we have fractionation pools that have
18 to be tested, and I can guarantee you if an HCV got through,
19 and we tested it in the fractionation pool level, we would
20 not be using fractionation pools, and that is thousands of
21 liters of plasma, and we have not seen that.

22 So, we do not believe that you need to go to the
23 individual donor test itself when you have unexpected
24 results. We believe if you have an appropriate algorithm,
25 you can safely release product into production.

1 I want to thank you very much for your time.

2 DR. NELSON: Thank you. Are there any comments or
3 questions?

4 Is Dr. Craig Halverson back?

5 DR. HALVERSON: Thank you, Mr. Chairman.

6 [Slide.]

7 My name is Craig Halverson, Director of Regulatory
8 Affairs for Gen-Probe, Incorporated, and I will briefly
9 review with you the clinical results from our license
10 application that is presently under review. After that, Dr.
11 Chyang Fang is going to detail the Gen-Probe algorithm.

12 [Slide.]

13 The Gen-Probe assay is comprised of three distinct
14 assays - the multiplex and two discriminatories. Each tube
15 in each assay contains an internal control, as you have
16 already heard. The discriminatory assays allow the user to
17 determine which type of viral RNA is present in a multiplex-
18 reactive sample.

19 The three assays are used in the follow sequence.
20 First, the multiplex is used to screen pools of 16. Then,
21 individual samples from a reactive pool are tested again,
22 and the multiplex assay is used to identify the positive
23 individual. Finally, the two discriminatory assays are used
24 to identify which viral RNA is present in the reactive
25 individual sample.

1 [Slide.]

2 Specificity for the three assays was 99.69 percent
3 or better.

4 [Slide.]

5 In high risk subjects, such as I.V. drug users,
6 the Gen-Probe assays were found to be 100 percent sensitive
7 versus serology or alternative NAT for the detection of HIV-
8 1. Sensitivity for HCV was 98.8 percent or better.

9 [Slide.]

10 We saw sensitivities of 99.3 percent or greater
11 for NAT-positive samples. Individual samples were tested in
12 the multiplex assay, either neat or diluted 1 to 16, and
13 individual samples were tested neat in the discriminatory
14 assays.

15 [Slide.]

16 For pooled specimens containing either one or two
17 positive samples, we saw 100 percent sensitivity.

18 [Slide.]

19 Reproducibility was studied using both positive
20 and negative samples, and there was 99.6 percent or better
21 agreement with the expected results for all three assays.
22 The Gen-Probe assays met or exceeded all of our expectations
23 for sensitivity, specificity, and reproducibility.

24 Right now I would like to introduce Dr. Chyang
25 Fang to review with you the Gen-Probe algorithm.

1 DR. FANG: For the next few minutes I will show
2 you the testing algorithm used in our clinical study and the
3 data to support the product and donor management described
4 in algorithm and also to demonstrate that the Chiron
5 Proclex assay performs well in the setting.

6 [Slide.]

7 First, I will take you through the testing
8 algorithm in the clinical study by ABC and the AIBC sides
9 for seronegative samples. The numbers in the slide reflect
10 pools that contain only seronegative samples.

11 Pools are first tested with the HIV-1/HCV
12 multiplex assay. If the pools are reactive, all samples in
13 the pool are tested individually. There are two possible
14 outcomes. All 16 samples are non-reactive or at least one
15 sample is reactive. Either way, non-reactive samples are
16 considered negative and units are released since they are
17 also seronegative.

18 For the reactive samples, units are quarantined
19 and donor temporarily deferred. Reactive samples are
20 further tested with the two discriminatory assays. If both
21 discriminatory assays are non-reactive, the samples are
22 retested with the multiplex assay. If non-reactive, the
23 donor deferred is reversed. If again reactive, the donor is
24 notified to follow-up testing.

25 If the multiplex reactive samples are also

1 reactive in either discriminatory assay, and alternate
2 samples from the indexed donation, if available, is tested
3 with the multiplex assay to check if the NAT tube was
4 contaminated. The serology is also repeated to confirm
5 seronegativity.

6 If both NAT and the serology are non-reactive, the
7 donor is notified for follow-up testing. This situation
8 indicates that the original NAT tube was likely
9 contaminated. If again NAT-reactive and seronegative, this
10 represents a potential true yield case, and the donor is
11 followed for seroconversion. If both NAT and serology are
12 reactive, the donor is indefinitely deferred based on
13 serology, this situation, such as error in initial serology
14 testing.

15 [Slide.]

16 In the clinical study, overall, there were 31
17 false positive pools for initial false positive rate of 0.26
18 percent for pool testing. All 496 samples comprising the 31
19 pools were non-reactive and were also seronegative. There
20 were 22 donations considered false positive for a rate of
21 0.01 percent. Fifteen of those false positive results were
22 likely due to technical errors since they were non-reactive
23 in discriminatory testing. All 15 samples were seronegative
24 and most had low assay signals. Seven false positives were
25 likely due to contamination since alternate and follow-up

1 samples were NAT-negatives.

2 [Slide.]

3 In the clinical study, we also look into the
4 internal control failures. Forty-six or 0.3 percent of
5 results were invalid due to low internal control signals,
6 however, when this 46 samples were retested, all were non-
7 reactive with normal internal control signals. Therefore,
8 these internal control failures were due to technical errors
9 rather than inhibition reaction or prozone effects in
10 initial testing.

11 [Slide.]

12 In summary, based on clinical data, the assays met
13 or exceeded all specificity, sensitivity, and
14 reproducibility expectations. Data from the clinical study
15 and additional data presented by us at last year's AABB
16 indicated that there was no inhibition reaction or prozone
17 effect for this assay.

18 The BLA is completed and has been accepted by the
19 FDA for filing.

20 [Slide.]

21 Low false positive rates were observed in clinical
22 study. We believe that the false positive results were
23 caused by technical errors and/or contamination during
24 pooling and testing process.

25 [Slide.]

1 As described in the testing algorithm, we believe
2 that seronegative donations are considered NAT-negative with
3 no donor impact if the samples are found non-reactive when
4 tested individually in the multiple assay, whether in the
5 pool first or not, the unit is released, or if the sample
6 were found initially reactive when tested individually in
7 the multiplex assay, but non-reactive in both discriminatory
8 assays, and non-reactive in repeat multiplex assay, however,
9 the units are quarantined once a sample is found
10 individually reactive in the multiplex assay.

11 In conclusion, the Chiron Procleix assays perform
12 well with low false positive rates, show no inhibition
13 reaction or prozone effects, and are suitable for routine
14 plus screening use. In addition, the product and the donor
15 management described in the algorithm is appropriate.

16 Thank you.

17 DR. NELSON: Thank you, Dr. Fang.

18 Questions for Drs. Halverson or Fang? Okay.

19 Next, Dr. Louis Katz from American Association of
20 Blood Banks.

21 DR. KATZ: Thank you, Dr. Nelson. The AABB is
22 still a professional society of 8,000, so I will leave that
23 alone.

24 The AABB compliments the FDA and the blood
25 community for the timely and efficient implementation of

1 routine whole blood donor screening by nucleic acid
2 amplification under IND. The encouragement and flexibility
3 shown by the agency in this effort helped to overcome the
4 critical hurdles that such a project faces, and the result
5 is a quantifiable decrease in potential window period
6 transmissions of HCV and HIV.

7 The IND holders, manufacturers, and FDA need to
8 cooperate now for timely submission of the voluminous data
9 from these unprecedented clinical trials and equals 25
10 million, leading to expedited licensure hopefully of both
11 platforms in use in this country. Licensure will be our
12 members best assurance of continued research and development
13 to improve the current assays and develop more robust tests.

14 A pressing need is application of the levels of
15 automation required for the tight process control we are now
16 demanding in blood collection facilities.

17 Data presented in public forums and to this
18 committee from the ongoing INDs, as well as the historically
19 low yield of the HIV-1 p24 antigen tests, strongly support
20 the feasibility of discontinuing the requirement for testing
21 volunteer whole blood donors for p24 antigen. We encourage
22 the IND holders to submit their data as quickly as possible
23 and the FDA to consider discontinuation of p24 as soon as
24 they have adequately evaluated the information.

25 Today, you have seen draft owner management

1 algorithms to be applied to NAT-screened populations. We
2 encourage the agency to adopt common sense approaches, and
3 not unnecessarily complicate donor requalification
4 algorithms in the event of false positive testing with NAT.

5 In this context, we support the use of Option A in
6 the algorithms presented by Dr. Dayton in all cases, and
7 oppose, based on the data you have heard, a requirement for
8 replicate tests in negative samples.

9 Finally, there is, based on the data we have seen,
10 no reason for FDA after licensure to continue requirements
11 for supplemental more specific serologic assays, such as
12 RIBA, western blot, and immunofluorescence, in EIA-reactive,
13 NAT-reactive volunteer whole blood donors.

14 FDA rules requiring these tests should be
15 reassessed as soon as possible to reflect the additional
16 information we are now routinely and rapidly receiving on
17 our donors, so that it can be used in counseling, deferral,
18 and medical referral messages.

19 Thank you.

20 DR. NELSON: Thank you, Dr. Katz.

21 Questions or comments?

22 Dr. Bianco.

23 DR. BIANCO: I will, because of the time, try to
24 shorten a little bit. You have a written statement. We
25 essentially want to congratulate a lot of the people that

1 helped in the implementation of NAT under the IND, the FDA,
2 the leaders of our effort in ABC. I want to recognize Sally
3 Caglioti and Mike Strong.

4 In terms of the issues that we would like to
5 raise, we would like very much to see those tests licensed.
6 We urge FDA to issue guidelines that address supplemental
7 confirmation and a rational process for donor reinstatement
8 after documented false positives.

9 We also urge FDA to modify guidelines that require
10 performance of supplemental serological tests that have been
11 superseded by NAT technology.

12 Finally, we urge FDA to consider dropping the
13 requirement for HIV-1 p24 antigen for screening of whole
14 blood and source plasma donors.

15 Regarding the questions to the committee, this is
16 a revised statement that we provided you, we think that to
17 the question, "Should a single negative test be sufficient
18 for release," yes, this is the basis for release on
19 individual screening tests. In addition, all NAT tests
20 under IND have internal controls.

21 "Should negative subpools lead to release of the
22 units?" Yes, the subpools are more concentrated than the
23 minipools - increasing chances of detection. Again, we
24 still have the internal controls.

25 "If master pool and subpool are reactive, but

1 individual donations are non-reactive," our answer is Option
2 A, yes, because we believe that the ultimate results--and
3 actually, Dr. Dayton said a gold standard--should be the
4 individual samples when they are tested.

5 "Should replicate testing be recommended as a
6 general way of confirming the status of initially reactive
7 pools?" Our answer was no, because this really,
8 particularly in the whole blood screening, leads to a delay
9 in the resolution of the pool. The pools are relatively
10 small, 24 or 16 samples, and they can be proceed to a more
11 definite result, that is, the individual testing of
12 minipools or subpools and the individual samples.

13 Finally, ABC wants to congratulate all
14 participants, FDA, the test manufacturers, and the blood
15 centers for their contributions to the success of this
16 enterprise. We want to thank effusively our colleague
17 scientists Susan Stramer and Roger Dodd from the American
18 Red Cross for the spirit of collaboration and sharing of
19 experiences.

20 Finally, we want to thank our courageous and
21 tireless volunteer blood donors. They put up with a painful
22 screening process, informed consents, deferral files and
23 call-backs for follow up, in order to help patients in need
24 of blood in our communities and support the research
25 protocols for NAT. Without them, we would not be here now.

1 Thank you.

2 DR. NELSON: Thank you, Dr. Bianco.

3 Dr. Stramer.

4 DR. STRAMER: I am Sue Stramer. I am from the
5 American Red Cross. The American Red Cross also has a
6 written statement which you all have. I don't think I need
7 to read it. It has the same information that I presented,
8 and just for the record, I want to say that we concur with
9 ABC on the answers to the questions posed to the committee.

10 DR. NELSON: Thank you.

11 We are closing the open public hearing at this
12 point. Now, we are quite a ways beyond where we were
13 supposed to have been at this time, and indicates that I am
14 a soft, weak, inefficient chairman, but that's what you got.

15 [Laughter.]

16 DR. NELSON: I would suggest that maybe we could
17 take like a five-minute stretch, and then we go to the
18 committee discussion and some discussion on the questions
19 posed by Dr. Dayton.

20 [Recess.]

21 **Open Committee Discussion and Recommendations**

22 DR. NELSON: The next item on the agenda is open
23 committee discussion. It might actually facilitate the
24 discussion if Dr. Dayton could put the questions up or
25 something like that. Would that be helpful?

1 DR. DAYTON: Why don't I put the algorithm up
2 specific to the first question.

3 DR. NELSON: I would like to focus the discussion
4 on the issues that the FDA has raised and see how we can
5 deal with them.

6 DR. DAYTON: The first question--and I will put
7 that back up in place of this algorithm in a second--the
8 first question pertains to the testing algorithm for whole
9 blood, which is the first figure in the three figures in
10 your packet from my talk.

11 It is going to involve what happens if the master
12 pool is NAT-reactive, seronegative. This is HCV/HIV ELISA.
13 Then, you go directly to testing individual donations using
14 the same NAT, and the question is going to involve what do
15 you do when all of the individual donations are non-
16 reactive.

17 In this case, you have a positive master pool, but
18 you haven't done any subpools, you just go directly to the
19 individual donations.

20 So, in this case, with reference to figure 1, if
21 the master pool is NAT-reactive and all individual donations
22 are non-reactive, you haven't done any intermediate pools -
23 Option A, should a single negative test on the individual
24 donations be sufficient for release? In other words, you
25 have done the individual donations, they are all non-

1 reactive, should you now be able to release? That is Option
2 A.

3 If you are not comfortable with Option A, then
4 Option B suggests the possibility of is it sufficient to
5 retest the master pool in replicate, so that if you get a
6 negative result on replicate retest, you can then release
7 everything. Again, these are not mutually exclusive
8 possibilities. Is it sufficient to retest the individual
9 donations (neat) with the same NAT, in other words, should
10 you do a second test on the individual donations?

11 Then, Options (iii) and (iv) involve diluting the
12 individual donations and retesting, or retesting the
13 individual donations with alternate NAT.

14 DR. NELSON: Are there comments opinions on these
15 various alternatives? It seems like the alternatives, first
16 of all, is to if the individual donations are all negative,
17 but the pool is positive, is that enough, or do we need
18 something else.

19 Are there comments on this?

20 DR. SIMON: Again, I will try to deal a little bit
21 of divergence in terms of industry point of view as to what
22 has been reflected here, and I think we have heard that the
23 whole blood group, based on quite a bit of data, feels
24 basically that they can answer "yes" to Option A, and that
25 that can be the way of going, and the plasma industry has

1 expressed a somewhat more complicated opinion that one size
2 doesn't fit all, it should be specific to SOP.

3 I think, however, from a philosophic or I guess
4 from a general regulatory point of view, I would speak to
5 Option A, that is, in favor of Option A with the idea of
6 being permissive to allow that protocol to be approved
7 providing the FDA is satisfied that the license application
8 and the methodology that will be followed indicates all
9 appropriate investigation is taking place to determine where
10 contamination is occurring, why there is a false positive,
11 and so forth.

12 I think Option B gets very complicated and would
13 be very difficult, so I would I guess speak for being
14 permissive and in support of Option A with the proviso that
15 it is probably more complicated than that, there should be
16 investigation of different SOPs as they are submitted.

17 DR. NELSON: I interpret this algorithm to
18 primarily apply, or maybe not only, but to primarily apply
19 to the whole blood industry where there are individual
20 donations available that can be individually tested.

21 DR. SIMON: Right, this one gets more complicated
22 as we go through, but I am probably going to say the same
23 thing about all the options, the first three, I guess,
24 Option A's. This one, since I believe it would only apply
25 to the whole blood at least as represented here today, I

1 think we could proceed based on the data that they
2 presented.

3 DR. NELSON: Although these algorithms are fairly
4 complicated, I was impressed that we do have a lot of data,
5 and, you know, on experience with this, and it is different
6 than some questions we vote on with no data.

7 DR. BOYLE: There is one additional piece of data
8 that would be helpful, and if Sue Stramer could answer it, a
9 lot of the reassurance is based upon, at least in whole
10 blood, of these repeat donors who are negative in subsequent
11 donations, and the question is, is the proportion of false
12 positives who donate again the same as the general pattern
13 of subsequent donations?

14 DR. STRAMER: Yes. What we are seeing is just
15 random events occurring independent of the population you
16 test.

17 DR. NELSON: I guess it depends on which
18 technician they get to dilute the sample.

19 Are there other comments? Do you think we could
20 vote on this at this point? Okay.

21 How many would agree with Option A?

22 [Show of hands.]

23 DR. NELSON: Opposed?

24 [No response.]

25 DR. NELSON: Abstaining?

1 [No response.]

2 DR. NELSON: The industry rep?

3 DR. SIMON: Agree with the "yes" votes.

4 DR. NELSON: And the consumer representative?

5 MS. KNOWLES: Yes.

6 DR. NELSON: That was pretty easy.

7 DR. SMALLWOOD: Results of voting for Option A,
8 there were 14 "yes" votes, no "no" votes, no abstentions.
9 The industry rep and the consumer rep agreed with the "yes"
10 vote, 14 with the voting strength.

11 DR. NELSON: Dr. Dayton, do you want to put up the
12 second set of questions?

13 DR. DAYTON: Yes. I believe now we clearly don't
14 need to consider Option B.

15 DR. NELSON: But you have another algorithm,
16 right?

17 DR. DAYTON: Oh, yes. Let me just make sure I get
18 the right diagram for you here. Okay. This is the
19 subalgorithm A. As you remember, you get to this at the
20 beginning of the figure 2 algorithm.

21 In this case, the master pool is NAT-reactive,
22 seronegative, and then subpools have been tested with the
23 same NAT and all subpools are non-reactive. Now, we get
24 down to here, what do you do? It is going to be the similar
25 structure, do you release or do you do additional testing.

1 Let me put up the questions that we have for that.
2 With reference to figure 2, if the master pool is
3 NAT-reactive, but all subpools, either archived or freshly
4 pooled, are non-reactive, then, as shown in figure 3, Option
5 A, should all the units be released?

6 If not, then Option B, additional testing. If you
7 go the Option B route, four questions: Is it sufficient to
8 retest the master pool in replicate or after repooling? Or
9 is it sufficient to test individual donations using the same
10 NAT method, releasing those that test negative? Or, and
11 then we have the dilution option or the alternate NAT
12 option.

13 DR. NELSON: Are there comments on this? I will
14 say that we were presented a whole array of different
15 algorithms by the plasma and the pools, and some of them
16 did, in fact, have another master pool which was retested.

17 My sense is that if a manufacturer has developed
18 an algorithm that seems to be working well, that the FDA is
19 also happy with it, I don't think that we necessarily want
20 to recommend that they do something different. Probably the
21 committee feels that way, but are there any comments on
22 this? Mary.

23 DR. CHAMBERLAND: It is a question. This
24 algorithm can apply to both whole blood and source plasma,
25 correct?

1 DR. DAYTON: Actually, all of these algorithms can
2 be used in either type of blood collection. It is just that
3 whole blood is more likely to go the first route with small
4 pools, and plasma, of course, with the large pools are going
5 to tend to go towards this, but we are certainly not saying
6 that someone with a 1,200 size pool can't do to individual
7 testing if they don't want to.

8 DR. CHAMBERLAND: I believe the vast majority of
9 the data that we saw actually came from the whole blood
10 industry, Sue Stramer's data. Is there any reason to think
11 that we should have comparable kinds of data at the level of
12 detail from source plasma in order to make a decision about
13 this algorithm?

14 DR. NELSON: Well, there was data presented by
15 source plasma, although--

16 DR. CHAMBERLAND: Not as detailed.

17 DR. NELSON: --not as detailed, but I didn't see
18 issues there. Now, the other issue, of course, is that it
19 may undergo viral inactivation and what have you, but
20 nonetheless, even putting that aside, I didn't see evidence
21 from what was presented that the algorithms that were in use
22 were problematic, at least I didn't detect that, but maybe
23 others did.

24 DR. DAYTON: Perhaps I can make a comment. Again,
25 I think it was hard for a lot of us to digest the situation

1 when you have intersecting layers of deconstruction, but in
2 a situation where you have, let's say, three dimensions of
3 intersecting pools--how shall I say this--the problems we
4 got into, when one or two out of three dimensions were
5 reactive, but the final dimensions to close it were non-
6 reactive, now, in either of those cases, you can't say that
7 all subpools are non-reactive.

8 So, in this case, you couldn't certainly release
9 the master pool, but then there is another level of
10 questions which we haven't specifically addressed. Consider
11 the possibility again where you are doing the deconstruction
12 by dimensions. One layer is positive, the other two
13 dimensions--and, of course, all the other layers in that
14 dimension are negative--but then the other two layers or
15 even one of the other layers is positive, how do you handle
16 that, or negative, how do you handle that?

17 Could you, for instance, cordon off everything in
18 the positive layer, and then release all the others? That
19 is how the intersecting dimensions play into the questions
20 we are asking.

21 DR. NELSON: I think it is problematic. I don't
22 know if these dimensions correlate with the subunits and
23 pool. If so, then, it could be contamination in
24 constructing the pool, but in some of these, I thought that
25 these may have been independent and that you might be able

1 to take out, you know, if you couldn't isolate the unit, you
2 could take out a portion or a number of units or a
3 subcomponent that was positive, but I would be concerned
4 about a pool that a subunit, a part of it was positive, but
5 you couldn't actually isolate the individual. I mean
6 somehow this would have to be resolved in some way.

7 DR. DAYTON: The algorithms are clear explicable
8 with respect to a pyramidal pooling where you get non-
9 intersecting dimensions. Actually, if you wanted to split
10 it up so that this question here only covered the situation
11 where all subpools are non-reactive, we could get an answer
12 there. The intersection problem actually could be handled
13 in another part of the algorithm, but it might be a little
14 bit difficult to do.

15 DR. NELSON: I think I would prefer to do that,
16 but just because there are multiple scenarios and multiple
17 ways of creating subpools that are sort of complex and
18 individual, but if we dealt with the overall general
19 situation where the pool was positive, but all the
20 components that we could figure out were negative, it would
21 more easily resemble what we just voted on, I think. Do you
22 agree?

23 DR. SIMON: I think it says all subpools.

24 DR. FITZPATRICK: Even in the NGI and 3-
25 dimensional and 2-dimensional algorithms that were

1 presented, when all the subpools were negative, they
2 considered it resolved and released the lot.

3 DR. NELSON: Okay. Those who would vote in favor
4 of Option A?

5 [Show of hands.]

6 DR. NELSON: Those opposed?

7 [One.]

8 DR. NELSON: Abstaining?

9 [One.]

10 DR. NELSON: The industry representative?

11 DR. SIMON: In favor.

12 DR. NELSON: The consumer representative?

13 MS. KNOWLES: Yes.

14 DR. SMALLWOOD: Results of voting for Question 2,
15 Option A, there were 12 "yes" votes, 1 "no" vote, 1
16 abstention. The consumer and industry representatives
17 agreed with the "yes" vote.

18 DR. NELSON: Thank you. Was that helpful?

19 DR. DAYTON: Yes, very helpful. We haven't really
20 developed a question specifically for what you get into with
21 these intersecting layers. With that in mind, do you want
22 to proceed to the next Question 3, and let's do what we can
23 while we can?

24 DR. NELSON: Okay.

25 DR. DAYTON: This is the figure 2 of the three

1 figures. This is the second algorithm. Again, master pool
2 is reactive, seronegative. Now, in this case, we are asking
3 what happens when you come down here, and the way you get
4 there is that at least some subpools have been reactive.

5 This, by the way, could be several layer, you
6 could run into this on the different layers of the
7 deconstruction. This doesn't mean just one layer of
8 deconstruction.

9 Then, you go to testing individual donations using
10 the same NAT method, and here is where you run into the
11 problem. All individual donations are non-reactive. Again,
12 it is basically the same set of questions, are you going to
13 go to release or additional testing.

14 Let me put up the questions that we are going to
15 pose for the committee.

16 Again, in this situation, master pool reactive,
17 one or more subpools are reactive, but all individual
18 donations in the reactive subpools at least are non-
19 reactive.

20 Option A: Should all units be released?

21 Option B: Should you do additional testing?

22 Considering our recent successes with Option A,
23 should we discuss that before I go into the details of
24 additional testing in the interests of time?

25 DR. NELSON: Comments?

1 DR. BOYLE: Just a point of clarification. When
2 we talk about reactive here, we are talking about it on any
3 of the three or two layers, is that correct?

4 DR. NELSON: We are talking about a subpool or
5 individuals, a subpool that went into making the minipool,
6 maxipool, whatever, but we can't figure out which sample in
7 there is positive, or subsequently all the samples are
8 tested and they are all found negative. Now, which of
9 those, it is all of the individual samples are tested and
10 they are found negative?

11 DR. DAYTON: All of the individual samples are
12 tested and found negative, but the samples could be just
13 from the subpool that was positive, so master pool positive,
14 6 pools, one of them is a positive, the rest are negative.

15 Now, you go to this guy over here that is
16 positive, and all of his individuals are negative.

17 DR. NELSON: But that subpool could have been
18 contaminated in the process of making the subpool, and then
19 also in the process of making--

20 DR. DAYTON: The assay. That would be again what
21 Sue Stramer's data was saying.

22 DR. NELSON: Right. Okay.

23 DR. MITCHELL: I think that the feeling is that
24 the individual donations, the test on the individual
25 donations is, in fact, the gold standard and that that is

1 what we are agreeing with the industry that that should be
2 the case.

3 DR. NELSON: Do you want to vote on this?

4 Option A, all in favor of Option A, which is all
5 units should be released.

6 [Show of hands.]

7 DR. NELSON: Opposed?

8 [No response.]

9 DR. NELSON: Abstaining?

10 [One.]

11 DR. NELSON: Industry?

12 DR. SIMON: I vote yes.

13 DR. NELSON: Consumer?

14 MS. KNOWLES: Yes.

15 DR. SMALLWOOD: The results of voting for Question
16 3, Option A, 13 "yes" votes, no "no" votes, 1 abstention,
17 both the consumer and industry representatives agreed with
18 the "yes" vote.

19 DR. DAYTON: We did have one more question. It
20 may not be so important at this point. Also, I think I
21 might like to introduce another question which can at least
22 partially help the dimension deconstruction problem.

23 Let's see if we even are worried about Question
24 No. 4, which is not specific to a particular algorithm, but
25 we had considered that this might be a question.

1 This is should replicate testing be recommended as
2 a general way of confirming the status of initially reactive
3 pools. Basically, we had asked this question with the idea
4 that, well, you got a positive pool, can you go back and do
5 replicate tests on it to then call it negative.

6 This again is the idea of if it is due to
7 contamination, can you test it under conditions which you
8 are convinced that you don't have contaminations and then
9 say we really measured it carefully and can we now say that
10 that pool, which we had formerly called reactive, is now
11 considered non-reactive.

12 If you got to this point in any of these
13 algorithms--and this is a general question--if you got to
14 this point in any of these algorithms where you retest a
15 pool that was positive and now you say, oh, look, we have
16 done the correct testing, we now test it as negative, if
17 that is the case, that you accept this, then, what you do is
18 you just go back to the algorithm and find out where you
19 would be if that pool were non-reactive instead of reactive.

20 So, the question is, as a general question, does
21 the committee feel ready to--well, if you vote on this, it
22 would be recommending that yes, this is a reasonable route
23 to go, and against it, you would say you wouldn't recommend
24 it at this time.

25 DR. KOERPER: So, in other words, what you are

1 saying is rather than going through all the various
2 algorithms we have just voted on, the first step is retest
3 the master pool.

4 DR. DAYTON: It would be an option. This would be
5 particularly valuable for people with large pools, could you
6 as an option go and do that, or can you just, you know,
7 accept a negative result further down.

8 DR. KOERPER: But if you retested it, then, you
9 get it negative, how do you know which was the correct
10 answer, so it seems to me you would have to do it a third
11 time and take the majority.

12 DR. DAYTON: That is sort of what the next
13 questions address. It is definitely confounded with the
14 other questions.

15 DR. NELSON: But that wouldn't substitute for
16 testing the pools necessarily.

17 DR. KOERPER: That is what he is suggesting, is
18 that that would substitute, that if you got a positive and
19 then, let's say, two subsequent negatives on the master
20 pool, then, you would not have to do the testing of the
21 subpools or the individual.

22 DR. DAYTON: Right. In other words, that is what
23 the question would ask for, it would say you have got your
24 master pool positive, now, do you really have to go to the
25 subpools or can you just test it more carefully and accept

1 the negative results. FDA is not strongly backing one
2 answer over the other.

3 DR. NELSON: You get the Poisson problem in a
4 diluted sample there. As I understand it, it is not what
5 the plasma industry is doing at the moment. Maybe I am
6 wrong on this, but it seems like there are potential
7 problems, I don't know.

8 DR. SIMON: As far as I know, that is correct.
9 The industry is not doing that. Just a quickie, I think
10 this hits that one size fits all comment and that probably
11 this is one method that one could follow in order to try to
12 figure out the contamination, but I don't see a rationale
13 for pushing this as the method.

14 DR. NELSON: For instance, you could read this
15 question that they tested all the subpools and they can't
16 find anything positive, or they found a row or something.
17 They go back, and the main pool is not positive, I don't
18 know.

19 DR. SCHMIDT: I believe your Question 4 does not
20 apply to Question 1, am I right? Your Question 4 is not
21 applicable here.

22 DR. DAYTON: Actually, Question 4 would be
23 applicable to Question 1 because you would be intercepting
24 the events of Question 1 before you got to the--Question 1,
25 you go directly from a positive master pool to testing

1 individual donations. Question 4, if answered yes, would
2 say you don't necessarily have to go test individual
3 donations, it is sufficient to double-check the master pool
4 and determine whether it is really positive or really
5 negative.

6 That is how it fits in with Question 1.

7 DR. NELSON: This would apply to pools of 16 or
8 pools or 1,200, correct?

9 DR. DAYTON: Yes, any pool size.

10 DR. STRONCEK: We have been here all day, and
11 unless I missed something, we haven't heard any data on
12 this, so I would contend that, you know, we have had a
13 beautiful day with wonderful data that relates to the
14 questions, and then you are coming in with a question that
15 is totally out of the blue, that relates to nothing in here.
16 I think that if this is of value to the FDA, you should come
17 back and present some data and we should discuss it at
18 another time.

19 DR. DAYTON: Well, we felt obligated to ask the
20 question and I think you have made a very reasonable point.

21 Jay?

22 DR. EPSTEIN: Well, the rationale behind the
23 question is that this is exactly the strategy that is
24 pursued with the EIA, and we are really asking do we think
25 it is relevant in this context.

1 Now, it has been pointed out that there is a
2 fundamental difference, which is that here you have the
3 Poisson problem with low copy numbers, and that would change
4 things significantly because if the answer were yes, then,
5 you have to think about much larger numbers of replicates
6 than 2.

7 I agree that we haven't seen the data, but there
8 is a good reason for that. FDA discouraged this at the IND
9 level because we didn't think that it was the way to really
10 find out the answer. We thought that if we were to let it
11 go at that, that, you know, if we never required testing on
12 the deconstruction pools or individual units, we would never
13 find out the truth.

14 So, a little bit we created the conundrum. That
15 is why there are no data. So, we are really just asking
16 conceptually. We are not saying should we implement this.
17 We are saying should we even be thinking about it at this
18 point. If we should be thinking about it, yes, we are going
19 to need some more data, and we are going to need statistical
20 analyses, but if we think that it is sort of moot, because
21 it is just not the way to go, given the nature of the NAT
22 system and pool testing, then, we can dismiss it up front.

23 Now, there has been use made of repeat replicate
24 testing of a minipool as a secondary strategy as was heard
25 when you had negative discriminate assays. Some companies

1 are recommending going back and retesting the master pool,
2 but that is sort of like a secondary reassurance. They
3 think they already know the right answer.

4 So, we are really just asking in concept here, and
5 that is why it is tacked on the end. In other words, have
6 we overlooked something potentially useful, because if the
7 answer is that this is useful, it is really cost effective,
8 right? I mean you don't have large numbers of retests. You
9 just retest your master pool.

10 Let me just point that if the sources of false
11 positivity had mainly been assay contamination rather than
12 contamination in pooling, this would make a lot of sense. I
13 think the reason that everybody is feeling that this doesn't
14 make sense is that the data suggests that most of the cause
15 of a false positive master pool is, in fact, contamination
16 during pooling. This attempt to clarify by retesting won't
17 fix that.

18 DR. DAYTON: Jay, are you finished?

19 DR. EPSTEIN: Yes.

20 DR. DAYTON: Okay. I mean if I could elaborate on
21 what Jay has been saying. Actually, I don't think it is
22 accurate to say there hasn't been any data presented that is
23 relevant to this, and particularly Sue Stramer's data
24 showing that the source of false positives is contamination
25 during the assay.

1 So, that means that if you can run an assay under
2 conditions in which you can rule out contamination, for
3 instance, there are no other positives in that run or
4 something, if you can run it and get positives, and let's
5 say you can take care of the Poisson problem by doing it 10
6 times or 20 times, then, actually, according to Sue's data,
7 it is actually a reasonable way to go.

8 DR. BUSCH: This is attractive to me just in a
9 rare scenario, which is where you have a blatant
10 contamination in the lab, and this has happened where you
11 have had 30 or 40 pools in a single run that are all
12 reactive, and to have this scenario as an option to resolve
13 those pools as non-positive, I think is attractive.

14 Once you start getting into doing 10 or 20 reps,
15 then, it is no better than doing the individual samples to
16 resolve it out.

17 DR. DAYTON: It depends on your pool size. If you
18 are dealing with a pool size of 500 or 1,000, it is
19 definitely attractive.

20 DR. KATZ: I would also very briefly point out
21 that in the whole blood where we are using small pools, even
22 if this was available for other than that gross
23 contamination, we would be unlikely to use it because the
24 specificity of these tests has turned out to be so good that
25 it would just be another round of testing delaying our

1 release of platelets.

2 I think this would not, even if available, be well
3 received in the whole blood industry because of the high
4 specificity.

5 DR. DAYTON: And we would intend it as an option
6 to be chosen by the establishment that is running it.
7 Obviously, the people who would have 500 and 1,000 pool
8 sizes are going to find this attractive. People who have
9 very small pool sizes will probably find it more efficient
10 just to go right to either small pools or individual
11 donations, but the key thing here is whether the committee
12 feels that this is a reasonable option to offer
13 establishments.

14 DR. MITCHELL: I think it is clear from the
15 discussions that this is not a reasonable option for the
16 primary. If the major pool comes up reactive, I don't think
17 that this is a substitute for going back to at least
18 minipools.

19 I think that I differ from a number of the
20 members, and I think that if the minipool is non-reactive,
21 that you may consider repeating as a way of clarifying, but
22 I think that everybody is in agreement that it shouldn't be
23 used for the primary pool.

24 MR. RICE: I agree with Mark. One of the largest
25 problems in having a contaminate event occur in the master

1 pool is technical error when combining the aliquots to make
2 that master pool, and I think having any sort of second
3 chance at it might cause the ability to make it right the
4 first time and to keep the attention to making all of your
5 pools the first time you bring them together as best a job
6 as possible as opposed to saying, you know, I could get a
7 second shot at this, because we all agree that there will be
8 errors, and that is what all these false positives are
9 coming from, but I think it would be less incentivewise or
10 just as likely to occur if you had to go on to the next,
11 more expensive step if you really didn't do it right the
12 first time.

13 DR. NELSON: Are we ready to consider this
14 question? A brief comment? Yes, go ahead.

15 DR. WATSON: My name is Chuck Watson from Aventis,
16 and as a user of large pools, this is not an option that we
17 would readily embrace.

18 DR. NELSON: So, the question is should replicate
19 testing of the pool be recommended as a way to confirm that
20 it is initially reactive.

21 How many would vote yes on this?

22 [No response.]

23 DR. NELSON: "No" votes?

24 [Eleven.]

25 DR. NELSON: Abstentions?

1 [Three.]

2 DR. NELSON: Industry?

3 DR. SIMON: No.

4 DR. NELSON: Consumer?

5 MS. KNOWLES: No.

6 DR. SMALLWOOD: The results of voting for Question
7 4. There were no "yes" votes, 11 "no" votes, 3 abstentions.
8 Both the consumer and industry rep agreed with the "no"
9 vote.

10 DR. NELSON: Do you have another algorithm?

11 DR. DAYTON: No, but since we are on a roll here,
12 again, this is up to committee to decide whether they want
13 to go a question that we haven't actually given them, but if
14 we wanted to help out or give an answer to the plasma
15 industry that runs into problems on intersecting layers,
16 should we consider the possibility of the question, should
17 individual donations be the final way of calling those
18 incongruities.

19 In other words, they get into a problem with the
20 deconstruction, do they have the option of going to the
21 individual donations and releasing on the basis of those
22 results. I don't know if you want to consider that question
23 or what, but if you do want to consider it, I could frame it
24 into something votable.

25 DR. BOYLE: I think we have already agreed upon

1 that because we basically said any reaction in any of the
2 layers is treated as reactive, and you have an algorithm of
3 where to go when you are reactive, so I think we are done.

4 III. Blood Bags for Diversion of the Initial Collection

5 Background and Introduction

6 DR. VOSTAL: Thank you very much. We are now
7 going to switch from viruses to bacteria.

8 [Slide.]

9 I would like to thank you for the opportunity to
10 let me present the background and some of the concepts to
11 this initial collection of blood-volume diversion.

12 [Slide.]

13 We had a workshop on the Bacterial Contamination
14 of Platelets in 1999. At that workshop, Dr. Mo Blajchman
15 summarized the bacterial contamination for different blood
16 products. He summarizes from a number of studies he
17 reviewed in the literature.

18 The contamination rate that he reported was that,
19 for random donor platelets, one in 3,000 units are
20 contaminated with bacteria. For pooled random donor units,
21 which are usually--pools are four to six units of random
22 donor platelets--the contamination rate would be about one
23 in 500 units. For apheresis platelets, the contamination
24 rate would be one in 5000 units. For red cells, the rate
25 would be one in 30,000 units.

1 If you compare this to what the viral
2 contamination rate is for HCV, HBV, HIV, those would be in
3 the rate of one per 100,000-plus. You can see that the
4 bacterial contamination rate is one to two orders of
5 magnitude bigger than what we see with viral contamination.

6 [Slide.]

7 What kind of bacteria are found in blood products.
8 This is a list that was put together by Dr. Keya Sen and
9 Chang Syin at the FDA. It is a list of bacteria that have
10 been reported in the literature to contaminate blood
11 products. It is a pretty broad list covering Gram-negatives
12 and Gram-positives.

13 If you look at this list, you could say, well,
14 there are a few bugs in blood products but are they
15 clinically significant..

16 [Slide.]

17 This is a list that we reported of bacteria
18 associated with transfusion fatalities reported to the FDA
19 between 1976 and 1998. This list was compiled by Dr. Lee at
20 the FDA and it was also presented at the Bacterial
21 Contamination of Platelet Workshop. You can see that there
22 are bacteria that are specific for red cells, platelets and
23 also found both in red cells and platelets.

24 The difference between bacteria that grows in red
25 cells and platelets is that red cells are stored at

1 4 degrees whereas platelets are stored at room temperature,
2 so these bacteria proliferate at cold temperatures.

3 [Slide.]

4 We may be on a different set of slides than I put
5 in there. I had a set of slides that had a blue background.
6 I had a second set of slides that had a couple of different
7 slides. But we can continue here.

8 This is a slide taken out of--reviewed by Dr.
9 Kirshan and Brecher. They summarized the factors that
10 affect patient outcome with the transfusion of bacterial-
11 contaminated blood products. He arranged the factors nicely
12 to spell out the word VICTIM. You can see that, if we just
13 briefly run through this list, it depends on the virulence
14 of the organism.

15 Certainly, a bacteria that is Gram-negative, that
16 has got lots of endotoxin production, would cause more
17 problems to a recipient of that blood product than a
18 bacteria that doesn't produce endotoxin. Certainly, the
19 underlying immune status and general condition of the
20 recipient play a big role in the outcome.

21 Then you have to consider the concentration and
22 the bolus dose of the bacteria transfused. A big role is
23 played by the recognition of the reaction and the
24 therapeutic intervention. Also, this goes hand-in-hand with
25 the intensity of the patient monitoring, whether it is an

1 in-patient or an out-patient, and what kind of underlying
2 medicines the patient is receiving, for example,
3 antibiotics.

4 [Slide.]

5 Once the bacteria get into the blood product, they
6 have a tendency to proliferate, especially in platelets.
7 This is a study done by Dr. Curry in Transfusion in 1997.
8 What they did was they intentionally inoculated platelet
9 concentrates with bacteria. Here, they put in about 100
10 colony-forming units.

11 You can see that in a span of three days, they are
12 up to a million colony-forming units. So, at least in
13 platelets, there is a relatively rapid growth of bacteria.
14 A similar things happens in red cells, but it is at a slower
15 pace since it is grown at 4 degrees.

16 [Slide.]

17 So how does the bacteria get into the blood
18 product? One way is that it thought that it is introduced
19 during the venepuncture and that the needle, as it goes
20 through the skin, cuts a core of the skin and that the skin
21 plug ends up in the blood product.

22 Another way to get bacteria into the blood product
23 is if the needle cuts through a pocket of bacteria, that
24 could be created by some scar tissue. This would be a case
25 when there are frequent blood donors and have a number of

1 venepunctures so scar tissue can form.

2 So the bacteria can be introduced into the product
3 this way. It has been thought, for a number of years, that,
4 if this is the case, then diversion of some of the initial
5 blood to a separate blood bag, separate from the final
6 product bag, could decrease the contamination rate.

7 [Slide.]

8 This is a study done by Dr. Wagner. He put this
9 concept to the test. He developed an in vitro model of the
10 blood-diversion concept. This was published in Transfusion
11 last year. What they did was they took a bag of blood and
12 they intentionally contaminated one of the quarts. They
13 painted Staph aureus on the septum.

14 Then they punctured that with a needle and
15 collected the blood that was coming out, collected that into
16 a diversion, a side arm, and they collected sequential 7-cc
17 fractions. Then they measured the number of bacteria found
18 in the sequential fractions.

19 [Slide.]

20 This is the data that they published. These are
21 the colony-forming units found in the sequential tubes. You
22 can see that there is a large number in the beginning tube
23 and it decreases as you go down to the last two. They were
24 able to show that, in the first three fractions, they
25 collected 88 percent of the bacteria and, if they extended

1 up to six fractions, they collected 95 percent of the
2 bacteria.

3 So, overall, they were able to show that there is
4 about a one-log reduction in the amount of bacteria that
5 would get to the final blood product.

6 [Slide.]

7 I did have a second set of slides in there. There
8 was a clinical study done in Europe that I wanted to talk
9 about. The study done by Dr. Wagner was an in vitro model.
10 This is a study done by Dr. Bruneau in Europe. This is an
11 actual clinical study where they collected whole-blood
12 donations from 3385 donors.

13 They had a unique setup in their collection
14 system. They had two side pouches and they initially
15 collected the first 15 ml and then the second 15 ml, and
16 then they collected their final product. They did cultures
17 on these first two bags.

18 They were able to show that bacteria was present
19 in either sample in 76 out of 3385 donations, or a
20 contamination of 2.2 percent. So they argued that this
21 2.2 percent would be the contamination rate in the blood bag
22 if there was no diversion pouch present.

23 In their study, they also showed that the first
24 sample was positive and the second one was negative in
25 55 out of 3300 donations. That was a 1.6 contamination

1 rate. They argued that, in this case, this would be
2 protected, that all of the bacteria would have ended up here
3 and none would have got into the bag.

4 So you subtract the rates, 2.2 minus 1.6, and you
5 get a final rate of 0.6 percent. So they concluded that
6 this arrangement could have decreased the bacterial
7 contamination rate down to 0.6 percent.

8 However, the problem with this study was that they
9 never cultured the final product, so there really is no true
10 demonstration that having these side pouches present
11 protects the final blood product.

12 [Slide.]

13 If I could summarize. Bacterial contamination of
14 blood products is a significant problem. Some preliminary
15 trials suggest that a diversion of a small volume of blood
16 away from the main storage bag may be beneficial in
17 decreasing the contamination rate. A clear demonstration of
18 this is lacking but, in order to demonstrate this, a
19 clinical trial to show a benefit would need to be quite
20 large.

21 [Slide.]

22 There do not appear to be any negative effects of
23 using a diversion system to collect blood. There could be
24 additional benefits such as using the diverted blood for
25 testing may also save units lost to inadequate sample

1 collection. This last part here is what we think could be
2 used, if you do divert the blood up front, you could use
3 that blood for regulatory type testing.

4 Sometimes, when you are collecting blood and you
5 collect the product first and then you go back and collect
6 the samples, you may use the venous access and you may not
7 be able to collect those samples in the end. Under those
8 circumstances, you would lose the whole blood product. So
9 that may be an additional benefit of having the diversion up
10 front so you could get your samples at that time.

11 [Slide.]

12 So this is the design that we were considering
13 that may be beneficial either from the decreasing bacterial
14 contamination and saving some of the samples, some of the
15 products, due to inadequate sample production.

16 We think it should be a closed system. The
17 diverted blood is separated from the final blood product by
18 a unidirectional flow, so we think that there should be a
19 closure to the main product bag at the time of initial
20 collection so that the blood can go to the sample bag.

21 At that point, once the sample bag is filled up,
22 we envision that this would be closed by a permanent seal
23 and at that point, the seal could be broken and the full
24 product could be collected. Finally, the volume of the bag
25 should be sufficient to achieve the potential benefits; that

1 would be the reduction of the bacterial contamination and
2 sufficient volume to run all the sample testing.

3 So thank you very much. I think today we are
4 going to ask you the questions whether you would think that
5 these changes would be of benefit to the blood-banking
6 community.

7 DR. NELSON: Thank you.

8 Are there questions from the committee?

9 DR. BOYLE: How much of the product is lost by
10 using the blood bags, the diversion?

11 DR. VOSTAL: Do you mean in terms of volume?

12 DR. BOYLE: Yes.

13 DR. VOSTAL: You really wouldn't be losing any
14 volume because you are going to collect that extra blood
15 anyway for sample testing.

16 DR. NELSON: You mentioned 33 ccs would be the
17 sample, at least that was studied in the European study,
18 which would be replaced, would be added.

19 DR. VOSTAL: Right; but you would actually collect
20 those 33 ccs anyway.

21 DR. NELSON: Yes; right.

22 DR. VOSTAL: It is the order of collection that we
23 are talking about.

24 DR. LINDEN: This sample-collection bag, would
25 this be anticoagulated so that this would all be plasma?

1 DR. VOSTAL: This is actually an interesting
2 point. We think it probably shouldn't be anticoagulated
3 because, if you have anticoagulant present, you could dilute
4 out the blood and that may cause some problems in the
5 testing, itself.

6 But that is open to discussion, whether that would
7 be a problem or not.

8 DR. LINDEN: If you are collecting serum then how,
9 logistically, would you do that?

10 DR. VOSTAL: To collect serum from those samples,
11 if it wasn't anticoagulated, or if it was anticoagulated?

12 DR. LINDEN: What you showed in your diagram was a
13 single bag, not going into tubes. So I am just questioning
14 what--

15 DR. VOSTAL: I think you can go back and enter
16 that sample bag to collect your individual samples. We
17 think you would be able to divert those 33 ccs and then you
18 could go into those 30 ccs with your individual collection
19 test tubes and get the specific samples that you wanted.

20 DR. KOERPER: But it depends on how quickly you do
21 it. I mean, once it clots, you can't go back and get a
22 sample to do a blood count, for instance. So another option
23 I saw on some of the handout was that it had a vacutainer
24 adapter on the end. And so you could actually put the
25 individual vacutainers and collect directly into the

1 separate tubes.

2 DR. VOSTAL: That is also an interesting point for
3 discussion because a vacutainer, by itself, we don't really
4 consider that a closed system. So you have to be able to
5 fill up the bag first, close it off and then put the
6 vacutainers on it. But I agree with you that you have to do
7 that rather quickly so you wouldn't get a clot in the bag.

8 DR. FITZPATRICK: Since all the tests we are doing
9 now are on plasma samples or cells drawn into an
10 anticoagulant, for the most part, we are not doing tests on
11 serum, why would you suddenly decide that we should not be
12 using an anticoagulant in those samples?

13 DR. VOSTAL: I think we were just concerned that
14 some tests would be--if you are at the level of detection
15 and if you have a diluted blood, that you could run into a
16 problem of missing a positive signal. But I am aware of all
17 the tests being done on non-serum samples.

18 DR. KOERPER: But are serologies being done on
19 plasma as opposed to serum? Okay. Then, it seems like you
20 need anticoagulant in that little bag, t

21 DR. STRONCEK: I am not involved in the day-to-day
22 basis with the collection, but my understanding is that the
23 problem is not getting anticoagulated blood. It is getting
24 blood with exactly the right anticoagulant you want. So I
25 think the preferred way to do it is get it unanticoagulated,

1 then transfer it quickly to something else.

2 I don't know all the details, but I think on some
3 of the platelet-apheresis kits, they have this type of thing
4 already and it meets the needs of blood center. So I don't
5 think it is an issue whether or not this is anticoagulated
6 or not.

7 I think the point of bringing this to the
8 committee is to endorse the concept of pre-storage,
9 collecting these samples to reduce bacterial contamination,
10 to hopefully move the collection centers and the bag
11 manufacturers in this direction.

12 DR. NELSON: Other comments?

13 Thank you.

14 We next have Dr. Vander Poel from The Netherlands.

15 **Presentation**

16 DR. VANDER POEL: Thank you, Mr. Chairman. I am
17 Cees Vander Poel from Amsterdam, The Netherlands. I
18 represent the recently unified Dutch Blood System.

19 [Slide.]

20 I will present these data on behalf of Dr.
21 Marcelis who is our bacteriologist who cannot come today and
22 Dr. DeKorte who is from the technology laboratory for blood
23 transfusion techniques.

24 [Slide.]

25 While there was, of course, bacterial

1 contamination of blood products due to the studies on
2 recipients, like the FDA data, but also the SHOT data of the
3 United Kingdom where they look more intensely that the side
4 effects of blood transfusion and the French data on their
5 hemovigilance studies.

6 Early data in Holland suggested that 1.6 percent
7 of whole blood units were contaminated. But the problem was
8 the scope of the study was too small and results in a very
9 large confidence interval because of the statistics.

10 The possible effects of overnight storage should
11 be taken into consideration in our study because we feel
12 that most of our blood banks store the blood overnight
13 before doing the primary spin which is a hard spin. As you
14 know, we prepare platelets from the buffy coat.

15 We feel we get a better spin if we at least store
16 the blood for six hours or more so, in practice, it becomes
17 overnight storage. So it has to be sorted out whether the
18 overnight storage influences the growth of bacteria or not
19 because this storage is at 20 degrees centigrade.

20 Of course, we also wanted to study the possible
21 reduction by removal of the initial volume.

22 [Slide.]

23 So the study is in two phases. The first phase is
24 to make a large enough study to determine the prevalence
25 with a small confidence interval, to make it big enough, and

1 then compare, in this study, the overnight storage versus
2 immediate sampling right after donation. The second phase
3 was the determination of the effect of the diversion of the
4 initial flow.

5 [Slide.]

6 We used, as measurement, the BacT/Alert system of
7 Organon Technika. This is a culture system, aerobic and
8 anaerobic bottles and screening for the carbon-dioxide
9 production as a measure of bacterial growth. We do
10 confirmation with normal culture later.

11 We made a special four-bag system with an
12 additional sample bag to do the sampling for Organon.

13 [Slide.]

14 So this is the machine. It is automated and you
15 can have these bottles with bar-code labeling.

16 [Slide.]

17 Now, about the system. This is not the diversion
18 bag you have seen before. This is the bag which was used to
19 draw a sample from the whole-blood bag after storage
20 overnight or immediately, within three hours after drawing
21 the blood. These two spikes here are for entering the
22 bottles of the BacT/Alert.

23 This is the system where we have--it is not a top-
24 and-bottom system but the older system where we have the
25 segmental here. The plasma is in there and the buffy coat

1 is put in there. And then the segmental is put back on the
2 red cells. But the sampling for this study was done on the
3 whole blood.

4 [Slide.]

5 We wanted to validate first if the introduction of
6 this sample bag did not introduce any flaws in terms of
7 Factor VIII content in the plasma because you could imagine
8 that the extra Y piece at the beginning of the tubing would
9 influence the possibility of clotting.

10 We would look at the component preparation
11 outcomes and quality-control data whether or not this bag
12 influences--these were all normal. We also looked at cells,
13 countings, to see whether the sampling bag was a
14 representative of the whole-blood unit.

15 [Slide.]

16 We cultured all samples for seven days in the
17 Bact/Alert at 35 degrees, and when it had a positive signal,
18 of course we did a culture on blood agar and looked further
19 for confirmation in typing of the agent. Standardized
20 disinfection is used in The Netherlands, usually with
21 isopropyl alcohol or iodine, most of the time, one
22 disinfection, not two. We had an aseptic transfer to the
23 Bact/Alert in the laminar-flow cabinet.

24 [Slide.]

25 The determination of the prevalence of the

1 bacterial contamination--we wanted to have a 95 percent
2 confidence interval resulting in not more than half a
3 percent of diversion so that we have a narrow enough
4 measurement to be precise enough.

5 We tested overnight, this group, too. And we
6 tested also freshly.

7 [Slide.]

8 Group I, where we tested within two hours or three
9 hours after donation, this was 9,219 units tested. Twenty-
10 seven units were positive which gives you 0.3 percent with a
11 confidence interval with what is a acceptable. Group II was
12 also about 9,000 units tested, also have the prevalence of
13 about 0.39, 0.4 percent, with a confidence interval which is
14 largely overlapping, as you see.

15 So this difference was not significant. This was
16 giving us some confidence, at least, that overnight storage
17 would not heavily influence bacterial growth in the product.
18 So the overall prevalence of whole-blood contamination was,
19 then, 0.3 percent, 0.34 percent, with a confidence interval
20 of 0.25 to 0.44 percent.

21 [Slide.]

22 So what did we find? In group I and in group II,
23 predominantly staphylococcus sp. and Propioni bacterium sp.
24 And we had some other agents, but, as you can see, most of
25 the bacteria that we cultured were skin-related. This, of

1 course, is an outlier where you could say, how does this
2 come into the blood but, probably, came from the skin as
3 well. This is, at least, the estimation of our
4 bacteriologists.

5 [Slide.]

6 SO we have a similar distribution of species in
7 both groups, either freshly sampled after donation or after
8 storage overnight of the whole blood. It may be skin-
9 associated and not pathogenic agents and this
10 Peptostreptococcus case is probably rare.

11 [Slide.]

12 So this is what we conclude, which is merely not
13 more than the results, but we could elaborate for that a
14 little bit longer. We think it is a little bit lower than
15 we have previously seen in the literature. It is mainly
16 skin-derived bacteria and we have no direct effect of
17 overnight storage.

18 What has to be discussed and has to be studied yet
19 in the system is that when we do overnight storage and then
20 when we take out leukocytes by buffy-coat removal or by
21 general leukodepletion, does this give us a better sterility
22 of the product. But that has not been studied yet.

23 [Slide.]

24 For phase II studies, we would address the
25 question which was also put here by Dr. Wagner's studies and

1 the Bruneau study from France, whether the skin plug is
2 introducing bacteria in the product.

3 [Slide.]

4 So we also used a new bag where we have a sample
5 site at the beginning and the materials and methods were
6 similar to phase I. The modified bag, with the sample site,
7 was validated as a in phase I.

8 [Slide.]

9 This was the setup. It is the same setup as
10 before but here you have a Camposampler which allows you to
11 take one tube of 10 milliliters and then the blood is going
12 further. Now, the discussion of whether this is open or not
13 has been debated in Holland but, to be honest, the blood
14 typing sample has always traditionally been taken from the
15 whole blood by this needle so you could argue--be more
16 precise maybe by not using this.

17 I am not sure. This was at least in the
18 experimental setup. This is the sample bag for the
19 culturing and these are the two needles for the bottles.

20 [Slide.]

21 So the aim was to measure of prevalence of
22 bacterial contamination in whole-blood units, the outcome of
23 what you want, after diversion of the first 10 milliliters.

24 [Slide.]

25 Here you see the results as compared to phase I.

1 This is the phase II study, 7,115 units tested, of whole
2 blood tested and the prevalence is 0.21 percent. As you can
3 compare this with the prevalence in the original study where
4 there were 18,000 done, it is not so big a difference.

5 But the difference was significant and you see
6 there is an overlap of the confidence interval. But if you
7 do other statistics, there is a reduction of infectivity.

8 [Slide.]

9 If you split up the second group, within three
10 hours sampling or overnight storage, there was no
11 significant difference as well. So this was the same as in
12 the phase I study.

13 [Slide.]

14 So, after diversion of the first 10 milliliters,
15 the prevalence was lower and it was significant. This was
16 mainly due, by this group, which included the overnight
17 storage although in the larger study, we could not find a
18 difference of infectivity in the overnight storage group
19 versus the fresh-sampled group.

20 [Slide.]

21 This is what we found. This was, again, the data
22 of the phase I study, and this is the data of the phase II
23 study where the first 10 mls have been taken away. This is
24 18,000 samples and this is 7,000 samples. So this reduction
25 is significant but it is not to that amount as it shows

1 here. There is no real difference in the Propioni. So the
2 main difference in infectivity by taking out, in practice,
3 in the clinical situation, the first 10 ml is due to a
4 reduction of the Streptococcus sp.

5 [Slide.]

6 So the majority of the bacteria were identified as
7 Propioni which were not affected by the intervention and a
8 significant degree of the prevalence was due to the
9 Staphylococcus sp.

10 [Slide.]

11 What we would like to discuss is that we find kind
12 of confirmation on practice but which was studied in a model
13 by Wagner, which was also studied by Bruneau but Bruneau did
14 not test the final product, the whole-blood product. What
15 we did not know yet is why only reduction of the
16 Staphylococcus sp. is due to the small numbers or is there
17 something really going on.

18 We had a discussion about plugs versus flaps. I
19 am not sure whether this is scientific but the idea was that
20 a plug might get into the blood and that would be one
21 occasion, so you might take that out with the first
22 10 milliliters, but if it is a flap, it still would get you
23 bacteria into your product.

24 [Slide.]

25 So even after introduction of this preventative

1 measure, if you count the 0.2 percent, if you take that into
2 account, that 0.2 percent of the single units were
3 infectious, then a platelet pool of 5, which is stored in
4 Holland at 20 degrees for five days, you would have a
5 1 percent chance of having a contaminated product.

6 So this is even after the intervention. So if you
7 bring the intervention bigger, with taking out 30 ml or
8 40 ml, we feel that probably you would gain some effectivity
9 but you would not take it away.

10 [Slide.]

11 We conclude, actually, this can be significantly
12 reduced. We have said that before. What we did not find in
13 our study was Gram-negative bacteria. We don't know why
14 that is; maybe that food is healthier, although you might
15 doubt it lately. So the majority was skin plugs.

16 [Slide.]

17 My conclusion would be, and actually that has been
18 supported--it is not on this slide because it is very
19 actual--our medical advisory board concluded from this study
20 that it is nice to take away the first couple of milliliters
21 of the product by drawing the blood but it is better to
22 culture the platelet products, and we are very glad to
23 announce that we have got agreement from the Minister of
24 Health to test all platelets for bacterial contamination
25 before release.

1 Thank you.

2 DR. NELSON: Any questions?

3 DR. KLEINMAN: One thing I didn't understand.

4 When you showed the data from the diversion and you showed
5 the 95 percent confidence intervals, they overlapped.

6 DR. VANDER POEL: Right.

7 DR. KLEINMAN: And you said, well, this doesn't
8 look significant if we look at it this way, but if we look
9 at it in some other statistical fashion, you make--

10 DR. VANDER POEL: Chi square. That was
11 significant.

12 DR. KLEINMAN: I am not sure which to believe as
13 to this. It didn't look like a big difference to me, nor
14 did it look statistically significant by confidence
15 intervals. I think your other point was well taken, your
16 last point, that since the pathogenic bacteria are not these
17 bacteria, in general--they are the Gram-negatives. Since
18 you didn't have any, you really haven't been able to
19 document that your intervention will be effective to stop
20 the serious clinical problems, just that it will reduce the
21 number of Staph species.

22 DR. VANDER POEL: Exactly. But the Gram-
23 negatives, of course, would not be taken out by taking out
24 the first--

25 DR. KLEINMAN: Exactly.

1 DR. KUEHNERT: Matt Kuehnert, CDC. I just had one
2 question and one, perhaps, clarification. I guess I might
3 have been confused by one of your slides. It was the second
4 study that showed Klebsiella pneumoniae. The last time I
5 checked, I thought it was a Gram-negative. I just wondered
6 if that was excluded for some reason or not.

7 DR. VANDER POEL: It was the overall
8 interpretation to state that the majority were skin flora
9 and that there were not so much Gram-negatives as reported
10 in the literature. That was the statement.

11 DR. KUEHNERT: Oh; okay. I'm sorry. I thought it
12 said no Gram-negatives. Thanks for that. The other was
13 that I am not so sure that you can concluded that these were
14 all necessarily skin flora. For instance,
15 Peptostreptococcus is a common oral flora and might suggest
16 that it could represent transient bacteremia. So those were
17 just my two points. But a very interesting study.

18 DR. WAGNER: I just wanted to make one comment.
19 Steve Wagner from the American Red Cross. Based on what is
20 reported in the literature, from 30 or 35 percent of the
21 cases that transfusion-associated bacterial sepsis is caused
22 by Staphylococcus species.

23 DR. VANDER POEL: That's right.

24 DR. NELSON: Thank you.

25 We have the open public hearing

1 bag and each sample was cultured. The total colony-forming
2 units for each sample were listed.

3 [Slide.]

4 Steve, this is an art class where everybody
5 interprets your findings. This is our interpretation. What
6 happened was there was whole blood in this bag. This port
7 was painted, was contaminated, and all six of these samples
8 were drawn. Then a 40 ml sample was pulled down into this
9 bag.

10 Then, through the uncontaminated port, a sample
11 was drawn and cultured. The very interesting thing is yes,
12 there was contamination in all of these tubes that went down
13 by quite a bit as was reported before with each sequential
14 tube that was drawn.

15 This tube that was drawn at the end was still
16 sterile. So that tells us that the Staph aureus were not
17 carried into the whole-blood bag but it suggests that they
18 were carried on a core that the needle made through this
19 medication port and then carried into the successive bags.

20 [Slide.]

21 As was reported before, I tried to do a simpler
22 representation. This is, I think, a 16 to 1 reduction.
23 This is about 70 to 1. This is about 100 to 1 reduction of
24 the number of bacteria as we go from tube 1 to tube 5. I
25 chose tube 5 because we now draw five tubes in order to do

1 our testing. Our thought is if we could draw a sufficient
2 amount of blood into this diversionary pack, seal it off and
3 then go from there, I think we could make significant in-
4 roads into the bacterial-contamination problem.

5 [Slide.]

6 This is the second go-around. The clinical
7 reporting of the investigation, or the outcome of Steve's
8 slides, was that the first tubes collected were heavily
9 contaminated. Successive tubes had fewer bacteria and this
10 appears to be an effective method for reducing the bacterial
11 load although it doesn't completely get rid of it.

12 [Slide.]

13 In the clinical reporting, according to 12 CFR
14 606.170, we write that into our supply contracts and we tell
15 our hospitals, if you find something wrong with a unit of
16 blood that you suspect may have hurt your patient, please
17 let us know and we will investigate that with you.

18 We included this stipulation when we participated
19 in the BaCon study

20 [Slide.]

21 But what I would like to show you now, the
22 questions that we had were how frequently does it happen, or
23 I will talk next about how frequently it has happened, that
24 we know about, and how often does bacterial contamination
25 cause problems and how often is it just found incidentally

1 when it is not directly associated with a problem and how
2 important is the source of the culture, and do the organisms
3 give a clue as to their source.

4 [Slide.]

5 Let's go back and pick up our fiscal-year data.
6 We started keeping these records at Headquarters,
7 apparently, on July 1, 1991 and so Fiscal Year '92 would be
8 ending on June 30 of 1992, and so on, for five years. These
9 are the deaths that we had reported to us that we, in turn,
10 reported to the FDA.

11 If you think that things were really getting good
12 in 1996, I will have to tell you that there were four deaths
13 in 1997. So it all sort of evens out. In those five years--
14 -I did not pull down these slides from this set, but there
15 were about 30 million red blood cell distributions--we don't
16 know; we expect that the majority of those were transfused--
17 and that there were about 300,000 apheresis platelet
18 transfusions each year and about 300,000 platelet-pool
19 transfusions each year for approximately 600,000 platelet
20 transfusions each of those years.

21 Beginning in '92, the predominance was on the
22 pooled-platelet transfusions and that gradually switched
23 over so that there is a slight edge for the apheresis
24 platelets but the time you get to the end. On the third
25 study that I review, the BaCon study, you will see that the

1 apheresis platelets have an edge.

2 [Slide.]

3 We are assuming here that the Gram-positive cocci,
4 which I will represent in yellow in the following slides,
5 and Gram-positive rods, probably came from the skin and that
6 the Gram-negative rods were probably carried in from the
7 blood stream or may have come from the skin. We really
8 don't know.

9 [Slide.]

10 These are the reported apheresis platelet
11 transfusion adverse reactions during these five years.
12 During this time, we had three deaths and here are the
13 organisms that were causing death. As you will see, only
14 one of these three happen to be a Gram-negative rod. The
15 other two were Gram-positive cocci.

16 The ones that were causing septic illness, again,
17 you have only one Gram-negative rod but, of the organisms
18 that were reported in cases that turned out where the
19 patient did not run a 2-degree centigrade rise in
20 temperature, did not require any intervention and, although
21 the units were positive, the blood cultures of the patient
22 were not positive. So these were suspected transfusion
23 reactions that really didn't turn out.

24 You will notice that with the apheresis products,
25 we talked about a diversionary bag. You will notice the low

1 number of problems in this column compared to the others
2 that you will see.

3 [Slide.]

4 Then, when we go to platelet pools, you will find
5 that we have, again, a wider variety of organisms and,
6 again, we had Gram-positive as well as Gram-negatives that
7 caused death. Now, here the Gram-negatives begin to move
8 out and cause many more problems, particularly in the sepsis
9 area, the Gram-negatives really showed up. But we still had
10 our representative Gram-positive cocci and, over in the sort
11 of garbage column, it is predominantly skin organisms that
12 apparently came along for the ride but, according to our
13 clinical reports, did not cause serious problems.

14 [Slide.]

15 With the red cells, now, all of the fatal
16 organisms are Gram-negative rods. The Yersinia
17 enterocoliticas happened fairly early on in this period. We
18 have seen many fewer of those in recent years and I don't
19 know if people are not hanging around farm animals as much
20 or if red blood cells are being used earlier in their dating
21 period and these haven't had a chance to grow up. But that
22 certainly has abated.

23 But, again, the serious incidences are
24 predominantly Gram-negative rods although a great deal of
25 consternation, trouble, blood cultures and so forth, were

1 done because of Gram-positive supposedly skin contaminants.

2 [Slide.]

3 Now we will go another study which followed. By
4 the way, because of the information we had collected that I
5 have just shown you, I went over that in a conversation, in
6 a scientific conversation, with Dr. Sue Cookson from the
7 CDC, and then she was replaced by Dr. Matthew Kuehnert. We
8 tried to look at that information to see if the BaCon study
9 was worth doing.

10 So the BaCon study years were '98 and '99 and
11 2000. These were calendar years. During this time, with,
12 again, a distribution of 17 million red cells, about
13 1.2 million platelet pheresis units and an estimated 700,000
14 platelet-pool transfusions, we had bacterial sepsis events.
15 Now, by the definitions of the BaCon study, we got rid of
16 that long column on the right where the symptoms were not
17 serious and the bacteria usually turned out to be what
18 looked like contaminants. Then we, of course, captured the
19 death events.

20 [Slide.]

21 Again, trying to color-code for you, the red-cell
22 deaths, again, were Gram-negative rods. The platelet-pool
23 deaths, one of those was a Staphylococcus aureus and our
24 Clostridia, down here, are problematic in that they were not
25 either of those a lock. In one case, the unit was never

1 cultured. It was thrown away. The patient had a reaction
2 after receiving blood but, on autopsy, had ulcerations in
3 the colon. So we don't really know that it came from the
4 blood unit.

5 In the second Clostridium case, the unit was
6 culture positive. The patient was not and the bag from
7 which the culture was taken was pulled out of the trash. So
8 we don't know exactly. But I put those up because they
9 certainly were serious reactions.

10 [Slide.]

11 What is the size of the problem that we see laid
12 up on our doorstep? We had three fatalities in platelet
13 pools, which was one in 235,000; platelet pheresis, the
14 deaths we had were one in 298,000; and the red cells, one in
15 6 million. That is about one in 65,000 of septic events for
16 platelet pools; one in 54,000 for the platelet pheresis; and
17 one in 1.5 million for red cells.

18 [Slide.]

19 If you like that in numbers per 100,000, as Dr.
20 Dodd is trying to teach me to do, we have it by the
21 alternative method on the next slide.

22 [Slide.]

23 In summary, a diversion of an initial sample would
24 be likely to decrease the number of bacterial-contamination
25 incidents observed. Sepsis incidents could be reduced

1 significantly, we hope, and fatalities may not, however, be
2 significantly reduced and the American Red Cross is working
3 with the supplier to try to have a sample-first system in by
4 the end of 2001.

5 [Slide.]

6 Our recommendations are that sample diversion is
7 an incremental safety step for blood transfusion
8 particularly with platelet concentrates and should be
9 adopted. Research to detect bacterial contamination should
10 be pursued. A method capable of finding Gram-negative rods
11 would be of great value. Effective pathogen inactivation
12 could also be helpful.

13 Now, I will comment, Dr. Simon, on the questions.
14 On the design, we certainly agree there should be a closed
15 system diverted by unidirectional flow. We support that.
16 The volume of blood diverted should be sufficient to get the
17 samples for testing. That may also be very helpful in
18 saving some units, where the unit stops bleeding at the end,
19 so that may help offset some of the cost.

20 Are the European studies sufficient? I think that
21 what we know from the studies in the United States and the
22 studies in Europe, that even without definitive proof, if we
23 could get a system where this wouldn't hurt anything, it
24 would be more than worth trying. What studies are needed?
25 I think the studies that are needed would be studies for

1 effectiveness of this method if it were introduced.

2 Thank you.

3 DR. NELSON: Thank you.

4 Are there questions for Dr. Haley?

5 The next speaker is Dr. Mark Popovsky from
6 Haemonetics Corporation.

7 DR. POPOVSKY: Mr. Chairman, ladies and gentlemen,
8 good afternoon. I am Mark Popovsky. I am the Corporate
9 Medical Director of Haemonetics Corporation. I don't have
10 any slides. However, I have a text that I would like to
11 share with you.

12 Thank you for giving industry the opportunity to
13 speak on this topic today. Haemonetics would like to
14 comment on two aspects of this issue. First, our
15 experiences to date with implementation of a pre-donation
16 sampling pouch on all of our currently marketed apheresis,
17 platelet and red-blood-cell sets; secondly, our input on
18 FDA's recommendation that the initial donor blood volume
19 collected be diverted for all blood products collected.

20 Currently, all Haemonetics apheresis platelet and
21 red-blood-cell kits have a sample pouch attached to a Y-
22 connector on the donor needle. I brought an example of that
23 with me today. This is the Vanna White portion of this
24 program. Actually, you can pass this around to show the
25 committee.

1 We implemented the sample pouch on all our
2 platelet sets back in the late 1980s. This implementation
3 was driven by customer request to provide a more user-
4 friendly and easy method than a post-donation second
5 venepuncture to obtain donor blood samples to determine the
6 donor's platelet count.

7 Limited studies were performed to investigate
8 whether use of the pre-donation sample pouch had the added
9 benefit of reducing the frequency of bacterial contamination
10 observed. The results were inconclusive indicating that
11 large numbers of collections would have to be tested for
12 bacterial contamination before the benefit of diversion of
13 the initial blood volume collected could be absolutely
14 confirmed.

15 Our apheresis red-blood-cell sets have included a
16 pre-donation sample pouch since early 1997. This
17 implementation was in response to a concern that the saline
18 compensation provided to the donor during the apheresis
19 procedure could "dilute" the donor's blood potentially
20 resulting in false-negative results in the donor's
21 infectious-disease screening results when obtaining the
22 donor blood sample post-donation.

23 The feedback from blood centers that have
24 implemented the use of the pre-donation sample pouch on our
25 apheresis sets has been very positive. Our customers use

1 the sample pouch as intended; that is, they obtain their
2 donor blood samples pre-donation.

3 We recently worked with two major blood-collection
4 facilities to implement our apheresis red-blood-cell sets.
5 Whole-blood phlebotomists were primarily involved with this
6 implementation and conversion of the staff from post-
7 donation to pre-donation donor sampling was completed
8 without major issues.

9 However, if the recommendation for pre-donation
10 sampling is extended to all blood collections, Haemonetics
11 foresees that there may be logistical issues to overcome
12 when implementing us of the pre-donation sample pouch in
13 mobile collection settings; for example, lack of access to
14 hand-held heat sealers or other sealing mechanisms used to
15 hermetically seal the sample pouch.

16 Haemonetics has brought several examples of its
17 pre-donation sample pouch and is more than willing to answer
18 any questions from the BPAC members or the audience after
19 the presentation.

20 As you know, Haemonetics has served the blood-
21 collection industry for many years and we actively support
22 all efforts to enhance the safety, quality and availability
23 of the nation's blood supply. We believe, with this record,
24 and our long experience with pre-donation sample pouches
25 qualifies us to give input on a proposed FDA recommendation.

1 We concur with FDA's belief that diversion of the
2 initial blood volume collected in blood donations offers the
3 potential to reduce the bacterial contamination of blood
4 products as suggested in two recent studies already quoted
5 today, one by Dr. Steven Wagner and the French National
6 Blood Agency.

7 In addition, as a secondary benefit, the diversion
8 of the initial blood volume may insure adequate amounts of
9 blood for donor-qualification testing thereby reducing the
10 amounts of discarded blood products due to insufficient
11 samples collected post-donation.

12 We believe, however, that a recommendation that
13 the diversion of the initial donor blood volume collected
14 should be focused on platelet donations rather than all
15 blood donations. The rate of bacterial contamination of
16 platelets is approximately one in 2,000 to one in 3,000,
17 whereas the rate of bacterial contamination in red blood
18 cells is on the order of one in 40,000 to a much lower
19 number, depending on whose data one interprets.

20 Moreover, cultures of contaminated products have
21 shown that the bacteria found in contaminated platelets are
22 typically skin flora while the bacteria found in
23 contaminated red cells are indicative, usually, of a
24 systemic infection in the blood donor.

25 Therefore, it is reasonable to conclude that the

1 implementation of diversion of the initial blood volume
2 collected may, indeed, reduce the incidence of bacterial
3 contamination of platelet products, but it is likely to have
4 little or no effect on reducing the rate of red-blood-cell
5 contamination.

6 In addition, the cost-to-benefit ratio of adding a
7 pre-donation sampling system for all whole-blood and red-
8 blood-cell donations may not be justified. As part of any
9 recommendations, FDA should clarify whether it is the intent
10 to use the diversion of the initial blood volume for pre-
11 donation testing. If this is the case, we believe it raises
12 some concerns regarding the type of systems used to withdraw
13 the samples from a pre-donation volume and how to maintain a
14 closed system.

15 We believe that pre-donation sample-collection
16 systems should be designed so that the method of collection
17 of the blood-donor samples does not compromise the sterility
18 of the collection system; i.e., the sampling technique and
19 sealing mechanisms used to insure a closed system.

20 If a guidance document results from the
21 committee's recommendations, we suggest that FDA clarify
22 this requirement in the guidance document. Also, if FDA
23 decides to move forward with the recommendation to implement
24 systems for diversion of the initial donor blood volume
25 collected, FDA should allow blood-collection device

1 manufacturers to implement such systems per the least-
2 burdensome provisions of the FDA Modernization Act of 1997;
3 that is, those blood-collection device manufacturers that do
4 not seek to claim that the diversion of the initial donor
5 blood volume collected reduces bacterial contamination
6 should be able to implement their systems under a special
7 510(k) or NDA supplement, CBD 30, or similar regulatory
8 pathway.

9 Additionally, blood-collection centers should be
10 able to add implementation of such systems to their biologic
11 license applications through the annual reporting mechanism.
12 This would best serve to assure rapid and smooth adoption of
13 this recommendation.

14 In conclusion, we believe that industry must
15 strive to continuously improve the quality and safety of
16 blood products. Haemonetics supports those regulatory
17 initiatives which move us towards that goal.

18 Thank you for your attention.

19 DR. NELSON: Thank you, Dr. Popovsky.

20 Any questions?

21 DR. MITCHELL: You talked about the cost of
22 implementation of a system like that. It seems to me that
23 it is just a change and so I would not expect that there
24 would be a significant amount of cost for moving toward
25 something like that.

1 DR. POPOVSKY: Significance is all relative.
2 Compared to, perhaps, other changes, the cost of other,
3 let's say, donor-screening tests, no; it would probably be
4 considerably less expensive. I think, just in the context
5 of the environment that all of us are working in in terms of
6 managing costs with the constraints that we have, I am
7 putting that forward for consideration. But, no, not in
8 relative terms.

9 DR. MITCHELL: But you are saying there is a cost,
10 it is not just a change in--

11 DR. POPOVSKY: Yes; if, in fact, a manufacturer is
12 not already doing this, then, if you are going to make a
13 change in the assembly, absolutely; there is a cost
14 associated with that. Sure.

15 Other questions? Thank you.

16 DR. NELSON: The next speaker is Dr. Guillaume de
17 Saint Martin from Macopharma.

18 DR. DE SAINT MARTIN: Mr. Chairman, ladies and
19 gentlemen, my name is Guillaume de Saint Martin. As you can
20 probably hear, I am coming from France. First, I want to
21 thank the organizing committee to give me the opportunity to
22 present the Macopharma experience with diversion of the
23 initial collection.

24 [Slide.]

25 I will especially describe the system we have

1 developed called the Bactivam.

2 [Slide.]

3 The agenda of my talk is quite simple, first, to
4 give you basic information on Macopharma you probably don't
5 know, some background and bacterial risk, and then present
6 you our system, the Bactivam, its design, use and some
7 developments we have recently made on it.

8 [Slide.]

9 Macopharma is a French company producing a wide
10 range of blood bags, leukodepletion filters and specific
11 bags for inclusion bags, cryobags and also viral-
12 inactivation sets.

13 [Slide.]

14 Here are some figures concerning our company. We
15 have now an international organization and we are selling in
16 about 35 countries. Our product strategy is to develop in-
17 line systems to make transfusion practices more secure.

18 [Slide.]

19 This start-up product is a good example of what we
20 can provide. It includes an in-line whole-blood filter, an
21 in-line sampling device on the left side of the picture,
22 with a needle product, also, you can see on the left.

23 Let's focus now on this donation line.

24 [Slide.]

25 The fact is that there are more and more

1 discussions around bacterial contamination of blood
2 components.

3 [Slide.]

4 Among all the studies, all the different studies
5 which have been carried out on this topic, this is one we
6 have participating in. It was first presented in Oslo in
7 the ISBT in 1998 and recently published in Transfusion. Dr.
8 Bruneau's conclusion is that excluding the first
9 15 milliliter of blood may reduce the rates of bacterial
10 contamination in blood donation.

11 [Slide.]

12 Following this study, most of our French customers
13 thought to use our sampling pouch around the summer of 1998.

14 [Slide.]

15 In September, 2000, nearly all French blood banks
16 were using such a system and the French Blood Agency gave
17 this guideline; the use of a sampling pouch should improve
18 security in transfusion.

19 [Slide.]

20 Today, we can say that we have, in Macopharma, a
21 great experience in routine use of Bactivam around Europe in
22 countries such as France, Belgium, Portugal, Switzerland
23 with more than 4 million systems which have been used.

24 [Slide.]

25 I now want to describe for you a bit more in

1 detail our system.

2 [Slide.]

3 First, you can see the Bactivam, itself. It is a
4 blood-collection pouch which is 42 ml volume.

5 [Slide.]

6 Then the Vacuvam--we call it the Vacuvam, the
7 barrel--is preconnected to the system. This holder is kept
8 for more security.

9 [Slide.]

10 Then you have a clamp on the dry tubing coming
11 from the Y-connector through the Bactivam. Something which
12 you cannot see on the picture is that there is also a clamp
13 on the donation line--I mean, after the Y-connector.

14 [Slide.]

15 Then you have a breakaway cannula to prevent any
16 anticoagulant to go into the Bactivam.

17 [Slide.]

18 Then a specific Y-connector which has been
19 designed to prevent any disturbance of blood flow during the
20 donation.

21 [Slide.]

22 And then what we call the Secuvam, which is a
23 needle protector specifically designed to fit in the Vacuvam
24 after use.

25 [Slide.]

1 The use of our system is quite simple, secure and
2 friendly. First, you close the donation line using this
3 clamp. Then you proceed to venepuncture. You break the
4 cannula which is here somewhere and let the Bactivam fill
5 itself.

6 [Slide.]

7 Once the volume needed has been reached, then you
8 close the clamp. You open the clamp on the donation line
9 and you proceed to normal donation. We recommend to seal
10 the tubing between the clamp and the Bactivam.

11 [Slide.]

12 Then you remove the cap of the Vacuvam, of the
13 vacutainer, holder. Then you hold the Bactivam upside down
14 with one hand to trap the air which is in the Bactivam.
15 Then you proceed to something with the other hand. There is
16 no need to purge the system. There is no anticoagulant.
17 There is no more air as the bag is upside down.

18 [Slide.]

19 At the end of the donation, you remove the needle
20 and you cover it with the Secuvam. Then, this needle and
21 Secuvam can be introduced into the inside of the Vacuvam for
22 more security; no risk of any injury.

23 [Slide.]

24 Then the donation line is thrown away.

25 [Slide.]

1 Here is a picture which gives you a better idea of
2 the handling of the Bactivam.

3 [Slide.]

4 More and more countries are interested in such a
5 system. In addition to reduction of bacterial risk, there
6 are a lot of other advantages which are mentioned by the
7 customers; first, the elimination of the loss of blood
8 donations due to lack of sample. Another advantage is the
9 better quality of the blood sample which is taken before
10 rather than after donation.

11 These are the countries we are working on which
12 are especially interested with Bactivam.

13 [Slide.]

14 We are still working on some more studies, first
15 in England with the National Blood Service, with national
16 validation of the system, and also in Spain where we will
17 undergo a study in the near future to see whether the
18 diversion has any effect on the bacterial contamination of
19 the platelet concentrate which is prepared from whole blood.

20 [Slide.]

21 We are still improving the product with a new
22 permanent one-way clamp, first, to be sure that the donation
23 is made in a closed system. We are also working on a new
24 breakaway cannula to make it more visible and easier to
25 break.

1 [Slide.]

2 I thank you for your attention.

3 DR. NELSON: Thank you.

4 Are there comments or questions?

5 DR. SCHMIDT: Question. What is the significance
6 of "vam?" You have Bactivam, Vacuvam, Secuvam. Is that
7 some--

8 DR. DE SAINT MARTIN: Yes. That is because one of
9 the names of our companies, of our sister company, is
10 Vamaco. So that is the reason why.

11 DR. NELSON: Thank you very much.

12 DR. DE SAINT MARTIN: Thank you.

13 DR. NELSON: Our next presenter is Dr. Steve
14 Binion from Baxter.

15 DR. BINION: Thanks to the committee for listening
16 and I guess thank you to FDA for inviting us to talk.

17 [Slide.]

18 I will try and make this brief. I know it is late
19 in the day.

20 [Slide.]

21 As presented to us, the issue on the table is the
22 possibility of diversion of the initial volume of blood for
23 sampling purposes. I am simply going to focus on the
24 feasibility question.

25 [Slide.]

1 The literature, or at least these two reports from
2 the literature, have already been reviewed. It is worth
3 pointing out that this sampling technology is available on
4 blood-pack units outside the U.S. Also, last November,
5 there was an industry-government representatives meeting
6 that was hosted by AdvaMed. It included representatives of
7 manufacturing companies, CBER and NHLBI.

8 General issues regarding this topic were
9 discussed.

10 [Slide.]

11 Just to point out, as was actually mentioned
12 previously, this sampling option is already available on a
13 variety of apheresis instrument disposables that are
14 currently in use in the U.S. From Baxter's perspective, we
15 do currently produce blood-pack units with an integral
16 sampling pouch which are distributed in Europe.

17 [Slide.]

18 Here is a photo showing the setup of the Baxter
19 system for diversion of the initial sample. The vacutainer
20 access port is not open to the atmosphere until the
21 breakaway cannula is opened after the bag is filled. So,
22 basically, the sample pouch is filled. The line is then
23 sealed off and the product can then be collected.

24 The main point is that sealing the tubing after
25 sample collection maintains a closed system with this blood-

1 pack unit.

2 [Slide.]

3 I think these topics have already been commented
4 on. Obviously, there is a change, or perhaps several
5 changes, in the collection process, but this method of
6 sample collection doesn't affect the collected product.

7 [Slide.]

8 In terms of additional issues, and certainly these
9 were identified at the CBER-industry meeting last November,
10 fundamentally, communication of CBER expectations and
11 requirements needs to be a key next step and, certainly,
12 rapid implementation of this technology, if it is desired
13 for introduction into the U.S., would be facilitated by
14 identification of least-burdensome approval requirements for
15 manufacturers.

16 [Slide.]

17 Finally, in summary, current technology supports
18 implementation of sample diversion for whole-blood
19 collection. As mentioned, it is already available on
20 apheresis instrumentation. Manufacturing capacity is
21 adequate to address U.S. needs. Finally, the critical input
22 that is needed by manufacturers at this point is the
23 communication of CBER regulatory requirements for
24 implementing this technology.

25 Thank you for your attention. If there are any

1 questions?

2 DR. NELSON: Thank you very much.

3 Are there any questions for Dr. Binion?

4 Next, the American Association of Blood Banks, Dr.

5 Louis Katz.

6 DR. KATZ: You have a pre-prepared statement in
7 front of you, so I will abbreviate. We believe that the
8 technology is feasible, has a reasonable probability of
9 having a beneficial effect and, under those circumstances,
10 should be strongly considered for implementation.

11 I think the major issue that we are dealing with
12 now has to do with whether we require any extensive
13 revalidation of our testing techniques based on changes in
14 sample collection. I think that will be dealt with with the
15 manufacturers and CBER.

16 DR. NELSON: Thank you.

17 Dr. Celso Bianco from America's Blood Centers.

18 DR. BIANCO: Also, you have our statement from
19 America's Blood Centers. Just to highlight some of the
20 points. We expect that, while the idea is very attractive,
21 we would like it to be introduced carefully in the sense
22 that these bags be appropriately tested, we receive adequate
23 training materials and technical supports, and we are sure
24 that the manufacturers will take good care of that.

25 The proposed scenario is that the side pouch will

1 be used as a source of specimens, so it must hold enough for
2 testing, as this was pointed out by FDA, and we did a survey
3 of our members. We actually were surprised by the variety
4 of procedures that they use, the order of the tubes that
5 they collect and the sizes of the tubes that they collect.

6 But we believe that the majority of our members
7 would be served by a pouch of 30 to 35 mls of blood. But,
8 according to this same survey, there is no consistent
9 approach to the sequence of tubes collected. Some centers
10 collect first the dry tubes for serology. Others collect
11 first the EDTA tubes for NAT and red-blood-cell typing.

12 Currently, the sequence is irrelevant because
13 venous blood is only exposed to a short segment of tubing.
14 With the proposed collection system, the technician must
15 seal the tube in between the needle and the pouch, initiate
16 blood flow into the collection bag prior to the start of
17 sample collection in order to prevent obstruction of the
18 needle by clots.

19 This may affect the sequence of specimen
20 collection, which tubes should be collected first, the
21 specimen for NAT, the dry tubes, the anticoagulated tubes.
22 We hope that this will be clearly addressed in the package
23 insert and training materials.

24 We also request that CBER consider allowing the
25 collection of an alternate sample obtained from a different

1 venepuncture site on the same donor at the time of
2 collection in case the feeding of the pouch or the
3 collection of samples from the pouch are unsuccessful.

4 We also believe that the package insert and
5 training materials must acknowledge that there other sources
6 p.m. 6 of accidental contamination of transfusable blood
7 and blood products that are not associated with the
8 venepuncture of the donor.

9 Thank you.

10 DR. NELSON: Thank you very much.

11 Questions?

12 Next is Dr. Jeff Miripol from Terumo Corporation.

13 DR. MIRIPOL: I have been asked by Dr. Katz to
14 keep things short or I die.

15 [Slide.]

16 I will show you two overheads and I am going to
17 give you a real sample. By the way, I am Jeff Miripol. I
18 am General Manager of the transfusion business in the United
19 States for Terumo Medical Corporation. We do supply blood
20 bags, blood-collection systems, to a wide range of blood
21 centers in the United States, both the American Red Cross
22 and the various ABC centers as well.

23 First, what I would like to do is, very briefly--
24 we have been talking about the Wagner article. I have to
25 make sure that you saw Dr. Friedman's name and my name, too.

1 This is the article that Dr. Wagner's group demonstrated
2 that one could reduce the amount of bacteria in a model
3 system and demonstrate approximately a one-log reduction.

4 [Slide.]

5 Once again, this is the original model system that
6 we used, again as described by Dr. Haley and others. Once
7 again, what Dr. Wagner and his group did was the sample
8 sequentially the blood coming off the sampling system to
9 demonstrate that, from tube 1 through tube 6, you saw a
10 reduction in bacteria in the system.

11 Again, as Dr. Haley so nicely pointed out, the
12 original whole-blood bag at the top or saline bag--Dr.
13 Wagner's group did two sets of studies, both with saline and
14 with whole blood. That unit was not contaminated so the
15 contamination came from the sampling process, putting the
16 needle through the painted-on bacteria on the membrane.

17 [Slide.]

18 Very simply, the system that we have talked about
19 with FDA, and I have a sample here, is, frankly, a very
20 simple system to use, we believe, and very much like the
21 present system that we supply to a lot of blood centers,
22 again, the idea being that you sample first--in this system
23 here, we have, actually, what we call a large CLIKTIP at
24 this point. This is the line going to the collection bag.
25 This system keeps this closed in a positive fashion so no

1 blood can go to the bag until you have actually done the
2 collection of whole blood from the donor into the diversion
3 bag.

4 Obviously, one of the things we wanted to insure
5 is that this bag is the right volume. Again, Dr. Bianco
6 indicated about 30, 35 mls. There has been some indication
7 that it may be necessary to have as much as maybe 38 to
8 42 mls. But whatever that volume is, this would be a fixed
9 volume.

10 Then we have again, another breakaway cannula over
11 here. The concept, very simply, is you collect the sample
12 of blood in here, the 30, 35 mls of whatever. You seal this
13 off with a metal grommet seal or a heat seal or whatever.
14 And then you can attach a luer adapter and tube holder to
15 the this female adapter at this point, break this CLIKTIP
16 and sample your tubes.

17 This can be done subsequent to opening this
18 CLIKTIP. Again, once you have closed this off, this has now
19 been a closed system, you now break this CLIKTIP and, again,
20 you are collecting blood directly into this unit.

21 So the phlebotomist can, after they get this
22 sample, close this off, break this CLIKTIP and then start to
23 take the sample tubes. That is very simply the system. I
24 brought a prototype with me which I will pass around. But I
25 wanted to at least let you have something that you can touch

1 and feel.

2 So, once again, your collection needle with the
3 needle safety device as well, the large what we call CLIKTIP
4 going to the primary bag and then, again, the sample pouch
5 with the female luer adapter which is broken. You put the
6 male luer adapter with the tube holder on that end and you
7 would be taking your samples this way.

8 Again, thank you for the opportunity to speak to
9 you and to demonstrate the system. Thank you.

10 Any questions?

11 DR. NELSON: The final person who has requested to
12 speak is Dr. Matthew Kuehnert from CDC.

13 DR. KUEHNERT: Hi. I will be mercifully brief. I
14 just wanted to expand on Dr. Haley's comments. I
15 appreciated her mentioning BaCon. I just wanted to round
16 out her comments since it was a collaborative study
17 involving not only the American Red Cross but also AABB and
18 the Department of Defense.

19 In fact, it turns out that exactly half of the
20 cases were American Red Cross and half were not. It worked
21 out mirroring the distribution of the blood supply. But,
22 basically, again, to emphasize, we looked at cases that were
23 absolutely attributable to sepsis in the recipient, so they
24 were definitely pathogenic organisms. We did this by
25 confirming positive culture on the blood bag in the

1 recipient by blood culture and then doing pulse-field gel
2 electrophoresis on the two organisms to make sure they were
3 the same.

4 Basically, what we had were a ratio of platelets
5 to red-cell units of about 4 to 1, so the majority were
6 absolutely platelet units. About 60 percent were Gram-
7 positives but 40 percent were Gram-negatives. More
8 importantly, almost all the fatalities were due to Gram-
9 negative organisms.

10 So I certainly echo the sentiment and the
11 conclusion that most fatalities are due to Gram-negative
12 organisms and that, in multiple cases, we link these
13 episodes to donor bacteremia. So this intervention is not
14 likely to affect those cases but it may reduce overall
15 contamination. It is probably not going to reduce overall--
16 most fatalities.

17 The other point I wanted to make was our data also
18 show, and the study has been submitted for publication, that
19 the cases associated with fatality were associated with
20 units that had very short storage time, which, I think,
21 suggests either a large organism load or rapid growth or,
22 most likely, the presence of endotoxin.

23 One approach might be to consider either selective
24 screening for Gram-negative organisms or some sort of
25 screening test for endotoxin. So, in sum, I think the

1 measure being proposed is an important first step but I
2 think a combination approach is going to be what is most
3 effective.

4 Finally, I think that the most critical thing is
5 that this can be evaluated. I am not so sure that we will
6 ever be able to tell whether it works or not unless we
7 evaluate it. So I just want to close on that note.

8 Thanks.

9 DR. NELSON: Thank you.

10 I don't have your name on the list here, I'm
11 sorry. But maybe you could introduce yourself.

12 DR. E. NELSON: I am Ed Nelson from Pall Medical.
13 We are a blood-bag manufacturer, like Terumo and Baxter, and
14 supply centers in the U.S. and Canada and Europe.

15 I wanted to mention that we have done a similar
16 study to Dr. Wagner's which was done by Dr. Figueroa at UCLA
17 and presented at AABB I think three or four years ago and
18 demonstrated the same sort of effect with the 7-ml
19 sequential aliquots taken, that the vast majority of
20 bacteria were found in the initial two or three aliquots,
21 and tapering off at the fourth or fifth aliquots.

22 So it seemed reasonable to us to take this
23 approach to remove the initial sample of blood prior to
24 collection.

25 [Slide.]

1 This is an example of our current system being
2 used daily in the U.S. and Canada and Europe. This is the
3 current sampling system where we take the sample after
4 collection. So you take the collection, seal off the tubing
5 here below the Y, and then hook up the vacutainer holder and
6 use the vacutainers to get the samples directly from the
7 donor.

8 We had initially considered trying to use this
9 same system for pre-donation collection. However, concerns
10 were raised, obviously. If you just hemostat here, you
11 would be essentially opening the system, going in and out
12 with your vacutainers. Also, the question was raised
13 whether, during the storage of the units prior to use,
14 anticoagulant could migrate up into this area and then be
15 collected in the first tube and, thereby, possibly dilute
16 the sample and give us a false negative.

17 So I just wanted to show the current system that
18 is under development.

19 [Slide.]

20 As you see, we have added a few components to the
21 system. It still includes the same Y-sampling needle for
22 attaching the vacutainer holder and the vacuum tubes. It
23 includes a diversion pouch for collection and segregation of
24 a sample volume. Our marketing people have done research
25 and they say this should be 45-ml size, capable of holding

1 up to 45 ml.

2 There is a sample line, a pinch-clamp here, to
3 facilitate actual permanent sealing. On this end, there is
4 a breakaway closer to do two things; one, to prohibit any
5 anticoagulant from migrating up here and diluting the sample
6 and, also, as Jeff mentioned, to keep blood from migrating
7 down this way and thereby ending up in the collection bag
8 where you don't want the initial blood to go.

9 So, to use this, you make your phlebotomy, fill
10 the diversion pouch, use a pinch clamp and then permanently
11 seal up here by the Y, open the breakaway closure and start
12 the collection. And then you go in and use your vacutainers
13 to collect the samples out of this pouch.

14 We have made this tube a little longer to
15 facilitate the manipulation of this while the blood
16 collection is going on to try to get it away from the
17 donor's arm a little bit. So that is basically the system
18 that is under development.

19 In this same area, Pall is currently also
20 developing a bacterial detection system for platelet
21 products which we actually think will have greater potential
22 to reduce the incidence of morbidity and mortality
23 associated with transfusing platelet products.

24 That's all I have. Thank you.

25 DR. NELSON: Thank you.

1 Questions?

2 I think that is--unless Mike Busch wanted to say
3 something. [Laughter.] It is rare that he doesn't.

4 **Open Committee Discussion and Recommendations**

5 DR. NELSON: We were given three questions by the
6 FDA. I don't know, Jay, whether you wanted somebody from
7 the FDA, or should I just read them.

8 They are in your packet. I will read it while
9 they are putting it up.

10 The first; are the FDA's proposed criteria for the
11 design of the collection system adequate to assure the safe
12 diversion of the initial volume of blood with possible
13 reduction of bacterial contamination. These criteria
14 include: a), a closed system; b), diverted blood is
15 separated from final product by unidirectional flow; and,
16 c), volume of diverted blood is sufficient, i), for all
17 required testing, and ii), to potentially reduce bacterial
18 contamination.

19 Is there committee discussion about this issue,
20 these questions?

21 DR. SIMON: Everybody wants to go to dinner, I
22 guess. I guess we are not expected to get into a long issue
23 of whether this is the way to go and a value of this versus
24 detection systems at all, but this is if FDA has presented
25 to them a diversion, are these the appropriate criteria. Do

1 I have that kind of correct?

2 DR. VOSTAL: Yes. I think if we are presented the
3 criteria, or if we were presented a product, should we apply
4 this type of criteria to the product. That would be the
5 first question. The other question is whether we should
6 actually recommend this for general use.

7 DR. SIMON: So if you should go beyond just
8 approve the product that comes to you but whether you should
9 also go and push it as a--

10 DR. VOSTAL: Right.

11 DR. SIMON: So, both; okay. I mean the criteria
12 seem appropriate that you have got under No. 1.

13 DR. NELSON: One issue that with some of the--I
14 guess Celso raised the issue of some anticoagulated, some
15 plasma, some serum, some--you know, et cetera--whether or
16 not the systems presented would do that. However, I think
17 it is a reasonable criteria and that is what we are asked.

18 DR. MIRIPOL: I wonder if I might just speak to
19 that very briefly. Right now, most blood in the United
20 States is--obviously, it is collected first in the bag but
21 then it is sampled and, typically, in many of the systems
22 now, it is completed unanticoagulated when it is sampled.
23 So, at the present time, most of the testing is done--again,
24 they add the specific tubes that are required so that blood
25 coming from the donor is unanticoagulated. This would be