

UNITED STATES OF AMERICA
DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES
ADVISORY COMMITTEE

+ + + + +

This transcript has not been 9th Meeting
edited or corrected, but appears
as received from the commercial + + + + +
transcribing service. Accordingly
the Food and Drug Administration Thursday,
makes no representation to its
accuracy.

June 28, 2001

+ + + + +

The Advisory Committee was called to order
at 8:30 a.m., in the Versailles Ballrooms I and II, of
the Holiday Inn-Bethesda, 8120 Wisconsin Avenue,
Bethesda, Maryland by Dr. David C. Bolton, presiding.

MEMBERS PRESENT:

DAVID BOLTON, Ph.D., Chairman

JOHN C. BAILAR, III, M.D., Ph.D.

ERMIAS D. BELAY, M.D.

DONALD S. BURKE, M.D.

DEAN O. CLIVER, Ph.D.

STEPHEN J. DEARMOND, M.D., Ph.D.

BRUCE M. EWENSTEIN, M.D., Ph.D.

MEMBERS PRESENT: (continued)

LISA A. FERGUSON, D.V.M.

PETER LURIE, M.D.

J. JEFFREY MCCULLOUGH, M.D.

PEDRO PICCARDO, M.D.

SUZETTE A. PRIOLA, Ph.D.

SHIRLEY JEAN WALKER

ELIZABETH S. WILLIAMS, D.V.M., Ph.D.

WILLIAM FREAS, Ph.D., Executive Secretary

GUESTS PRESENT:

RICHARD DAVEY, M.D.

DR. LOUIS KATZ, M.D.

DR. HARVEY KLEIN, M.D.

STEPHEN PETTEWAY, JR., M.D.

CONSULTANTS PRESENT:

PAUL R. MCCURDY, M.D.

KENRAD E. NELSON, M.D.

STANLEY B. PRUSINER, M.D.

C O N T E N T S

	<u>PAGE</u>
I. Administrative Remarks by Dr. William Freas	4
II. Opening Remarks by David Bolton, Chairman	10
III. Presentation by Dr. David Asher	12
IV. Presentation by Dr. Joachim Kreysa	37
V. Presentation by Jean-Hugues Trouvin	59
VI. Presentation by Christl Donnelly	71
VII. Presentation by Antonio Giulivi	95
VIII. Presentation by Alan Williams	124
IX. Open Public Hearing	196
XI. Committee Discussion, Conclusions, Votes	252
XII. Topic 2, Introduction, Charge, and Questions by Dorothy Scott	402
XIII. Presentation by Robert Rohwer	361
XIV. Presentation by Henry Baron	399
XV. Presentation by Jeff Davies	420
XVI. Presentation by Gordon Busenbark	429
XVII. Presentation by Christopher Healey	439
XVIII. Open Public Hearing	474
XIX. Committee Discussion, Conclusions, Vote	482
XIX. Adjournment for Day One	507

P R O C E E D I N G S

(8:00 a.m.)

1
2
3 DR. FREAS: I would like to welcome
4 everybody to this, our 9th meeting of the TSE Advisory
5 Committee. I am Bill Freas, the Executive Secretary
6 for the Committee, and both days of this meeting will
7 be entirely open to the public.

8 At this time, I would like to go around to
9 the head table and introduce those that are seated at
10 the head table. Would the members and guests please
11 raise their hand when I call out their name.

12 At the end of the table is Dr. Donald Burke,
13 Director for the Center for Immunization Research,
14 Johns Hopkins University.

15 The next chair is occupied by a standing
16 member of this committee, Dr. Elizabeth Williams,
17 Professor, Department of Veterinary Service,
18 University of Wyoming.

19 Next is Dr. Jeffrey McCullough, a standing
20 committee member, and he is a Professor in the
21 Department of Laboratory Medicine and Pathology,
22 University of Minnesota.

23 The next is an empty chair, which will soon
24 be filled by Dr. Stan Prusiner, Professor of
25 Neurology, University of California Institute of Neuro

1 Degenerative Diseases; and Dr. Prusiner will be a
2 temporary voting member for this meeting today.

3 The next individual is a standing committee
4 member, Dr. Peter Lurie, a medical researcher for the
5 Public Citizen's Health Research Group, Washington,
6 D.C.

7 And in the next chair is our consumer
8 representative, Shirley Walker, Vice President of
9 Health and Human Services, Dallas Urban League.

10 Next is a standing committee member, Dr.
11 Dean Cliver, Professor, School of Veterinary Medicine,
12 University of California at Davis.

13 Around the corner of the table is a new
14 committee member, and I would like to welcome all our
15 new committee members, the first one being Dr. Stephen
16 DeArmond, Professor, Department of Pathology,
17 University of California, San Francisco.

18 Next is another new committee member, Dr.
19 Suzette Priola, Investigator, Laboratory of Persistent
20 and Viral Diseases, Rocky Mountain Laboratories.

21 We will soon be joined in the empty chair by
22 a temporary voting member for today, and he is Dr.
23 Kenrad Nelson, and he is also Chairman of the FDA
24 Blood Products Advisory Committee, and he is a
25 Professor in the Department of Epidemiology, Johns

1 Hopkins University School of Hygiene and Public
2 Health.

3 Next is the Chairman of this Committee, and
4 he is Dr. David Bolton, head of the Laboratory of
5 Molecular Structure and Function, New York State
6 Institute for Basic Research.

7 Next is another new committee member, Dr.
8 John Bailar, Professor Emeritus, Department of Health
9 Studies, University of Chicago.

10 Next is a standing committee member, Dr.
11 Ermias Belay, a medical Epidemiologist, Centers for
12 Disease Control and Prevention.

13 Around the corner of the table is a standing
14 committee member, Dr. Lisa Ferguson, Senior Staff
15 Veterinarian, U.S. Department of Agriculture.

16 Next is Dr. Pedro Piccardo, Associate
17 Professor, Indiana University Hospital; and next is a
18 temporary voting member, Dr. Paul McCurdy, Consultant
19 to the National Heart, Lung, and Blood Institute.

20 Next is a standing committee member, Dr.
21 Bruce Ewenstein, Clinical Director, Hematology
22 Division, Brigham and Women's Hospital of Harvard
23 Medical School.

24 Next is a guest for today from industry, Dr.
25 Stephen Petteway, Director of Pathogen Safety and

1 Research, Bayer Corporation.

2 Next is a guest, Dr. Richard Davey, and he
3 is a representative from the Public Health Service
4 Blood Safety and Availability Advisory Committee, in
5 Washington, D.C.

6 Next is an invited guest, Dr. Lou Katz, Vice
7 President for Medical Affairs and Medical Director for
8 the Mississippi Valley Regional Blood Center,
9 Davenport Iowa.

10 And at the end of the table is a guest, Dr.
11 Harvey Klein, Chief, Department of Transfusion
12 Medicine, National Institute of Health. Welcome to
13 everybody.

14 Dr. Pierluigi Gambetti is a new member of
15 this committee who could not be with us today. I
16 would now like to read into the open public record the
17 conflict of interest statement for this meeting.

18 The following announcement is made part of
19 the public record to preclude even the appearance of
20 a conflict of interest at this meeting. Pursuant to
21 the authority granted under the committee charter, the
22 Director for the Center for Biologics Evaluation and
23 Research has appointed Drs. Paul McCurdy, Kenrad
24 Nelson, and Stanley Prusiner, as temporary voting
25 members for the meeting.

1 Dr. Lester Crawford has been appointed as a
2 temporary voting member for tomorrow's session. Based
3 on the agenda made available, it is has been
4 determined that the agenda addresses general matters
5 only.

6 General matters waivers have been approved
7 by the Agency for all members of the TSEAC Advisory
8 Committee, as well as consultants for this meeting.

9 The general nature of the matters to be
10 discussed by the committee will not have a unique and
11 distinct effect on any members' personal or imputed
12 financial interests.

13 In regards to FDA's invited guests, the
14 Agency has determined that the services of these
15 guests are essential. The following reported
16 interests are being made public to allow meeting
17 participants to objectively evaluate any presentation,
18 and/or comments made by the participants.

19 Dr. Richard Davey is employed by the
20 Georgetown University. He is also a member of the PHS
21 Blood Safety and Availability Advisory Committee.

22 Dr. Christl Donnelly consulted with Oxford
23 Biologica. Dr. Lou Katz is employed by the
24 Mississippi Valley Regional Blood Center. Dr. Harvey
25 Klein is employed by the Department of Transfusion

1 Medicine, National Institute of Health.

2 Dr. Stephen Petteway is employed by the
3 Pharmaceutical Division of Bayer, and consults with
4 Intersouth Investors, and is an advisor for Biologic
5 Science Board.

6 Dr. Robert Rohwer consults widely on TSE
7 issues with both blood industry and gelatin industry,
8 for which he receives compensation. His laboratory
9 research program receives support from the Gelatin
10 Manufacturers of Europe. Dr. Rohwer is an advisor and
11 has equity positions in several companies related to
12 TSE.

13 Dr. Michel Schoentjes is employed by SKW
14 Gelatin and Specialties, France. He also is the vice
15 president of the Gelatin Manufacturers of Europe.

16 Dr. Jean-Hugues Trouvin is employed by the Department
17 of Biologics, France.

18 In addition, listed on the agenda are
19 speakers making industry presentations. These
20 speakers were not screened for conflict of interests
21 because they are employed by industry, and are invited
22 here to present industry's point of view on this
23 topic.

24 In the event that the discussions involves
25 specific products or specific firms for which FDA's

1 participants have a financial interest, or
2 participants are aware of the need to exclude
3 themselves from such involvement, then their exclusion
4 shall be noted on the public record.

5 A record of waivers are available upon
6 written request under the Freedom of Information Act.
7 With respect to all meeting participants, we ask in
8 the interest of fairness that they address any current
9 or previous financial involvement with any firm whose
10 products they wish to comment upon.

11 Dr. Bolton, I turn the meeting over to you.

12 CHAIRMAN BOLTON: Thank you, Bill. I won't
13 say much this morning in my opening remarks as we
14 have a very full agenda. I want to do a couple of
15 things. I want to welcome the new members of the
16 committee.

17 We are very happy as I am sure the FDA are
18 very happy that you have agreed to serve on this
19 committee. I consider and have for the last few years
20 a privilege to serve as a member of the committee, and
21 now I am doubly honored to serve as the Chairman of
22 the Committee, although you may have to check back
23 with me this evening to see if I am still in that
24 mood.

25 I would also like to thank the returning

1 members of the committee for their continued service.
2 I think it is a very important thing that you do, and
3 you have done it, and know how difficult it can be at
4 times.

5 We do provide an important service both for
6 the FDA and obviously for the nation at large, in
7 trying to help them come to an understanding of some
8 very difficult issues, and often times with very
9 little information, and not as much as we would like
10 to have, but as much as is available.

11 And I guess with that, I think we will move
12 on to the first topic of the day, which is -- oh, and
13 I have one more thing actually. I want to thank also
14 the former members of the committee who are now
15 serving as consultants, and in particular, Stan
16 Prusiner, my former mentor.

17 And I have the gavel, and so I have an
18 opportunity now to overrule.

19 DR. PRUSINER: Couldn't we read something
20 into the record.

21 CHAIRMAN BOLTON: And that is another thing.
22 Those who are returning members of the committee
23 recall our usual set-up with the microphones that have
24 switches on them.

25 I think that these may be live all the time.

1 So just be aware that you may have a live microphone
2 sitting in front of you.

3 DR. FREAS: Could I just comment on that?
4 The microphone is turned down right now. So if you
5 start talking, the microphone may not be very loud.
6 But please don't put down this microphone, and try to
7 pick up a microphone next to you.

8 Keep talking and the gentleman at the sound
9 board will then turn up the volume. But, please, your
10 microphone should be working. Just pause a little bit
11 until the volume has been turned up.

12 CHAIRMAN BOLTON: Okay. Well, the first
13 topic for this morning is topic one, the suitability
14 of blood donors who have lived or traveled in various
15 countries based on recent information concerning new-
16 variant Creutzfeldt-Jakob disease, and bovine
17 spongiform encephalopathy.

18 And our first presentation will be the
19 introduction, charge, and questions by Dr. David Asher
20 of the FDA.

21 DR. ASHER: Thank you, David. Good morning.
22 Today we are asking the committee to review for the
23 third time the issue of blood donors potentially
24 exposed to the BSE agent, and hence having some
25 probability, presumably very small, of incubating

1 Creutzfeldt-Jakob disease.

2 There is a theoretical risk that their blood
3 might serve as a vehicle to transmit the effects to
4 recipients. The FDA has already recommended a limited
5 deferral policy for some potentially exposed donors,
6 and the committee previously advised a modest
7 extension of that policy.

8 Much less is known about variant
9 Creutzfeldt-Jakob disease in other forms. As you may
10 recall, unlike sporadic vCJD and variance CJD, the
11 abnormal protease-resistant prion protein accumulates
12 to substantial levels of lymphoid tissues, and of
13 course some lymphoid cells normally enter blood.

14 That raised a concern early on that the
15 relatively reassuring epidemiological evidence
16 suggesting that blood was unlikely to be an important
17 vector for other forms of CJD might not be predictive
18 for variance CJD.

19 Then came reports last year that BSE had
20 been transmitted by blood of experimentally infected
21 mice, and finding that not uncommon in other rodent
22 TSC models; and then by transfusion from an
23 experimentally infected sheep, a unique isolated and
24 preliminary finding, but one that is troubling
25 nonetheless.

1 Rates of variant CJD have continued to rise
2 in the U.K., although fortunately not in France. Two
3 other cases have been reliably diagnosed outside the
4 U.K. and France, but both were in long-time U.K.
5 residents.

6 Attempts to transmit TSE to mice and monkeys
7 from blood of patients with variant CJD have
8 apparently been negative, but the total volume of
9 blood tested has been small.

10 A number of recipients are said to remain
11 well after receiving blood products from donors who
12 later went on to get variant CJD, but they have been
13 observed for only a few years. We may hear more about
14 that in this afternoon's session.

15 Concern about the potential infectivity of
16 blood from persons incubating variant CJD prompted
17 FDA's current precautionary policy announced in 1999
18 for implementation by April of last year.

19 That policy recommends deferral of donors
20 resided in the U.K. for any cumulative six months
21 from the presumed start of the BSE epidemic in 1980,
22 to the full implementation there of a series of
23 measures to prevent human exposure to the BSE agent
24 implemented by the end of 1996.

25 Since there is no early diagnostic test to

1 Now there is concern not only about donors
2 exposed to BSE in the U.K., where cases of variant CJD
3 continue to rise to 104 at my last count, but also
4 about people who ate U.K. beef products in continental
5 European countries.

6 The three French patients with variant CJD
7 had no history of travel to the U.K. France may have
8 imported at least five percent of its supply of beef
9 products from the U.K. during some years of the
10 epidemic. So there were ample opportunities for
11 exposure there.

12 Other European countries also imported U.K.
13 beef. There is also concern about the spread of BSE
14 into other national cattle herds because U.K. meat-
15 and-bone meal was exported to many countries, and
16 possibly used in cattle feed.

17 In just the last year, four new European
18 countries have recognized BSE in native cattle. So
19 BSE may have spread more widely in European cattle
20 than previously thought.

21 Now there is in addition to the risk from
22 U.K. beef an additional risk to humans from indigenous
23 BSE in cattle of continental Europe. Regarding
24 variant CJD itself, there is both bad news and good
25 news.

1 identify donors who will get variant CJD, and no
2 validated screening test to identify blood bearing the
3 infectious agent, the deferral of donors offers the
4 only practical way to reduce the theoretical risk.

5 The current policy was predicted to remove
6 almost 87 percent of total risk expressed as donor
7 days in the U.K., while deferring 2.2 percent of
8 donors, which is a substantial number.

9 No appreciable loss of donors was observed
10 after implementation, and no methods for monitoring
11 donor losses are not well developed.

12 This committee reviewed the situation on
13 January 18th of this year, and offered the FDA seven
14 pieces of advice summarized in this slide, taking some
15 liberties in the interest of clarity.

16 First, continue to defer donors who spent
17 any cumulative six months in the U.K. from 1980
18 through 1996. Defer for 10 years residence in France
19 from 1980 through the present, but recommend no
20 blanket deferral for other European countries.

21 By the narrowest of margins, the committee
22 did advise deferring donors who spent 10 years in
23 Portugal, or the Republic of Ireland, from 1980 to the
24 present.

25 The committee also advised not adding up the

1 total time donors have spent in different BSE
2 countries to determine deferral, and not treating
3 exposure to U.K. beef on U.S. military bases as being
4 equivalent to exposure in the U.K., although some
5 deferral policy not further specified for donors
6 exposed to U.K. beef on Department of Defense bases
7 was advised.

8 The FDA acknowledges the concerns that the
9 committee expressed in January, agreeing that the risk
10 of transmission of variant CJD is theoretical, and
11 that the potential loss of blood donors from increased
12 deferrals is substantial.

13 The FDA also understands the reluctance of
14 the committee to lump together under one deferral
15 policy all 30 countries, that in addition to the U.K.,
16 are under the current U.S.D.A. BSE list.

17 However, the FDA has not been convinced that
18 existing information is adequate to justify
19 recommending a stratified risk-based policy for
20 deferral of donors potentially exposed to the BSE
21 agent in France, Portugal, and Ireland, while
22 accepting the risk of exposure in other continental
23 European countries.

24 The vast majority of BSE cases is more than
25 180,000 have been diagnosed in the U.K. Britain

1 reported 1,352 cases for the year 2000, and 177
2 through the end of April of this year.

3 Although Ireland and Portugal indeed have
4 the next highest numbers, 613 and 568 cases to date,
5 according to OIE figures earlier this month, BSE cases
6 in Switzerland were also substantial, exceeding the
7 number reported from France.

8 The numbers of BSE cases in cattle borne in
9 Germany and Spain to date seem more modest, 75 and 46.
10 But it is troubling that all of those cases were found
11 just within the past 10 months, and one additional
12 country, the Czech Republic, confirmed its first case
13 of BSE only two weeks ago.

14 Considering the uncertainties surrounding
15 the prevalence of BSE in Europe, the FDA staff doubt
16 the ability of available risk assessments to provide
17 reliable estimates of potential human exposure to BSE
18 agent in various countries. Drs. Kreysa, and Trouvin,
19 and Donnelly may elaborate on the situation later in
20 the morning.

21 So it is difficult for the FDA to be
22 confident that a bright line can be drawn
23 distinguishing the risks of human exposures to the BSE
24 agent in France, Ireland, and Portugal from risks
25 elsewhere in Europe.

1 There seems to be consensus among
2 stakeholders that until the potential infectivity of
3 blood from persons incubating variant CJD is better
4 understood, and we all hope that it will eventually
5 prove not infectious, deferral of those donors with
6 the greatest probability of past exposure to the BSE
7 agent is prudent.

8 Most of us also agree that it will not be
9 feasible to defer all donors who spent any time in a
10 BSE country, but that the risk can be greatly reduced,
11 but not completely eliminated by a donor deferral
12 policy.

13 Furthermore, everyone is committed to
14 providing an adequate supply of safe blood to all
15 persons who need it. But the potential loss of blood
16 donors that might result from additional deferrals to
17 be considered today are very large. Two of them are
18 probably without precedent.

19 It is clear that any substantial loss of
20 additional blood donors, if not compensated by greatly
21 increased efforts to recruit suitable new donors and
22 retain them, would inevitably cause shortages and
23 almost certainly hurt some people needing blood
24 products to sustain life and health, an unacceptable
25 outcome.

1 Unfortunately, the uncertain state of
2 knowledge about BSE and variant CJD does not yet allow
3 us to develop a policy that is strictly science-based,
4 and as I think we will learn during our open public
5 hearing today, there is no consensus among
6 stakeholders either about the probable magnitude of
7 the BSE risk, or the additional deferrals that blood
8 programs can safely tolerate.

9 With those things in mind, we ask the
10 committee to review four options for additional
11 deferrals; a slightly modified version of the policy
12 they advised in January; an aggressive policy recently
13 proposed by the American Red Cross; a compromise
14 option developed by the FDA; or any other program that
15 committee members or representatives of blood programs
16 or others may propose.

17 The FDA intends to use the committee's
18 advice to develop a revision of the current guidance
19 for industry to be published as a proposal for
20 comment, a proposed revision, so that everyone
21 concerned will have another chance to provide
22 additional information, identify problems, and suggest
23 solutions to the FDA.

24 And I might add here that we are prepared to
25 receive additional comments at any time after today's

1 meeting before the issuance of the proposed guidance.

2 We anticipate that after analysis of
3 comments a final guidance we will then issue for
4 implementation within six months of issuance. We also
5 encourage well-planned and monitored pilot studies,
6 using deferral options that exceed FDA policies.
7 By the way, additional background information is
8 available through the CBER website.

9 Helping us today are several expert
10 speakers. Dr. Joachim Kreysa led the European
11 commission's scientific steering committee's group that
12 developed the geographic BSE risk analysis, a
13 qualitative assessment of the risk and possible
14 prevalence of BSE in countries responding to a request
15 for information.

16 Professor Jean-Hugues Trouvin, of the French
17 Blood Services, will provide another view of the
18 situation concerning BSE in blood from the European
19 medical perspective; and Christl Donnelly will present
20 the results of epidemiological models to assess risk
21 of human infection with the BSE agent in several
22 countries, perhaps providing a paradigm for
23 assessments of risks in other places.

24 Dr. Tony Giulivi from the Canadian Blood
25 Services will share the results of important risk

1 assessments performed in Ottawa, and we have also
2 asked Tony to consider the effects on public health
3 that might be expected should shortages of various
4 magnitudes occur.

5 Then FDA's Alan Williams will describe a
6 model that he developed to estimate reductions in risk
7 offered by various deferral options, as well as losses
8 of donors that might be expected based on a survey
9 that he coordinated. He will also expand on the
10 advantages and disadvantages of each option.

11 It is especially important to remember that
12 predicted donor losses would not be borne uniformly
13 across the USA. Losses will be more severe in the
14 metropolitan areas of the East and West Coast, and
15 especially in the New York City area.

16 Several speakers in the open public hearing
17 will undoubtedly address that problem. The committee
18 will then be asked to discuss the options, and then
19 finally to vote on them.

20 In your discussions, we ask members to
21 consider reductions in risk and donor losses predicted
22 from the model, because that provides a useful way in
23 which to compare options.

24 Dr. Williams will describe the model fully,
25 but it may be useful now to list some of its

1 underlying assumptions. The risk of human infection
2 is assumed to be proportional to the duration of
3 exposure and to the fraction of consumed beef likely
4 to have been contaminated.

5 And food chain protections are assumed to be
6 substantially effective in reducing exposure so long
7 as they are faithfully implemented. The risk is taken
8 to have been greatest in the U.K.

9 The Department of Defense risk is taken to
10 have had a maximum of 35 percent U.K. risk, and risk
11 in France, 5 percent, and risk in the rest of Europe
12 extrapolating from Switzerland, 1.5 percent of U.K.
13 risk.

14 Now to summarize features of the options
15 briefly. Option 1, based on the committee's advice of
16 January, proposes to defer donors who spent six months
17 or more in the U.K. from the beginning of 1980 through
18 the end of 1996, which is FDA's current policy.

19 Or six months or more on a DoD base from
20 1980 through 1996, or open through 1990 on bases north
21 of the Alps; or 10 years or more in France or
22 Portugal, or Ireland, from 1980 to the present. This
23 option is estimated from the model to yield a total
24 reduction in exposure risk of 82 percent at a cost of
25 2.2 percent of current dollars.

1 The American Red Cross proposal, Option 2,
2 is to defer donors who spent any period of three
3 months or more in the U.K. from 1980 to the present,
4 or six months or more in the rest of Europe in the
5 same years, as well as any recipient of blood
6 transfusion in the U.K. from 1980 onwards.

7 Reduction in total donor BSE exposure risk
8 is estimated by the model to be about 92 percent, and
9 donor loss from 7.8 to 9.1 percent. The range of
10 results from survey donors who reported travel to both
11 the U.K. and other European countries, Alan can
12 explain that later.

13 The FDA's compromise proposal, Option 3,
14 would defer donors resident for any period of three
15 months or more in the U.K. from 1980 through 1996, or
16 for six months or more on a Department of Defense base
17 from 1980 through 1996, or only through 1990 on those
18 bases north of the Alps.

19 Or for five years or more in the rest of
20 Europe from 1980 through the present, as well as any
21 recipient of a blood transfusion in the U.K. from 1980
22 until the present.

23 The reduction in total donor BSE exposure
24 risk is estimated to be about 91 percent, and donor
25 loss from 4.6 to 5.3 percent, which is still a one-

1 time loss of donors that would be unprecedented I am
2 told in the history of U.S. blood banking.

3 Of course, if the American Red Cross
4 implements its proposed policy, the national donor
5 loss would be greater than indicated both for options
6 one, and for this option depending on the number of
7 blood programs that elected to follow the American Red
8 Cross. In that case the risk reduction would also be
9 significantly greater under Option One.

10 Here now are the questions that the
11 committee will be asked. The first three are
12 accompanied by a review of the summaries of the
13 relevant options to it to assist you.

14 We will ask the committee to vote on the FDA
15 proposal first by answering question one. Do
16 committee members concur with the FDA proposal, and
17 that is Option 3 that we just summarized, to defer
18 additional blood and plasma donors based on their
19 potential exposure to the agent of BSE.

20 If the committee does not endorse the FDA
21 proposal, we will ask you next to answer Question 2.
22 If they do not agree with the option proposed by the
23 FDA, do committee members advise the FDA to recommend
24 a blood and plasma donor deferral policy recently
25 proposed by the American Red Cross, and that is Option

1 2.

2 If the committee also declines to accept the
3 American Red Cross proposal, then in Question 3, we
4 will ask them to consider the option based on the
5 committee's earlier advice in January of this year.

6 If they do not agree with the previous
7 options, do TSC advisory committee members advise the
8 FDA to recommend a blood and plasma donor deferral
9 policy based on advice by the committee on January
10 18th, 2001, and that is Option 1.

11 Then if none of the three options has been
12 endorsed, then in Question 4, we will ask the
13 committee to suggest or accept some other option.

14 Finally, after the committee has voted on
15 the options, in Question 5, we will ask them to
16 comment on steps that should be taken to monitor and
17 ensure adequate national and regional supplies of
18 blood, blood components, and plasma derivatives, if
19 additional donors are deferred based on possible
20 exposures to the BSE agent.

21 We look forward to having an informative
22 session this morning, and I thank you very much.

23 CHAIRMAN BOLTON: Thank you, David. We are
24 running a little ahead of schedule actually, and so at
25 this point in time, I would just like to ask any of

1 the committee members if they have any questions for
2 David on these proposals. Yes?

3 DR. LURIE: David, my question is that it is
4 not my recollection that previous guidances that have
5 ensued from our committee suggestions have resulted or
6 have been produced in a notice and comment period, and
7 so forth, and so on. Am I correct about this?

8 My concern is that -- I mean, there have
9 been now three at least advisory committee meetings on
10 this, with the industries being given ample
11 opportunity for input, including again today. So I am
12 wondering if this is an unusual procedure at all.

13 DR. ASHER: I can't comment on whether it is
14 absolutely unusual. The last version of the guidance
15 for blood donors potentially at increased risk of CJD
16 was issued as a draft guidance I believe in the summer
17 of 1999, and only in final form in November. So that
18 there was an opportunity for comment.

19 As I recall, the gelatin guidance in 1997
20 was issued without opportunity for notice and comment,
21 although there was an active, though public,
22 discussion at a TSC advisory committee meeting.

23 DR. PRUSINER: David, I wonder if it
24 wouldn't be useful for you to review the FDA's
25 position and why it chose 1996 as that cutoff, because

1 when we had these discussions originally, it may be my
2 failed memory, but I never remembered a cutoff date.

3 You all talked about beginning this in 1980
4 and looked at that very carefully, and then these
5 epidemiologic studies were done every six months to
6 look at donor loss. But I would like to hear from you
7 why the FDA then decided that 1996 was the cutoff
8 date.

9 DR. ASHER: The decision was made in
10 response to information provided by the U.K. about
11 when there had been full implementation of the food
12 chain protections, and those are removal of specified
13 risk materials over a 30 month slaughter scheme, and
14 the prohibition of advanced meat recovery.

15 I wasn't part of the discussions that chose
16 that date, and perhaps Dr. Epstein, who was, would
17 want to comment further on the considerations that
18 went in to selecting the end of 1996 for the cutoff.

19 DR. EPSTEIN: That issue was a part of the
20 discussion, and you have already given the answer.
21 The concept was that the food chain protections had
22 been sufficient by that time, and we did hear an
23 estimate for the number of infected animals that could
24 potentially enter the food chain subsequent to that
25 date.

1 It was felt to be less than one per annum,
2 and so it was on that basis that we made that
3 decision, but it was a discussed issue back in 1998-
4 1999.

5 DR. PRUSINER: It was or was not?

6 DR. EPSTEIN: It was discussed.

7 DR. PRUSINER: So my memory is failing.

8 DR. EPSTEIN: However, with respect to
9 deferral for exposure in Europe, we have asked the
10 committee both in June of 2000 and January of 2001
11 whether there should be such a deferral, and we
12 basically proposed a 10 year exposure period leading
13 to deferral, and in that case we did not put a limit
14 on it because we felt that we did not have knowledge
15 when food chain safeguards throughout Europe were
16 adequate, if indeed they are at all.

17 DR. ASHER: You may notice the deferral for
18 injection of bovine insulin from the U.K. was also not
19 part of the discussion. The agency does have
20 discretion to go beyond the committee discussion.

21 DR. PRUSINER: I'm not challenging that. I
22 was just asking.

23 DR. ASHER: And those are my understanding
24 for why that happened. By the way, if anyone feels
25 that the cutoff date is '96 is not appropriate, this

1 would be a useful venue in which to discuss it.

2 DR. PRUSINER: I just think that is very
3 important, David, that as the Chair for you to bring
4 that up, and that we discuss this issue of 1996 later.

5 CHAIRMAN BOLTON: Steve, you had a comment
6 or a question?

7 DR. DE ARMOND: So when I look at your
8 tables here, particularly this table of kind of
9 comparing risks and the effect on blood donor loss,
10 the only other issue that I don't understand and would
11 like to see some data on, or some calculation on, is
12 the risk of deaths due to the decrease in the blood
13 supply.

14 We are talking about a theoretical risk in
15 terms of BSE to variant CJD, to humans receiving blood
16 supplies. But we don't understand, or at least I
17 don't understand what a decrease of 5 percent, 8
18 percent, 9 percent, would mean in terms of real
19 deaths.

20 DR. ASHER: Yes. Tony Giulivi is going to
21 be presenting a graphic prediction of what various --
22 of what donor losses of various magnitudes would mean
23 for public health, and it answers exactly that
24 question.

25 And of course there is going to be a full

1 discussion of the model by Alan Williams later in the
2 morning.

3 CHAIRMAN BOLTON: Dr. Cliver.

4 DR. CLIVER: What I am disappointed not to
5 have seen is any broader trace of where British beef
6 and British beef bone meal went during the suspect
7 period. What we are seeing now is a kind of
8 ethnocentric focus on Europe, and we don't know how
9 much of those products went to Asia and Africa.

10 Over half of the world's population lives on
11 those continents, and the idea that my visiting in
12 Europe puts me at risk, but perhaps eating some things
13 on another continent doesn't put me at risk, I would
14 like to see some documentation to that.

15 DR. ASHER: Well, unfortunately, the
16 documentation concerning the export of meat and bone
17 meal from the U.K. is limited. U.K. customs and
18 Excise has, I believe, published a list of exports.

19 The problem is that they don't match very
20 well with the country's own records of imports, and
21 there has apparently been a lot of -- for instance,
22 there was importing of a certain amount of British
23 beef and bone meal into the United States that
24 apparently doesn't match our customs records for
25 import.

1 So I don't pretend to be an expert on either
2 topic. The Ministry of Agriculture, Fisheries, and
3 Foods was quick to point out the reliability of their
4 own records is really questionable.

5 So it is complicated by inaccurate records
6 in the U.K., or incomplete records in the U.K., and
7 accurate records or incomplete records in the
8 importing country, and the probability that there was
9 a considerable amount of untraced transshipment from
10 one country to another.

11 But I think that your concern is very well
12 taken. We are all concerned about the possibility
13 that BSE was exported to many countries around the
14 world.

15 At the moment, the FDA has found the only
16 realistic way in the absence of independent sources of
17 information that we can function in a practical sense
18 is by relying on the U.S. Department of Agriculture's
19 determination of which countries are at high risk of
20 having BSE in their cattle.

21 And you will hear more about that from Dr.
22 Kreysa from the European Commission's independent and
23 thorough efforts to determine the risk in the various
24 countries.

25 Those efforts are also limited by the fact

1 that the countries self-report and not every country
2 has sent a dossier in to the scientific steering
3 committee.

4 CHAIRMAN BOLTON: Thank you, David. One
5 more?

6 DR. FERGUSON: Actually, let me add just a
7 bit to David's response to the last question. I am
8 speaking for USDA and then also two hopefully quick
9 questions just for clarity's sake.

10 The Department is in the process of trying
11 to obtain information to allow us to assess the risk
12 of countries, especially in Asia, based on all the
13 recent concerns.

14 We just recently started that process, and
15 as David said, that is obviously dependent on those
16 countries reporting to us. But we have started that
17 process, and so hopefully we will have some more of
18 that information available to us here in the next few
19 months.

20 Two questions for clarity's sake. How are
21 you defining European country? Is that the same as
22 ours? Is it the USDA list? And then also what is the
23 distinction in the DoD bases, and why the difference
24 between those bases north of the Alps?

25 DR. ASHER: First, the European countries on

1 the list, we were intentionally vague about Europe
2 because frankly we anticipate that the USDA will
3 perhaps soon expand the -- that the line that the USDA
4 has drawn in Europe at the moment is at the border of
5 the former Soviet Union, which has a history of -- at
6 least according to British Customs and Excise records,
7 a history of importing meat and bone meal from the
8 U.K.

9 Now, where that meat and bone meal went
10 inside the former Soviet Union, we do not know. But
11 we anticipate that additional European countries not
12 currently on the USDA BSE list will in all probability
13 be appearing on that list before too long. Am I
14 correct in that assumption?

15 As much as possible, we would like to rely
16 on the USDA list, but the actual issuing of interim
17 guidance, in which the interim regulation in which the
18 USDA promulgates its list, it lags somewhat behind the
19 appreciation of risk. The other question was?

20 DR. FERGUSON: The distinction on the DoD
21 based north of the Alps and the time frame.

22 DR. ASHER: Colonel Fitzpatrick is here
23 today. The program purchasing U.K. beef for European
24 military bases north of the Alps stopped in 1990, and
25 continued in bases south of the Alps, and there is a

1 list of what constitutes a base north and south of the
2 Alps.

3 It continued until 1996 south of the Alps.
4 And, of course, 35 percent is thought to have been the
5 maximum percentage of U.K. beef that was purchased for
6 any base, on many bases, and presumably the amount of
7 beef purchased from the U.K. was less than that.

8 CHAIRMAN BOLTON: Okay. So I would like to
9 just remind the committee how we are going to deal
10 with this. Once we get to the public comment and our
11 discussions, we will actually be voting on these
12 proposals sort of out of order.

13 We will first discuss and vote on the FDA
14 plan, and then the American Red Cross plan, and then
15 finally the committee's recommendation from January
16 2001.

17 And so if you are uncomfortable with some
18 part or disagree with some part of each of those
19 proposals, you can always vote no on each of them, and
20 if we vote no on all of them, then the fourth item is
21 do we have a new recommendation that we would like to
22 consider.

23 So as you listen to the proposals this
24 morning, keep those in mind. This is a lot of
25 information to juggle about in one's brain, and we are

1 looking at competing risks.

2 I think as Dr. DeArmond pointed out, a real
3 risk of a shortage in the blood supply, versus some
4 theoretical risk of transmission of new variant CJD
5 are numbers that we don't really know much about.

6 So having said that, we will move on to our
7 first informational presentation, and that is the --
8 sorry.

9 DR. BURKE: Will there be an opportunity for
10 the Red Cross to present their position, and is the
11 FDA or someone going to present for them, and do we
12 know what their positions are, and whether or not they
13 accept the FDA's estimates, and the rationale for
14 their proposed policy change?

15 CHAIRMAN BOLTON: Yes, I believe that they
16 are on the open public hearing today as presenters.
17 And we certainly -- I hope to provide adequate time
18 for discussion of all of these various points of view.

19 The problem that we may run into is that I
20 would also like to keep this session intact if at all
21 possible, and that may mean that we would push lunch
22 back beyond the 12:45 time at this point.

23 But if it gets to the point that we are not
24 able to wrap up discussion, we may have to break for
25 lunch first, and then come back and continue the

1 discussion and vote. So keep that in mind also.

2 Okay. So any more questions?

3 (No audible response.)

4 CHAIRMAN BOLTON: Good. Oh, I would like to
5 remind everybody on the committee, too, that it may be
6 helpful for the transcriber if you give your name
7 before -- oh, it is not necessary? Very good.

8 All right. Our first presentation this
9 morning is by Joachim Kreysa. It is the geographic
10 BSE risk assessment conducted for the European
11 Commission. Dr. Kreysa.

12 DR. KREYSA: Chairman, Ladies and Gentlemen,
13 first of all, thank you very much for this opportunity
14 to speak to you on this geographic BSE risk
15 assessment, which has been carried out over the last
16 three years by the scientific steering committee.

17 I am one of the two secretaries of this
18 committee and have been monitoring this event since
19 the beginning.

20 I will speak about the method and the
21 results, but following a request from Dr. Asher, I
22 will also speak a little bit about risk management,
23 and the BSE risk management issues or measures which
24 have been talked about in Europe, which will also
25 clarify a little bit the changes in the possible risk

1 for humans over time in the European Union.

2 The staffing point of this is obviously the
3 BSE transmission to humans, and that is the reason why
4 everybody is so concerned about it. Up to now the
5 mechanisms are not fully understood as far as I gather
6 from the discussions of our committee.

7 The most likely of this is the exposure via
8 food, and finally you run the risk of exposure of man
9 to the agent is dependent upon manufacturers, but
10 first of all on the risks that the agent enters the
11 food chain and came into the human plate.

12 The BSE assessment is an attempt to estimate
13 the risks in a given country or region the lines could
14 be incubating BSE. At the moment, there are in fact
15 no cases already recognized.

16 So GBR is not very essential anymore when
17 you want to assess the prevalence in a country that
18 you know already that BSE is there, but it is useful
19 to see if there is a risk of BSE in a country which
20 has not yet found its first case.

21 The GBR is not an assessment of the human
22 exposures. It is based, however, on the currently
23 available knowledge about the BSE agent, its
24 transmission, the pathogenesis of the disease, and the
25 possibility to control its propagation.

1 It is on the other hand also flexible enough
2 to take account of new information of the
3 uncertainties that still exist, which means that the
4 method is so simple and crude that we can easily adapt
5 it, but that we also only provide qualitative science.

6 If we go into the question of the knowledge,
7 the first question which is always raised is the
8 question of the origin of BSE. There are many
9 hypotheses, such as spontaneous occurrence, which was
10 never proven; or the effective scrapie moved into
11 bovines, which up to now has not been experimentally
12 shown.

13 But it is nevertheless one of the most
14 favorite hypotheses. For the purposes of GBR, the EC
15 simply assumes that BSE exists somehow and we don't
16 know exactly yet how in the U.K.

17 It was distributed from the U.K. and later
18 on from other countries which developed the BSE in
19 their own national health via the export of feedstuffs
20 and of infected cattle. Apparently, there are also
21 other products which could have exported it, but these
22 are the most important ones.

23 The next element is to have an idea on the
24 transmission system for BSE, and it is very clear that
25 horizontal transmission seems simply not to happen,

1 and the transmission from cattle to cattle has not
2 been discovered.

3 The question of vertical transmission is
4 also an open one, and it has been stated, but it has
5 not been shown finally, and particularly the
6 biological mechanism is not understood.

7 Then we have the clear transmission route of
8 the oral transmission via feed, which in fact is the
9 one that seems to be non-disputed. And then we have
10 discussions on the transmission via semen, embryos, or
11 also other third routes, but not of these has yet
12 really shown a remarkable effect. So for the GBR, in
13 fact, we are only on the oral route.

14 The next big aspect which as already been
15 mentioned this morning is the question of what happens
16 with the BSE once it is in a country, and if the
17 transmission route is feed, there must be a recycling
18 from the live animal back to the live animal via the
19 feed chain.

20 And in fact that is the basic thought of the
21 BSE model. With BSE infected cattle in a country,
22 these cattle are going to be processed at a certain
23 point in time, which means that infected BSE, or
24 infected BSE infective materials are rendered into
25 feed.

1 And domestic MBM infected cattle, and cattle
2 are exposed, and the vicious circle is closed. So a
3 question which has to be addressed is this vicious
4 circle existing or not in a country. Fortunately,
5 this feed back loop can be controlled.

6 And now the first one which is always the
7 first element or control point of ordinary services is
8 surveillance, and to a certain extent, culling.
9 Culling means the slaughtering of cattle which are
10 perceived to be at risk of incubating BSE because of
11 the link to an index case.

12 The next element or control point would be
13 to exclude SRMs. SRMs are these famous specified risk
14 materials which according to the SSC would contain
15 something like 95 or 98 percent of infectivity in a
16 material BSE case.

17 Excluding these obviously reduces the amount
18 of infectivity entering, and rendering, and therefore
19 reduces the risk in their system. The same is not the
20 same, also quite interesting, is the age at slaughter.

21 Because of the long incubation period and
22 the ideas that we have about the development and the
23 building up of infectivity in the bodies of the
24 cattle, the other animals are generally at much later
25 risk, even older animals, simply because even if they

1 are infected at birth, or close to birth, they will
2 have had not enough time to build up a lot of
3 infectivity.

4 Very often they will have even have reached
5 the brain and the spiral cord measurable radius. The
6 rendering process and the feeding and feed controls
7 are obviously also very important steps.

8 Rendering processes can reduce if properly
9 applied the infectivity of the material by a factor of
10 about three logs. So by a factor of a thousand. The
11 SSC insists, however, to make clear that they cannot
12 sterilize.

13 So if a lot of infectivity enters rendering
14 the upcoming MBM is not to be regarded as safe. This
15 has been shown by countries who rely too much on this
16 one control.

17 The feeding is obviously one of the cull or
18 key factors to control. If you can avoid cattle with
19 receiving MBM, or contaminated MBM to be very precise,
20 ruminant MBM, you would break the cycle, and the
21 vicious circle would not work.

22 The problem there is the control of the
23 cross-contamination. The European experience shows
24 that whenever you are feeding it to other animals, you
25 have a risk of cross-contamination, and this is

1 difficult to control.

2 However, to start the whole thing rolling,
3 you need an initial source of BSE, and according to
4 the GBR model, this is imports on the one hand, and it
5 is the import of MBM, which would lead to exposure of
6 cattle to the BSE agent.

7 Apparently measures which are controlling
8 the fate of the imported MBM in the country can manage
9 this does make sense. Then we have the import of
10 cattle, which would increase the number of BSE
11 infected cattle in the country.

12 Again, surveillance of these imported cattle
13 could manage that risk; and we have seen in our GBR
14 exercise examples of those countries who control the
15 import of MBM, and in particular of cattle, so that
16 they have imports, but these cattle did not reach
17 internal impact routes.

18 So the GBR exercise, on the basis of this
19 model and these thoughts, simply tries to answer two
20 main questions. First, is the risk to the BSE agent
21 important, and this is difficult enough.

22 We have just heard about the problematics
23 with the U.K. export figures to compare the disease
24 import figures, and what we do is we have very
25 intensive discussions with the country of these

1 figures, and we try to verify this by all means, even
2 by going back to the importing and exporting
3 countries, requesting a very detailed research on
4 that.

5 The second question is, yes, what would have
6 happened on the one hand if BSE was recycled and
7 probably amplified, or was it eliminated? And this
8 obviously depends on the internal system.

9 The recite of this is in the Geographic BSE
10 Risk, which is a qualitative indicator of the
11 likelihood that one or more cattle are present in a
12 country or region while being infected with the BSE
13 agent clinically or pre-clinically.

14 The SSC defines four levels which are I
15 guess readily well known. Number One says that it is
16 highly unlikely that the BSE agent is present. The
17 second one is that it is unlikely, but it cannot be
18 excluded, that the BSE agent is present.

19 Third, it is likely that the BSE agent is
20 present or confirmed at the lower level; and this
21 lower level simply means that a lower arbitrary level
22 was taken of less than a hundred cases per million
23 adult cattle confirmed in the last 12 months.

24 This is just in line with the OIE, the
25 international standards setting organization, and then

1 we have finally the last two, and we have only two
2 countries that come from the higher level, and that
3 means more than a hundred cases per million of adult
4 cattle.

5 When the SSC produced these four levels, it
6 had in mind the ultimate objective, which is the
7 contribution to the managing of the risk for humans.
8 So these levels should give risk managers a kind of
9 orientation of what should be done.

10 And under this perspective, level one would
11 means nothing is needed to be done. Secondly, the
12 second level would be that some precautions should be
13 taken, and this can vary depending on the specific
14 situation of the country.

15 And level three would mean that precaution
16 measures must be taken, because there is a real risk
17 that BSE infected cattle exist in the country, and
18 therefore infectivity could end up in the domestic
19 line of the food chain.

20 And in the mind of the SSC, for example, SRM
21 in feed ban should be there, and there should be good
22 rendering in the country, and an active surveillance
23 and cohort culling should be in place to really
24 estimate as good as possible the risk.

25 And then level four apparently everything

1 must be taken that can be conceived to reduce the risk
2 of human exposure to the agent, and in our view this
3 is successfully done in the U.K., where the human
4 exposures might be very low.

5 The current GBR assessment situation is that
6 we have 46 countries done, and 40 member states, and
7 32 subcountries, and 20 more are in the process, and
8 we will adopt another batch of three tomorrow. That's
9 why I have to leave you already at lunchtime today.

10 The 32 non-EU countries are listed on this
11 slide. You don't have it in your handout, but you
12 will get a copy. I added this to make it a little bit
13 more clearer.

14 What you have is the next slide, which is
15 the broad map as it looks from the GBR perspective for
16 the moment. What is apparent is that level three and
17 four is concentrated to Europe. It is the European
18 Union and Eastern Europe.

19 What is clear is that all these countries
20 have received a lot of imports, and a really big
21 amount of MBM, but also cattle, and most of them have
22 also rather unstable systems, and that means that they
23 would have recycled and probably amplified the agent
24 when it entered.

25 The point which one should nevertheless make

1 is that the level of risk is much lower than
2 apparently the U.K., and that all the incident figures
3 that we have so far in the European Union, even on the
4 basis of the massive MBM screening testing that is
5 going on, shows that the order of magnitude is much,
6 much lower than it was in the U.K. in the biggest
7 period.

8 The same is also for the Eastern European
9 countries. If we look at the time frame of the
10 exports, most of the exports happened in fact in the
11 '90s, and mostly from other BSE infected countries,
12 and the U.K.

13 And this indicates that in fact the Eastern
14 European countries are very much at the beginning of
15 the BSE epidemic, if an epidemic ever develops. But
16 they are there. If I can go back once more.

17 The GBR two level, you find in the yellow
18 spread to North America, and then spread to the
19 different continents. The common feature of these
20 countries is that they have some imports of
21 meat-and-bone-meal, or use the customary meals, and
22 flaws and pellets made from meat and offal, and
23 grease, not fit for human consumption.

24 So looking into these customs figures, we
25 recognize that these countries have received some and

1 also live cattle, but to a large extent, they have
2 been able to manage the risk of these imports.

3 However, most of them have unstable systems,
4 and can therefore not be put into category one. In
5 category one then, you find a lot of countries which
6 have very unstable systems.

7 The reason why they are there is in fact
8 that they have negligible imports, or they have been
9 able to demonstrate that those -- that the number of
10 cattle, for example, entering into their system was
11 negligibly small.

12 Now, we have finished with that, and now we
13 can go to the next slide. So if you try to estimate
14 the impact, and what does that mean for humans, we
15 should try to estimate the development of the
16 prevalencece.

17 And as I said, the GBR is not really
18 providing you with qualitative data on this. What it
19 does is that it provides a qualitative estimate of the
20 internal challenge of the imports, and then the
21 qualitative estimate of the stability over time, which
22 means the ability of recycling or not.

23 And then also the qualitative estimate of
24 what we call the internal challenge, which is a kind
25 of other way of saying prevalence. We avoided using

1 the word prevalence because it is implying too much a
2 quantitative thing.

3 So an internal challenge simply means the
4 building up of a pool of infectivity of a domestic
5 heard. It does not provide quantitative estimates of
6 the prevalence, because this would really depend on
7 the quantitative estimates of external challenges to
8 population dynamics, and the relations.

9 And in effect why we tried this at the
10 beginning, and then the method was developed, and we
11 recognized that the effort would be much-to-much to do
12 this for a large number of countries.

13 And, two, the data quality is very difficult
14 to reach a level that it makes sense to the developed
15 figures.

16 As we look at the human exposure risk that
17 the SSC has developed, it provides also an opinion on
18 that. We have a number of factors coming. It is the
19 prevalence of BSE in the live cattle population and
20 the GBR gives you a kind of qualitative idea of that.

21 Then the prevalence of BSE in the
22 slaughtered cattle. The problem there is that this
23 can be quite different from the prevalence in the live
24 population of cattle. So you have to look into the
25 measures taken at that level, and also the normal

1 customs.

2 The age at slaughter is important because of
3 the pathogenesis, which I already mentioned, and the
4 slaughter methods, pithing, is supposed to create a
5 kind of cross-contamination or contamination of the
6 other tissues with SRM, and in particular with brain
7 tissues.

8 And the use of SRM is an essential key
9 factor, because more than 90 percent of the
10 infectivity is concentrated in those tissues, or at
11 least that is what we are learning. Then the question
12 of the ability of certain processing conditions to
13 reduce infectivity, and that is on your agenda, and
14 you are discussing gelatin, and some new processes
15 there also.

16 But at least those processes which
17 apparently have a capacity of reducing infectivity by
18 about five logs. And I see that I have to stop, and
19 so the next point anyway is that the imports and
20 exports of food products also influences risk.

21 And just keep this in mind as you talk about
22 this geographical aspect, because the flows of food
23 products are very, very complex. I will skip the next
24 slide.

25 And this is taken from the human exposure

1 according to the SSC just to show you a little bit of
2 the complexity of the passways between the cows and
3 the human consumers, and the central role of SRM.

4 Again, I am sorry for the time. We have to
5 skip the next one, and so if you would just go through
6 it very quickly. Okay. The European Union BSE risk
7 management was shown by a greater improvement.

8 At the beginning, it focused on certain
9 elements. So, first import and then vis-a-vis the
10 U.K., and then in '90 the material had to be rendered
11 correctly. But now since 2000 all materials are
12 finally rendered.

13 In '94, the first mammalian MBM ban was put
14 in place, which is now a total feed ban, and in 2000
15 finally there is an SRM ban at the EU level. There
16 was something on country level.

17 And in 2001, a complete surveillance is now
18 in place of all cattle over 30 months, and of risk to
19 populations. To continue, this is just some details.
20 Again, you don't have a copy of it in your handout.
21 You will receive that later.

22 You just see that in '88 and '89 that there
23 matters of staffing to do, and focusing on the import
24 question, and in '94, the feed bin, and in '97, heat
25 treatment was required for all materials, which took

1 some time to implement until June 2000.

2 And since 2000, there is a European-wide SRM
3 ban. On the next slide, SRM being the most important
4 action that you take to put human -- national SRM ban
5 as they came in place, and more or less in line when
6 the countries had their first cases.

7 You will see that Italy and Spain in '96,
8 only from imported cattle, but not from the domestic
9 cattle; while France and Ireland did a lot in '96 in
10 this field.

11 In 2000 now we have an European-wide SRM ban
12 which also acts in countries which don't have BSE, and
13 this is the underlying ones. And so I am finished,
14 and I would just like to remind you that you can find
15 all the opinions of the Scientific Steering Committee
16 on the internet at their address, and all of this is
17 in much more detail, and you will find a detailed
18 report for each country. Thank you very much.

19 CHAIRMAN BOLTON: Thank you, Dr. Kreysa. We
20 have just a few minutes for questions. Does anybody
21 have a question? Quite a few. Dr. Belay.

22 DR. BELAY: It is my understanding that
23 Category 4 countries include the United Kingdom and
24 Portugal; and that Category 3 includes most other
25 European countries, including France and the Republic

1 of Ireland.

2 Now, my question is do you consider
3 countries under the Category 3 to be homogeneous in
4 terms of their BSE risk; and if not, did you try to
5 look at the different countries by different
6 subcategories if you will?

7 And where would the Republic of Ireland and
8 France specifically would lie in terms of the BSE risk
9 within Category 3? I am interested in the Republic of
10 Ireland and France because this committee specifically
11 looked at these countries during our last meeting.

12 DR. KREYSA: Well, you are referring back to
13 a discussion which was very intense that we had at the
14 Scientific Steering Committee level in '98 or '99,
15 because obviously this definition of the categories
16 was very, very difficult.

17 It is very clear that it should be
18 understood that all these four categories apparently
19 lump together quite some different situations in terms
20 of risk.

21 But the same is true for Category 2 and for
22 Category 3 definitely. The SSC has not really tried
23 to differentiate these different risk levels in much
24 more detail.

25 The problematic with surveillance data is

1 very clearly shown now with the upcoming recites from
2 the intensive massive TSE screening recites, which
3 show that the previous purely passive surveillance was
4 not really able to give a correct picture of the
5 situation.

6 So it is very difficult to quantify within
7 Category 3 the different level of the risk of the
8 different countries because also we don't or we cannot
9 go back in time and prove that level of risk during
10 that period. So for that reason, it was not even
11 tried to really put the countries into different
12 sublevels.

13 DR. NELSON: I have a question. The
14 question I had was relating the temporality of the
15 risk. In other words, that would relate to the
16 numbers of BSE cattle were identified, and if the risk
17 increased very recently, there would have to be time
18 for the disease to incubate and be shown up in
19 surveillance and the same with humans.

20 And the concern that I have is that when the
21 problem occurred in the U.K. was there a large export
22 of risk materials to other countries or can we assume
23 that the risk was fairly stable in the group of three
24 countries over time and didn't vary that much?

25 DR. KREYSA: Well, I think the question of

1 what happened in the U.K. when BSE appeared is
2 difficult to answer, and a little bit tricky.
3 However, the statistics show that the U.K. changed
4 from a import of MGM to an export in a period of time.

5 And as they continued to export mammalian
6 MBM until '96, apparently very keenly not to be used
7 for ruminants, and I think that this was even clearly
8 stated in the export permits.

9 But what happened in the receiving countries
10 was totally a question of the controls in the
11 countries. Since '96, and I think it was March '96,
12 there is an export prohibition from the U.K. of
13 mammalian MBM.

14 The only MBM exported after that period was
15 poultry, and unfortunately it is included in the same
16 customs categories. So this was one of the
17 problematics that you have looking into those figures.

18 CHAIRMAN BOLTON: One more question.

19 DR. EWENSTEIN: Yes. I think we were trying
20 at the last meeting to distinguish between sort of the
21 indigenous risk that might grow up through the cattle
22 from the imported risk directly, say, from the U.K.
23 beef.

24 And I think that is where France seemed to
25 be a unique situation, or if not unique, then at least

1 quantitatively different, and so maybe you could
2 comment on that difference of risk.

3 And now we are talking to the human
4 population that we could assign to the importation of
5 material directly for human consumption, rather than
6 the risk that would then have to sort of grow up
7 through the food chain.

8 DR. KREYSA: Well, I think that I have to
9 agree with you that the importation of products for
10 dietary consumption is a very important element, and
11 as I mentioned, this is complicating the BSE human
12 exposure risk.

13 But it is also an element that we have
14 ignored for practical reasons of GBR, because for
15 example if half-carcasses were exported from the U.K.
16 in the late '80s to France, they contained spinal
17 cords, or at least until '99.

18 And this means that for some time that quite
19 risky material could have been exported for human
20 consumption from those countries. The same thing
21 obviously is with meat products.

22 Meat products contain the same as
23 mechanically recovered meat. Mechanically recovered
24 meat was made or is made in some countries by using
25 bones from skulls and the vertebra column with or

1 without including the brain and spinal column.

2 And it is mixed into a lot of meat products
3 in various more quantities, and these are traded
4 worldwide. So, this is in fact also a source which
5 one has to take into account when looking into the
6 human exposure risk.

7 CHAIRMAN BOLTON: I would just like to
8 follow up on that exact question. Do you know when
9 mechanically recovered meat was banned from items for
10 human consumption in the U.K., France, and/or the EU
11 at large?

12 DR. KREYSA: Well, I cannot tell you the
13 dates because I did not look that up. I happen to
14 come across the information that in the U.K. that
15 skull and spiral cord was excluded from mechanically
16 recovered meat in '96.

17 But other countries continued to produce and
18 use it for quite some time. It is now banned. It is
19 not anymore.

20 CHAIRMAN BOLTON: Was that EU ban within the
21 last year or so; is that correct? Do you know that
22 perhaps?

23 DR. KREYSA: I think it was. In fact, the
24 EU ban on the vertebrae column from use in
25 mechanically recovered meat was last year.

1 DR. FERGUSON: Yes. And I think that was
2 included in the October 2000 SRM ban.

3 DR. KREYSA: Some countries had included it
4 before, and that is problematic. You have the two
5 different levels which is actually quite complicated,
6 and I didn't look into these in detail. But I could
7 provide you with this information if you want.

8 CHAIRMAN BOLTON: Yes. I guess the point
9 that I would like to make is that during -- and
10 especially in the U.K. during the peak of the BSE
11 epidemic, mechanically recovered meat was still being
12 incorporated or at least could have been incorporated
13 into food for human consumption.

14 That clearly presents the greatest risk of
15 exposure of human to BSE.

16 DR. LURIE: I noticed that at one point that
17 you had on the general slide describing the
18 appropriate levels of precautions that countries at
19 levels one, two, three, and four should be taking.

20 And I am wondering that even though they
21 were general, if you have any countries that in your
22 mind stick out as being at that particular level, but
23 not taking in a general way the corresponding
24 precautionary actions.

25 DR. KREYSA: Well, if you want to minimize

1 the risk that the BSE gets into the chain, you have
2 different intervention points as shown in this GBR
3 model.

4 And the simple logic is the higher the risk,
5 the more interventions that you should do, and the
6 message from the experience in Europe is very simple.
7 It is not enough to try to control the vicious circle
8 in one place.

9 You should -- and you probably have to --
10 act on all possible control points. It is kind of a
11 hazard approach that one should have, and because of
12 this very complex system, it is very difficult to have
13 a hundred percent efficiency of the measures. That is
14 the sad experience which you have to make.

15 CHAIRMAN BOLTON: Okay. I am afraid at this
16 point that we must move on. Thank you, Dr. Kreysa,
17 again. Our next presentation is by Professor Jean-
18 Hugues Trouvin. It is VCJD and blood risk assessment,
19 an EU policy position. Professor Trouvin.

20 PROFESSOR TROUVIN: Okay. Thank you,
21 Chairman. It is a pleasure for me to be here to
22 present the new points of view on the geographics of
23 the VCJD and blood products.

24 And for that, I would like to briefly
25 present the EU analysis, and the situation in the

1 European Member States, and before touching on the
2 exclusion criteria, and segregation and sanitization
3 issues.

4 However, before entering the topic of my
5 presentation, I would like to continue on the
6 presentation made by Dr. Kreysa, and insist on a few
7 points on the BSE situation within the European
8 countries. And particularly on the interdiction of
9 animal BSE testing.

10 Thanks to these tests and based on the most
11 recent figures, it is possible to have an estimate of
12 the global incidence of the BSE. In the EU, incidents
13 calculated for three categories of animals, and these
14 figures lead you to conclude that currently there is
15 no even BSE activity in the EU.

16 Another point to consider is the
17 introduction of the U.K. cases of BSE reported in
18 Europe since 1998 to March of this year. It is
19 important to mention that cases reported on this slide
20 include those which have been found by testing where
21 the animals are apparently healthy.

22 From these figures, it is clear that, first,
23 most of the cases have occurred in the U.K., but this
24 is already well known; and, second, that the European
25 countries as such should not be considered as one

1 single entity.

2 Moving on to the VCJD question and blood
3 products, and before discussing exclusion types and
4 other strategies, I would like briefly to summarize
5 the main conclusions so far reached by the EU
6 experience.

7 The conclusions are the results of numerous
8 meetings which have been held at regular intervals in
9 Europe. I have put at the end of this presentation a
10 few slides -- but I will not choose them, of course.
11 Those you have in your handout documents, but to
12 summarize the main dates and documents issued on this
13 question.

14 Based on all the opinions expressed so far,
15 the risk analysis can be summarized in a few words.
16 First, the situation is different for the sporadic
17 agent and the new variant, of course.

18 For sporadic, it is possible now to conclude
19 that the risk is remote to absurd in the transmission
20 of sporadic CJD by blood. As such, only exclusion
21 criteria for certain donors should apply, and even it
22 is known that there is no need to recall batches of
23 medical products in case of a donor is found in post-
24 donation to be at risk of CJD.

25 In contrast, for the variant CJD agent,

1 there are still many things unknown, and particularly
2 whether or not it is present in blood of the CJD
3 patients.

4 In addition, it is an emerging agent in the
5 epidemiological data are not sufficient to have a
6 clear view as we have got for the sporadic agent.
7 As such, it is clear that there is a need for adopting
8 precautionary measures.

9 I would also like to remind the committee
10 that there is a difference in the risk evaluations for
11 plasma-derived medicinal products, which are the
12 products which are discussing today, and the bovine
13 blood products for transfusion.

14 Indeed, although it is two types of products
15 obtained from the same mechanism, i.e., blood, for
16 plasma derivatives, the manufacturing process has a
17 number of steps that have been now investigated in the
18 ability to remove the TSC agent if present.

19 And it is available now to compute that
20 tests which are routinely used in the manufacture of
21 these products are produced to remove the agent.
22 Along these steps, to mention the ethanol
23 fractionation, the precipitation steps, or even the
24 leucodepletion.

25 And these contract with the bovine blood

1 products from which in their preparation there are no
2 steps identified as either to remove the agent if
3 present in the initial donation.

4 It is worth noting that at the moment the
5 value of the leucodepletion goes for sedative
6 component and for plasma is still under execution,
7 even if some could consider that at the present time
8 as a precautionary measure there is no reason for not
9 using this product. This is what is done in France,
10 and in the U.K. at the moment.

11 This slide is also to remind you that the
12 products which are derived from plasma can be used
13 either as active ingredients, such as coagulation or
14 immunoglobulin, but can also be used as excipients,
15 and particularly albumin in a wide range of medicinal
16 products, such as vaccines.

17 And finally there are also used sometimes as
18 a reagent in the production of biology and biotech
19 products, such as recombinant protein. This is to say
20 that in the biological plasma derived products.

21 Based on this risk analysis, it is possible
22 to summarize, briefly now, the approach taken in the
23 EU to minimize the potential risk of VCJD transmission
24 by transmitted additives.

25 First, to mention the U.K. decision to stop

1 using the U.K. plasma for the manufacture of plasma
2 derivatives. I can tell you that in France they did
3 not go the same way, and maintain the use of the
4 French plasma for fractionation.

5 Many Member States have also introduced or
6 are introducing an exclusion criteria for donors whose
7 spent time -- 6 months or 12 months -- in the U.K.
8 And as far as leucodepletion is concerned, both the
9 U.K. and France are already commencing this, as have
10 other Member States, after discussing the value of
11 these measures.

12 And other measures that I would like to
13 mention is the precautionary measure to recall batches
14 in case a donor subsequently gives lots of VCJD, and
15 as you can imagine, France would be at the moment the
16 first EU Member State to actually apply this measure
17 one way or another.

18 And finally all the measures and
19 recommendations are essentially aimed at diminishing
20 the side of the population exposed to blood and blood
21 products.

22 Briefly, there are other measures which can
23 be mentioned as having been adopted by some EU Member
24 States and this potentially to mention the exclusion
25 of donors with neurosurgery, but also permanent

1 deferral for donors who have previously been
2 transfused to remit the diminution of the potential
3 agent.

4 Now, going to the question of exclusion
5 criteria, I would like first to remind you of the risk
6 factors so far identified. The main risk factors
7 seems to be the residence, time, and time spent in the
8 U.K. as clearly indicated by the hundred of cases
9 reported in the U.K.

10 However, we have also to take into
11 consideration the risk factor for a donor of being
12 exposed to the BSE indeed only count three, even
13 without traveling to the U.K.

14 There are three cases in France, and it is
15 clearly traced the endogenous risk, which is
16 essentially depending upon two factors. First, the
17 BSE incidents within the country, as well as the
18 delivery of imported BSE infected material from the
19 U.K. in 1990-1996.

20 This is to say clearly that EU countries
21 have a different level of risk, and that we have a
22 wide spectrum, starting from the most risk situation
23 within the U.K., and ending very lightly with Finland,
24 for example.

25 Again, we are still of the notion that

1 Europe should not be considered as one entity. As an
2 example, in France, it has been exclamationated that the
3 risk is 1/20th of the risk exposure in the U.K.

4 And we estimate the potential date of two
5 figures. The importation rate of future MBM and
6 entering the food chain in France in the period at
7 risk, and the three percent related figures in VCJD
8 cases in France compared to the U.K.

9 And these two figures are very close, and we
10 see certainly incidents. However, these two figures
11 are a clear and good indication of the BSE exposure
12 risk in France are endogenous or imported.

13 As such, France should be considered as the
14 worst European case after the U.K., and it is very
15 unlikely that any of the other EU Member States will
16 ever catch up with the U.K. or French situation.

17 And with discussing the scientific basis for
18 deciding exclusion criteria, I would now like to
19 discuss the possible frequency of further exclusion
20 measures are settled.

21 First, we have to consider for plasma
22 derivatives that this is a global market situation.
23 Indeed, for U.S. plasma first, as you know, commercial
24 producers are working on a global scale using both
25 U.S. and EU plasma.

1 And even if the destination of the resident
2 products are different, this is a global market. It
3 is also important to mention that since 1998, when the
4 U.K. decided to stop fractionation of their plasma,
5 they have been using U.S. plasma in such a quantity
6 that when in France, they attempted to find potential
7 sources of plasma outside France and the EU.
8 It has been impossible and the plasma market was
9 already reserved.

10 Now, in the European picture, we have almost
11 the same picture. Indeed, the commercial producers
12 are using plasma collected essentially in Germany,
13 Austria, and Sweden.

14 And as the plasma is delivered, the plasma
15 is collected usually by the National Red Cross
16 organization, and sales to produce plasma derivative
17 medicinal products which are used domestically, but
18 also certain diseased products enter the global
19 worldwide market.

20 And I think with those elements in mind, it
21 is very easy now to see the foreseeable frequency of
22 new stringent exclusion criteria which could be taken
23 at the U.S. level.

24 There will be first a further loss of U.S.
25 donors, and then the diminution in plasma

1 availability. And an increased demand for U.S. plasma
2 and for the finished product because of a passage of
3 recent induced by the exclusion decision.

4 And there could even be the case where
5 commercial producers could decide to no longer use the
6 EU plasma anymore. This is to say that the
7 consequences of an exclusion measure of not only to be
8 considered at the U.S. level, but also under a
9 worldwide scale.

10 Another alternative or proposed measure
11 could be the segregation of manufacturing line. At
12 the moment, the situation is that commercial producers
13 are making use of the same manufacturing line to
14 fractionate U.S. and/or EU plasma at least in the EU
15 manufacturing sites.

16 However, it should be acknowledged that in
17 these manufacturing facilities the EU plasma has the
18 same exclusion criteria as the U.S. plasma at the
19 moment.

20 However, what would occur if the EU plasma,
21 due to new exclusion criteria applicable for the U.S.
22 plasma is no longer or can no longer co-exist with the
23 U.S. plasma on the same manufacturing line.

24 The imposed segregation line will impact on
25 plasma availability and it will take a substantial

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 period of time to establish a separate line, and
2 eventually even to close facilities for implementing
3 this segregation.

4 There will be the question of what to do
5 with batches produced and eventually to evolve the
6 segregation issue, and producers may even decide not
7 to use the EU plasma, and we are back to the situation
8 forcing segregation.

9 And finally, but not too forget it, the
10 segregation creates a loss of flexibility to move to
11 alternative facilities. The last point just before
12 concluding deals with the sanitation procedure, which
13 has to be envisaged as an alternative to line
14 segregation.

15 However, depending on the type of sanitation
16 procedure to be put in place, this could also impact
17 on the time scale of correction, and therefore
18 diminution of the global and world production
19 capacities.

20 All these elements have to be taken into
21 consideration before making any decision. The
22 respective value of each individual measure has to be
23 technically evaluated.

24 In conclusion, I would first like to stress
25 again that for blood or blood products that a local

1 policy will have local impacts for plasma derivatives,
2 and it is clear that the impacts of any proposed
3 intervention have to be initiated globally in a
4 worldwide approach.

5 And with the exclusion of donors who spent
6 time in Europe, the impact on the blood supply is
7 largely dependent on the option chosen. As long as
8 the option maintain both the EU and U.S. plasma are
9 the same or very similarly level of requirements, the
10 global impact on plasma supply would be affordable.

11 With regards to segregation, just to remind
12 that segregation would take considerable time to put
13 in place. The U.K. experience which has been reported
14 in front of this committee two years ago should be
15 considered in this respect.

16 The improvement of sanitation procedures may
17 be seen as a possible alternative to line segregation,
18 and should be technically investigated. And finally,
19 as a general comment, Chairman, I would like to stress
20 the need to balance any precautionary measure against
21 the real risk of supply shortage in plasma products.
22 Thank you.

23 CHAIRMAN BOLTON: Thank you, Professor
24 Trouvin. Do we have questions from the committee?

25 DR. EWENSTEIN: You seem to be making the

1 same case that we made last time to look at France
2 differently than perhaps the rest of Europe. How does
3 that translate into your own recommendations for
4 French donors versus the rest of Europe?

5 PROFESSOR TROUVIN: If you -- the French
6 case as apart from the rest of Europe -- at the
7 moment, and maybe as we knew, and maybe you knew that
8 in Europe the French plasma is essentially used for
9 fractionation and for internal and domestic use in
10 France.

11 There is very few plasma used in the
12 European plasma collections, and the derivatives that
13 are derived from the French plasma. So this means
14 that it is a very internal market for France.

15 So even if there is a further exclusion
16 criteria for those donors who spent time in France,
17 and restriction for French donors, the impact on the
18 global availability would be very little.

19 CHAIRMAN BOLTON: Okay. Thank you,
20 Professor Trouvin. Our next presentation will be by
21 Dr. Christl Donnelly, and it is the mathematical
22 modeling of potential human BSE exposures in various
23 BSE countries. Dr. Donnelly.

24 DR. DONNELLY: Thank you for inviting me
25 back. I first spoke to this committee -- well, the

1 one other time, two years ago when the consideration
2 was focused on the U.K.

3 And I pointed out that in some ways I had
4 the easiest job of anybody advising you, because there
5 was a great deal of data on BSE and the U.K. We knew
6 very precisely what was going on.

7 But you had to consider what was going on.
8 First, the potential risk of variant CJD infection
9 from food, and then furthermore what that might pose
10 as a blood risk.

11 When we moved to BSE in Europe, as you will
12 see in the course of this presentation, things are
13 very different. There is the BSE you see and the BSE
14 you don't. The BSE you see comes in two forms;
15 traditionally reported clinical cases, and these
16 reporting varied through and from country to country.

17 It has been the case of every BSE epidemic
18 that has been -- every country's BSE epidemic that has
19 been analyzed in detail -- Great Britain, Northern
20 Ireland, France, Portugal, Switzerland, all of those
21 countries that have had substantial BSE epidemics,
22 when you actually look at the data in detail, the ages
23 of animals infected, you can find evidence that cases
24 were under-reported to begin with.

25 Now the difficulty in switching from

1 analyzing those countries to countries where you only
2 have a couple of cases is impossible to prove just
3 looking at the fact that you have had two cases in the
4 country, and whether you have excellent surveillance,
5 and have picked up the only two cases that have
6 happened.

7 Or whether you have poor surveillance, and
8 you have only picked up two out of "N" and where "N"
9 could conceivably be quite large. But more recently
10 and starting at the beginning of this year, there has
11 been the requirement across the EU, not for Britain,
12 and as I will explain later, but for other countries
13 across the EU, to test using a test for animals
14 slaughtered for consumption over 30 months of age.

15 And this provides primarily not consumer
16 protection, although it was misinterpreted initially,
17 because the test won't necessarily pick up all
18 infected animals.

19 But it gives from the point of view of risk
20 assessment an objective additional piece of
21 information where we can actually then distinguish
22 between those countries that have surveillance, and
23 where they are picking up everything and are just low
24 cases.

25 Or where they might not be picking up the

1 cases. So we can compare these two. But it is
2 important to realize the risk to humans comes from BSE
3 you don't see.

4 Those animals that are picked up as reported
5 confirmed cases are not then eaten by anyone. Also,
6 those animals that are tested over 30 months that are
7 tested and come out positive aren't eaten.

8 So it is the other infected animals that
9 weren't picked up and either of these methods that
10 were consumed and therefore pose risk to humans from
11 direct transmission from BSE.

12 The ratio of infected -- of original
13 infections to clinical cases, even with a completely
14 reported situation, is about 5 to 1. And that is
15 because the incubation period of BSE is so long, about
16 five years on average, compared to the average life
17 span of cattle.

18 And most of those animals will be
19 slaughtered early in the incubation period, but still
20 it means that in the UK we estimated on the order of
21 three-quarters of a million infected animals were
22 eaten over the course of the epidemic, compared to the
23 178,000 clinical cases that have been reported so far.

24 What I was originally going to concentrate
25 on in this talk was on that calculation analyses that

1 the technique originally designed for the analysis of
2 age data that our group developed in 1996 for the
3 analysis of the U.K. BSE epidemic.

4 Since it was used to analyzed data in
5 Portugal, and you can see here that it was originally
6 analyzed in 1998, and we were able to estimate using
7 various assumptions under-reporting what the
8 infections were through time.

9 You see the infection incident on the top
10 graph on the right by birth cohort, which shows that
11 it was variable. The blue is, if I assume no under-
12 reporting, and all cases were reported fully.

13 The red is if I allow for under-reporting,
14 because the age profile of the cases that you see
15 gives you some indication of what reporting patterns
16 were through time. And that leaves at the bottom as
17 you can see projections of future cases. Next slide,
18 please.

19 More recently in France, I published an
20 analysis last December looking at the epidemic in
21 France, and there was particular concern because the
22 year 2000 had the highest number of cases so far.

23 Now, what you see in the epidemic in the
24 U.K., both in Great Britain and in Northern Ireland
25 separately, is that these epidemics peaked in terms of

1 the number of cases back in the early '90s, and the
2 case numbers are going down reassuringly.

3 The problem is that when you look at other
4 countries, you find in some cases that case numbers
5 are still going up. But the difficult balance is what
6 you want to know is what the pattern of clinical cases
7 was, because that gives you some idea of what the
8 pattern of exposure was.

9 But what you see is reported clinical cases.
10 So you could have reporting going up, and clinical
11 cases going down, and it still looks like the risk is
12 going up.

13 But now because of this additional
14 information we have across Europe, where it was
15 actually looked beyond the clinical case data, and
16 look at the actual testing data.

17 This shows the estimates allowing for
18 reporting and under-reporting in this graph on the
19 right. In the red, it shows my estimates if I allow
20 for under-reporting; and in the light blue, if I don't
21 allow for under-reporting.

22 And you can see that you get varied
23 different estimates. As I said, allowing for under-
24 reporting substantially improves the fit. You can
25 find significant, very significant statistical

1 evidence of under-reporting through time.

2 This, combined with more recently -- earlier
3 this year an analysis of the Republic of Ireland of
4 what got a lot of press interest was the conclusion
5 that because of the 30 month ban in Britain, and I
6 think this is the key thing that was chosen, that 1996
7 was chosen as the cutoff for Britain in the ban that
8 was selected two years ago, is because in 1996, a
9 whole lot of things happened with the identification
10 of variant CJD.

11 Two very critical things happened; stopping
12 the animal epidemic, in terms of primary infections in
13 huge clampdowns and additional regulations on feed,
14 and including monitoring of feed.

15 And there are various enforcement documents
16 that you can find on the web that show testing that
17 goes on in various speed lots to look for both on
18 material or mammal material in any bovine feed.

19 And also the most direct thing to prevent
20 additional human exposure was this ban on animals over
21 30 months being slaughtered for consumption. And that
22 has been in force from 1996 and continues to be.

23 This did not take place throughout Europe.
24 It was only in the U.K., and as a result, with the
25 increasing numbers that we are seeing in France and

1 Ireland, you could actually find that in the year 2000
2 there were more late stage infected animals, those
3 animals within 12 months of clinical onset.

4 And which have been found in various tests
5 to be potentially the most infectious to mice in
6 tests, and that there were more slaughtered for
7 consumption in France and in Ireland than in the U.K.

8 I would argue that this is not the key
9 relevance to you, because you are worried about the
10 main bulk of infections, and even these were with
11 relatively small numbers.

12 The reason that the U.K. number by
13 comparison is so small is because of the 30 month age
14 ban. But compared to the 750,000 infested animals
15 consumed over the course of the British epidemic, what
16 is going on in terms of these relatively small
17 distinctions is not key.

18 The key thing is in looking at the overall
19 course of the BSE cases in the BSE epidemic, what that
20 means in terms of variant CJD risk. You see here in
21 purple the things that we have information on, the BSE
22 cases and the variant CJD cases, and in between are
23 all of those boxes for things that we don't know very
24 well.

25 How infection was transmitted, and how

1 infectious it is, and what the human incubation period
2 is. And we found by our analyses in the U.K. that
3 there is a wide range of scenarios that are consistent
4 with the BSE cases and exposure that we have seen, and
5 the number of variant CJD cases, which is roughly
6 about a hundred right now in the U.K.

7 So this could range in terms of future
8 epidemic size from very small epidemics and we would
9 see relatively few additional cases if the incubation
10 period is relatively small, to considerably more
11 cases, over a hundred-thousand, even in the 40 percent
12 of people that have a specific genotype.

13 It is the one that has been shown to be
14 susceptible. We don't know yet about the 60 percent
15 of other people, and whether they are less susceptible
16 or simply have longer incubation periods.

17 The difficulty is, of course, that not only
18 do you have all that uncertainty, but you are adding
19 the additional uncertainty of when we have done those
20 analyses we have assumed that all infections come from
21 feed, or from food, to humans.

22 And that in terms of order of magnitude, the
23 right thing to do for this sort of assessment now. But
24 since there is the possibility of blood borne
25 infections, that adds another layer of uncertainty,

1 and means that you are really dealing with something
2 where you don't have epidemiological data.

3 Even over the course of the whole epidemic,
4 if we look back on this in 20 years time, we won't
5 actually know which people for the most part, we won't
6 know for the most part which people were infected by
7 blood and which from food.

8 So I think the key thing that I am going to
9 focus on then is this European testing program. There
10 are limitations though. I don't actually know the
11 ages of animals that were tested specifically, and the
12 ages of those that were positive.

13 There will be age facts both in terms of
14 animals born at a particular time might be more likely
15 to be infected, and also the fact that this sort of
16 testing is almost certainly picking up only very late
17 stage infections, which means that even if they were
18 testing animals under 30 months, they would probably
19 pick up few of those animals that are infected.

20 So there is that caveat.

21 But within those limitations I think we can
22 see some very interesting results. This shows -- and
23 I will show you graphically the conclusion of this,
24 and so don't worry about all the specific numbers.

25 These are European countries, EU countries,

1 not including the U.K., and this requirement of
2 testing of all animals over 30 months slaughtered for
3 consumption doesn't apply to the U.K. because those
4 animals slaughtered over 30 months aren't for
5 consumption.

6 So I am just comparing these countries, and
7 also because Switzerland is not in the EU, and it does
8 not have comparable data, and so it is not shown here.

9 This shows the results, which show that
10 there were 76 infections found in the nearly 1.8
11 million healthy adult animals that were tested across
12 the EU. But it is interesting to look at where these
13 actual animals are found.

14 I will mention Portugal specifically a bit
15 later, which has found no infections, but does have 37
16 results pending, which I am not exactly sure what that
17 means. Next slide, please.

18 I think it is important to look at the
19 variable amount of testing effort before we actually
20 look at it, because if you are doing less testing,
21 then you are less likely to find positives. So you
22 should take that into account.

23 And you see that it varies actually quite a
24 bit, and Sweden had no tests at all. But you find
25 that Germany has had a huge number of tests. This is

1 healthy tested cattle per million adult cattle in
2 population.

3 And so it should be comparable across
4 countries. It should be independent of actual size.
5 You see that Portugal surprisingly for a country that
6 has relatively such a high risk is doing relatively
7 little testing.

8 But other countries are doing considerable
9 amounts of testing, and these results have come
10 through. Next slide, please.

11 Presenting the results here in two ways,
12 showing the difference in ordering. Here I have shown
13 the prevalence expressed in positive per 10,000
14 healthy animals tested, in terms of the estimated
15 prevalence.

16 And you see that Spain is the highest, 22
17 positive tests out of the animals that were tested,
18 and that drops off, and you see that positives were
19 found in Italy, Belgium, France, Germany, The
20 Netherlands, and the Republic of Ireland.

21 I then have shown you for those countries
22 that have found zero infections, and in each case the
23 95 percent confidence interval, which we statisticians
24 like. And that shows you that if you found no cases,
25 how certain are you of what the prevalence is.

1 And you see like in cases in Finland, and
2 Portugal, and Greece, and Luxembourg, the testing
3 numbers are so low that you could have still a very
4 wide range of possible incident levels.

5 So then in the next slide, we will look at
6 the possible potential risk for prevalence, and you
7 see that it is still possible that the four countries
8 -- Finland, Portugal, Greece, and Luxembourg -- could
9 have potentially higher prevalence than Spain, because
10 their testing is such that they can't rule that out.

11 But I think that you need to keep these two
12 things in mind. How much testing has gone on, and
13 what prevalence has actually been found. But I think
14 one of the key results from here is that you can see
15 by looking at this that Spain has significantly more
16 positive tests coming out than France does.

17 And Portugal still has or is in the range of
18 that confidence interval, and I showed 32, 26, and 12,
19 and that is the upper limit of the confidence
20 intervals for the countries of Finland, Portugal, and
21 Greece. So they really are hugely variable. Next
22 slide, please.

23 Then you think, okay, let's compare what has
24 been found in terms of the testing results with what
25 had been reported in clinical cases. And what I have

1 done is shown you the reported clinical cases that
2 were confirmed before 2001.

3 Because it has been pointed out that France
4 had more cases in 2000 than in previous years, and
5 Portugal's big leap in case numbers was in 1998 and so
6 on. But you are finding that some countries are now
7 reporting clinical cases that hardly had ever had them
8 before, Spain being one of those.

9 In the past, Spain had two cases that were
10 showing up in the end of 2000, and there are now
11 reports of clinical cases in Spain, as well as the 22
12 positives that were shown up through testing.

13 So I think one of the lessons from this is
14 doing testing of asymptomatic animals can have a good
15 effect on your reporting levels of clinical cases.
16 But what it does show is that there is not a good
17 concordance between the relative prevalences found in
18 terms of testing, and not found in clinical cases.

19 So I think it would be very difficult for
20 you to actually pin all your hopes on looking at
21 relative risks in terms of just those reported
22 clinical cases, because it is a difficult balance
23 between what cases occur and what are actually
24 reported. Next slide, please.

25 And finally I have shown you still the same

1 reported incidents, in terms of the number of cases,
2 but compared to the reported clinical cases per
3 millions of adult cattle, because I think it is
4 important when you are actually looking at this to
5 take into account that two BSE cases in a country with
6 a much larger cattle population does per State pose a
7 lower risk, or per unit of MBM.

8 And again you see that Portugal as being the
9 highest, and I think that's why it was put in this top
10 category with the U.K., and to put these numbers in
11 perspective though if you were looking at reported
12 clinical cases per million adult cattle in the U.K.,
13 that would be on the order of 18,000.

14 So these are still considerably lower risks,
15 and I should point out that I sort of categorize
16 things in terms of the color of relatively high,
17 medium, and lower risks.

18 These were purely -- I was just trying to
19 give you distinctions looking at them. I am not in
20 any means categorizing them on the basis of what you
21 might want to do in terms of precautions, but just
22 showing you to compare.

23 And the other one that I should point out is
24 Italy, which had no clinical cases reported before
25 2001, has the second highest prevalence in adult

1 healthy cattle tested. So it has been extremely
2 difficult in comparing those two things. And the next
3 slide, please.

4 If you were looking in 1996, only those
5 three countries -- Portugal, the Republic of Ireland,
6 and France -- had had clinical cases by then. So
7 things are changing all the time, but I think it is
8 this testing that doesn't help you realize in non-EU
9 countries.

10 But I think that the testing program that
11 has gone on in the EU will show increasingly more
12 information that you can actually use, and that you
13 don't rely on countries reporting systems and the
14 debate that surrounds that. Next slide.

15 And the way to learn more about this is that
16 the British epidemic is described in what was the
17 Ministry of Agriculture for Fisheries and Food, and
18 what we now call DAFRA, which has a website.

19 And there is information on worldwide BSE
20 from the International Organization for Animal Health,
21 and there is access to this EU cattle testing data
22 also on the web through the U.K. Food Standards
23 Agency, and through the EU. Next slide, please.

24 And there is additional publications, and I
25 am happy to send people by E-mail a list of more

1 publications, and finally I should thank my colleagues
2 that have worked on this with me over the past 5
3 years. Thank you.

4 CHAIRMAN BOLTON: Thank you, Dr. Donnelly.
5 Questions? A few maybe, or perhaps none.

6 DR. DE ARMOND: I have just some simple
7 questions. Can the clinical diagnosis of BSE be
8 confused with any other disorders? Are we really
9 certain of those numbers?

10 DR. DONNELLY: Oh, I think there are quite
11 a few -- well, it is difficult for me to answer that.
12 It has varied over time in the U.K. In the U.K.,
13 there is quite a few animals that are put forward by
14 vets and farmers that when tested histologically are
15 found out to have BSE.

16 So certainly even in the U.K., where vets
17 should be relatively familiar with it. But people are
18 encouraged and actually get greater compensation for
19 animals that are slaughtered and found BSE negative,
20 and even for the BSE suspects themselves, they are
21 paid to encourage reporting.

22 It is probably better maybe for one of the
23 vets to comment on that, on the histologically
24 background, but there certainly are those
25 epidemiological evidence that it is difficult.

1 But that is sort of distinguishing what you
2 have identified as suspect. Actually, what we are
3 worrying about here is just not realizing that you
4 should call the vet in at all.

5 And certainly from the video that I have
6 seen, if you allow an animal to go to late stage
7 disease, I think that any farmer should see that
8 something has gone wrong with it.

9 And it could be that milk yield goes down,
10 because the animal is not milking well, and so it gets
11 sent to slaughter before it is actually realized that
12 something is more fundamentally wrong, and it is
13 difficult to rule that out.

14 DR. DE ARMOND: The other question has to do
15 with testing of normal animals without clinical
16 disease, and what kind of testing was that, and that
17 is in a lot of animals, close to a million animals.

18 DR. DONNELLY: Yes.

19 DR. DE ARMOND: So, how was that done?

20 DR. DONNELLY: The so-called rapid test. I
21 am not actually aware of the -- and some other people
22 would be in a better position to discuss that, but my
23 understanding is that it is a test where you really
24 only pick up late stage animals.

25 But the difficulty is that these people

1 aren't aware of how early in the incubation period it
2 will pick them up.

3 CHAIRMAN BOLTON: Dr. Cliver and then Dr.
4 Piccardo.

5 DR. CLIVER: The signal to noise ratio about
6 BSE tracking in the U.K. has been perturbed by the
7 foot-and-mouth disease outbreak, and along the way we
8 see some concerns about FMD animals that were put
9 down, and then buried somewhere there was ground water
10 intrusion and so on.

11 I am wondering if anything is being done in
12 the U.K. to try and get a handle on how stable and
13 transmissible BSE prions may be via ground water or
14 surface water, or alternate routes of transmission
15 than the ones that we are already considering?

16 DR. DONNELLY: There is. The only cattle
17 that have been buried as a result of foot-and-mouth
18 disposal have been animals under 30 months of age, and
19 the TSEAC group in the U.K. spent a lot of time
20 considering whether to allow that.

21 But the scale of the foot and mouth
22 slaughtering and disposal problem was such that that
23 choice was made. There were details and sort of
24 environmental assessments of the areas that were
25 chosen, and I believe that is being studied.

1 But it would be best for this group to
2 contact TSEAC directly on what the actual details are
3 that are going on. In terms of actual surveillance of
4 BSE, I don't think the foot-and-mouth epidemic will
5 have any long term consequences on that, because as
6 well as delaying that from getting on to the farms, it
7 was actually delaying animals getting slaughtered, and
8 in terms of routine slaughtering as well.

9 So I doubt that that will actually affect
10 the clinical cases that we see reported.

11 CHAIRMAN BOLTON: Dr.Piccardo.

12 DR. PICCARDO: Will governments in the
13 European Union provide compensation for reporting or
14 is there a discrepancy among different governments?

15 DR. DONNELLY: My understanding is that all
16 European countries would provide compensation for BSE
17 cases. It also is the case that outside the U.K. the
18 whole herd is slaughtered.

19 Oh, it has been changed, but this has
20 historically been the case, that the whole herd was
21 slaughtered, which would have positive benefits from
22 a human health point of view; that if you got a
23 clustering of cases, that would ostensibly reduce the
24 number of infections of animals that might slaughtered
25 while infected out of that heard.

1 But it does mean that there have actually
2 been cases prosecuted in the Republic of Ireland, and
3 I don't know of elsewhere, but where people were
4 actually trying to import a BSE infected animal into
5 their herd so that they could get out of farming.

6 But I think that for the most part that the
7 whole herd slaughter policy could be seen as a
8 possible disincentive to report a single case if you
9 wanted to continue to farm.

10 DR. PICCARDO: I am suspicious about the
11 situation in Portugal, because it shows that the
12 amount of clinical cases reported and the amount or
13 the discrepancy between the number of clinical cases
14 and false-positive cases.

15 DR. DONNELLY: Yes, I was quite surprised as
16 well that they had zero positive tests, but one of the
17 things that you do see is that they have done a lot
18 less testing than you would expect compared to other
19 countries, and they are the only country that lists
20 pending results.

21 So if in the worst case scenario all those
22 37 were positive, they would be at the top of the list
23 in terms of prevalence. I did look back though at
24 previous months to see what the pending results were,
25 and there have been pending results throughout the

1 case, but you would certainly want to see how those
2 are getting resolve.

3 Did the results that are listed as pending
4 now, are those later going in as negatives, and you
5 get other ones showing up as pending; or is it just an
6 increasing class. But that could be investigated.

7 CHAIRMAN BOLTON: Dr. Epstein.

8 DR. EPSTEIN: You mentioned approximately
9 three-quarters of a million infected animals may have
10 been consumed in the U.K. in the epidemic period. Are
11 there comparable figures for other countries that you
12 have been able to estimate, because what we are mostly
13 concerned about the cumulative human risk, country by
14 country.

15 DR. DONNELLY: Well, it is extremely
16 difficult because of this problem of under-reporting.
17 It is certainly the case that you could have tens of
18 thousands of infected animals eaten in countries like
19 Portugal, Ireland, and even France, depending on what
20 you submit under your reporting, and Switzerland.

21 But the difficulty is of course if I looked
22 at France a year ago, and before we had actually seen
23 the 2000 case data, I would have given you a very
24 different picture for France.

25 So I think that actually the estimates will

1 be much better in a year's time when we have actually
2 had more data from this testing result, because we can
3 only say, well, when you do this in an assessment for
4 under-reporting, you have to assume that at some point
5 that under-reporting went up to a maximum level of,
6 say, a hundred percent.

7 And if you assumed that reporting improved
8 up to that level this year, looking at 2000 as opposed
9 to next year, you would get a very different picture
10 for Spain, for example. So it is very difficult to be
11 precise at this moment.

12 DR. DAVEY: One more question. Well --

13 DR. BELAY: Dr. Donnelly, there are still
14 some BSE cases reported in the United Kingdom among
15 cattle born after 1996, despite the fact that the
16 control measures were rigorous after 1996. What are
17 those cases attributed to?

18 DR. DONNELLY: There have only been -- I
19 think it is either 2 or 3 cases that have been born
20 since mid-1996 that have come out as clinical cases.
21 One of the things that our group did -- and we were
22 involved in two different assessments of data relating
23 to maternal transmission of BSE.

24 That is the maternal cohort study, which
25 looked at animals to BSE infected dams and a matched

1 animal in the same herd, and that showed an increased
2 risk of BSE in the off-spring.

3 But since that was ambiguous, it could have
4 been genetic. We also looked at the database and
5 found more dam calf pairs of BSE cases than you would
6 expect by chance in those animals that were the last
7 born calf, and we would suggest that it was maternal
8 transmission.

9 We then looked at how many cases of BSE we
10 would expect in animals born after 1996 due to
11 maternal transmission alone, and found that this is in
12 excess of what we are seeing now if you assume 10
13 percent maternal transmission in the last six months
14 of the incubation period.

15 That said, it is my understanding that one
16 of these animals that is a BSE case that has been
17 found, its mother is still alive, and is apparently
18 not affected by BSE.

19 And it also has off-spring and it has
20 siblings that are also not affected by BSE. So it is
21 very difficult to figure out how this animal could
22 potentially have been infected unless it was through
23 a non-maternal means, because all the evidence that we
24 found is that it is only in the very late stage of
25 infection that is maternally infectious.

1 So there is that one animal, but it is
2 uncertain. But so far in monitoring it, we have seen
3 fewer cases than we would expect through maternal
4 transmission in these animals born after the middle of
5 '96.

6 CHAIRMAN BOLTON: Okay. Thank you, Dr.
7 Donnelly. I think it is best that we move on as we
8 are rapidly running behind schedule. Our next
9 presentation will be by Dr. Antonio Giulivi, and it is
10 entitled, "BSE Exposure, Risk Reduction, and Projected
11 Effects on Blood supply." Dr. Giulivi.

12 DR. GIULIVI: Thank you. Mr. Chairman, and
13 Ladies and Gentlemen, what I will be doing is
14 presenting a risk assessment that Canada has done, and
15 you have to understand the way we work in Canada.

16 The department that I head up is a public
17 health department. We work with industry, and we work
18 with the blood centers and so on, and we also give
19 information and assessment, risk assessments, to the
20 regulators, a different department in Canada, and we
21 are completely separate.

22 And we are able to interact freely with
23 different consumers and so on, and so we usually do
24 risk assessments and we also suggest to the regulators
25 what we think in public health will happen.

1 This is a step that has been three years now
2 and it has been working well. It is experimental, and
3 this is the way that Health Canada is working, and it
4 is working quite well.

5 So what we did is that the regulatory people
6 asked us to look at again the situation of all of
7 Europe and BSE, and should there be deferrals for
8 donors in blood for Canada for travelers that went to
9 Europe.

10 And we constantly do this every 2 to 3
11 months, and so it is an open book, and we just don't
12 shut the book. We keep on doing that. The other
13 thing that you will see, and which is the most
14 important slide at the end, is the blood supply, and
15 the amount of supply in the hostel.

16 We have seven hostels that we fund to look
17 at what happens if the blood is not there. The other
18 thing is that at the end of my presentation the CBS is
19 going to present four slides on how they got to their
20 risk assessment and how they got to their donor
21 assessment. It is quite important and I would like
22 for them to present that data.

23 So we took all of this into consideration,
24 the past and the present, and we took into
25 consideration when things started to happen, and when

1 it was discovered, the theoretical risk, and look at
2 the literature.

3 We have our own experiments that we are
4 doing with Health Canada with animals and so on about
5 transmission, and we are funding some elsewhere. Then
6 the story of what happens in France with the three
7 cases, and then animal studies that may support the
8 transmission of blood, and I can actually say may.
9 The next slide.

10 We looked at the total cases of VCJD in the
11 U.K. and France., and we knew at that time while we
12 were doing this that we knew about the case already of
13 Hong Kong. The annual reporting of the BSE beef
14 during this time -- and this was very hard to find --
15 reporting the number of cases of BSE in Europe.

16 And then we predicted the BSE. because what
17 we are looking at is -- the first question is the BSE
18 of that country appearing in our country, is that the
19 same risk as the U.K. that happened 10 years ago.

20 What is the magic number of how many cases
21 you need to consider that country high. That was the
22 question that the regulatory asked us. So we had to
23 do all these analyses for every country, which I am
24 going to go very fast through it.

25 The bottom line to it is that it is our

1 conclusion that you need at least 1 in 700 animals
2 coming down with BSE before you can consider that
3 country the same risk as the U.K., and that was our
4 conclusion.

5 We looked at the emergence of the BSE, and
6 the disease probability presenting earlier, and how it
7 develops. You heard this before with Donnelly, and
8 the exposure. We looked at the bans that took place
9 in different countries, and especially with the U.K.,
10 and then all bans that took place in different
11 countries, which was very hard to find.

12 We looked at the human exposures that may
13 have started in the U.K., and we really took this into
14 consideration. This was the major risk for us, the
15 mechanically recovered meats.

16 We looked at how the graph was going on
17 reported cases of variant CJD, and Canada is part of
18 the U.K. system, a European system of reporting
19 variant CJD and CJD, and we are very active in that.

20 We get a lot of information from a lot of
21 countries, and you can see that we are predicting that
22 this would be around 30 to 40 this year for the U.K.
23 So there is an increase, and that made us rethink
24 maybe our policy for blood has to be changed because
25 of that increase in the U.K. The next slide.

1 The information that is not available in the
2 incubation period, and we assumed it was 20 years or
3 better. The minimal dose we still don't know. The
4 age distribution and slaughter, and the dietary habits
5 between U.K., France, and other European countries.
6 Next slide.

7 We looked at the probable source, which
8 again we did the mechanical, and that's where we
9 really pointed to, and the prevalence of the countries
10 that it would transmit to, the animal or cow, and the
11 food imports. Next slide.

12 Now you are going to see a lot of graphs on
13 the prediction of the countries that we looked at, the
14 BSE countries that will all happen the next year or
15 the year after, and so on.

16 These are predictions that are done, and
17 they could be off, but for us to sort of give a value
18 to work with. Next slide. We looked at the
19 categories, and we took all the information from
20 Europe. Next slide.

21 And we looked at how the cases of BSE was
22 appearing in certain hard to predict countries. Next
23 slide. And then we looked at the annual imports of
24 U.K. beef by the country, by certain countries, and we
25 see some of that information through the U.K., and

1 some through WHO, and some through contracts, and
2 through official contracts with governments. Next
3 slide.

4 And this is what we got. The increase you
5 can see keeps going down, and then the increase of all
6 other countries, but when we predict and predict high,
7 you will see that it doesn't go as high as a thousand
8 per month, and that is what is important.

9 So in public health, which is my department,
10 we said that the public health, that really what you
11 have to look at is U.K. and France. The other
12 countries are going up, but they are not hitting the
13 level of what happened in the U.K. Next slide. And
14 you can see that it is going and increasing. Next
15 slide.

16 And I am going to show you some graphs that
17 it was hard to predict these numbers, because of the
18 confidence intervals are all over the place, but this
19 is what you have to deal with when you deal with these
20 models.

21 So this is for Belgium and for France, and
22 it was easier, because it is a tighter fit. Next
23 slide. For Germany, there is a big variance there.
24 Next slide. And for The Netherlands it is the same
25 big variance. Next slide.