

1 locating additional reserve supplies of blood
2 throughout the country, both in a liquid and frozen
3 form.

4 In conclusion, this month marks the 20th
5 anniversary of the first report of HIV. As with the
6 debate over how to protect the blood supply from HIV
7 in the absence of a screening test, deliberations
8 concerning variant CJD have once again been framed as
9 a trade-off between safety and availability.

10 Then, as now, some are arguing against
11 aggressive donor screening measures because of the
12 impact on availability. Until we have a test that can
13 better assess risk, donor exclusion by designated
14 behavior is the only way to protect the blood supply.

15 We believe this to be an interim solution
16 and not a permanent solution, hopefully soon eclipsed
17 by more scientific information and blood screening
18 tests, and our commitment is repeatedly within the Red
19 Cross to analyze our deferral criteria.

20 Moving beyond yesterday's paradigm, it is
21 incumbent upon the blood banking community to address
22 the need for availability and establish a sustained
23 and consistent blood supply based on patient needs.

24 Consistent with our mission to alleviate
25 human suffering, the Red Cross is committed to

1 ensuring that the right blood product is available
2 every time for every patient.

3 If we are wrong in our more cautious
4 deferral criteria, the only consequences will be a
5 more determined and effective way to collect blood in
6 this country. If we are correct, the consequence of
7 a less cautious deferral policy cannot be corrected.
8 Thank you.

9 DR. FREAS: Thank you very much. Our next
10 speaker is Dr. Bob Jones, President, New York Blood
11 Center.

12 CHAIRMAN BOLTON: For the Committee, I would
13 like to suggest that we are going to take four more
14 public presentations, and then break for lunch, so
15 that we all don't lapse into a coma here from lack of
16 food.

17 And we will come back -- well, at a time to
18 be determined, and finish the rest of the public
19 hearing, and then go into our discussion and votes.

20 DR. JONES: Just a brief comment about who
21 we are. "Euro-blood-R-Us." We have heard a lot about
22 Euro-blood today, and we will hear a little more about
23 it now.

24 The New York Blood Center has always
25 supported and in fact created many blood safety

1 measures through our research efforts. However, we
2 are now faced with a dilemma which pits blood safety
3 against a scenario where patient safety is clearly in
4 danger.

5 Our dilemma is as follows. Implementation
6 of the proposed deferrals would reduce the NYBC
7 supply, which is 80 percent of the New York area
8 supply by one-third or more. There is current U.S.
9 and NYBC unmet medical need for RBCs. We have heard
10 that already this morning.

11 Now, obviously, the largest single impact
12 was the loss of Euro-blood, which is a hundred-and-
13 forty some odd thousand red cells. However, there is
14 a magnifier effect because 55 percent of those are
15 Type "O" blood.

16 So to replace that, we would have to collect
17 22 percent more of normal type mix. Euro-blood is a
18 surplus supply from Holland, Switzerland, and Germany,
19 full licensed, and an extension of our NYBC collection
20 system, and as mentioned earlier, is 25 percent of our
21 red cell distribution.

22 Under the current proposed deferrals, we
23 would also lose over 10 percent of our New York area
24 community blood donations due to the cosmopolitan
25 nature of our donor base.

1 Again, there is currently no U.S. surplus to
2 fill in this deficit. All attempts by us to obtain
3 new commitments from U.S. sources have fallen far
4 short of this need.

5 Only 35,000 new units have been identified
6 for beginning next January, and this includes all the
7 ABC centers, and the ARC commitments. Most interested
8 at this point in time are just simply unwilling to
9 make new commitments to increasing their exports
10 because of uncertainty around the deferrals. What
11 have we done?

12 We have been very, very aggressive over the
13 last three years. We have known that Euro-blood was
14 going away, and for other reasons, and we have
15 increased our own collections in the New York area by
16 7 percent per year, and over 22 percent over the last
17 three years is a remarkable achievement, particularly
18 in an urban environment.

19 And we have initiated new donor programs and
20 directions, including more intensive community based
21 programs, a hemochromatosis program, a focus on
22 minority recruitment, and increasing retention and
23 frequency of donors.

24 We have restructured our whole organization
25 to focus on donor recruitment, with several

1 reorganizations over the last three years. We have
2 increased our focus on purchase of supply from other
3 U.S. sources, and finally we have made operational
4 improvements to reduce discards.

5 If you look at the next side, this is the
6 New York Blood Center Supply plan, with no deferrals
7 in place, and you can see that over the years we have
8 already passed on this slide, we have in fact
9 increased our collections on the pink line by
10 significant amounts, seven percent per year.

11 The top line is the integrated results of
12 those other three supplies, and as you can see, Euro-
13 blood has been in decline, and will continue to
14 decline, with a glide path to disappear almost
15 completely in '04.

16 And our domestic purchases are seeing the
17 bottom line. This is assuming no referrals. No
18 slide, please.

19 This is what happens if the deferrals
20 proposed by the American Red Cross would be
21 implemented and affect the New York Blood Center, and
22 you can see that our overall supply drops off
23 dramatically on January '02, with the biggest impact
24 obviously being the disappearance of Euro-blood.

25 Our own collections also fall off by about

1 10 percent, and we are assuming a rate or a recovery
2 of 7 percent per year, which we have been doing.

3 This is also somewhat optimistic because we
4 are also assuming these same domestic purchase line,
5 which we had done before the deferrals. However, we
6 do believe that would probably be lower. Next slide,
7 please.

8 Here are some important factors, and many
9 have already been addressed here. The U.S. blood
10 supply is not elastic, and certainly not to the extent
11 to make up this kind of need immediately.

12 The blood supply is also not fluid. It
13 doesn't flow easily from one region to another. Short
14 term gains from appeals or awareness campaigns are
15 very helpful, but they are not sustainable without
16 resource investments.

17 And the U.S. blood care system has no
18 reserves for supply, nor financial reserves. This is
19 an important point, and there is currently medical
20 need.

21 A very important point that has not been
22 brought up, but is important for recovery, is
23 collections reductions translated in proportional
24 reductions in blood center income, thus exacerbating
25 the existing poor financial status of -- an already

1 poor financial status of non-for-profit centers.

2 And finally to this point, without financial
3 resources, blood care organizations will not be able
4 to react quickly to the needs to replace or create new
5 supply. Next, please.

6 Here are some plausible outcomes for the New
7 York area. The New York-New Jersey hospitals will be
8 incapacitated due to dangerously low blood
9 availability.

10 Over 7,000 transfusions per month, or maybe
11 as many as 230 per day, will not take place. This is
12 also a cumulative need, in that the transfusions that
13 don't take place today are still going to be needed
14 the next day.

15 Hospital rationing. Will some hospitals
16 have to close while others are supplied with enough
17 blood for safe care? Revenue losses and market forces
18 will drive service fees to unprecedented levels, and
19 the hospitals are simply not financially prepared for
20 this.

21 Finally, new modes of donor recruitments
22 will surely emerge over time. This will go to
23 readdressing replacement programs, and higher
24 incentives will come into play, and maybe even paid
25 donors. Next slide, please.

1 Here are some of the ideas that we have
2 presented, and would like to share with you as to
3 possible remedies. We feel that the most important
4 element in any solution to this dilemma is time.

5 That we need time to develop a new supply,
6 as well as develop a better understanding of the
7 medical impact of short supply, versus the danger of
8 transmission of Variant CJD.

9 We do think that formalized medical
10 rationing of blood supply to assure scarce resources
11 is used optimally. You can look at this at the
12 national level or local level, or even the hospital
13 level.

14 Finally, Federally sponsored and funded
15 national donation awareness campaigns, Federal aid to
16 blood centers to allow for new collection and
17 capacity, and these grants could be over \$500 million.

18 And finally new blood supply sources as a I
19 mentioned earlier. Day to day, we know that the
20 medical practice is balancing risks, and all we are
21 saying is that at this point in time, as you consider
22 this, please consider the patient safety, as well as
23 blood safety. Thank you very much.

24 DR. FREAS: Thank you. Our next speaker is
25 Line Robillard. She is the executive director of the

1 World Federation of Hemophilia.

2 MS. ROBILLARD: Mr. Chairman, The World
3 Federation of Hemophilia is an international, not-for-
4 profit organization representing 95 member countries.

5 It is dedicated to improving hemophilia care
6 for the estimated 400,000 people around the world with
7 hemophilia. The World Federation of Hemophilia is
8 very concerned about proposed expansion of screening
9 policies to further exclude donors, ostensibly to
10 reduce the theoretical risk of variant CJD
11 transmission by plasma products without sound
12 justification that the measures will increase the
13 overall safety of people receiving these products.

14 Consider the following facts affecting the
15 supply and safety of blood products today. The WSH's
16 most recent global survey shows that the majority of
17 people with hemophilia worldwide do not have access to
18 recombinant products.

19 These are available mostly in countries with
20 an average GNP greater than \$10,000. Therefore, safe
21 plasma derived concentrates remain the lifeline for
22 the majority of people with hemophilia.

23 We estimate that this is likely to remain
24 the case in the foreseeable future. A very large
25 percentage of the plasma used for manufacturing these

1 concentrates comes from the United States and Europe.

2 Very few countries are able to collect
3 plasma in sufficient quantity or of sufficient
4 quality. The chief causes of death among 75 percent
5 of people with hemophilia today are bleeding, HIV, and
6 Hepatitis C.

7 This is a result of the lack of availability
8 of plasma derived products, or the use of unsafe
9 plasma products which have not been viral and
10 activated.

11 There is currently a worldwide shortage of
12 plasma products for treating people with Hemophilia.
13 The shortage of recombinant products in Europe, North
14 America, and Japan, threatens to have a serious impact
15 on other parts of the world.

16 Plasma derived products that were once
17 available in developing countries are now becoming
18 scarce because developed countries have had to go back
19 to using plasma products to make up for recombinant
20 shortages.

21 Implementing policies that restrict donors
22 from giving blood cause additional shortages in
23 developing countries. Indeed, these restrictions, if
24 adopted by all, may well cause shortages in developed
25 countries as well if the recombinant product shortage

1 continues.

2 Fewer available products in the developing
3 countries will lead to an increased number of deaths
4 and disabilities because of the unavailability of
5 products to treat life-threatening bleeding episodes,
6 and the replacement of safe products with unsafe local
7 fresh frozen plasma and cryoprecipitate, or by
8 products manufactured by using lower quality plasma
9 obtained from dubious sources.

10 Because of their tremendous world-wide
11 impact, screening policies for donors that further
12 reduce the global availability of plasma products,
13 should be based on sound scientific knowledge, or
14 judgment that the safety obtained by the new screening
15 policies will outweigh the risk of more people dying
16 from lack of treatment products.

17 In this instance, a theoretical risk of
18 variant CJD is being weighed against a real risk of
19 shortages, and this type of a risk assessment is
20 extremely difficult.

21 The screening procedures currently in effect
22 have tried to reasonably balance relative risk of
23 shortage with theoretical risk of variant CJD.
24 However, further attempts to try to reduce the risk to
25 absolute zero amplifies the real risk of death and

1 blood-borne infections for 80 percent of people with
2 hemophilia living outside Europe and North America.

3 Careful assessment of the expected gain in
4 risk reduction in this situation is absolutely
5 mandatory. Thank you for giving us this opportunity.

6 DR. FREAS: Thank you. Our next speaker is
7 Dorothy Varlese, Associate General Counsel, of the
8 Greater New York Hospital Association.

9 MS. WALTON: I am actually Susan Walton,
10 Senior Vice President and General Counsel at the
11 Greater New York Hospital Association, and I am
12 responsible for the legal, regulatory, and
13 professional affairs on behalf of our membership.

14 Our membership consists of 200 hospitals and
15 long term care facilities in the Metropolitan New York
16 Area. They are all not-for-profit, charitable
17 organizations, or public sponsored, and our service
18 area includes approximately 18 million people.

19 Geographically, we are every hospital that
20 you can think of in New York City. We extend up into
21 the Hudson Valley, out on Long Island, and into
22 Northern New Jersey. And as you have probably already
23 figured out, we are the service area for the New York
24 City Blood Center.

25 We are there and reliant for 75 to 80

1 percent of our blood on the New York City Blood
2 Center, and we are also there for, in-turn, very
3 reliant on Euro-blood, and the extent to which they
4 rely on that blood supply.

5 We are deeply concerned therefore about any
6 decision about a deferral policy that will result in
7 an immediate drop of what is anticipated to be one-
8 third -- one-third of our blood supply.

9 We cannot obviously comment on the part of
10 the standard that you must weigh and must implement
11 that looks at the risk to science. I am not a
12 scientist, a physician, or an infectious disease
13 expert.

14 We are therefore unable to comment in that
15 regard. But we are thankful that you are undertaking
16 this task to ensure a safe blood supply. We are
17 committed to safe care and a safe blood supply for our
18 members.

19 What we can comment upon, however, is the
20 other half of that balance, which has to do with the
21 disadvantages, perhaps, of implementing a deferral
22 policy.

23 We will be clearly disparately affected, our
24 members, and the 18 million patients that we serve.
25 We will be, we think, affected should this occur all

1 at one time in a devastating way.

2 And in order to get a handle on that, we
3 have over the last several days spoken with a number
4 of our members, the Blood Bank directors, the
5 physicians, and the administrators. And as you might
6 expect, they already have very strict guidelines on
7 when transfusions can be provided.

8 They are very worried about what will happen
9 over the summer. Forget this deferral policy. They
10 are very concerned about what will occur during the
11 course of this summer and are already trying to figure
12 out what surgeries will be deferred, and what
13 transfusions will be deferred from a medical
14 standpoint.

15 And I echo what the Commissioner of Health
16 from the State of New York has already indicated in
17 terms of the impact on the procedures that will be
18 involved.

19 When I go the next step and I say so what
20 will happen if this policy with respect to deferral is
21 implemented, and should be implemented all at one
22 time, it is very interesting. They cannot -- they say
23 that they cannot absorb such a shortfall in the blood
24 supply.

25 Remember, it is one-third of the blood

1 supply, and as they talk through the procedures --
2 let's say cancer care -- they will have to defer
3 certain surgeries with respect to cancer. In the
4 medical arena, they will have to defer transfusions
5 for people who need transfusions from a life-saving
6 standpoint.

7 And they say that people will die. I have
8 already been requested to pull together our members
9 and start to talk about the difficult ethical,
10 clinical, and legal issues that arise when you ration
11 scarce resources.

12 The discussion that we are going to have to
13 have is the blood supply drops in this fashion, and
14 they also say that we don't look forward to playing
15 god.

16 We ask that as you consider what you have to
17 consider today, or in six months, that you take into
18 account the impact on the blood supply. I recognize
19 that it is one region, but it is a very big region of
20 the United States.

21 And we ask that if you ultimately do adopt
22 deferral policies that reduce the blood supply that
23 you do take into account time frames, and that it goes
24 hand-in-hand with clear, concrete, enforceable
25 remedies for addressing the shortfall that will occur.

1 I welcome the voluntary efforts, and I know
2 that the Commissioner of Health for the State of New
3 York will stand behind the promises that she made. But
4 it is a nationwide problem that New York can't
5 backfill the shortage that will occur.

6 And it really needs a national governmental
7 backing to fill that problem that will occur. Thank
8 you.

9 DR. FREAS: Thank you. Our next speaker is
10 Dr. Jeffrey Doughlin, Chairman, Emergency Medicine and
11 the President of the Medical Staff at Jamaica
12 Hospital, Queens, New York.

13 DR. DOUGHLIN: Good afternoon, Mr. Chairman,
14 and Members of the Advisory Committee. As you said,
15 my name is Jeffrey Doughlin, and I am a practicing
16 physician in New York City.

17 And I am a surgeon, and Chairman of the
18 Emergency Medicine, and President of the Medical Board
19 and Staff, and I also sit on our transfusion
20 committee.

21 Jamaica Hospital is a level one trauma
22 center, and we have a very busy emergency room,
23 treating approximately 100,000 patients annually, the
24 number of patients seeking care in this emergency room
25 has increased steadily over the past 10 years, and

1 continues to do so.

2 Blood usage in the hospital has of necessity
3 increased significantly during this time frame because
4 of our increased patient load. Now, we are actively
5 involve in attempts to recruit more blood donors in
6 the New York City area to try to meet the growing
7 needs of the population that we serve.

8 And we have collaborated with the New York
9 Blood Center in sponsoring blood collection drives in
10 our own institution. We are pretty much dependent on
11 the New York Blood Center in meeting our needs for
12 blood and blood products.

13 On a daily basis, we have to deal with the
14 harsh reality of our dwindling blood supply as more
15 donors are deferred, but utilization as a whole in the
16 City trends upwards.

17 A seriously injured patient coming into the
18 hospital E/R bleeding to death needs blood right now,
19 and not in 2 hours, not in 2 weeks, not when the
20 supply becomes adequate, but right now if there is to
21 be any hope of saving that person's life.

22 And that patient can be any one of us, any
23 one of our loved ones. Now, the summer months are
24 particularly difficult for us, even with strict
25 guidelines for transfusion and close monitoring of all

1 transfusion episodes, is the usual situation for us.

2 The norm for us is to always be on the verge
3 of running out of blood, and it just takes one or two
4 high speed automobile collisions in a brief time frame
5 to tip us over the edge.

6 Now, unfortunately, this is not just a
7 theoretical consideration. Last summer, we
8 experienced this nightmare scenario. For several
9 hours, we were unable to accept any more trauma
10 patients because we ran out of blood after treating a
11 number of badly injured patients.

12 Ultimately, the New York Blood Center was
13 able to replenish our stock. Now, if we are subject
14 to a precipitous reduction of this blood supply in our
15 region, it will be impossible to provide trauma care
16 and care for those needing urgent or interoperative
17 blood transfusions for all of the many reasons why
18 people receive large amounts of blood, in any kind of
19 structured, systematic, or organized fashion.

20 And that scenario is going to be repeated
21 from hospital to hospital. I can assume you that
22 countless lives will be lost. An elimination of Euro-
23 blood and more extensive deferral of potential blood
24 donors, with an exposure to the European environment,
25 will undoubtedly have this kind of impact on the New

1 York/New Jersey region.

2 Now, we are totally committed to a safe
3 blood supply. If there is compelling evidence at this
4 time that our population was at risk for developing
5 variant CJD by continuing to follow the present
6 guidelines related to blood acquisition and blood
7 donation, then we would have no option but to deal
8 with the reality of a 25 to 30 percent reduction in
9 our blood supply.

10 If the evidence is not compelling, and if
11 there is no solid clinical or scientific evidence of
12 an immediate and substantial threat to our citizens,
13 then I would certainly urge this committee to leave
14 the guidelines essentially and substantially unchanges
15 as we continue to acquire knowledge and develop
16 strategies for optimizing utilization of blood, and
17 for expansion of our donor base.

18 To do otherwise at this time will place an
19 insurmountable barrier in our way as we attempt to
20 fulfill our charge to do no harm and to save lives.
21 Mr. Chairman, I thank you for the opportunity to
22 address the committee.

23 DR. FREAS: Thank you. Our next speaker is

24 --

25 CHAIRMAN BOLTON: No, we are going to break.

1 At this point, we are going to break for lunch. I
2 hate to do this, but I sense that we have already lost
3 the battle, and I don't want to lose the war.

4 What I propose that we do is break 45
5 minutes for lunch, and return here at 1:45 or so, or
6 43 minutes for lunch by my watch, and we will continue
7 with the remainder of the open public hearing and on
8 to our discussions.

9 We are far behind schedule, and so those of
10 you who are speaking in the open public hearing, I
11 will hold you to four minutes. So you have 45 minutes
12 to revise your talks to bring it down to four minutes.
13 And so we will stand adjourned until 1:45.

14 (Whereupon, at 1:03 p.m. the Advisory
15 Committee was recessed.)

16

17

18

19

20

21

22

23

24

25

A F T E R N O O N S E S S I O N

(1:52 p.m.)

CHAIRMAN BOLTON: Can we reassemble. Members of the audience, please take your seats. Members of the Committee, please return.

DR. FREAS: While we are waiting for people to be seated, if you are going to make a presentation in the open public hearing, we would appreciate it if you would sit over here so that it is a short trip to the microphone and would speed things along.

We will continue with the open public hearing. Going again in the order in which I have received the request, starting with number 10, our next speaker is Mirian O'Day. Mirian is a Senior Direct of Public Policy, Alpha One Foundation.

MS. O'DAY: Good afternoon. Alpha One antitrypsin deficiency, Alpha One, is a genetic disorder that results in devastating and often fatal lung and liver disease.

Individuals with the pulmonary destruction of Alpha One demonstrate precocious onset of pulmonary emphysema, with symptoms including shortness of breath, particularly on exertion, cough, whiz, repeated lung infections, and speed up production.

Therapy consists of augmentation of the

1 Alpha One antitrypsin protein via weekly infusions of
2 a plasma derivative. In the absence of therapy, the
3 pulmonary emphysema of Alpha One tends to be
4 relentlessly progressive, often leading to premature
5 respiratory death in infected individuals.

6 It is estimated that untreated individuals
7 can have their life expectancy reduced by 20 years or
8 more. With onset between the third and fifth decades
9 of life, the pulmonary impairment can cause disability
10 leading to the loss of employment, frequent
11 hospitalizations, family disorganization, and the
12 suffering not only to those unable to catch their
13 breath.

14 Lung transplantation, with all of its
15 associated risks and costs, is the most common final
16 option. The Alpha One foundation is a not-for-profit
17 organization founded in 1995 to promote research
18 towards a cure for Alpha One.

19 The majority of the Board of Directors is
20 either diagnosed with Alpha One or is a family member
21 of an individual with Alpha One. To date the
22 foundation has funded over \$9 million in a broad range
23 of research grants and awards.

24 With recognition of the widening European
25 incidents of BSE, and its anticipated risks for

1 variant CJD in humans, the Alpha One foundation is
2 supportive of efforts to ensure the safety of the U.S.
3 blood supply and plasma derivatives.

4 Currently, only a single therapeutic agent
5 exists to treat Alpha One, and as a representative of
6 those citizens suffering the consequences of Alpha One
7 antitrypsin deficiency, the foundation expresses
8 extreme concern that this life preserving medication
9 continues to be in critically short supply.

10 As a consequence the foundation believes
11 that efforts aimed at providing a theoretical increase
12 in the safety of the U.S. blood supply must be
13 combined with consideration of the risks of reducing
14 the availability of blood and plasma products.

15 Clearly the evaluation of any plan to
16 further increase donor deferrals must include
17 consideration of the risks to the plasma user
18 community, as well as mechanisms to expand blood and
19 plasma donation in order to avoid further compromise
20 to the availability of blood and its derivative
21 medications.

22 The foundation regards the proposed
23 expansion of the donor deferral policy by exclusion of
24 donors at risk of variant CJD on the basis of foreign
25 residency and travel as inefficient, and it makes the

1 weighing of issues related to risk benefit more
2 difficult.

3 The foundation recommends that the focus be
4 an increase in funding for research in the areas of
5 testing methodologies for the various CJD infectious
6 agent, studies of various CJD transmission and
7 infectivity, and evaluations of methods for
8 inactivation and/or segregation of the infectious
9 agent during blood product fractionation.

10 The foundation also cautions that efforts to
11 ensure safety may be misconstrued by the lay public
12 and actually reduce the willingness of individuals to
13 donate blood and plasma, as well as alarming donors
14 who are deferred.

15 We have two more recommendations and that is
16 that we evaluate a blood safety compensation system,
17 and put an increase on health surveillance. Thank you
18 very much.

19 DR. FREAS: Our next speaker is Dr. Hank
20 Baron. Senior Director of Prion Research, of Aventis
21 Behring.

22 DR. BARON: I would like to thank the
23 committee chairman and the FDA for having this
24 opportunity. Actually, I'm speaking and giving a more
25 extensive presentation this afternoon. Thank you.

1 However, the presentation that I will be giving will
2 be addressing this notion of geographic risk.

3 So I really wanted to get a word in before
4 this committee votes on that, and that's why I have
5 pulled the final slide of my presentation to give you
6 a few bullet points with regard to this geographic
7 risk.

8 And I think to give you a piece of
9 information that is on everybody's back burner during
10 the proceedings, but I think really should be pulled
11 out to the forefront is the fact that the risk of
12 variant CJD transmissions through blood or plasma
13 products remains purely theoretical, and
14 unsubstantiated by relative scientific evidence.

15 And this is a counter-distinction by the way
16 to HIV and AIDS. We heard an illusion to the fact
17 that we are in a similar situation with HIV and AIDS
18 in 1980, and we know that HIV and AIDS -- that it
19 became tragically and quickly evident that this was a
20 blood-borne disease within a year or two of the
21 emergence of AIDS.

22 And I think that to raise the specter of HIV
23 and AIDS, and considering this theoretical risk of
24 variant CJD and transmission through blood, I think
25 that does a service to no one, and certainly not to

1 the population who really pays the tragic price.

2 Despite the fact that this risk is
3 theoretical, and because variant CJD is different from
4 the classical form of CJD, we in the plasma protein
5 industry have taken numerous precautionary measures to
6 implement and further minimize this theoretical risk,
7 and this includes certain donor deferral programs
8 which are in place regarding U.K. travel, regarding
9 exclusion of U.K. plasma.

10 It also includes withdrawal of notification
11 measures, and other measures that we are actively
12 pursuing. Now, the lack of rise in variant CJD in
13 France -- and this is something that you saw earlier
14 today, and its absence virtually everywhere else in
15 the world, I think is notable, and it reflects
16 significantly reduced human exposure to BSE outside
17 the U.K.

18 Perhaps one to two logs of magnitude less in
19 France, and perhaps another order of magnitude less in
20 all of the rest of Europe. Measures in Europe to
21 implemented since 1996 to enhance food safety, and to
22 reduce the potential for food borne transmissions of
23 BSE prions to humans, these should add further
24 reassurance, even while BSE is rising in certain
25 countries due in large part to active surveillance

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 programs.

2 It is important to keep in mind also that
3 multi-manufacturing process steps, and the production
4 of purified plasma derivatives have been shown to have
5 robust prion removal capacities.

6 So in conclusion I want to leave the message
7 that in our opinion that a pan-European approach to
8 further minimize this risk, this theoretical risk of
9 transmission of VCJD through blood or plasma products
10 does not seem warranted.

11 And I think to cast a shadow on the safety
12 of European plasma sends the wrong message to the rest
13 of the world, and I think a declaration that European
14 plasma is unsuitable for the production of purified
15 plasma products will undoubtedly have a considerable
16 effect on the supply of life saving products to people
17 who are in dire need of them worldwide. Thank you.

18 DR. FREAS: Thank you. I am going to change
19 the order slightly. The next presentation is going to
20 be Craig and Jennifer Sperry. Their son wants them to
21 speak right now, and so we have given them the go
22 ahead.

23 MRS. SPERRY: Sorry about that. He didn't
24 have a lunch and he is quite antsy right now. Back in
25 October of 1999, Kirkland became a half-pint. He had

1 received blood when he was 2 months old, and he was
2 part of the "Pints for Half-Pints" campaign sponsored
3 by America's Blood Centers, and because of him we are
4 here today to share our story.

5 And to put a little human side to all that
6 is going on. I am definitely not a scientist, but I
7 am definitely a mother, and because of someone's
8 donation, that's the reason I am. Otherwise, I would
9 not be.

10 At two months old, Kirkland contracted RSV,
11 and at that time he also ended up coding on us and
12 going to the intensive care unit, and at that time the
13 doctor came in and said there is another problem that
14 has developed.

15 Of course, I said that coding was problem
16 enough to get past that point, but we found out that
17 the blood or the RSV was attacking his bone marrow and
18 that he needed a blood transfusion immediately.

19 Well, I didn't think of any problem with it,
20 and I offered my blood because he and I are of the
21 same type. But of course I was soon educated on the
22 fact that I couldn't just hand over my vein and take
23 my blood.

24 And they said that you don't understand. We
25 don't have time for you to give blood to your son, and

1 as a mother that was hard for me to take. So their
2 hospital searched another hospital, and his blood type
3 was not to be found in either hospital.

4 Luckily, our local blood center actually
5 tracked down his type of blood that was on its way out
6 of town, and if it wasn't for that one pint of blood,
7 we would not have Kirkland today.

8 Of course, right now you probably wouldn't
9 be having to watch him roam around, but that is
10 another thing that I would never trade for the world.
11 But in listening to some of this, I would just hate
12 for Kirkland and any other parent to have to take that
13 chance that that blood may not be there.

14 And that is the scare that myself as a
15 mother, and thinking that even back then that the
16 regulations are great to have, but if it wasn't for
17 that one pint of blood, I wouldn't be able to have to
18 put up with him right now.

19 MR. SPERRY: My son's life was saved by one
20 pint of blood, the very last pint that was left of his
21 blood type in our community. A blood shortage to me
22 is very scary.

23 Our community has already or typically has
24 a very large blood supply. We come from the Texas
25 Panhandle, and we have actually had shortages three

1 times now in the last year, which is something unheard
2 of from where we are at.

3 I can't imagine any parent going to a
4 hospital and not having the blood there when they need
5 it in an emergency situation. I do lots of blood
6 recruiting on donors, and I use Kirkland a lot, and
7 what I like to tell them is to look in Kirkland's eyes
8 and to tell him why they cannot give blood.

9 What I don't want to do is to look into my
10 own son's eyes one day and to tell him that nothing
11 can be done because there is no blood. Thank you.

12 DR. FREAS: Thank you. We are going to
13 return back to our original order of speakers, and so
14 the next speaker is Ms. Roslyne Schulman, Senior
15 Associate Director, Policy Development, American
16 Hospital Association.

17 MS. SCHULMAN: Well, that is a hard act to
18 follow. Good afternoon. On behalf of the nearly
19 5,000 hospital health network and other provider
20 members of the American Hospital Association, we
21 appreciate the opportunity to testify before the TSE
22 advisory committee regarding variant CJD related blood
23 donor deferral policies.

24 The medical and scientific communities
25 continue to debate appropriate blood donor deferral

1 criteria to address the theoretical risk of
2 transfusion transmitted variant CJD.

3 While recognizing that this is a difficult
4 issue to address, we believe that your committee, in
5 collaboration with the many infected parties
6 concerned, has the best expertise to make
7 recommendations to the Food and Drug Administration
8 about the appropriateness of expanding current donor
9 deferral policies.

10 We are concerned, however, about the impact
11 of such expanded deferrals will have on the
12 availability of blood in our nation's hospitals.
13 There is already a serious and increasing shortage of
14 blood in the United States.

15 In some areas the shortages have been so
16 severe that elective surgeries have had to be
17 canceled. U.S. News and World Report recently
18 reported that a patient who desperately needed a liver
19 transplant had his surgery canceled due to a lack of
20 blood.

21 If these shortages worsen as a result of the
22 increased donor deferrals, blood intensive hospital
23 services such as cardiac surgery and organ
24 transplantation may be severely impacted in some
25 communities.

1 As you have heard, hospitals in New York
2 will experience a particularly severe impact. The
3 number one concern for the American Hospital
4 Association and its members is patient safety.

5 We believe that blood shortages pose a real
6 and serious threat to public safety, including the
7 possible loss of life for patients who desperately
8 need medical and surgical procedures involving blood
9 or blood products.

10 That's why we strongly suggest that the
11 Federal Government forge a partnership with the
12 private sector to step up efforts to increase blood
13 donations.

14 In this capacity, we support the
15 recommendation made by America's Blood Centers, that
16 HHS Secretary Thompson collaborate with stakeholder
17 organizations to develop and fund a major national
18 blood donor campaign to ensure that a safe and
19 adequate volunteer blood donor supply is available to
20 all who need it.

21 The AHA looks forward to being involved in
22 such an effort. Finally, the AHA believes that it is
23 critical that the blood provider organizations work
24 together to develop common standards for safe blood
25 and blood products in order to meet our nation's

1 needs.

2 Blood is a precious life giving resource
3 that care givers need to carry out their mission of
4 providing high quality health care services to our
5 nation's ill and injured.

6 When blood provider organizations work
7 together in a consensus fashion, rather than making
8 unilateral decisions with no input from other affected
9 groups, patients will benefit.

10 DR. FREAS: Thank you. Our next speaker is
11 Ms. Lauren Larsen, a private citizen, and major blood
12 recipient.

13 MS. LARSEN: And tall blood recipient.
14 Thank you for having a tall mike. Hello. I am Lauren
15 Wood Larsen, and I stand before you today with
16 absolutely no medical expertise, but I stand before
17 you as a very, very grateful blood recipient

18 There have been a lot of facts that are
19 flying around today, and I would like to add just a
20 few of my own facts to just muddy the waters a bit.
21 There is some important deferral discussions going on,
22 and I believe that the people who stand to lose the
23 most from these are people like myself who were
24 unexpected blood recipients.

25 Prior to a near fatal illness that I

1 suffered about a year ago, I had never even
2 experienced a medical emergency. No surgeries, no
3 broken bones, and I had not even been in a hospital
4 except to visit patients with my dog, Spike, through
5 an SPCA program.

6 By the time that I became pregnant at the
7 age of 37, I had completed six marathons, and I was in
8 pretty good shape for my age, but after eight months
9 of a very uneventful pregnancy, I was rushed to the
10 hospital for an emergency C-Section with what was
11 originally diagnosed as pre-eclampsia.

12 It turned out to be much worse, and is still
13 undiagnosed today. Just hours after the emergency C-
14 Section, my body just shut down, and an emergency team
15 began to pump blood and blood products into my system
16 as fast as it could tolerate it.

17 I stabilized temporarily and then shut down
18 three more times during that evening. I spent six
19 weeks in the ICU, and I suffered from complete liver
20 and kidney failure. My weight ballooned to 270 pounds
21 as my body could not eliminate the fluids and toxins
22 that were collecting inside of me.

23 I was a status one on the liver transplant
24 for the northwest region of the U.S., and I flipped
25 out of consciousness, and when I regained

1 consciousness about a week later, I had a grand mal
2 seizure. You can imagine how thrilled all my family
3 members were.

4 As if that weren't enough, I then suffered
5 from severe encephalopathy from all the toxins that
6 had gone to my brain. During this week long period,
7 I battled communist plots against me. I struggled to
8 free babies trapped in bags, and I had lengthy
9 conversations with my I.V. pole, and I lashed out at
10 the brains that were marching across my bed.

11 Also during this time, I told my husband to
12 just let me die, and that our newborn baby girl wasn't
13 reason enough to continue with the pain that I was
14 feeling. Thankfully, he did not take me up on that
15 request.

16 It took two more surgeries, four weeks of
17 kidney dialysis, and an incredible team of medical
18 professionals, a bit of divine intervention, and a
19 heck of a lot of blood products before my body began
20 to improve.

21 All told, I was the fortunate recipient of
22 more than 250 units of blood products. Some of these
23 blood products were imported because there was a local
24 shortage where I lived.

25 After my release from the hospital, there

1 were still many challenges to face. I had to learn
2 how to walk again, and how to breathe deeply, and even
3 how to ignore the odd looks that I got when I went
4 completely bald. This is all real.

5 I also had to meet and get to know my
6 daughter who had been living hundreds of miles away
7 for the first two months of her life. It was months
8 before I understood the significance of the medical
9 trauma that I had endured.

10 And once I did, I began to understand the
11 generosity, commitment, and humanity of blood donors,
12 more than 200 of whom helped to keep me here on earth.
13 I was so touched by their assistance that I vowed to
14 help.

15 And three months ago on my one year
16 anniversary of my "play date with God" as I
17 affectionately call it, I launched a personal campaign
18 to repay the blood banks.

19 My plan is to run the New York City Marathon
20 in November and raise \$50,000 for the blood banks and
21 500 units of blood. To date, I am up to \$24,000 and
22 315 units of blood.

23 But here are a few of the less inspiring
24 facts about my campaign. Of the 220 responses that I
25 received to date, only 84 people committed to donating

1 blood, either themselves or through recruiting friends
2 to donate.

3 About half the people that did not commit to
4 blood donations stated that they were ineligible to
5 give primarily due to travel abroad. On the bright
6 side, several friends committed to hosting their own
7 blood drives.

8 Sandra, a friend from graduate school,
9 managed to get more than 50 people to turn out to her
10 personal blood drive. And of those more than 50
11 people, only eight were accepted as eligible donors
12 primarily due to travel abroad.

13 This fact, anecdotal as it is, troubles me.
14 It troubles me for the future Lauren Larsens who have
15 no idea that their currently pristine health is going
16 to someday go wildly off-track, and their only hope
17 will be the availability of blood products.

18 Let me close by recognizing that the job of
19 determining blood donor restrictions is not an easy
20 one. Safety precautions are a must, but I also
21 recognize that the U.S. does not currently have an
22 abundance of blood inventory that we take for granted.

23 In my experience, recruiting new donors is
24 not an easy task. So keeping the donors we have is
25 imperative, at least until we can prove -- and not

1 theorize, but prove, prove that we are able to
2 increase eligible donor activity enough to cover the
3 inevitable blood shortage created by proposed deferral
4 programs.

5 The Red Cross earlier outlined some plans to
6 do such a thing. I can tell you that with 18 plus
7 years in marketing that it takes an awful big budget
8 to do what was proposed earlier this morning.

9 And I know that stand for half of the
10 American Blood Supply, and it is just my guess -- and
11 I have no facts, but it is my firm belief that the
12 other half of that blood supply made up by independent
13 blood banks doesn't even come close to having the type
14 of budget that the Red Cross has to work with.

15 So I am very concerned about making the
16 deferrals before we have the inventory in place to
17 then look closely at the safety issue.

18 CHAIRMAN BOLTON: Could you please summarize
19 now.

20 MS. LARSEN: The last sentence; sorry about
21 that. The last sentence is that I am really just
22 asking for a careful consideration of weighing the
23 theoretical precaution, with the very practical need
24 for blood by thousands of real people, with real
25 medical emergencies, and real families who would be

1 absolutely torn apart if that blood was not available
2 to help their loved ones. Thank you very much.

3 DR. FREAS: Thank you for sharing your
4 experiences. Our next speaker is Dr. Mike Busch,
5 Professor of Laboratory Medicine at the University of
6 California, San Francisco.

7 DR. BUSCH: Thank you. I am from Blood
8 Centers of the Pacific, and Blood Centers of the
9 Pacific was previously Irwin Memorial Blood Bank, the
10 epi-center of the transfusion AIDS epidemic 20 years
11 ago.

12 Blood Systems is the nation's second largest
13 non-profit blood collection organization, collecting
14 900,000 units of blood, and testing 1.5 million
15 donations annually.

16 I was at USF in the critical years when the
17 transfusion AIDS cases were first reported, and donor
18 risk factor exclusion policies debated and enacted.

19 The first point that I would like to make is
20 that variant CJD is not transfusion AIDS. It has been
21 over four years since the first cases of variant CJD
22 were reported in Great Britain.

23 Despite intensive surveillance, no cases of
24 transfusion variant CJD have been reported in humans.
25 By April of 1985, four years following the first AIDS

1 case reports, 225 cases of clinical AIDS have been
2 diagnosed in hemophiliacs and transfusion recipients.

3 The incubation period for transfusion AIDS
4 is estimated at over 10 years, similar to recent
5 projects for variant CJD. So the lack of reported
6 cases from transfusion is unlikely to be attributed to
7 a long incubation period, but is rather more
8 reflective of a low penetrance of variant CJD into
9 humans, and inefficient transmission by human blood
10 components or derivatives.

11 My second point relates to the effectiveness
12 and ethics of donor exclusion policies. Measures to
13 exclude donors at risk for AIDS due to sexual behavior
14 prevented thousands of HIV infections that would have
15 occurred in their absence prior to the availability of
16 HIV testing.

17 Donor behavioral exclusion measures were and
18 remain the first line of blood safety. However,
19 exclusions based on demographic characteristics of
20 donors, as opposed to behavioral risk factors, are
21 socially and ethically problematic.

22 Although recipient safety must always be our
23 number one priority in policy development, we must
24 carefully weigh the real and theoretical safety
25 benefits of demographic exclusions with their adverse

1 impacts.

2 These latter include the extensively
3 discussed impact on the supply of the proposed
4 expanded European deferral, with the very real
5 possibility that patients may die due to lack of
6 blood.

7 In addition, this policy will have a major
8 impact on the availability of plasma derivatives. The
9 loss of 10 percent of currently active repeat donors
10 will necessitate recruitment of new donors.

11 The recent analysis by the NHLBI REDS group
12 documented that first-time donors have approximately
13 100-fold higher prevalence, and 2-to-4 fold higher
14 incidents rates of blood-borne infectious diseases,
15 compared to repeat donors, which translates into
16 increased risks to recipients of established
17 infections, including HIV, HBV, and HCV.

18 Other adverse consequences of donor deferral
19 policies have received less attention, but may be even
20 more erosive. Altruistic donors who were deferred to
21 unequivocally false positive test results have been
22 documented to experience significant anxiety and
23 distress, despite our best efforts to reassure them
24 and their families that their personal health is not
25 at risk.

1 Unfortunately, no one has conducted psycho-
2 social impact studies of donors deferred due to
3 geographic origin or travel history. I suspect that
4 a subset of these persons is seriously impacted by the
5 mixed message we give when we tell them that it is not
6 safe to transfuse their blood into patients in need,
7 including their family members.

8 The broader social consequences of
9 marginally justified demographic deferral policies
10 must also be considered. The backlash from deferral
11 policies targeting racial groups during World War II
12 and persons born in or who have traveled to Haiti and
13 South Africa in the '80s have been well documented.

14 Deferral policies based on race ethnicity in
15 Israel and Southern Africa have generated
16 international criticism. I fear that an exaggerated
17 expansion of geographic deferral policies regarding
18 variant CJD may lead not only to the loss and
19 disfranchisement of millions of currently active
20 donors with European exposure, but to a broader
21 erosion of commitment and trust among active potential
22 donors in the U.S. and abroad.

23 Finally, I ask the committee to step back
24 and consider where the slippery slope of deferral
25 policies on CJD will end. Several countries,

1 including France, Great Britain, The Netherlands, and
2 Japan, have implemented or are considering a deferral
3 policy of all persons previously transfused.

4 In my opinion this policy is based on either
5 a misunderstanding of the association between history
6 of transfusion and prevalent infections among first-
7 time donors, or more recently by the theoretical
8 concern that if transfusions could transmit CSEs, this
9 process could some or how accelerate variant CJD.

10 Based on REDS data, this deferral of
11 previously transfused donors would result in a loss of
12 7 percent of blood donors, and 9 percent of current
13 donations.

14 If TSEs are transfusion transmissible,
15 perhaps we should also consider deferring persons who
16 have ingested mammalian brains. A recent REDS survey
17 of over 52,000 donors identified 6.4 percent of active
18 donors as having a history of consumption of mammalian
19 brains, with a range of 4 to 14 percent.

20 CHAIRMAN BOLTON: Could you please
21 summarize.

22 DR. BUSCH: Yes. The Medical and Scientific
23 Advisory Committee of Blood Systems strongly endorses
24 the FDA's evidence based deferral analysis.

25 We, however, feel that the concerns must be

1 based in the reality that there have been no cases of
2 transfusion CJD despite extensive surveillance in the
3 United Kingdom, the hot zone of the BSE epidemic,
4 where over one million units of British blood are
5 transfused annually.

6 We hope that the committee will deliberately
7 consider the impact on availability and the other
8 issues that I have raised with respect to impact on
9 the safety and availability of the blood supply.
10 Thank you.

11 DR. FREAS: Thank you. Our next speaker is
12 Mr. Donald Arthur, private citizen.

13 MR. ARTHUR: Good afternoon. I am a
14 resident of New York City, and in April of 1996, I was
15 told that I had less than 6 months to live. I was
16 going to die.

17 I was going to die unless I received a heart
18 transplant. But for 3-1/2 years, I waited for someone
19 else to die, and it was a very uncomfortable feeling
20 knowing that someone was going to have to die in order
21 to save my life.

22 When I went into survey, there was one thing
23 which I never considered that I was going to have to
24 have, and that was blood. I was so grateful to my
25 potential donor, but I never thought about those

1 individuals who had also given blood.

2 I remember after surgery when I looked when
3 I was in my bed, and I looked up and there was a bag,
4 and I asked what was that, and I was told it was blood
5 products.

6 And it really hit me that there were some
7 other people which I may never ever get to thank, and
8 to say thank you. This afternoon and this morning, I
9 have heard a lot of information, and people talking
10 about graphs, pie charts, percentages and figures.

11 I am not one of them. I am a person that
12 has a name, a face, and a personality, and there are
13 thousands of us out there who are in need of this
14 precious blood.

15 We are not numbers. We are people. Each of
16 you here who will be making those decisions today, we
17 are talking about risks. I knew what my risk was
18 going to be when I was going for that transplant, and
19 I accepted that risk because without it I would die.

20 To now be told about a risk without me even
21 being given the opportunity to say, yes, I will accept
22 the risk, but to have that denied me? There are lives
23 that are at stake.

24 Each of you in this room may at one time or
25 another need those products, whether it is a loved

1 one, a next door neighbor. Each of you will be hoping
2 that blood supplies will be there, your decision again
3 today.

4 Put a name, a face, and a personality with
5 that decision, and just don't look at figures and pie
6 charts. We are human beings, and without that
7 precious blood, lives may be lost. Thank you.

8 DR. FREAS: Thank you for sharing your
9 experiences. Our next speaker is Chuck Heldebrank,
10 from the Alpha Therapeutics Corporation.

11 MR. HELDEBRANK: Thank you, Mr. Chairman.
12 We conducted a study on two consecutive days this
13 month among our entire donor population to determine
14 the effects on applicant qualified donors who are
15 otherwise eligible to donate, and who have not spent
16 six or more months in the U.K. from 1980 to the
17 present.

18 We asked them all to fill out a survey with
19 a very simple question. Have you traveled to Europe
20 since 1980, yes or no, and if so, please give us the
21 duration. On the next slide, we have the tabular
22 results of over 8,000 responses from our donors on
23 these two days.

24 And we have segregated our population into
25 three categories based on the location of the centers;

1 either a college population, a military population, or
2 a general population, and then have total numbers.

3 For those donors who are currently
4 acceptable and reporting a European stay of greater
5 than 3 months, we would then defer 6.7 percent of our
6 college donations, and 14 percent of military, 3.2 of
7 the general population.

8 And in our system, weighted the way it is,
9 it is a 5.3 percent donor exclusion. If a 6 month pan
10 of European ban is put in place, the numbers are 5.5
11 percent, 12.6, 2.7, with an overall impact of 4.6.

12 These are shown geographically on the next
13 slide, and these represent the effect in a plasma
14 fluorosis donor population, providing source plasma of
15 these effects taken with the current populations.
16 Thank you.

17 DR. FREAS: Thank you. Our next speaker is
18 Colonel Fitzpatrick from the Armed Services Blood
19 Program.

20 COLONEL FITZPATRICK: Good afternoon. Dr.
21 Williams asked me to respond to Dr. Nelson's
22 questions, and I would like to clear up one slide that
23 Dr. Williams presented, and to briefly say what the
24 impact of the deferrals will be on the military.

25 We collect over a hundred-thousand units of

1 blood annually, and we are almost self-sufficient in
2 supply, in not only our needs for our facilities in
3 the United States, but we shift throughout the world
4 on a regular basis in support exercises in deploying
5 troops.

6 If we were to implement the American Red
7 Cross proposal, 25 percent of our active duty
8 population would be ineligible to donate. If we were
9 to enact the FDA proposal, 18 percent of our active
10 duty population will be ineligible to donate. Either
11 one is a large impact.

12 We have gone on record with Under Secretary
13 Arthur Lawrence of Human and Health Services that
14 having two standards within this nation is divisive.
15 We consider blood availability an operational
16 constraint for military operations.

17 In other words, blood must be available in
18 the support of military operations. Should the need
19 for blood go beyond our ability to collect blood, we
20 are required and we must rely on the civilian sector
21 to supply that product.

22 Neither the Red Cross nor Red Cross centers
23 alone can supply all our needs. If there are two
24 deferral criteria in this nation, we are faced with
25 choosing between two blood supplies, and whether there

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 is a safety labeling or not because there will be a
2 perception that we have to deal with.

3 We are currently evaluating the policy that
4 we will follow. We received the FDA policy proposal
5 last week, and have not made a decision as to which
6 policy that DoD will follow in our way to the
7 discussion and recommendations of this committee in
8 making our final decision.

9 Given the risk reduction model of 91 percent
10 versus 92 percent if we accept that model, there is no
11 longer a great difference between the two proposals.

12 The advantages of the FDA proposal to DoD
13 would be that it limits the donor loss to the period
14 of 1980 to 1996. So that 18 percent donor loss is a
15 maximum and will decrease over time as those
16 individuals resign and retire from the service.

17 It allows us to continue collections in
18 Europe as we operate a donor center in Germany. This
19 was the first facility to respond to the embassy
20 bombings in Africa, and deliver blood to South Africa
21 in response to the embassy bombings.

22 The second facility was Chesapeake-Baltimore
23 Red Cross, and the third facility was the DoD blood
24 program, procuring and having available 400 units
25 within less than 24 hours for delivery.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 That is a necessity for this nation to be
2 able to have that capability. If we accept the Red
3 Cross standard, it provides us the capability of
4 having a single standard that we can follow.

5 But it reduces the European collections, and
6 would require us to ship blood to Europe in support of
7 our U.S. facilities over there, and deployments over
8 there, meaning that we will have to increase donations
9 and collections in the United States.

10 In essence, competing with civilian
11 collection agencies at some facilities that we share
12 while we operate our own program and allow civilians
13 to collect.

14 It is not our position to recommend a
15 conclusion to the committee, or to interpret the
16 science for the committee. But I wanted to make you
17 aware of the situation that faces us awaiting your
18 decision. Thank you.

19 DR. FREAS: Thank you. Our next speaker is
20 Rich Vogel, Hemophilia Federation of America.

21 MR. VOGEL: I would like to thank the
22 committee for giving me a chance to speak at the last
23 minute. I will be brief. My name is Rich Vogel, and
24 I am president of the Hemophilia Federation of
25 America.

1 We are very sensitive to the potential risks
2 of a blood shortage. In fact, the hemophilia
3 community is in a crisis product shortage as we speak.
4 We have had to postpone new replacements and other
5 elective surgeries, and have had to cut back and do
6 away with prophylaxis.

7 Many have had to go back to ice and rest
8 instead of treating themselves with a blood product.
9 We will get through it, but what we won't be able to
10 withstand is what is described as the worst medical
11 disaster in the history of medicine.

12 The same attitude of theoretical and more
13 data is the same exact talk we heard 20 years ago. A
14 few dozen hemophilia cases was not convincing enough,
15 and 242 started to seem like a good number, and today
16 there are over 5,000 in the United States alone.

17 We support the recommendations by the
18 American Red Cross and hope for a system of resource
19 sharing as suggested by GNYHA. We also support a
20 full-scale blood donor campaign.

21 I am not a scientist, doctor, researcher, or
22 a statistician. What I am is a 45 year old severe
23 hemophiliac. I don't know the answers, but what I do
24 know is that I am HIV positive and have been since
25 1982 when a few dozen hemophiliacs wasn't convincing

1 enough. Thank you.

2 DR. FREAS: Thank you. At this time, we are
3 at the end of the list of people who have requested to
4 speak in the open public hearing. Is there anyone in
5 the audience who would like to briefly address the
6 committee at this time?

7 (No audible response.)

8 DR. FREAS: Seeing none, Dr. Bolton, I turn
9 the microphone over to you.

10 CHAIRMAN BOLTON: Thank you, Bill. Now we
11 begin our committee discussions, and I have been in
12 discussions with Dr. Asher from the FDA, and has
13 informed me that we must vote on questions of the
14 proposals of 1, 2, and 3 in order, as that is the way
15 that the meeting was set up.

16 So what I would like to do is that before we
17 open this up to general discussion and questions
18 within the committee, to just go back to Peter Lurie's
19 suggestion that we might want to consider
20 deconstructing the proposals, and discussing the
21 individual components, and assembling our own, or
22 voting on them individually to assemble our own.

23 In order to do that, we need first to vote
24 on the first three proposals. So if as a committee
25 member you feel that you would prefer to deconstruct

1 the proposals and vote on them individually, then when
2 we come to these votes, you should vote no on each
3 proposal.

4 If you find that there is a proposal that
5 you like, then you should vote yes. But before we get
6 to the votes, I think we should have significant
7 discussion of each of the proposals, or parts of them
8 individually, so that we have a sense of what people
9 are thinking. Yes, Stan.

10 DR. PRUSINER: David, is there a mechanism
11 by which we can say -- let's say we like 80 percent of
12 one proposal as an example, and now we think that a
13 shortcut to Peter's proposal is that we adopt a
14 modified version of that proposal. How will you
15 accommodate that shortcut?

16 CHAIRMAN BOLTON: What I would suggest is
17 that in the general discussion before we get to the
18 vote that we might entertain various parts and discuss
19 parts that we like.

20 And we can get a consensus, and even
21 construct a Proposal Number 4 that could be voted on
22 before we vote on 1 through 3, and at that point, we
23 will have a better sense of what it is that we are
24 looking at.

25 DR. PRUSINER: Fine.

1 CHAIRMAN BOLTON: Now, the way that I look
2 at this -- and I will just start this to get the
3 discussion going, is that what we really have is a
4 question of whether or not to subdivide the European
5 Union into individual countries or groups of
6 countries, and there are a number of possibilities
7 there.

8 There is also the question of whether or not
9 we should entertain a 6 month or 3 month U.K. deferral
10 policy; and then there is the time frame on whether
11 the deferrals would end in 1996 or continue to the
12 present.

13 There is the Department of Defense 6 month
14 deferral, and the North/South split issue, and there
15 is a U.K., and/or French transfusion risk. So I will
16 put that out there, and open it up to discussion and
17 questions from anybody.

18 DR. NELSON: It seems to me that it doesn't
19 make a lot of sense to vote no on everything if it is
20 an 80 percent yes; and it would seem to me that one
21 thing we could do is vote on these individual items
22 and then vote on proposals 1, 2, or 3.

23 Because otherwise the FDA is not going to
24 know why somebody voted yes on a proposal that they
25 had 90 percent agreement with, because somehow that --

1 well, our votes, unless each of us makes a speech
2 about what we like and what we don't like.

3 But why don't we just deal with the
4 components. Don't you think that would work, and we
5 can still vote on what the FDA wants us to vote on
6 after.

7 CHAIRMAN BOLTON: I think the disadvantage
8 of that approach is that one might vote, for example,
9 for the revised FDA proposal in absence of the
10 knowledge that a more suitable proposal was actually
11 available to be constructed, and it had not been
12 constructed yet.

13 DR. NELSON: Yes, but if you had the
14 elements, you can put the new thing together. It
15 seems to me that if we just vote on this whole package
16 that is heterogenous between the various ones -- I
17 mean, they all have exclusion criteria. They are just
18 a little different.

19 CHAIRMAN BOLTON: But the way the actual
20 meeting is set up, and the way that the FDA has
21 requested that we do this is that if we vote yes on
22 Option Number One, we stop there.

23 If we vote no on one, and yes on two, we
24 stop there. It is not a matter of voting on all
25 three. So it doesn't quiet give us that flexibility.

1 DR. NELSON: But it is our meeting. I mean,
2 we can establish the rule that makes sense to us, and
3 then let the FDA sort of figure out what we meant.

4 DR. PRUSINER: There is one more option and
5 that is for someone, and not me, to make a motion that
6 we vote no on all three proposals.

7 DR. NELSON: Well, I'll make that motion.

8 DR. LURIE: The other way of doing this, I
9 suppose, is to do it, Ken, are the same. We construct
10 our proposal, and that is in effect number four, and
11 we vote on number four first.

12 DR. NELSON: Exactly. We construct number
13 four, and then we can vote yes or no on it.

14 DR. LURIE: It might turn out. I mean,
15 obviously we have gone through this, and I think we
16 have gotten an understanding of the conversations
17 still to come.

18 My number four would turn out to be number
19 three, and once I start reconstructing it, it actually
20 to me looks like the FDA's proposal is pretty
21 reasonable. But that is my belief, and I can't say
22 that is true for everybody. I think the exercise is
23 useful.

24 CHAIRMAN BOLTON: Yes. Let me go back to
25 what I said before. I think that the most effective

1 way to do this is to in fact discuss and construct
2 proposal number four before we vote.

3 That way if we don't come up with something
4 we like, then we can always go back and vote for
5 proposals 1, 2, or 3. But to vote first and then have
6 to go back and reconstruct what might in fact end up
7 being one of the proposals that we just voted down
8 would not make much sense.

9 So I would like to open it up to general
10 discussion on any of these points. Yes?

11 DR. BAILAR: I am not sure that we can
12 construct an improved proposal on the spot here. This
13 will take a fair amount of analysis. The one thing
14 that has been missing that I would like to see in the
15 analysis is the marginal improvements of going from no
16 restriction, to three months, to six months, to three
17 years or whatever.

18 How much do you gain from each step in that
19 I think would be a big help to help, but that would
20 take a little time to develop. Could we return to
21 this issue at our next meeting?

22 DR. NELSON: Well, weren't those data
23 presented? I mean, you may not like the outcome, but
24 that is what I heard.

25 CHAIRMAN BOLTON: Yes, I think they were

1 presented, at least in part at this meeting, and then
2 in the previous meeting that we had. But I think
3 whether we return to this issue, and I am sure that we
4 will return to this issue in future meetings, we still
5 need to deal with it at this meeting, and particularly
6 voting on the options 1, 2, and 3.

7 So whether we vote no on all of them, or yes
8 on one, we will still need to vote, and unfortunately
9 as always happens with this committee, we are dealing
10 with a very limited amount of information. And a
11 subject that is both emotional and important. So, who
12 is first?

13 DR. MCCURDY: I wonder if it wouldn't be
14 reasonable to split plasma from the whole blood
15 segments. I think that the data that we have been
16 provided for later discussion would suggest that there
17 may be some partitioning of the agent, of CJD, during
18 the fractionation process, and that is good.

19 These products come from fairly large pools,
20 and I am not quite sure what that means, and whether
21 the delusion is more important for CJD than it was
22 for, say, HIV or one of the others, one of the other
23 agents or not.

24 But it seems to me that it might be wise to
25 split the two, and I think I look at them differently.

1 DR. DAVEY: Mr. Chairman, maybe at the risk
2 of moving this along or more quickly than some
3 committee members wish, I would like to make a couple
4 of comments, and maybe propose one option that we can
5 consider as Option Number 4.

6 In looking back at this, I think the
7 committee has been very judicious in the way that it
8 has looked at this issue in the past; a focus with
9 good use of the precautionary principle on the country
10 where the problem is by far the greatest, and that is
11 the U.K.

12 And 98 percent of the BSE cases are there,
13 and 98 percent of the variant CJD cases are there.
14 And we have an opportunity as you know to continue to
15 study that unfortunate unfolding of the epidemic in
16 that country.

17 We can learn from it, and we already have,
18 and we can learn more. But the FDA has observed that
19 there is really not much reason to extend this to
20 other countries and one of their misses, and I think
21 that is correct, with the possible exception of
22 France.

23 I also think that what we have heard is that
24 there is really not a substantial amount of new data
25 from what we have heard in other meetings that we need

1 to extend this ban to all European countries.

2 So it is really the U.K. and there is
3 everybody else. Now, if we look at some of the
4 specific proposals, and let's look at the Red Cross
5 proposal. We would lose 600,000 to 800,000 donors --
6 businessmen, students, international workers,
7 travelers.

8 It is a problem, and even if the rules are
9 changed later, those people will not come back. I am
10 a hematologist, and I have spent my life in blood
11 banking, and we are going to lose donors and we don't
12 get them back.

13 And we have also heard that there are
14 deferrals under that proposal and the FDA proposal
15 would be catastrophic, and I use that word advisably
16 to New York City and to the military blood programs.

17 And I think we have to ask ourselves very
18 carefully do we as a committee want to do that to our
19 military blood program, and to the most populous and
20 most prominent city in America. I think perhaps no.

21 Now, again, regarding the FDA proposal, I
22 don't think there is any reasonable rationale for
23 extending a ban on all European countries, whether it
24 is six hours, six days, six months, or six years.

25 Those deferral periods are really arbitrary

1 and they are based on models that are really
2 unsubstantiated, and the FDA proposal does not address
3 the New York City problem or the military problem.

4 So I would suggest that we really step back
5 here and look at this issue. We are on a slippery
6 slope, and I don't think we need to compromise from
7 what the committee has done in the past, which has
8 been reasonable. It is balance precaution against
9 supply.

10 So I think again to maybe use another phrase
11 that the FDA has used, we can draw a bright line here,
12 a reasonably bright line between the United Kingdom,
13 and perhaps France, and the rest of the world on this
14 issue.

15 I think that we should continue the deferral
16 policies that this committee has recommended in the
17 past, and they have been well thought out, and make
18 sense, and they don't damage the American blood supply
19 sufficiently.

20 Our job really is to be cautious, but we
21 cannot jeopardize the health of the American patients
22 and recipients, and I think we have heard eloquent
23 testimony throughout the day from patients, from
24 hospital associations, that this is a real problem, a
25 real problem that we have facing us right now.

1 The committee -- it may take a little bit of
2 courage in a way to say, look, we have done enough.
3 Let's wait and see, but perhaps it is the best course,
4 and the one that shows our responsibility to the
5 American public in the highest order.

6 So I would propose an option number four.
7 That we look at the United Kingdom very carefully, and
8 maybe tighten up the ban for three months. I think
9 that makes sense. We gain a lot in risk reduction
10 without a great loss in donors.

11 That we extend that ban from 1982 to
12 present, and I think the reasons for ending it in
13 1996, while reasonable, are not very substantial. And
14 along with that, we really need to have an aggressive
15 system for monitoring the United States blood supply,
16 its utilization, and its supply.

17 We really don't have that, and I don't think
18 that the government has really stepped forward to give
19 us the data, or to supply reasonable monies through
20 others to give us the data that we need to monitor the
21 impact.

22 So, Ladies and Gentlemen, I think we have a
23 responsibility here, and I think it is clear. We
24 should focus on the U.K., and draw the bright line
25 around the U.K. and perhaps France, and in that way

1 modify the damage that we may have to American
2 patients. So that would be my suggested option.
3 Thank you.

4 DR. KATZ: It has never been quite clear to
5 me what a guest does, but thanks for the invite. I
6 have had the pleasure over the last year to be in this
7 room and to sit at this table, and listen to these
8 discussions, and I will say that the sound and fury
9 have been impressive, if the data upon which we base
10 decisions has not.

11 My review of the available information
12 suggests that the risk of transfusion transmission
13 does not justify measurable contraction in the face of
14 volunteer donors in the United States.

15 The controversy is obvious, and I think that
16 is why we are running over honorable people looking at
17 the same data disagree. I felt that the
18 recommendations in January, and still feel that the
19 recommendations in January were appropriate, and I
20 support them.

21 In the interval since that meeting the
22 impetus to broader deferrals, primarily from the
23 American Red Cross, has taken on a political and
24 scientific life of its own, and which was made
25 abundantly clear to me in a recent meeting earlier

1 this month with Secretary Thompson and Dr. Zoon.

2 While I think that the Red Cross
3 circumvented a well designed, established, and public
4 process for decision making, the right to do so is not
5 questioned, and I think that everybody should be clear
6 on that.

7 If the volunteer donor base was a spigot to
8 be turned on and off at will, there would be no more
9 bickering. We would have stringent deferrals, and
10 there would be consensus.

11 That is not the nature of the U.S. blood
12 supply, and I guess as a blood banker, maybe that's
13 why I am sitting at this table. Despite the best
14 efforts of my compatriots in transfusion medicine,
15 emergency appeals in many regions of the Red Cross and
16 independent blood centers represented by ABC are more
17 common and sustainable than ever.

18 And I think you guys got a summary of this
19 spring's media releases describing those appeals. At
20 my own center in Iowa, we draw from more than 10
21 percent of the eligible donor base. Nationwide, that
22 number is somewhere around 5 percent.

23 We are more than twice as efficient as the
24 rest of the country, and maybe that is an accident of
25 being in Iowa. Maybe we use a little less blood.

1 Having said that, we were unable to ship components to
2 Houston during their recent weather related disaster
3 because of iron-clad commitments that we have made to
4 other urban centers around the country that have
5 chronically inadequate blood supply.

6 We could not ship Houston 10 units. In this
7 system, a 5 to 10 percent additional donor deferral
8 for marginal indications is a luxury with a steep
9 price, particularly if blood availability is seen, and
10 as I think it must be, as a blood safety issue.

11 Where is the effective new donor recruitment
12 strategy. The Red Cross in public has told us that
13 they can handle it. I will take them at their word,
14 but one of the strategies is to draw the donors that
15 I am trying to draw in my community.

16 The Red Cross comes to my community, and
17 mines my donor base. Well, it is not my donor base.
18 It is the community's donor base. I am not sure that
19 the supplies are as elastic as you have heard from the
20 Red Cross.

21 Where is the realistic strategy to replace
22 an immediate 30 percent New York City blood supply
23 loss. I don't know where that is coming from.
24 Understand that whatever we do isn't the business as
25 usual the way we have always done business in blood

1 banking.

2 Emergency appeals don't work. What they do
3 is that they bring in this month the donors that were
4 committed to donate next month, and delay the ultimate
5 accounting.

6 So whatever solution, whatever comes from
7 the committee, and whatever the solutions are, it is
8 not an emergency appeal. If this committee in its
9 wisdom sets a precedent by advising stringent
10 deferrals for an unquantifiable, theoretical variant
11 CJD risk, despite ample evidence of the incapacity of
12 the national blood supply at this point in time, the
13 committee must also provide other advice to FDA, and
14 I will tell you what I think that should be.

15 Number One, implementation of stringent
16 deferrals should be tied to funding by HHS for a
17 nationwide blood donor initiative developed in
18 partnership with the transfusion medicine community.

19 That initiative needs to be aggressively
20 supported at the highest levels of the administration
21 and Congress, and sustained over an extended interval
22 that will be required to replace the donors that we
23 are going to lose with committed new blood donors.

24 And when I am talking about extended, I
25 think I am talking about 5 and 10 years in order to

1 replace what we are talking about in a worst case
2 scenario losing.

3 Support must be found for the recruitment
4 phlebotomy, processing, and distribution
5 infrastructure needed to expand the donor base, to
6 include these individuals who are not effectively
7 recruited by current and historic methods.

8 If you tell me today that I need another
9 15,000 units in my 70,000 unit center by the end of
10 next year, I need money to do it. I need to buy
11 equipment for mobile blood drives. I need to expand
12 the floor space in my fixed donor sites. It is not a
13 faucet.

14 Finally, the FDA needs to continue as it has
15 to convene this committee regularly to review the new
16 evidence about the continuing need for any of these
17 deferrals.

18 I hope the time comes when the evidence
19 demonstrates to all interested parties that VCJD is
20 not transfusion transmitted. I am a transfusion
21 clinician first, and a transfusion recipient second,
22 and a blood banker last.

23 In all three roles, I implore this committee
24 to provide defensible advice to FDA based on a review
25 of the available science, ignoring the politics of

1 blood banking that will protect those of us whose
2 lives have been, and I hope will be, saved by the
3 availability of blood products in the future.

4 CHAIRMAN BOLTON: Dr. Lurie, you are up
5 next.

6 DR. LURIE: Would you mind if I presented a
7 transparency.

8 CHAIRMAN BOLTON: Oh, yes. Absolutely.

9 DR. LURIE: There is nothing like a good
10 transparency to clear things up.

11 CHAIRMAN BOLTON: Well, on the other hand.
12 Is it backwards, or --

13 DR. LURIE: It's in Hebrew. Well, I will
14 try and -- I guess this is the laser point, and so I
15 will read for you. What I tried to do here is take
16 Alan Williams' very useful data and to try and turn
17 them into the form that Dr. Bailar asked for, or at
18 least allow you to do that.

19 And what I have tried to do here is to
20 divide our question up into its two component parts,
21 and the first part being the amount of risk that we
22 can remove; and the second part being the percentage
23 of people who have this amount to travel.

24 So this is a current risk. Remember that
25 Dr. Williams had two parts, the current risk and the

1 total risk. This is strictly for current risk. I
2 think it is probably worth remembering that we
3 probably have already eliminated most of the risk
4 simply by what we have done in Britain already to
5 date. I think that is important contextual
6 information.

7 But speaking to current risk, what I have
8 done is that I have made the assumption that the
9 transfusion part is straightforward, and that the
10 committee will agree that the transfusion restriction
11 is worth doing, and that impact upon, in terms of --
12 well, that it will be very small because that will be
13 a very large number of people, and that will be the
14 efficient thing to do. And so transfusion does not
15 appear on the slide.

16 CHAIRMAN BOLTON: Let me clarify. What you
17 mean by that is that anyone who has received a
18 transfusion in the U.K. would be deferred?

19 DR. LURIE: Right. That was a proposal that
20 was put forth, and that I am going to just for the
21 sake of simplicity assume we are going to go for that,
22 because you lose a certain amount of risk, but not
23 very large.

24 But there is basically no impact upon the
25 donor supply, and so it is just out of the picture for

1 the sake of this presentation. And then I have
2 divided it up into the four essential elements, and
3 not the '96 to 2000 extension, but the other elements.

4 And those are what we would do in Europe,
5 and what we would do with the DoD, and what we would
6 do with Britain, and what would happen to E-blood, and
7 I have got that again first for the risk, and then for
8 the travel.

9 And then for each I have got the impact of
10 the remaining risk for each of the three
11 recommendations; the TSEAC, the Red Cross, and the
12 FDA. Now, this is the remaining risk at this moment
13 in time. As I said, there is a hundred, because it is
14 about the current risk.

15 And of that, 13 percent is in Europe, and 44
16 is in the DoD, and 32 is with Britain, and 11 is in
17 the E-blood system. Now, the TSEAC proposal removes
18 5 of those 13 percent, and the ARC removes 11 of those
19 13 percent, and the FDA removes 7 of those 13 percent.

20 All three of them would have the same
21 restriction on the DoD, and so all of them removed, 39
22 out of 44 percent, almost all of that risk.

23 The TSEAC proposal has no incremental effect
24 upon the risk from Britain, because we are not
25 proposing to change that under the TSEAC. But the ARC

1 and the FDA, which have similar restrictions, would
2 take out 14 of those remaining 32 percent, and the
3 TSEAC would take out none of the E-blood risk, but
4 both the ARC and the FDA would.

5 And then you have these totals; 44 percent
6 of total risk removed by the TSEAC proposals; and 76
7 and 72 by the Red Cross and the FDA. That is the risk
8 side.

9 Now, the travel side. According to the
10 travel survey, less than one percent, or I think it is
11 close to one percent, but less than one percent, are
12 people who have had European travel that would
13 "violate" what TSEAC is currently proposing.

14 Whereas, the ARC proposal is that 6.3
15 percent would violate it, and only one percent would
16 violate it for the FDA. For DoD, it is 2 percent that
17 would violate it all together.

18 And for Britain, for TSEAC there is no
19 change, and so there is no incremental number of
20 people affected by the travel ban, and 1-1/2 percent
21 for each of these other two proposals; and it is
22 really not applicable for the E-blood situation since
23 it is essentially incorporated in Europe.

24 Now, if you go back and you start dissecting
25 it, it seems to me relatively straightforward to say

1 that we can get risk of most of this 39 out of 44
2 parts of what is the largest fraction, the DoD, 44 out
3 of a hundred.

4 And all three agree that 39 out of 44 can be
5 eliminated, and they have all got the same proposal.
6 So it seems to me straightforward that we should be
7 doing what is being recommended for the DoD, and
8 moreover, the increments in travel is obviously not
9 only the same, but is relatively modest.

10 The E-blood is not -- you can't really
11 consider it on an efficiency level because the travel
12 is folded into Europe. So really the two remaining
13 questions are whether it is worth making a change in
14 Britain and a change in Europe.

15 Now, if you make the change in Europe as
16 proposed by the TSEAC, you get five additional
17 percentage points and lose less than one percent of
18 the donors because of their travel.

19 The FDA is actually quite similar -- seven
20 percent, a little bit more of the donors -- but the
21 big difference is that the Red Cross then has -- it
22 only removes 4 percent more risk altogether, and it is
23 this huge increment from 1 to 6.3, in terms of the
24 fraction of people who will be banned because of their
25 travel.

1 That strikes me as not a good idea. The
2 more difficult question is what to do about the
3 situation in Britain, where whether or not these two
4 are in effect the same, and whether this additional 14
5 percent that you could remove is worth this 1.5
6 percent of donors that you would lose. That is a more
7 difficult question.

8 But if you answer that in the affirmative,
9 then you essentially have the FDA proposal, which
10 would be 72 percent of the residual risk would be
11 removed, and this amount of additional donors would be
12 lost. That is my presentation.

13 CHAIRMAN BOLTON: So is that a vote for the
14 FDA's revised proposal?

15 DR. LURIE: That is a very long way of
16 saying that, I suppose, but what I am really hoping
17 more than anything is if my presentation off the top
18 of my head, and without any practice, made any sense
19 at all, that these numbers will allow us to look and
20 make the incremental calculation that Dr. Bailar is
21 saying is necessary by just comparing the different
22 numbers across, and comparing the increments in people
23 who are lost.

24 CHAIRMAN BOLTON: Go ahead.

25 DR. BELAY: Peter, so looking at this,

1 wouldn't it make sense that Option 4 would be
2 tightening the deferrals in the U.K. from 6 months to
3 3 months, and leaving the TSEAC recommendation for the
4 rest of the population intact?

5 In a sense, I am saying isn't Option 4 what
6 you reflect and presenting in the table, and that is,
7 is additional risk by tightening the deferral of
8 donors who travel to the U.K. from six months to three
9 months, and leaving the other part of the TSEAC
10 recommendation intact?

11 DR. LURIE: I'm sorry, but I sort of lost
12 you in all of that.

13 CHAIRMAN BOLTON: What he is saying is that
14 under the TSEAC proposal under Great Britain line,
15 take out the zero and add another 14 percent there.
16 In other words --

17 DR. BELAY: Well, it would make sense to
18 have our Option 4 as tightening the U.K. further, and
19 leaving the other population alone.

20 CHAIRMAN BOLTON: Tighten the U.K.
21 restrictions of the TSEAC proposal from 6 months to 3
22 months.

23 DR. LURIE: Yes. Well, it is relative clear
24 that if you are choosing between -- I mean, this is
25 where the benefit is to be, the easiest benefit; the

1 14 percent decrease for a 1.5 percent loss in donors,
2 right?

3 DR. BELAY: Right.

4 DR. LURIE: So, from 14 to 1.5. The other
5 question is whether either of these, the 5 or the 7,
6 are worth doing for the about one percent.

7 DR. BELAY: That's correct. But it makes
8 sense to me to have that proposal as an option.

9 CHAIRMAN BOLTON: Well, in that regard,
10 Peter, while you are still there, one of the concerns
11 that I have is that on that first line, the question
12 about addressing the EU or various parts, remember
13 that under the TSEAC proposal that we have a 10 year
14 restriction on the Republic of Ireland, Portugal, and
15 France.

16 DR. LURIE: Right.

17 CHAIRMAN BOLTON: It has been communicated
18 to me from the FDA, and I think I have the same
19 feeling, is that that question of the bright line, is
20 that really warranted.

21 And I think that Dr. Davies pointed out that
22 if we simply go to a 6 month prohibition on France
23 alone, 3 months on the U.K., you gather almost all of
24 that benefit, and you don't have the problem of losing
25 the German blood, for example, in the Euro-blood

1 question, because that blood is not coming from
2 France.

3 I think that is a compromise that might work
4 as well. So, in other words, you have a proposal like
5 yours, where you would eliminate anyone who has had a
6 U.K. transfusion.

7 You would have the 6 month Department of
8 Defense restriction, and whether it had north-south
9 splits, we could debate; a 3 month U.K. deferral; and
10 a French 6 month deferral. And that would capture, I
11 think, everything that is available.

12 DR. LURIE: No, there is no question that it
13 is more efficient to -- among the European countries,
14 the most efficient thing to do is to restrict France
15 alone. There is no question about that.

16 But that is not the only thing that we have
17 to consider. It is not simply efficiency. It is also
18 what fraction of the risk that we remove.

19 CHAIRMAN BOLTON: Right. But let me suggest
20 this.

21 DR. LURIE: Let me finish the thought. The
22 disadvantage to the France thing, I am not per se
23 arguing against it. But the disadvantage is that we
24 have seen a certain amount of information suggesting
25 that the data are difficult to interpret, et cetera,

1 et cetera, across Europe, and there are the
2 difficulties of drawing lines.

3 But moreover, it evades the problem of
4 anybody ever coming back to this committee again if we
5 go for Europe and get it over with once and for all.
6 I mean, there is a certain neatness to that, which I
7 think is worth considering.

8 CHAIRMAN BOLTON: There is, but then you end
9 up with a five year European, as opposed to a six
10 month French restriction, and I think there is a real
11 difference in those.

12 Let me also say this, that one of my
13 motivations is that we have two countries now in which
14 we know that there are new variant cases, the U.K. and
15 France.

16 And it is also possible that we could
17 entertain a proposal where the countries are
18 classified according to documented cases of variant
19 CJD, and then as a case appeared, then that country
20 would automatically become or come under a six month
21 deferral.

22 Now, it has the unfortunate effect of
23 changing the questionnaire for blood donors
24 periodically, and hopefully it will never change.
25 Maybe we won't see any more new variant cases outside

1 of the U.K. and France.

2 But that at least captures the fact that the
3 real risk to the blood supply are individuals actually
4 infected with variant CJD. And granted that there is
5 some time period between infection and clinical
6 disease.

7 But there is much evidence that suggests
8 that the agent in the blood, the prions in the blood,
9 probably only occurs late in the disease, in terms of
10 at or around the onset of clinical science. So the
11 real risk to the blood supply might be minimal during
12 the silent incubation period.

13 DR. NELSON: The other side of that, of the
14 supply question, I think of avoiding the European
15 deferral at this point. Remember that the committee
16 was asked to review this periodically, and I think it
17 was 6 months or something like that.

18 And we know two things. First of all, we
19 know that the New York area is heavily dependent on
20 Euro-blood at the moment. We know also that the Euro-
21 blood does not come from France or the U.K.

22 And we know that the New York Blood Center
23 is planning to gradually over time discontinue the
24 importation of Euro-blood. And it is likely that the
25 current risk, given that there have not been new

1 variant cases elsewhere, and that the number of bovine
2 cases is lower, although present in Germany and The
3 Netherlands, that it seems like that this could be
4 phased in or considered to be phased in, at which time
5 we might have more data as to whether or not there
6 were cases appearing, and what is happening to the
7 bovine, and with better surveillance.

8 But I think we could avoid a crisis of a
9 shortage of blood supply in New York. I don't want to
10 kill people with our recommendations, and it is
11 conceivable that we could do that.

12 CHAIRMAN BOLTON: Dr. Cliver first, and then
13 Dr. McCullough, and then Dr. Prusiner, and I am not
14 sure who else after him.

15 DR. CLIVER: Everybody. I was here two
16 years ago when we came up with the 6 month deferral
17 number, and was bothered by it. I came away with the
18 feeling that although this was a panel of experts that
19 that particular decision could have as well have been
20 derived at with a Ouija board.

21 And we are experts, but our problem is that
22 we are expected to make these kinds of decisions on
23 the basis of conflicting opinions, and very few hard
24 data, and we are in the position of the blind man and
25 the elephant.

1 So we make a decision, and two years later
2 we are told that six months isn't enough. But I have
3 not heard anything regarding the U.K. other than what
4 we looked at two years ago that led us to that six
5 month conclusion.

6 Now it sounds like a better than 50-50
7 chance that we are going to 3 months, and I don't see
8 why. Now, I understand why the FDA keeps inviting us
9 back. They are in a very difficult position.

10 We heard from some survivors today, but we
11 will not hear from people who died because there
12 wasn't blood. Have not and will not. So we have to
13 look at the way that decisions are viewed in the
14 United States.

15 If one VCJD case occurs in the United States
16 that is perceived to have come from blood, Congress
17 and the press will lynch the Food and Drug
18 Administration. And all the expert input that they
19 have supposedly gotten from us will not preserve them
20 from that kind of treatment.

21 So my feeling is that we are in a situation
22 where we are being consulted, but in effect what we
23 are looking at is the FDA being put in the position of
24 needing or appearing to be in charge, and so they are
25 playing chicken with the American Red Cross.

1 And we are in the prospect of having two
2 classes of blood in the United States; the ARC
3 standard, and everything else comes in second, and I
4 guess we could live with that, except that when we
5 look at the plasma situation, all that stuff that is
6 being made that is saving lives as derivatives from
7 blood, every time you change a criterion, everything
8 that was collected under the old criteria is no longer
9 useful, whether it came from Europe, or whether it
10 came from here, or whether it came from here.

11 Under our standards versus ARCs, or whether
12 it came from our new standards, or say or old
13 standards when we had a 6 month deferral, versus when
14 we have a 3 month deferral.

15 We may need to throw out all the old
16 product, and start over again. It is not just about
17 questionnaires. So the perception of the validity of
18 whatever this is that we are doing here depends to
19 some extent on needing solid new data to revisit any
20 decision that we made previously.

21 Otherwise, we might as well have not been
22 here two years ago, because it is a whole new game
23 every time we walk in the door.

24 CHAIRMAN BOLTON: Dr. McCullough.

25 DR. MCCULLOUGH: Yes. I would like to stay

1 on the issue of whether or not distinguish grants from
2 Europe as Dr. Nelson was pointing out. I think this
3 nice chart that Dr. Lurie put together though doesn't
4 bring out or focuses on the somewhat reduction in risk
5 by taking action against Europe en bloc.

6 But the one percent donor loss I think does
7 not really bring out the magnitude of the impact of
8 the loss of Euro-blood, the loss of those travelers,
9 plus the impact on the plasma availability, the
10 availability of plasma.

11 And so I think the impact of all of Europe
12 versus France is much greater than it would appear
13 from the graph up here, and it is an important point
14 to continue to consider. And I sort of agree with Dr.
15 Nelson's way of thinking about it.

16 CHAIRMAN BOLTON: Right. And I would just
17 like to emphasize and add to that, that we have to
18 remember that the French risk is 5 percent of the U.K.
19 primarily because of the importation of U.K. beef, and
20 not so much for the BSE incidents themselves.

21 So when you compare the incidents of BSE in
22 France versus Germany, and versus other European
23 countries, that is only part of the equation. The
24 French imported a substantial amount of U.K. beef
25 during the peak of the epidemic, and that I think is

1 a substantial part of the risk that comes in from
2 that. Stan.

3 DR. PRUSINER: Well, I think that issue of
4 how much beef was imported, and how much meat-and-bone
5 meal was imported, that these are very, very
6 complicated issues to assign weighting factors to.

7 I think that it is important that we all
8 realize that there are real differences between now
9 and two years ago, and I thought I would summarize
10 these very briefly, because I think on the committee
11 there is not a uniform appreciation of this.

12 The number of VCJD cases is now in triple
13 digits. That was not true two years ago, and two
14 years ago, we thought -- and I think that if you will
15 look at that handout that I think Dr. Baron will show
16 in his talk, but in there you see the number of cases
17 per year.

18 And I think we all thought in 1999 that the
19 number was going down, because it went from 17 in '98,
20 to 12 in '99. Then in the year 2000, it went to 27.
21 So things are much different now in terms of the total
22 number of VCJD cases.

23 If you just talk about new variant CJD in
24 France, there are a total of three cases. Two of them
25 are from 1999, and one of them is much earlier. So

1 that is about 5 percent of the total.

2 Now, what about BSEs? BSE is being detected
3 everywhere people look by very insensitive techniques.
4 These techniques at best are seeing animals that would
5 be sick within probably six months, and that is a very
6 large number.

7 My guess is that it is closer to three
8 months, but I don't have the numbers because the
9 kinetics are not known.

10 So what is happening is that everywhere
11 these immunoblotting tests are being used, whether they
12 are ELISAs or Western blots, there are cases coming
13 up. And I think Christl Donnelly in her presentation
14 showed this very nicely.

15 So we saw, and we know this to be a fact
16 now. I mean, the latest one is the Czech Republic,
17 and it is really pan-European. It is different
18 numbers in different places, and it in-part depends on
19 how good the looking is.

20 The reason that Switzerland is probably so
21 high is that Bruno Ursh and his company, Prionics, has
22 a relatively small geographical area, and they work
23 very hard to look at all of the fallen cattle.

24 And the more they look, the more they found, and
25 he is the first to tell you this in quite dramatic

1 presentations. Then the third thing that people need
2 to understand is that there has been over the last two
3 years a very concerted effort to look at a large
4 number of tissues that contain lymphoid cells, cells
5 that are traveling between these tissues and the blood
6 in VCJD cases.

7 And the tonsils are positive, and the lymph
8 nodes are positive, and the appendix is positive, and
9 the spleen is positive by very insensitive techniques.

10 These same techniques would probably find
11 positives in sporadic CJD if the same technique were
12 a hundred times more sensitive, or a thousand times
13 more sensitive.

14 I don't know for sure, but that is just a
15 hypothesis. But the facts are that these tissues are
16 positive. So there are a series of new facts that I
17 think are important, and I think it is driving this
18 discussion that the FDA wants us to consider.

19 So this is by way of facts, and I am going
20 to come back later to what I think about the proposal.

21 CHAIRMAN BOLTON: Lisa.

22 DR. FERGUSON: I would like to just add
23 something in here, primarily from an animal health
24 point of view, but I think it has applications here to
25 the public health aspect.

1 And this is just on the general subject of
2 can we subdivide Europe. From an animal health point
3 of view, we have found that next to impossible to do
4 in regards to BSE, primarily for the reason that the
5 import data is misleading if you look at it and say,
6 okay, well, this was beef from the U.K. that went to
7 France and stopped there.

8 I think there has been so much transshipment
9 and products change, and that there is a part of the
10 product that goes to the continent, and it is then
11 manufactured into another product, and goes through
12 the rest of the continent.

13 It is extremely difficult to say, yes, this
14 simply went here and stopped there. So I don't know
15 that you can say primarily that exposure is limited to
16 France, or limited to anywhere else.

17 And I think that we need to keep that in
18 mind. I think as the different countries are looking
19 more for BSE, I think they are demonstrating this fact
20 that there were products that moved throughout Europe
21 fairly freely, and exposed a wide range of animals to
22 a fairly large extent.

23 And it is just now coming out. You know, I
24 have no idea if the human exposure is probably
25 similar. I guess I probably would have to say could

1 be. But that is one factor that I think that we need
2 to keep in mind.

3 I also would like to address the comments
4 that have been made about the Euro-blood. This does
5 not come from France. Can we actually say that? I
6 know that it is actually being shipped in and it is
7 collected in Germany, and I forget where the other two
8 countries are.

9 But if we are going to say for purposes of
10 this committee let's make the assumption that we will
11 say we are going to defer a donor if they have spent
12 six months or greater in France.

13 How do we know that the Germans that they
14 collected that blood from didn't have that same risk
15 factor. Can we make that distinction.

16 CHAIRMAN BOLTON: That's a good point.
17 Pedro. A point of clarification though. Euro-blood
18 Centers are FDA licensed and those donors are screened
19 according to FDA criteria.

20 DR. PICCARDO: To understand the situation
21 of the different countries in Europe, or for that
22 matter for any country in the world, we have to look
23 at how hard they look at surveillance for BSE, but for
24 multiple, we have to look at the surveillance in
25 humans.

1 So what do we know about the surveillance of
2 VCJD in the different European countries, because the
3 bottom line is who is infected with VCJD. So the
4 human part, I think we are underestimating the
5 surveillance on the human side.

6 CHAIRMAN BOLTON: Is there anyone on the
7 committee, or anyone in the audience, that can address
8 that question of the surveillance for VCJD throughout
9 the EU?

10 DR. KREYSA: Maybe I can just clarify this
11 point from the EU point of view. You are just to know
12 that in Europe now there have been heads of the Euro-
13 surveillance system for the VCJD with notifiable VCJD
14 throughout Europe.

15 And any VCJD case has to be reported first
16 nationally, and if there is any cases of VCJD, then
17 this is a European evaluation which is carried out
18 thanks to the teams from the blood banks in the U.K.

19 So we have a European surveillance system,
20 and one point that could demonstrate that European
21 surveillance system is that it is efficient at the
22 moment is that the number of reports in front of this
23 surveillance system has increased in the 15 member
24 States.

25 That does not mean that we are having VCJD

1 reports in the member States, but at least we have
2 more reports in the 15 member States.

3 CHAIRMAN BOLTON: And how long has that been
4 in effect?

5 DR. KREYSA: The system has been put in
6 place as of 1997, and the last figures that we had for
7 were from 2000, and apparently the system is efficient
8 towards surveillance of VCJD. But I can tell you that
9 there is a Euro-surveillance system in place.

10 DR. PICCARDO: I don't want to single out
11 any country, but Christl Donnelly said today that
12 there are some inconsistencies in data that is coming
13 from Portugal.

14 And so I wonder -- I mean, it is not only
15 which country reports VCJD, but what about the total
16 numbers of cCJD that are being seen in the different
17 countries, because that, I think, will reflect the
18 active proper surveillance in humans.

19 But we want to know what happens with
20 regular VCJD and then from there how to extrapolate
21 how well the surveillance is being done.

22 CHAIRMAN BOLTON: I believe that is how the
23 surveillance system works. CJD itself is notifiable
24 since 1997, and those cases are screened to see
25 whether they are variant CJD.

1 DR. PICCARDO: Right. But my point is, for
2 example, because I just don't know, is Portugal
3 reporting the number of cases that they should have
4 according to the population it is -- I don't want to
5 single out any country. I am just saying how active
6 that surveillance is, and how real those numbers are.

7 CHAIRMAN BOLTON: Dr. Bailar.

8 DR. BAILAR: I suppose that somebody should
9 put on the record that absence of proof is not proof
10 of absence. We talk, and talk, and talk about how the
11 risk is theoretical, but there may be a real risk
12 behind that theory, a risk that would not only have
13 its direct effects, perhaps quite serious, but would
14 also be immensely destructive and disruptive to the
15 whole blood supply system and beyond.

16 CHAIRMAN BOLTON: Dr. Lurie.

17 DR. LURIE: Back to the question of whether
18 France should be singled out as it were. I guess I
19 disagree with Dr. Piccardo that it is really the CJD
20 or really VCJD cases and the surveillance that really
21 matters.

22 I mean, I would like to know the data as
23 much as you would, but in the early phase of that
24 epidemic, when you only have about a hundred or so
25 cases, particularly an evolving one, you can get all

1 kinds of anomalous findings on the basis of really
2 only a hundred cases.

3 If you had made an AIDS policy based on the
4 picture in 1985, you might have concluded that there
5 was next to no epidemic in Africa. And over here I
6 think that for me the more important data are really
7 the cow data.

8 They are not the human data, because the
9 numbers are still much too small. There happen to be
10 three in France, but I don't find that particularly
11 indicative or necessarily a much bigger problem in
12 France than elsewhere in Europe.

13 Now, the last time that we discussed this,
14 I raised the question of the cow data. And at that
15 time all we had were the number of positive cows per
16 population of cows in the country, and it was pointed
17 out accurately that there might be differences in the
18 surveillance systems for those countries, and some
19 countries might have looked harder than others.

20 That was all that we had to go on at the
21 time, and those kinds of data implicated Portugal in
22 particular rather strongly. But things are different
23 now.

24 Dr. Donnelly has presented what I think are
25 very important data, which are the data on the healthy

1 cattle which seem to be as far as I can tell, and
2 correct me if I am wrong on this, but randomly
3 selected health cattle, with no particular reason for
4 bias, in a similar way across a number of countries.

5 And what we see is that France is not even
6 the first of those. France is the fourth of those.
7 There is a 3 per 10,000 rate of positive cattle in
8 Spain. In France, it looks like about .3 per 10,000.

9 And these are the data, and I will just hold
10 them up to show you. There is no bright line here,
11 and suddenly it is not France, which is down in the
12 fourth position.

13 CHAIRMAN BOLTON: Additional discussion?
14 Everybody is suddenly quiet.

15 DR. EWENSTEIN: Well, let me just suggest a
16 way to proceed administratively, and I guess we need
17 the FDA's okay on this.

18 But perhaps since we are going to consider
19 the FDA option first, we could take what we would call
20 a friendly amendment and therefore create a modified
21 FDA proposal and vote on that first.

22 And that might be easier than trying to
23 construct or to vote no on everything, and then
24 construct an Option 4. So I guess we need their okay
25 for that.

1 Let me just make a couple of other comments.
2 I think I appreciate very much the FDA's point of
3 view, and for that matter the philosophy expressed by
4 the ARC in trying to create the safest possible plan.

5 That said, I think I am personally very
6 moved, and maybe just as a practicing hematologist, by
7 the fact that we don't seem to have in place today any
8 sort of assurance that we can deal with any sizeable
9 further loss in donors on the ground today, especially
10 in certain geographical areas.

11 And so I think that one of the positives in
12 the FDA approach that I would like to see amended even
13 further is some flexibility in the implementation.

14 Now, besides the fact that that sort of
15 flexibility in the time line in the implementation
16 would avoid a potential disaster on the use of blood
17 products, I think it also has some scientific basis.

18 And that is that I do think that we may be
19 looking at temporally different situations in
20 different places. I think in the U.K. it is not as if
21 the risk existed simultaneously in my mind throughout
22 Europe, including the U.K. now, just at different
23 levels.

24 I think that there is a kinetics to this,
25 and I think that in my mind the U.K., and France to

1 some degree, can be separated out as having been in
2 the first wave.

3 And there may be a second wave, and that may
4 eventually get through the food chain, and that may
5 eventually get into human donors. But that will allow
6 for a little bit of a delay in the risk in the donor
7 population.

8 And that I think becomes a rationale, in
9 addition to the fact that we can't absorb the tone or
10 loss for having a bit of a delay in the
11 implementation, and that is just my perspective.

12 And the final point is that I think it is
13 okay to have a standard that some fractionators, for
14 example, or suppliers of individual blood fractions
15 might want to provide, that is set and can be exceeded
16 by others.

17 We have that today. I mean, not every
18 provider of plasma products, for example, has the same
19 questionnaire for donors. Not every provider of
20 products has the same BSE testing or methodologies, or
21 even the viruses that are being looked for.

22 But certain standards have been recommended
23 by the FDA and their advisory committees, and in some
24 cases those are being exceeded, and that is okay as
25 long as the minimum standards are being met.

1 And so if some organizations decide to
2 exceed what we advise today, I don't think that is
3 without precedent or would necessarily create havoc in
4 the blood product industry.

5 CHAIRMAN BOLTON: Bruce, did you have a
6 specific recommendation, in terms of how
7 implementation might be delayed, or how long it might
8 be delayed?

9 DR. EWENSTEIN: Well, I think the FDA was
10 mentioning that, and if I understand the fifth bullet
11 point, it was 6 months. But I think it sounds to me,
12 and maybe some feasibility data would have to be
13 provided by the folks most affected, but it sounds
14 like that is going to be a little bit too short of a
15 time line for some folks.

16 It may take a year, and it might take two
17 years, but I would like to build in that concept into
18 the final proposal, but I think that six months may be
19 a little too short there.

20 CHAIRMAN BOLTON: Well, let me ask Dr. Asher
21 to comment on that. Would it be all right if we add
22 friendly amendments to Option Number 3, or any other
23 option as we vote on them?

24 DR. WILLIAMS: You are free to add anything
25 as long as the basic questions are addressed.

1 CHAIRMAN BOLTON: Yes, Dr. McCurdy.

2 DR. MCCURDY: Along the line of trying to
3 move things forward, as I mentioned earlier, it would
4 simplify things for me if the whole blood segment and
5 the plasma segments were separated.

6 And if it is all right with the Chair, I
7 would like to make a motion that we do that.

8 CHAIRMAN BOLTON: All right. If you would
9 like to make a motion, that's fine, I guess. I am not
10 sure personally, but I not sure that it makes it
11 easier for me.

12 DR. KATZ: Well, there is another aspect,
13 and that is that recovered plasma is part of what we
14 do, and will necessarily since it is a bi-product of
15 the whole blood donation, is necessarily impacted and
16 impossible to separate.

17 And that would be that if -- is it about 3
18 million liters a year in this country, or 2 million?
19 Well, about 2 million liters of the plasma supply, is
20 a recovered product that comes out of whole blood
21 donations.

22 CHAIRMAN BOLTON: And so what I would
23 suspect would happen is that whichever was the most
24 restrictive is what would be used, and so I am not
25 sure that it makes any sense.

1 DR. KATZ: I think that the fractionators
2 would demand that, and I won't speak for them.

3 CHAIRMAN BOLTON: Right. Yes?

4 DR. PRIOLA: I would just like to make one
5 comment. In listening to all the discussion that has
6 gone on here today, that it strikes me that we are
7 dealing with as we all know a set of data that
8 concerns theoretical and estimated risk, and are being
9 asked to make a decision on that based on an
10 estimation of how we can replace the lost donor supply
11 in the future.

12 So we lose the 6 percent if you extend the
13 deferral across Europe, and we are being told that
14 that can be made up. But that in and of itself is
15 still theoretical. They are making projections.

16 And so for myself, I feel uncomfortable
17 making a decision to increase the deferral European-
18 wide based upon estimated theoretical risk to start
19 with, and based upon estimated theoretical projections
20 to end with.

21 And that for me, before making a decision
22 like that, there should be a system in place, where
23 the donor population is increased and is steadily
24 increasing.

25 So that we can take or we have that sort of

1 legal room that you would need in order to increase
2 broad based deferral. It is a little bit like putting
3 the cart before the horse. We will make the deferrals
4 and catch up later.

5 I think you have to have the system in place
6 where you know that you can catch up, and the data is
7 there to catch up before you make such broad ranged
8 deferrals.

9 CHAIRMAN BOLTON: Dr. Klein, I'm sorry I
10 neglected you.

11 DR. KLEIN: Not at all. You almost took the
12 words out of my mouth. I would like to make three
13 points here. The first is that before you do any
14 extension of the deferral recommendation, realize that
15 this idea has now been floating around since January.

16 And yet I have seen no data to tell me that
17 the blood supply is better now. I don't see any
18 strategic depots and I still when I call up my
19 regional supplier in this area, I am told that there
20 is no "O" available except on an emergency basis. I
21 am not alone.

22 And so I would like to see some evidence
23 that in fact we are moving data, and that we can get
24 those data, and that we are moving toward increasing
25 supply before we have increasing deferrals.

1 The second point that I would like to make
2 is that of a risk model. I mean, I see the models for
3 deferral of donors, but what I don't see is something
4 like the Canadian model that we saw earlier telling us
5 based on some model what the potential number of
6 deaths or disability would be in hospitals if
7 something went into effect.

8 We need those data. Now, unlike the data
9 with BSE, where we don't know so much, and we can't
10 get it, we could get these data. You could have
11 gotten them if someone had put a system into place two
12 years ago, and I am hoping that perhaps someone will
13 put that part of the risk model into play soon.

14 And the final point is related to that, and
15 that is -- and I have said this in any fora before,
16 that it is really tragic that we don't have a system
17 in the United States for looking at monitoring the
18 blood supply, and not only collections, but
19 utilization.

20 And not a quick and dirty system, but a
21 system that is based on surveys, science, and a system
22 that is based on epidemiologic principles. And I hope
23 that you will be asking the FDA to see if there is a
24 source of funds to get such a system ongoing, and not
25 a one time only survey, for monitoring the blood

1 supply. Thanks.

2 CHAIRMAN BOLTON: Stan.

3 DR. PRUSINER: Jay, will you -- do you mind
4 if I ask you to comment on what you told me earlier?

5 DR. EPSTEIN: On the question, and looking
6 to see if Steve Nightingale wants to respond, but it
7 was recognized in 1999 that if we were to put into
8 place the geographic deferral for exposure in the
9 U.K., we would need to closely monitor the impact of
10 the blood supply.

11 And an ad hoc mechanism was indeed put in
12 place and it consisted of contract funding for the
13 national blood donor resource center, which is an
14 independent subsidiary of the AABB.

15 And the commitment was for monthly
16 monitoring of supply. Now, when I say supply, at that
17 level we were talking about blood collections. There
18 was releases from collection centers.

19 And it was recognized at the start that that
20 didn't tell us the whole story, because in order to
21 really look at what we were worried about, which is
22 risk of shortage, you have to look at supply and the
23 demand, and you have to look at the dynamics.

24 And you have to look at the reserve and what
25 is happening to the reserve, and at the ratio of the

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525