

1 that these symptoms --

2 I was involved in studies looking  
3 at intestinal distress, and people were  
4 talking about putting tubes down your throat  
5 so that they could measure gas volumes and so  
6 forth, as opposed to just asking the person,  
7 did you have relief. And that fortunately  
8 has gone by the wayside.

9 I think the question that -- and  
10 just to comment, this generalizability is the  
11 thing that I think is the real key in terms  
12 of how can you generalize from these small  
13 studies.

14 And I hope that they put the word  
15 "supportive" of the effectiveness  
16 intentionally in the way they wrote this  
17 question as opposed to does it resolve the  
18 effectiveness. I mean, I'm thinking of  
19 supportive as when I look at reviewing NDA  
20 and so forth, or reviewing studies -- you've  
21 got studies which are pivotal that convince  
22 you. Then you have supportive evidence.

1                   I'm taking this supportive to mean  
2                   that we haven't resolved the question of  
3                   efficacy with these small studies. But some  
4                   do show something --

5                   DR. TINETTI: Is that a reasonable  
6                   -- Susan, is that how --

7                   DR. D'AGOSTINO: -- is that true?

8                   DR. TINETTI: -- you would  
9                   interpret that as well? So -- that's a good  
10                  point.

11                  DR. JOHNSON: Two points. The  
12                  first being we are going to ask you more  
13                  specifically what the take-home message is in  
14                  the next question. And the other comment is  
15                  about the generalizability.

16                  I think there is a reason to  
17                  reintroduce into this discussion something  
18                  that we talked about earlier, which is that  
19                  the advisory panel already made a decision  
20                  about 10 milligrams. And they used this  
21                  data, and did generalize, based on that data  
22                  -- their own clinical experience with the

1 product at the time, and a large database of  
2 marketing history. So there was something  
3 that they felt established the  
4 generalizability background for them.

5 We are not asking this committee to  
6 try to reinvent that decision, because as you  
7 say, I think that is something that's too  
8 difficult to do. The question is, in  
9 relation to what the petitioner has said,  
10 which is that he finds that there is lack of  
11 sufficient evidence, do you agree with that  
12 is really the question that's on the table  
13 today, not to try to revisit what the panel  
14 then --

15 DR. TINETTI: Well, the lack of  
16 sufficient evidence is quite different than  
17 supportive of. That's a very different --

18 DR. JOHNSON: Yeah. Well --

19 DR. TINETTI: But I think maybe we  
20 will get to that question too.

21 DR. JOHNSON: Yeah.

22 DR. TINETTI: Dr. FitzGerald.

1 DR. FITZGERALD: So I'd just make  
2 the point about the -- the Parker points, and  
3 that is relief of symptoms, and I concur  
4 entirely. But before we completely jettison  
5 attempts to have objective measurements of  
6 reality, I'd remind you of the data that were  
7 shown to show the multiple administration of  
8 dose and the response waning over the dosing  
9 interval. And exactly the same thing  
10 happened in the placebo group. So you have  
11 not just a dosing effect of placebo, you have  
12 a dosing effect that wanes over the same  
13 dosing interval with placebo.

14 So you're trying to detect a true  
15 effect that as measured by patient symptom  
16 relief is quite simulated by placebo  
17 response. And just to add to the arguments  
18 that have been made from a statistical  
19 standpoint as to how the odds are weighed  
20 against being able to detect efficacy, or  
21 indeed, dose response, from a pharmacological  
22 point of view, that's true too. It's true

1 both from the point of view of the nature of  
2 the placebo response of the variability of  
3 drug response relative to sample size, and  
4 also the dynamic range of the endpoint  
5 measurements that are being talked about.

6           So I think the ground is not  
7 neutral in terms of the predictability of  
8 being able to detect a response a priori from  
9 a pharmacological point of view, even if we  
10 had no data.

11           DR. TINETTI: So Susan, is that  
12 sufficient discussion for you? Okay, great.  
13 Thank you. Let's move on to the second  
14 question, and we'll be doing voting. And for  
15 those of you who weren't here yesterday, you  
16 have your little pads here. And what we'll  
17 do is -- and Susan has said that she actually  
18 does want us to specifically do "yes" or "no"  
19 for each of the four points of question too.  
20 And she assures us she understands they are  
21 not necessarily exhaustive or mutually  
22 exclusive. But these are the specific

1 questions she would like us to address.

2           So how we will do this is, "1" is  
3 "Yes," "2" is "No," and "3" is "Abstain."  
4 Everyone will vote simultaneously. And I  
5 think what we have been asked to do -- it  
6 takes about 20 seconds to tabulate -- is that  
7 correct? And once it's been tabulated, then  
8 we will have all the "yeses" raise their  
9 hand. And we'll go around and say their  
10 names so it goes into the records, and then  
11 we'll have all the "noes," and then the  
12 "abstain." Any questions on the voting?

13           Okay. So the question we are asked  
14 to vote on is, the following four statements  
15 represent alternative summary assessments of  
16 the data presented for phenylephrine. Please  
17 consider each statement and vote yes or no on  
18 whether it represents your conclusions about  
19 the data. So the first question is,  
20 "Phenylephrine in a 10-milligram immediate  
21 release formulation is effective when dosed  
22 every 4 hours for the symptomatic treatment

1 of nasal congestion, and no additional  
2 studies are needed."

3 So "1" is "Yes," "2" is "No," "3"  
4 is "Abstain."

5 DR. FITZGERALD: Question?

6 DR. TINETTI: Pardon? No, no, no.  
7 This is supposed to be that the data are  
8 sufficient and no further -- Susan -- pardon?

9 DR. FITZGERALD: They are two  
10 separate issues --

11 DR. TINETTI: Well, I'm not sure.  
12 I think -- are you understanding the spirit  
13 of this question? The data -- we are asking  
14 specifically that the evidence to date shows  
15 that it is effective and that you do not feel  
16 that further study is needed. So this is one  
17 question.

18 DR. SHRANK: Needed for what? What  
19 is needed?

20 DR. TINETTI: Is needed to show its  
21 effectiveness.

22 DR. D'AGOSTINO: And we are talking

1 -- we are not talking that we are trying to  
2 strike out what was done before, but what we  
3 think a study should look like, and what we  
4 think is the (off mike) in order to show  
5 effectiveness. Is that right?

6 DR. TINETTI: Correct. Is that an  
7 accurate assessment? So to clarify again, is  
8 -- Garret is confused that -- he thinks those  
9 are two separate questions. So can you  
10 clarify why you think that is a single  
11 question?

12 DR. JOHNSON: I would --

13 DR. FITZGERALD: I think -- oh,  
14 sorry.

15 DR. JOHNSON: I would use these as  
16 characterizations of your summary of the data  
17 rather than two separate questions. Is this  
18 how you characterize the data, yes or no.

19 DR. FITZGERALD: Well, then I'd  
20 have two separate answers to what you --

21 DR. TINETTI: So you are saying  
22 that it's --



1 (Laughter)

2 DR. JOHNSON: If you look at some  
3 of the other options, you may find the one  
4 that you are concerned about.

5 DR. TINETTI: Yes. That's what I'm  
6 saying. These questions are not sort of  
7 mutually exclusive. I think the way to  
8 interpret this is that you think the data are  
9 conclusive. So you would answer yes to  
10 number 1 if you think the data are conclusive  
11 of effectiveness.

12 DR. NELSON: Can I make a comment  
13 on that? Nelson. I mean, I've never been to  
14 an academic seminar where anybody though  
15 there was a -- the answer was -- I mean, I  
16 think it's a foregone conclusion that  
17 everybody and every academic in the world  
18 wants another study. And I mean, if I --  
19 with all due respect, to me it's sort of a  
20 pre-determined question. If it could be  
21 separated, it might be a little easier.

22 DR. JOHNSON: It's fine if the

1 answer is no. And if everyone chooses to  
2 vote no, that is a very realistic response.  
3 We are okay with that. The other questions  
4 we'll get to if you want additional studies  
5 about what do you want the additional  
6 studies.

7 DR. FITZGERALD: Could I suggest  
8 that we just omit the last sentence and  
9 answer the question?

10 MR. GANLEY: I'm Charlie Ganley;  
11 I'm director of the Office of Nonprescription  
12 Products. The way these questions were  
13 formulated was to try to help us sort of  
14 understand part of the discussion here.  
15 Certainly someone here could think there's  
16 some effectiveness data, but we'd like more  
17 data, okay. And we understand that. But I  
18 think if you go through the progression of  
19 questions, you know, we'll arrive at that. B  
20 and C sort of say that we think it's  
21 effective -- I don't have it in front of me.  
22 Yeah, B and C really say it's effective, but

1 we want some more data, okay. We think  
2 there's some effectiveness data.

3           It's really -- we have to make some  
4 regulatory decisions here as we go forward,  
5 and the monograph is very different than, you  
6 know, looking at individual NDAs. But you  
7 could answer these questions and say that I  
8 think there is -- you know, there's some  
9 effectiveness data, but I want more data. So  
10 the answer to this would be no.

11                               (Laughter)

12           MR. GANLEY: I had to read it  
13 again. No, but if you want, you know, we're  
14 trying to draw out the discussion here. It's  
15 easier for us to understand what the  
16 committee feels.

17           If they think there's effectiveness  
18 data, that will be captured in B and C. And  
19 if there's a need for additional studies,  
20 that will be captured in B and C. So in this  
21 question, you have to feel that we think  
22 there's -- you could say that there's

1 effectiveness data. We don't think there's  
2 any more data that's needed, and we are fine  
3 with that. So if that's the way you feel,  
4 then you answer as is. And I think -- but  
5 again, it's really to try to extract what  
6 does the committee want out of this. And I  
7 think we've heard a lot of discussion  
8 already, and we've got a sense of, you know,  
9 where you are heading.

10 But that was our intent, because we  
11 do have to make some regulatory decisions  
12 here of how to move forward. You may find  
13 that there is some effectiveness data, but we  
14 still want some more, you know, some  
15 additional data with regards to safety and  
16 blood pressure and things like that. So --

17 DR. D'AGOSTINO: I cheated and  
18 looked ahead --

19 (Laughter)

20 DR. D'AGOSTINO: The concerns I  
21 have aren't necessarily captured in B and C.  
22 I mean, I want -- my concerns are -- I'd like

1 to know that this does in fact work across  
2 the population that, you know, the target  
3 population is being addressed. I'd like to  
4 say something about the dosing interval, but  
5 I'm more interested in the sort of  
6 generalizability, getting big studies that  
7 really show effectiveness. And are they  
8 supposedly captured in B and C? I don't see  
9 them. I mean, when the B talks about dosing  
10 interval and C talks about 25, I don't really  
11 care about 25. I mean, it would be nice to  
12 do that, but that's where the academic comes  
13 out. What I really want to know, is 10  
14 effective for a broad population.

15 DR. TINETTI: Can I make a  
16 suggestion that we really consider A as --  
17 that the data are sufficient to conclude that  
18 it's effective, yes or no. And then as,  
19 Ralph, as we move on, I think what we will do  
20 is, say, if additional studies are needed,  
21 then we will volunteer what we think those  
22 additional studies should be. Is that --

1 DR. D'AGOSTINO: Can I say -- I  
2 thought the FDA was giving us little hand  
3 calculators. I was so excited.

4 (Laughter)

5 DR. TINETTI: You cannot take it  
6 with you. So let me word it as I think we  
7 have decided.

8 Phenylephrine in a 10-milligram  
9 immediate release formulation has been shown  
10 to be effective when dosed every 4 hours for  
11 symptomatic treatment of nasal congestion,  
12 and no additional studies are needed to  
13 support its effectiveness. Okay.

14 SPEAKER: We did that one, right?

15 DR. TINETTI: No, that's the one we  
16 are voting right now.

17 So that is "Yes," "No," or  
18 "Abstain." So we are re-voting.

19 I think some people have voted.  
20 It's not coming up. Try pushing it harder.

21 (Laughter)

22 DR. TINETTI: So you're wanting us

1 to wait until it tallies before we do the  
2 hand vote?

3 SPEAKER: (Off mike)

4 DR. TINETTI: No hand -- Okay. So  
5 now we have to do the hand vote. I don't  
6 know why we have to do both, but anyway. So  
7 those who said yes raise your hand, and just  
8 read it -- do your name.

9 DR. HONSINGER: Richard Honsinger.

10 DR. TAYLOR: Robert Taylor.

11 DR. TINETTI: Noes, raise your  
12 hand.

13 DR. SHRANK: Will Shrank.

14 MS. PARKER: Ruth Parker.

15 MR. OWNBY:: Dennis Ownby.

16 DR. LEVIN: Arthur Levin.

17 DR. TINETTI: Mary Tinetti.

18 MS. HOFFMAN: Ruth Hoffman.

19 DR. GRIFFIN: Marie Griffin.

20 DR. FITZGERALD: Garret FitzGerald.

21 DR. FOLLMANN: Dean Follmann.

22 DR. D'AGOSTINO: Ralph D'Agostino.

1 DR. NGO: That's a total of 2 yes,  
2 10 noes, 0 abstentions for a total of 12  
3 votes.

4 DR. TINETTI: All right. We  
5 enjoyed that. Now, let's see if we can --

6 (Laughter)

7 DR. TINETTI: -- the wording for B.  
8 As it presently reads, it's "Phenylephrine in  
9 a 10-milligram immediate release formulation  
10 is effective. Additional study is needed to  
11 identify an appropriate dosing interval for  
12 this dose" -- "appropriate interval for this  
13 dose." So again, to interpret this question  
14 that there are suggestive data of  
15 effectiveness, we agreed that it's not  
16 definitive. And the only thing you want to  
17 know at this point is whether or not we think  
18 these additional studies are needed purely to  
19 address interval.

20 Okay. So to answer this question,  
21 yes, you'd have to say, yes, you think that  
22 the evidence supports effectiveness, but no,



1 we don't know enough about interval. Is that  
2 correct, Susan?

3 DR. JOHNSON: Yes.

4 DR. TINETTI: Okay. Does everybody  
5 understand that question, or --

6 MR. OWNBY:: I've -- this is Ownby.  
7 I've got a question of whether these are  
8 supposedly mutually exclusive or not?

9 DR. TINETTI: No, I said at the  
10 beginning, they are not exhaustive nor  
11 mutually exclusive.

12 DR. SHRANK: So if one were to say  
13 no to this, you could be saying no to either  
14 part.

15 DR. TINETTI: Right. Yeah, this  
16 one I think is more problematic than the  
17 first one. Because if you -- so we -- right.  
18 If you don't think that -- you almost have to  
19 say whether or not we think that there is  
20 sufficient -- that the evidence is only  
21 suggestive of effectiveness. I think we --  
22 this is when we have to break up into two

1 parts. This one I don't think we can combine  
2 into a single question.

3 MR. GANLEY: It's Charlie Ganley  
4 again. Yeah, why don't we just do that and  
5 the -- I think what we are trying to arrive  
6 at, if people may think it's effective, but  
7 they still want more additional studies done.  
8 Okay. And this one --

9 DR. TINETTI: So it seemed to me  
10 first --

11 MR. GANLEY: Why don't we just do  
12 if people are more comfortable to get rid of  
13 the second part here and see if people  
14 believed it is an effective dose? And then  
15 we can address the latter question here and  
16 see, okay?

17 DR. TINETTI: Okay. So the only  
18 question we are addressing here is -- again,  
19 the discussion has shown that I think nobody  
20 believes that the data are overwhelming for  
21 effectiveness. The question is that --

22 MR. GANLEY: Let me -- let me --

1 right, let me just --

2 DR. TINETTI: -- that -- is that  
3 suggestive of effectiveness.

4 MR. GANLEY: Right. Let me just  
5 let people understand the process, okay. For  
6 us to say to change the monograph, we would  
7 have to say that we have some concerns about  
8 the data we have. And we are going to have  
9 to make some changes to the monograph. And  
10 so we would have to go through the regulatory  
11 process. Now, the way question A on this was  
12 written is that you are fine with all the  
13 data. I don't need any more data. I think  
14 it may be effective, but I don't need any  
15 more data. If everyone answered yes on that,  
16 we'd be pretty much done because it would  
17 tell us that we don't have to do anything  
18 with the monograph.

19 So what these questions were trying  
20 to arrive at is -- you know, I think it gets  
21 to the -- a lot of the issues that have been  
22 brought up with regard to, has an adequate

1 population been studied, do we have  
2 sufficient evidence or information on blood  
3 pressure and things like that. We still may  
4 think it's an effective dose, but we may  
5 still want more information.

6 If it makes people feel better on  
7 B, just answer, do we think it's an effective  
8 dose without any connections. And then we  
9 can go on to C, and if you think it's an  
10 effective dose, you take, well, what studies  
11 do you think you want.

12 DR. TINETTI: Okay. So how I would  
13 word this is that there are data supportive  
14 of effectiveness of this 10-milligram dose of  
15 phenylephrine.

16 MR. GANLEY: Yes.

17 DR. TINETTI: Not a definitive,  
18 okay.

19 MR. GANLEY: That's right.

20 DR. TINETTI: Does everybody agree  
21 to that -- understand that question?

22 DR. LEVIN: Well, that's not how I

1 interpret "is". "Is" is a -- "is" to me is a  
2 very positive statement. I mean, I think  
3 there is a distinction between supportive and  
4 --

5 DR. TINETTI: There is. That's why  
6 I'm making sure that we are all answering the  
7 same question. So we are not being asked to  
8 definitively state whether we feel the  
9 existing data unequivocally support its  
10 effectiveness. Is that correct? We are  
11 asked, given the data that exists, is there  
12 evidence supporting a possibility or  
13 probability of effectiveness. So that's a --  
14 it is an important distinction.

15 DR. FITZGERALD: How about for  
16 clarity just saying, actually rewording it,  
17 and saying the formulation appears to be  
18 effective, additional studies are needed?  
19 Because that gets away from the focus on the  
20 dosing interval -- I mean, there may be other  
21 things you want to get at.

22 DR. TINETTI: But we are ignoring

1 the dosing interval completely for this one.

2 DR. FITZGERALD: Yeah. If you say,  
3 "Appears to be effective," it captures that  
4 flavor. And then you just couple that with  
5 "additional studies are needed."

6 DR. HONSINGER: Can't you just  
7 cross out the first sentence and just say we  
8 are voting on whether additional study is  
9 needed to identify an appropriate dosing  
10 interval?

11 SPEAKER: Yeah.

12 DR. TINETTI: No, because -- we  
13 can't do that, because we've never really  
14 clarified whether people think it's  
15 effective. And if you don't think it's  
16 effective, then the dosing intervals are --

17 SPEAKER: -- dosing?

18 DR. TINETTI: No, no, that -- well,  
19 not according to that vote.

20 DR. D'AGOSTINO: Can we put this --  
21 if we take the sentence that the  
22 10-millimeter immediate release formulation

1 is supportive of effectiveness, isn't that  
2 what you said? I mean --

3 DR. TINETTI: That is what I said.

4 DR. D'AGOSTINO: So can't we just  
5 write it that way, and that's what we are  
6 voting on?

7 DR. TINETTI: That's what I would  
8 suggest. But I think everybody was not in  
9 agreement to that. I think that's still what  
10 -- in the spirit of what -- I think that's  
11 what's most in the spirit of what FDA is  
12 asking us.

13 DR. D'AGOSTINO: Asking us, yeah.

14 MR. OWNBY:: And we are talking  
15 about clinical effectiveness here --

16 (Laughter)

17 MR. OWNBY:: -- as opposed to a  
18 physiologic measurement.

19 DR. TINETTI: I think this is where  
20 you are going to be voting based on your own  
21 expertise and interpretation of the data,  
22 when we get to the additional studies that we

1 think are needed. And so if you feel that it  
2 requires symptomatic benefit to have even  
3 suggestive effectiveness, that's how you  
4 should vote. If you feel that physiologic is  
5 sufficient, then that's how you should vote.  
6 Is that correct? That it --

7 DR. JOHNSON: I think that we have  
8 an internal discussion all the time, in every  
9 NDA that we look at, in every efficacy  
10 decision we ever look at, about specifically  
11 that question. And we might want to discuss  
12 it later, but vote with what you think is the  
13 right way to approach how we would label this  
14 product. Do you have sufficient evidence to  
15 put the indication -- continue to put the  
16 indication that's on the product, on it?

17 DR. TINETTI: All right. We have  
18 to reword the question. And I think where we  
19 had, given the available data that exist, the  
20 evidence is suggestive that the 10- milligram  
21 immediate release formulation is effective.

22 DR. NELSON: Is the word suggestive



1 or supportive?

2 SPEAKER: Suggestive.

3 DR. TINETTI: What's that?

4 DR. D'AGOSTINO: Why don't we use

5 supportive? That's what we tend to use.

6 DR. TINETTI: Supportive, I'm

7 sorry, supportive. Okay. Everybody

8 understand the question? Okay, so again, "1"

9 is "Yes," "2" is "No," and "3" is "Abstain."

10 Okay. Can we have all the "yesses" raise your

11 hand? Okay, go around.

12 DR. SHRANK: Will Shrank.

13 MS. PARKER: Ruth Parker.

14 DR. TAYLOR: Robert Taylor.

15 DR. HONSINGER: Richard Honsinger.

16 MR. OWNBY:: Dennis Ownby.

17 DR. LEVIN: Arthur Levin.

18 DR. TINETTI: Mary Tinetti.

19 DR. FITZGERALD: Garret FitzGerald.

20 DR. FOLLMANN: Dean Follmann.

21 DR. D'AGOSTINO: Ralph D'Agostino.

22 DR. TINETTI: Noes?

1 MS. HOFFMAN: Ruth Hoffman. There  
2 was two of us.

3 SPEAKER: Got a ringer.

4 DR. TINETTI: Who's not fessing up?

5 SPEAKER: Dean voted twice.

6 (Laughter)

7 DR. FOLLMANN: I didn't vote -- I  
8 would have voted yes, but I didn't have a  
9 button. So it is not me.

10 DR. TINETTI: Okay, how many -- do  
11 we have to re- vote?

12 DR. JOHNSON: Yeah, I'm not sure  
13 that I really interpreted the question  
14 correctly with supportive either.

15 SPEAKER: Michael Levin.

16 SPEAKER: What?

17 SPEAKER: No, I had, yes.

18 SPEAKER: Okay.

19 SPEAKER: Don't ask me to remember  
20 what button I pressed.

21 (Laughter)

22 SPEAKER: I intended "yes."

1 SPEAKER: Change his vote to a yes.

2 SPEAKER: I can't reconstruct --

3 DR. TINETTI: Hopefully there's no  
4 hanging chads.

5 DR. NGO: Okay. That is a total of  
6 11 yes, 1 no, 0 abstentions for a total of 12  
7 votes.

8 DR. TINETTI: All right, it gets  
9 even murkier.

10 DR. JOHNSON: Maybe I can clarify  
11 the intent of this --

12 DR. TINETTI: Thank you.

13 DR. JOHNSON: -- before you get  
14 started. Given that we've said that there  
15 are data that are supportive of the 10  
16 milligrams, this question is really to say in  
17 addition to that, do you want to see studies  
18 of the higher doses. In other words, do you  
19 think that the 10 should stand alone as the  
20 only dose, or should we start to encourage  
21 industry and think about what would happen  
22 with the higher doses, in addition to the 10?

1                   SPEAKER: I think you can also  
2 broaden it to say if people have other  
3 studies, because there's obviously other  
4 studies that people --

5                   DR. JOHNSON: Let's do that at the  
6 end. Let's just stick to the dose for the  
7 moment, because they are kind of --

8                   DR. FOLLMANN: Actually I'd still  
9 like to see studies of the 10. To me the  
10 data are suggestive, or if I had to bet, I  
11 would bet. But I don't think the question is  
12 settled to my -- you know, definitively, from  
13 my point of view.

14                  DR. TINETTI: I think -- what I'm  
15 -- I'm almost wondering if this would be more  
16 effective if we just went around and people  
17 sort of said what additional studies -- we've  
18 already agreed that we need additional  
19 studies. So rather than --

20                  DR. JOHNSON: Could we vote  
21 specifically on the dose question, that you  
22 would like to see studies of higher doses,

1 given the answer to the last one, and then  
2 talk about what other studies,  
3 generalizability, larger studies, that sort  
4 of thing?

5 DR. TINETTI: And you feel that  
6 that's an important FDA question, fine, okay.

7 DR. JOHNSON: Because that's a  
8 petitioner question as well.

9 DR. TINETTI: Okay, fine.

10 DR. JOHNSON: Thanks.

11 DR. TINETTI: Reasonable. Okay.

12 So the question would be then -- I think  
13 we'll just start with question -- the second  
14 sentence. Additional studies are needed to  
15 assess the efficacy of a higher dose, such as  
16 25 milligrams. Forget the dosing intervals.  
17 Let's keep it straight. Is that -- okay, is  
18 that fine? Does everybody understand the  
19 question?

20 DR. FITZGERALD: Glad to say I'm  
21 the last boy in the class.

22 DR. TINETTI: You are not -- you're

1 not the only one.

2 DR. FITZGERALD: Efficacy and  
3 safety presumably. I mean, all  
4 pharmacologists love to see dose-response  
5 relationship, even if what you are trying to  
6 do at the end of the day is understand what's  
7 happening at 10 milligrams. But --

8 DR. TINETTI: So efficacy --

9 DR. FITZGERALD: Efficacy and  
10 safety.

11 DR. TINETTI: Efficacy and safety.

12 DR. FITZGERALD: Yeah.

13 DR. TINETTI: Fair enough. Yeah,  
14 did you have a comment on the question?

15 DR. D'AGOSTINO: No, it's exactly  
16 the same.

17 DR. TINETTI: Okay. So the wording  
18 of the question is then, additional studies  
19 are needed to assess the efficacy and safety  
20 of higher doses, EG 25 milligrams.

21 SPEAKER: (Off mike)

22 DR. TINETTI: I know, we just said

1 it. He's adding it now.

2 Okay. Everybody understands the  
3 question. Efficacy and safety.

4 DR. FITZGERALD: Yes, safety hasn't  
5 made it in there yet.

6 DR. TINETTI: Marie.

7 DR. GRIFFIN: I'm still sort of  
8 perplexed about why they are needed. I mean,  
9 they are needed because the petitioner asked  
10 for it. But we don't -- I'm not sure why we  
11 need --

12 DR. TINETTI: Is there a reason  
13 other than that's what the petitioner asked,  
14 since we have to address what the petitioner  
15 asked?

16 DR. JOHNSON: The intent of the  
17 question was to understand whether or not the  
18 committee felt strongly about having an  
19 additional dose, if they felt that the 10 was  
20 effective. So I think you've confirmed that  
21 there is supportive evidence of efficacy of  
22 the 10. Now, do you feel strongly that it

1 may be at the lower end of the dosing range,  
2 and we really should have data on higher  
3 dose?

4 DR. TINETTI: So is it fair to say,  
5 if you are convinced that the 10 milligram is  
6 safe and effective, and that should be what's  
7 available, you would vote no to this  
8 question. If the data may be supportive of  
9 the 10 milligrams, but you are not sure, and  
10 that perhaps you think a higher dose may  
11 remain safe, but be more effective, you would  
12 vote yes.

13 DR. JOHNSON: I think you could  
14 even answer the question independent of what  
15 you think of the 10. It could be a  
16 generalizability question. The 10 doesn't  
17 look like it's generalizable to everyone,  
18 even though it does have some supportive --

19 DR. TINETTI: Fair enough. So it  
20 could be more choices. Okay.

21 DR. JOHNSON: Right.

22 DR. TINETTI: Fair enough. Does



1 that explain for you -- now, that's a good --  
2 okay.

3 DR. NELSON: Can I -- could I just  
4 make one comment? Because this is almost a  
5 demand type of answer, it would be better to  
6 say, "may be needed," because of the way it's  
7 questioned now, it almost demands another  
8 study.

9 DR. JOHNSON: I think given the  
10 current level of data, "may be needed" is  
11 sort of obvious in that we know that there is  
12 less data than we might like about any dose.  
13 So "may be needed" is a little less strong  
14 than what we were actually looking for. We  
15 have a limited ability with monograph  
16 products to require industry to look at  
17 additional -- to do additional studies, to  
18 look at additional parameters, whatever they  
19 may be. And this is -- we are really looking  
20 for feedback from the committee about what  
21 they think the marketplace should be informed  
22 by.

1 DR. TINETTI: Okay. All right.

2 MS. PARKER: Just one question.

3 Currently they are eligible to create the  
4 product and make it available up to 25 or up  
5 to 60 or up to 200 or --

6 DR. JOHNSON: We looked at this  
7 question hypothetically. At this point we  
8 know that the advisory panel looked at 25,  
9 and therefore, 25 is eligible to be  
10 considered under the monograph. We don't  
11 really know, based on the records, that any  
12 higher dose would be eligible under the  
13 monograph, but could be submitted under an  
14 NDA. And that's not -- for the sake of  
15 industry here, that's not a definitive  
16 response, that's our initial hypothetical  
17 look, that the 25, were we to get data, could  
18 be eligible for marketing under the  
19 monograph, could be evaluated under the  
20 monograph. Anything higher than that would  
21 most likely have to be evaluated under an  
22 NDA.

1                   And that's based on what was  
2 marketed at the time the panels looked at the  
3 initial universe of products. It's all how  
4 the monograph is set up.

5                   MS. PARKER: Is there a way to say  
6 25 is a single product for evaluation and  
7 sale?

8                   DR. JOHNSON: You mean as a single  
9 ingredient? I think we've captured that  
10 comment. And I think that that's something  
11 that's going to be reflected in the comments  
12 that --

13                   DR. TINETTI: Yeah. I think we'll  
14 get that later when we talk about what kinds  
15 of studies -- okay.

16                   DR. JOHNSON: Yeah.

17                   DR. TINETTI: All right. Let's go  
18 to a vote then. The question is, "Additional  
19 studies are needed to assess the efficacy and  
20 safety of a higher dose, such as 25  
21 milligrams." Yes, no or -- all right,  
22 "yesses" raise your hand. We'll start on this

1 side this time.

2 DR. D'AGOSTINO: Ralph D'Agostino.

3 DR. FOLLMANN: Dean Follmann.

4 DR. FITZGERALD: Garret FitzGerald.

5 MS. HOFFMAN: Ruth Hoffman.

6 DR. TINETTI: Mary Tinetti.

7 DR. LEVIN: Arthur Levin.

8 MR. OWNBY:: Dennis Ownby.

9 DR. HONSINGER: Richard Honsinger.

10 MS. PARKER: Ruth Parker.

11 DR. TINETTI: Okay. Noes?

12 DR. SHRANK: Will Shrank.

13 DR. TAYLOR: Robert Taylor.

14 DR. GRIFFIN: Marie Griffin.

15 DR. NGO: That's a total of 9 yes,  
16 3 noes, 0 abstention, for a total of 12  
17 votes.

18 DR. TINETTI: I think we are  
19 scheduled for a break, but we are almost  
20 finished. I just think we are just going to  
21 go ahead and finish. Now, I do not think we  
22 need to go to D. I think we have already --

1 my understanding is we've -- with our  
2 rewordings of the questions, we have  
3 addressed D.

4 DR. JOHNSON: So if I'm  
5 understanding the response to B, there is one  
6 person who believes that the data do not  
7 appear to be supportive of the 10-milligram  
8 dose, is that correct? Okay. I just want to  
9 make sure that was the -- the "no" answer,  
10 that's what that meant.

11 MS. HOFFMAN: It was, but it was  
12 sort of an interpretation of supportive too.  
13 So --

14 DR. JOHNSON: Okay.

15 MS. HOFFMAN: Yeah, I could  
16 probably go either way.

17 DR. JOHNSON: It might help before  
18 -- well, actually, we could either discuss  
19 before the next question or just add on to  
20 the next question what additional studies  
21 would you like to see.

22 DR. TINETTI: I think it's really

1 part of the next question. And again, here I  
2 don't think we need to vote, right. I think  
3 this is just giving you suggestions of the  
4 types of studies.

5 DR. JOHNSON: I think the only  
6 thing that might be helpful to vote on is  
7 whether there need to be additional studies.  
8 And if everybody feels like that's already  
9 been covered in 2C then we are fine.

10 People did vote in 2C that we  
11 needed additional studies. So --

12 DR. TINETTI: Right. I think we  
13 already voted on that.

14 DR. FOLLMANN: Wasn't -- I hate to  
15 belabor this, but wasn't 2C for 25 milligram?

16 SPEAKER: Yes.

17 DR. FOLLMANN: And so if we want to  
18 have a study of 10 milligram, that -- which I  
19 would --

20 DR. TINETTI: All right, let's do  
21 that. Okay. So the question is, are  
22 additional studies of 10-milligram dose

1 needed? Yes, no, without getting to details  
2 of what would be obtained. Okay.

3 Can you write us a new question?

4 We took out the additional -- we took out the  
5 question -- we separated, and we never went  
6 back to address that question there, right?

7 DR. JOHNSON: So I don't think that  
8 we need a question on -- another specific  
9 voting question. We could just ask the  
10 question, what additional studies. And if  
11 it's about the 10, that's fine. If it's  
12 about the 25, that's fine.

13 DR. TINETTI: So we don't need to  
14 vote?

15 DR. JOHNSON: I don't think we need  
16 to vote at this point.

17 DR. TINETTI: Fine. Okay, so now  
18 we can just open it to discussion of the  
19 types of studies that we think are needed.  
20 Okay?

21 DR. JOHNSON: Right. And the only  
22 thing that I would do is just ask you to

1 include the concept from the third question  
2 about potentially we don't have the right  
3 dosage form at this point, that an extended  
4 release dosage form, such as Schering  
5 suggested, might be the right way to dose  
6 this, just include that in the discussion.

7 DR. TINETTI: Right, that could be  
8 part of that. Okay. And I know people have  
9 made suggestions along the way, and I think,  
10 please reiterate those now because I think  
11 this -- we'll be tabulating that.

12 Ralph.

13 DR. D'AGOSTINO: Ralph D'Agostino.  
14 I think that -- at the 10 dose, I think we  
15 need to have better understanding of  
16 generalizability. So I think we need  
17 multi-center studies with age, gender, race,  
18 and severity, the plethora and the spectrum  
19 of those variables covered. I think the  
20 outcome measures should be on the symptoms,  
21 the nasal congestion score or similar things.

22 Objective measures may be



1 secondary, but I think the symptoms should be  
2 primary. I think the study should be  
3 parallel sample studies and not crossovers.  
4 They should be placebo -- randomized placebo,  
5 double blind. And I -- this is my wish list,  
6 and I think it should be done. And I think  
7 they should have positive controls in the  
8 studies. And this should be studies for  
9 common colds, and also for rhinitis.

10           If you have the 25 -- I'm not so  
11 sure that studies have to be done with 10 and  
12 25 simultaneously. But I think there should  
13 also be studies that do have 10 and 25, some  
14 studies that are looking at the dose response  
15 in terms of efficacy and also safety. And I  
16 think the questions like the blood pressure  
17 -- effect on blood pressure should be pinned  
18 down, and studies should be designed for  
19 those.

20           DR. TINETTI: So I assume nobody's  
21 going to disagree with Ralph on those points,  
22 so any additional studies.

1 MS. PARKER: I'm concerned that  
2 it's easier and clear for us to talk about a  
3 single ingredient, and effectiveness and  
4 safety about a single ingredient, but it does  
5 not reflect the reality of the marketplace  
6 and what the person with the common cold  
7 faces. And I think we really need to focus  
8 in on that in order to -- I mean, what we are  
9 talking about is a stuffy nose with a bad  
10 cold. And it becomes very crystal clear when  
11 it's one -- well, not that clear. But it  
12 becomes clear when it's one ingredient in one  
13 dose, but the marketplace doesn't reflect  
14 that. The marketplace is reflecting to the  
15 person with the common cold a panacea of  
16 products, very few of which have the single  
17 ingredient in it. And so --

18 DR. TINETTI: So are you suggesting  
19 that -- I'm not sure, are you suggesting that  
20 they need both single- ingredient and  
21 multi-ingredient studies?

22 MS. PARKER: I think the ideal

1 thing is that the marketplace should have  
2 single-ingredient products available for the  
3 consumer about which we understand safety and  
4 effectiveness. That is not the question on  
5 the table.

6 DR. TINETTI: But that's not the  
7 situation. Given the situation, what are you  
8 suggesting we need?

9 MS. PARKER: Well, given the  
10 situation, I think this is another reason to  
11 point out how much that's needed to the FDA,  
12 which we have said in the past.

13 MR. OWNBY:: I would suggest if we  
14 did have studies that showed good safety and  
15 efficacy that at least with combination  
16 products that they show that there are not  
17 major changes in the absorption and  
18 distribution of the drug because of the  
19 combination. We see very many drug  
20 interactions. And with these combination  
21 ingredients if you assume that most of those  
22 are truly active ingredients, then I think

1 that there is an onus to show that they are  
2 not affecting dramatically the blood levels  
3 achieved.

4 DR. D'AGOSTINO: We are -- I mean,  
5 with the study I was describing, the first  
6 study, was just for the single dose. We are  
7 not saying they have to be combinations,  
8 right, to begin with. I was -- what I said  
9 single dose, and now, in addition, there  
10 should be combinations.

11 MS. PARKER: Which gives confidence  
12 about the single ingredient, you know, for us  
13 making a decision, but for the consumer, that  
14 didn't really help --

15 DR. D'AGOSTINO: I agree, I'm fine.  
16 I just want to make sure that I heard you  
17 correctly.

18 MS. PARKER: Yeah. So I think as  
19 we ponder it, it's just not about the single  
20 ingredient. It's about the reality of what  
21 you face when you have a common cold and a  
22 stuffy nose.

1 DR. D'AGOSTINO: There are a lot of  
2 different possibilities. There's four  
3 different ingredients that they tend to put  
4 in these things. And two at a time, three at  
5 a time, or four.

6 DR. HONSINGER: In Dr. D'Agostino's  
7 study, I want to add another arm. I want an  
8 arm with a drug that we think is effective.  
9 I think we should have the racemic ephedrine  
10 --

11 DR. TINETTI: He mentioned that.

12 DR. D'AGOSTINO: Yeah. I said the  
13 --

14 DR. HONSINGER: Yeah.

15 DR. D'AGOSTINO: Yeah. I said  
16 that.

17 DR. HONSINGER: And I guess the  
18 second thing is I want to make sure we look  
19 at adverse events. I think one of -- a  
20 couple of the adverse events we don't -- we  
21 haven't looked at, and we ought to be looking  
22 at, and haven't been mentioned today, when I

1 see patients as an internist, I frequently  
2 have patients, at least several times a year  
3 who came in with ectopic cardiac beats due to  
4 their pseudoephedrine. And the question is  
5 will that happen with phenylephrine as well.  
6 If they are getting ectopic beats, we are  
7 going to see a few cases of atrial  
8 fibrillation, going to get the extra cardiac  
9 alpha receptor stimulation. So I think we  
10 should look at that.

11 We should look at the urinary  
12 effects when we do a population of more than  
13 just medical students back, when medical  
14 students were young.

15 (Laughter)

16 DR. JOHNSON: I just wanted to ask  
17 the committee if they wanted to characterize  
18 what their idea of an active control should  
19 be. I think there is something unsaid here  
20 that maybe pseudoephedrine is a more  
21 effective product. Is that the assumption  
22 that folks are making?

1 DR. D'AGOSTINO: That's what I have  
2 in the back of my mind. And it's not that  
3 it's more effective, I think we have more  
4 evidence that it is effective.

5 MS. HOFFMAN: I'd like to see  
6 comorbidity studies as well on type 2  
7 hypertension and see how, you know, other  
8 conditions would be impacted. I mean,  
9 similar to what you are saying. If you've  
10 got, you know, an ageing population or an  
11 increasingly obese population with, you know,  
12 type 2 diabetes and metabolic syndrome and  
13 all these things, you know, what does that do  
14 when you take a vasoconstrictor.

15 DR. FITZGERALD: Yeah, I'd just  
16 like to sort of amplify that. I think what  
17 we really need are studies that relate dose  
18 to plasma concentration to effect, both in  
19 terms of efficacy and potential adverse  
20 response. And with respect to blood  
21 pressure, which, let's face it is the  
22 surrogate of an adverse effect we are most

1 concerned about, besides it being  
2 appropriately powered to detect a reasonable  
3 effect, which could be clinically quite  
4 important, like 3 or 4 millimeters of mercury  
5 systolic, and using contemporary approaches  
6 to measurement of blood pressure, I agree  
7 that this should be performed in a  
8 hypertensive population who might amplify the  
9 likelihood of detection of signal.

10           And we should also look at the  
11 effect of time of day of dosing which has  
12 been shown to modulate the hypertensive  
13 response to phenylephrine in humans.

14           DR. TINETTI: Just before -- a few  
15 questions, do people think that we need  
16 studies looking at different intervals and  
17 extended release, or is that --

18           DR. HONSINGER: That's my point.  
19 From the data that Schering showed us, I  
20 mean, we certainly would -- you know,  
21 suppository or an enema, this drug would give  
22 an overdose.



1 (Laughter)

2 DR. HONSINGER: So I think we need  
3 to look that this drug is very dependent upon  
4 its delivery system, as to where it's  
5 absorbed. And that needs to be looked at on  
6 any delivery system we use with this drug.

7 MS. HOFFMAN: I guess one more  
8 thing is if you could look at it from a  
9 genetic factor, in terms of metabolism -- I  
10 don't know if that's doable. But I would  
11 think with all the genetic techniques that  
12 would be something that would be pretty cool,  
13 to see if a certain population or a certain  
14 genetic trait metabolizes better than others.  
15 I don't know.

16 DR. TINETTI: Okay. Anything else?  
17 Is our work done here today?

18 DR. JOHNSON: Thank you very much.

19 DR. TINETTI: Thank you.

20 (Whereupon, at 3:15 p.m., the  
21 PROCEEDINGS were adjourned.)

22 \* \* \* \* \*

