

1 AFTERNOON SESSION

2

3 IN RE :

4 FOOD AND DRUG ADMINISTRATION

5 MEETING OF THE CARDIOVASCULAR

6 AND RENAL DRUGS ADVISORY

7 COMMITTEE

8

9

10 The above entitled matter was held
11 on September 21, 2006 at 5630 Fishers Lane
12 Rockville, Maryland before Robert A. Shocket,
13 Notary Public.

14

15

16

17

18

19

20

21 REPORTED BY: Robert A. Shocket

1 (Luncheon recess)

2 DR. LEVY: Thank you. Okay. So, before
3 lunch we heard from Dr. Makuch on statistical
4 considerations of the two observational studies. Now I
5 would like to introduce Dr. Pamela Cyrus of Bayer's US
6 medical organization, who will review the clinical data
7 for aprotinin. Dr. Cyrus?

8 DR. CYRUS: Good afternoon. I would like
9 to thank the Committee for having Bayer here today to
10 review our clinical trial data. As you heard this
11 morning from Dr. Robie-Suh from the FDA, we have
12 submitted data on an ongoing basis to our NDA as well
13 as with our ongoing pharmacovigilance additional data.
14 With the two recent observational studies we've also
15 conducted a very thorough analysis of our global CABG
16 database and we have submitted that analysis as well as
17 our datasets to the FDA for their review. And that
18 will be the basis of what I am reviewing for you here
19 today.

20 I would like to start with saying I'm going
21 to show you the six US CABG trials that were also

1 referred to earlier this morning. Four of those trials
2 included primary CABG patients and four included repeat
3 CABG patients and are the basis for the current U.S.
4 label for Trasylol. I will be reviewing those studies
5 in detail for the efficacy in CABG. Then I will review
6 safety in CABG. When reviewing safety I'm going to
7 review the 45 clinical trials that were conducted
8 globally using the full dose of aprotinin versus
9 placebo. I will be focusing on those interests, safety
10 events of interest here today, myocardial infarction,
11 graft patency, congestive heart failure, stroke,
12 encephalopathy and finally renal function. I will then
13 be reviewing for you our spontaneous report database on
14 hypersensitivity.

15 To start, there were six U.S. CABG trials
16 that have been conducted with Trasylol. The first two
17 studies, D89-004 and D89-006.

18 Served as the basis for the initial
19 approval in repeat CABG in 1993. I should note these
20 two studies were supplemented with a cardiac valve
21 study as well as supportive data from no-US data

1 sources. The third study on the list is D92008. This
2 study served as the basis for the approval of the half
3 dose of aprotinin in 1994.

4 And, finally, the last three studies,
5 D91007, D92016 and D92048 served as the basis for the
6 expansion of the label to primary CABG. As was noted
7 this morning, there are two approved dosing regimens in
8 the United States. There is the full dose aprotinin
9 and the half dose aprotinin and as reviewed for you by
10 Dr. Robie-Suh, the full dose includes a test dose
11 followed by two million kalikrein-inhibiting units, a
12 loading dose as well as two million KIU in the pump
13 prime regimen and 500,000 KIU per hour as an infusion
14 and the half does is exactly half that with the
15 exception of the test dose.

16 Now to address efficacy in CABG procedures.
17 The primary endpoint for efficacy in these clinical
18 trials was percent of patients transfused red blood
19 cells. This was the endpoint that was agreed upon with
20 the FDA prior to the initiation of our clinical trials
21 in the United States. First we could say why would we

1 develop this drug to begin with for cardiac surgery?
2 The number one risk in cardiac surgery -- and I think
3 you've heard Dr. Karkouti mention this in his
4 presentation -- is the risk of bleeding and the need
5 for a subsequent blood transfusion.

6 There's also risk of infection, stroke,
7 renal failure and reoperation or take-backs to the
8 operating room for diffuse bleeding. This has a huge
9 impact on the patients themselves undergoing CABG
10 surgery. Every patient undergoing open heart surgery
11 according to the American Red Cross on average receives
12 two to six units of packed red blood cells, one to ten
13 units platelets and one to ten units of fresh frozen
14 plasma.

15 On a societal level this is very important
16 because cardiac surgery utilizes 10 to 20 percent of
17 the U.S. blood supply that is available. With this,
18 there have been very aggressive measures taken on by
19 both the STS and the SCA for blood management programs
20 during cardiac surgery. Bayer convened a consensus
21 panel of independent consultants. This was led by Dr.

1 Goodnough. And you can see the list of members at the
2 bottom of this slide. And we asked them the question,
3 in your opinion what is the mortality associated with
4 transfusion today for red blood cells and for
5 platelets?

6 This is the consensus statement that they
7 have come up with just as recently as this month. The
8 transfusion related acute lung injury with red blood
9 cells is ten to twenty deaths per million units of red
10 blood cells transfused with the same rate being
11 reported for platelets. Bacterial contamination as one
12 might expect is more common among platelets and
13 depending on whether it is cultured or uncultured,
14 those rates can also differ. Viral deaths and
15 mortality is much more limited.

16 Transfusion errors are also on the lower
17 degree but allergic reactions to blood account for five
18 deaths per million units of red blood cells and
19 platelets transfused, bringing the overall mortality
20 per million components of 16 to 27 units per red blood
21 cell and 19 to 100 per unit of platelet transfused. So

1 as you can see there is a need for blood conservation
2 for the patients especially those undergoing CABG
3 surgery.

4 Aprotinin is available and helps with that.
5 Aprotinin reduces the transfusion rate in repeat CABG.
6 This is the data from the four U.S. studies that had
7 repeat CABG patients and, as you can see in the orange
8 color, red blood cells were statistically reduced per
9 percent of patients being transfused for both the half
10 and the full dose of aprotinin. This translates into a
11 38 percent relative reduction in transfusion rate for
12 the full dose of aprotinin relative to placebo.

13 I have also placed on this slide the
14 percent of patients that required transfusion of
15 platelets. As you can see, 8.4 percent of full-dose
16 aprotinin patients required platelet transfusion
17 compared to 44.9 percent of placebo patients. Not only
18 does aprotinin reduce the percent of patients that are
19 being transfused but it also reduces the number of
20 units transfused in patients as is demonstrated in this
21 slide. You can see, moving up the slide, you have red

1 blood cells, fresh frozen plasma, platelets and
2 cryoprecipitate.

3 The full dose of aprotinin reduced the mean
4 number of units transfused of each of these components
5 relative to placebo. For the half dose numerically
6 they were all lower but did not reach statistical
7 significance for cryoprecipitate although it did for
8 reducing mean units of red blood cells, fresh frozen
9 plasma and platelets.

10 We heard doctor that Dr. Karkouti expressed
11 that those patients that have greater than five units
12 of blood transfused are of concern at his institution.
13 We can see here today that the full dose of aprotinin,
14 8.4 percent of patients treated with full dose of
15 aprotinin had to receive at least five units of red
16 blood cells compared to 27.6 percent of placebo
17 patients. Furthermore, the need for take-back to the
18 operating room for diffuse bleeding was also reduced
19 with the full and half dose of aprotinin with not a
20 single patient in the valid-for-protocol population
21 requiring a reoperation for diffuse bleeding.

1 Now turning to the primary CABG studies,
2 which there were four studies that included primary
3 CABG patients, again you can see the consistent effect
4 of reducing the percent of patients requiring
5 transfusion of red blood cells or platelets with both
6 the half and the full dose relative to placebo. Once
7 again, this translates into about a 31 percent relative
8 reduction in red blood cells being transfused for the
9 full dose group relative to placebo.

10 Just as in the repeat CABG population,
11 aprotinin also reduces the mean number of units
12 transfused in primary CABG. When looking at the blood
13 products again, red blood cells, fresh frozen plasma,
14 platelets and cryoprecipitate, both the full and the
15 half dose reduced the mean number of units transfused
16 in patients undergoing primary CABG. Again for those
17 patients that required greater than five units of red
18 blood cells, you could see that only 2.8 percent of
19 patients receiving full dose aprotinin who underwent a
20 primary CABG procedure received at least five units of
21 red blood cells with the placebo being 10.1 percent,

1 again being statistically significant. Once again,
2 there was not a single patient who was in the
3 valid-for-protocol analysis who received half dose or
4 full dose aprotinin that required a take-back to the
5 operating room for diffuse bleeding.

6 I have said a lot about take-backs to the
7 operating room for diffuse bleeding. Those are
8 associated with a significant morbidity and mortality,
9 and Dr. Levy will be reviewing that for you in his
10 presentation on the overall risk-benefit of the drug.

11 So to summarize the efficacy data from the
12 U.S. clinical trials as it is reflected in our current
13 product information, aprotinin, both the full and half
14 dose, significantly reduced the percent of patients
15 that are transfused red blood cells, the percent of
16 patients that are transfused platelets. It also
17 significantly reduces the mean units of the various
18 blood products that are transfused and it reduces the
19 take-backs to the operating room.

20 I would now like to review the safety of
21 aprotinin in CABG procedures. As I stated earlier, I'm

1 going to be focusing on the 45 randomized clinical
2 trials in the Bayer database looking at the full dose
3 of aprotinin compared to placebo. As one might expect
4 with this being randomized clinical trials, the
5 baseline characteristics and demographics were
6 comparable between the two groups. You can see that in
7 the full dose of aprotinin, we have 2,249 patients. In
8 the placebo group it's 2,164. The mean age across both
9 groups is approximately 61, with about 40 percent of
10 the patients being greater than 65 years of age and 60
11 percent being less than 65 years of age. Male was the
12 most common gender across the studies accounting for 88
13 percent of all patients and in countries where we were
14 able to record race, keeping in mind due to regulatory
15 limitations, we are not able to collect that in all
16 countries but when we were able to collect it,
17 Caucasian was the most common race.

18 For surgical procedures, approximately 80,
19 82 percent of the procedures were primary CABG, with
20 about 12 percent being in repeat CABG. In our clinical
21 trials, by protocol, some only allowed primary CABG,

1 some only allowed repeat CABG and in some studies where
2 we allowed both, we collected it on the case report
3 form but in other studies we didn't collect that
4 information so we were not able to further categorize
5 those patients. And that accounts for the remainder of
6 the patients that appear in that not categorized.

7 I should also point out that although we
8 had both primary and repeat CABG about 50 percent of
9 the population in both treatment groups were in
10 isolated CABG procedure. The other 50 percent had CABG
11 plus another cardiac procedure in combination with it.

12 Looking at some key medical conditions,
13 obviously, this is not an exhaustive list of the
14 medical histories and baseline medical conditions that
15 we collected that may be pertinent to some of the
16 safety events that we're discussing today. When
17 looking at diabetes mellitus, congestive heart failure,
18 a history of a previous myocardial infarction, a
19 history of a previous stroke, a history of hypertension
20 or an estimated glomerular filtration rate defined as
21 less than 60, the groups were quite comparable. And

1 I'll give you a moment to absorb those rates.

2 When overviewing the overall safety of the
3 product, I should say that in our database adverse
4 events were collected and defined as any adverse event
5 that was reported that occurred up to seven days after
6 the initiation of studied drug. Mortality data was
7 collected for the entire period of the study. This
8 includes the entire course of hospitalization and the
9 follow-up period.

10 I should make note that each protocol did
11 differ in what that follow-up period time was. But as
12 you can see for any adverse event it's comparable
13 between groups at 58.2 percent versus 61.3 percent.
14 For serious adverse events, both groups had 13.3
15 percent in both groups. Serious adverse events were
16 defined in our protocol as being any event that
17 prolonged the hospitalization, was considered an
18 important medical event or potentially
19 life-threatening. The seriousness of this was
20 determined by each individual investigator at their
21 site observing the patient.

1 Looking at the mortality rates across the
2 randomized clinical trials, you can see that the
3 mortality rate in the perioperative period is 2.9
4 percent versus 2.5 percent. To put this in perspective
5 if you look at when the bulk of these studies were
6 conducted, which was between 1989 and 1999, for a
7 comparable time period the STS national database
8 reports a mortality rate of 2.9 percent.

9 Looking then across various meta-analyses,
10 with some limitations in mind, you can imagine that
11 many of these meta-analyses also include Bayer
12 randomized clinical trials that were published and are
13 included in meta-analyses so there are overlaps.
14 There's also overlaps between the various
15 meta-analyses. Having said, there's not a single
16 meta-analyses here that has a hundred percent overlap
17 with either the Bayer clinical trial or the other
18 meta-analyses so I've chosen just to show them all for
19 completeness sake. You can see that for the various
20 meta-analyses that the reported mortality risk that the
21 risk is either neutral or with one exception in the

1 case of the Levi meta-analyses there was a
2 statistically significant reduction in mortality
3 favoring a reduction in mortality with aprotinin.

4 Now moving to myocardial infarction as a
5 safety event, before I do that, I would like to take a
6 moment and give you a historical perspective of the
7 development of these studies. The first study
8 conducted in the United States was D89-004 and at the
9 same timeframe study D89-006 was conducted. Study
10 D89-004 was repeat CABG patients only. It was a
11 single-center study. Study D89-006 included repeat and
12 primary CABG patients and was conducted at five centers
13 in the United States. At the end of D89-004, when
14 evaluating the data, the incidence of myocardial
15 infarction was higher in the full-dose aprotinin group
16 than what it was in placebo and although this
17 difference was not statistically significant, Bayer
18 thought that it still warranted further evaluation and
19 consideration before moving forward with development.

20 When then looking at the results of D8006
21 and trying to compare those results with D89-004, we

1 realized it was quite difficult to do because we had
2 not standardized protocols with the collection of CPK,
3 isoenzymes or with the collection of ECGs. We also did
4 not use a standard definition for myocardial infarction
5 so when you were trying to compare across two studies,
6 it was very difficult to have comparable comparisons in
7 rates even when looking at the placebo.

8 So from that point forward in our clinical
9 development plan we arranged to have a prospective
10 myocardial infarction evaluation with set collection of
11 CPKs and set collection of ECGs. The criteria for that
12 prospective analysis was defined by Dr. Chaitman who is
13 with us today if we want to get into that. Also,
14 retrospectively, we evaluated those two studies that
15 had already been conducted. Doing that, there still
16 remained a difference, albeit not statistically
17 significant, between full-dose aprotinin and placebo in
18 study D89-004.

19 We evaluated this further and said what
20 else is different between study D89-004 and study
21 D89-006 and it came down to the anticoagulation

1 protocol that was used for these studies.

2 Anticoagulation protocol that was used for study

3 D89-004 was to maintain activated clotting time greater

4 than 400, to give additional heparin as needed to keep

5 that greater than 400.

6 In study D89-006 instead of using ACT, the

7 method that was used, centers could either use a

8 fixed-dose heparin regimen or alternatively they could

9 do a direct heparin assay with the Hepcon machine. Why

10 do I mention this? Right after these two studies were

11 conducted, there was a study published by Dr. Wang. In

12 that study it was found that in the presence of heparin

13 that aprotinin artifactually prolongs celite-activated,

14 activated clotting time.

15 So with this information it became clear

16 that you need to maintain a higher ACT if you are

17 giving aprotinin in the presence of heparin when you

18 are use a celite ACT. Our current product information

19 reflects the information from this study making a

20 difference between celite ACT and kaolin ACT and

21 maintaining that the kaolin ACT should be greater than

1 480 and the celite greater than 750. From that point
2 forward in our clinical development program not only
3 did we prospectively evaluate myocardial infarction
4 with a set timeline of collecting ECGs and CPKs and
5 having them independently reviewed but we also ensured
6 that the anticoagulation protocol that was followed was
7 direct heparin assay or fixed-dose heparin.

8 You have to keep this in mind when
9 reviewing the data for myocardial infarction because as
10 one might expect when you look at myocardial infarction
11 and you look across all studies, all CABG rate of
12 myocardial infarction is 6.4 percent versus 5.5
13 percent. And although that's not statistically
14 significant, you may say let's look at it a little more
15 carefully. If you divide that between primary and
16 repeat CABG, for primary CABG the rates are 5.3 percent
17 both groups with an odds ratio of .99. Remember, the
18 primary CABG studies were the later studies that were
19 done, that were done with the anticoagulation
20 monitoring. For the repeat CABG study the rates are
21 14.9 percent versus 8.6. That odds ratio is 1.85. It

1 is statistically significant but 14 out of those 41
2 events in the aprotinin group are derived from the one
3 study, D89-004.

4 So, maybe a better way to look at this
5 would be let's look at those studies that prospectively
6 define myocardial infarction and had adequate
7 anticoagulation to try to sort out what this difference
8 is. When you look at those studies and you look at the
9 central blinded evaluator of myocardial infarction, and
10 this is defined as a definite MI, you can see for the
11 all CABG group that the rates are 4.6 versus 4.7
12 percent. Looking at primary CABG consistent with the
13 global database it's 3.8 versus 3.9 and when looking at
14 the repeat CABG study it was 11.8 versus 11.9. So in
15 those studies where we had very definite collection of
16 CPK isoenzymes, where we had very set ECG measurements
17 and where we had adequate anticoagulation, aprotinin is
18 not associated with an increased risk of myocardial
19 infarction.

20 Let's step back for a moment and let's look
21 at all the meta-analyses that are out there, again,

1 knowing that there is overlap between the Bayer studies
2 and between each of these meta-analyses. I should
3 point out that Sedrakyan meta-analyses is the only
4 meta-analyses that includes CABG-only patients. The
5 other meta-analyses are expanded to all cardiac
6 surgery. And as you can see, there is a neutral effect
7 across all of these studies on the risk of myocardial
8 infarction.

9 Now I would like to shift gears to graft
10 patency. Reflected in our label is the IMAGE study
11 that was referred to this morning. This is study
12 D92048. In this study the primary endpoint was percent
13 of patients with occluded anastomoses. The primary
14 endpoint was to be for all centers. You can see that
15 with that primary endpoint with all centers there is a
16 statistically significant difference between the
17 full-dose aprotinin and placebo with 15.4 versus 10.9
18 percent graft occlusions. While the study was still
19 blinded, amendment was placed into the study file
20 saying that we would do a by-center evaluation.

21 The reason for prompting this was there

1 were two centers in Israel that were having
2 difficulties with the Hepcon machine that was being
3 used. The way they were dosing heparin versus the way
4 they were reviewing it and seeing the results would
5 have underestimated heparinization. Furthermore, they
6 had technical problems with the calibration of the
7 machines and there were also some questions of surgical
8 technique. With discussions with the FDA while the
9 study still remained blinded, upon the FDA's request we
10 looked at U.S. centers only.

11 When you look at U.S. centers, the rate is
12 no different between the two groups for percent of
13 patients with occluded vessels, 9.4 versus 9.5 percent.
14 The information for both all centers and U.S. centers
15 is reflected in the product information for Trasylol.
16 Let me take it a step further and say within this study
17 we looked at all centers and we said what is the
18 correlation between graft occlusion and perioperative
19 myocardial infarction or mortality and there was no
20 correlation and there were no differences between
21 mortality or myocardial infarction in this study.

1 To now go more broadly to all the
2 literature that's out there and available on graft
3 patency and in order to compare cross-studies, I'm
4 going to use saphenous vein graft patency because that
5 is the one most commonly reported across these studies,
6 and I'm going to focus on those studies that used the
7 full dose of aprotinin. And as you can see, in five of
8 the six studies when looking at the results for
9 saphenous vein graft there was no statistically
10 significant differences between the groups.

11 Numerically what I want to make note of is the 92
12 percent patency versus 82 percent in the Lass study.
13 The only study that was statistically significant was
14 the Alderman study, D92048, also known as the IMAGE
15 study, which is the results that I just shared with you
16 and that are reflected in our label.

17 I would now like to shift gears to
18 congestive heart failure. We've talked a lot about
19 definitions today and how things were defined. In
20 congestive heart failure the way it was defined by
21 Bayer was very simply as reported as an adverse event.

1 So if the investigator felt that it was congestive
2 heart failure and recorded it as an adverse event, it
3 was looked at in our database and they used whatever
4 criteria they clinically wanted to use at their
5 facility to classify it. This was not prospectively
6 defined in any of our protocols.

7 When looking in at the incidence of
8 congestive heart failure, you can see the rates are 6.3
9 percent versus 5.9 percent with an odds ratio of 1.08,
10 suggesting that there's no statistically significant
11 differences between the groups with the incidence of
12 treatment-emergent congestive heart failure. Bayer's
13 summary on cardiac safety is very simple. Aprotinin
14 was not associated with an increased incidence of
15 myocardial infarction, looking across all CABG
16 patients. In five of the six studies, Aprotinin was
17 not associated with an increased risk of graft closure.
18 In the sixth study, the IMAGE study, there was an
19 increased risk of graft closure across all centers but
20 not for the U.S. centers and aprotinin was not
21 associated with an increased incidence of congestive

1 heart failure.

2 I would like to move now to cerebrovascular
3 and cerebrovascular safety. Again, the way these terms
4 were defined is that they were recognized as an adverse
5 event by the investigator and recorded in the case
6 report form as an adverse event. There was no
7 prospectively defined definition for stroke. When we
8 looked at the incidence of stroke for all CABG
9 patients, the rates were 1.1 percent for full-dose
10 aprotinin versus 1.6 percent for placebo with an odds
11 ratio of .8.

12 When looking across primary and repeat
13 CABG, you also see that the rates are less than 1.
14 And, interestingly, with repeat CABG, although it's the
15 smaller sample size of all the subanalyses, the rate is
16 .7 percent for full-dose aprotinin and 3.1 percent for
17 placebo. And these were the patients that you might
18 expect to be at a higher rate and risk for incidence of
19 stroke in the general patients undergoing CABG surgery.
20 The odds ratio there is .23, and does not reach
21 statistical significance although it is approaching it

1 in favor of aprotinin.

2 When looking at encephalopathy, again as
3 reported as an adverse event, and our term of
4 encephalopathy that we use in coma would have been
5 included in this. Looking again at the odds ratios and
6 the rates, you can see these events are rare. They're
7 reported with a comparable rate and all the odds ratios
8 are less than 1. Bayer's conclusions on
9 cerebrovascular safety is that aprotinin was not
10 associated with an increased incidence of either stroke
11 or encephalopathy with the term encephalopathy also
12 including coma.

13 Now moving to renal function, one of the
14 difficulties perhaps when looking across the
15 literature, and as I am sure you are all very aware, is
16 how one defines renal failure and renal dysfunction
17 across the literature and the various definitions that
18 have been used. Bayer focused on using the definition
19 that we used with the original NDA, which was done with
20 the U.S. clinical trial database, which was a .5
21 milligram per deciliter change over baseline and serum

1 creatinine. I'm also going to display for you those
2 changes greater than two milligrams above baseline as
3 it was reflected in the original NDA. In your briefing
4 document we've included those terms that are adverse
5 events that are reported which include renal failure
6 and renal dysfunction terms but we felt that it was
7 more objective to use serum creatinine and to use the
8 original definition we had used in the NDA.

9 When looking across and looking at serum
10 creatinine elevations, looking at the global database,
11 you can see for full-dose aprotinin 9 percent of
12 patients had elevations greater than .5 milligram per
13 deciliter over baseline compared to 6.6 percent of
14 placebo patients. This odds ratio was 1.41. This is
15 statistically significant. In our current product
16 information we provide a cut of this data of .5
17 milligram per deciliter over baseline but it's for U.S.
18 studies only and it did not reach statistical
19 significance. Bayer has been in discussions with the
20 FDA about making a change to our product information to
21 reflect this current analysis.

1 I should also mention, though, when looking
2 at the larger change of two milligram per deciliter
3 over baseline, there are no differences between groups.
4 Furthermore, we went through and did an extensive
5 review of the case report forms manually as well as
6 looking at this electronically to make sure we didn't
7 miss any cases of dialysis that were recorded in the
8 case report forms and we found that the incidence of
9 dialysis was the same between both groups at .3
10 percent. I should also make note, to put this into
11 perspective for you, during this same timeframe that
12 these studies were conducted, the STS database would
13 have reported a dialysis rate of .5 percent in patients
14 undergoing CABG surgery at that time.

15 In order to look at the time course of
16 these events and the resolution of serum creatinines, I
17 should point out that serum creatinines per protocol
18 did not need to be followed all the way to resolution.
19 Only if the investigator felt that it was a clinically
20 relevant abnormality were they required to follow this
21 up and most of our studies did not go beyond seven days

1 for follow-up of labs as required per protocol. So,
2 there are some missing data here but when looking at
3 the time and estimating the return to within 20 percent
4 of baseline creatinine for patients that had any
5 abnormal creatinine above the upper limit of normal,
6 you can see that the median time to resolution is nine
7 days for the full dose of aprotinin cared to six days
8 for the placebo group.

9 Now, to look at serum creatinine elevations
10 by dose, I should point out that in the studies
11 conducted outside of the U.S., that the most common
12 dosing regimen used was the full-dose regimen. This is
13 also known as the Hammersmith regimen which was first
14 described in London at the Hammersmith Hospital and
15 that is the dose that's more adopted in the clinical
16 trials in Europe.

17 So we didn't have -- most of the data for
18 the half dose does come from U.S. trials and the
19 numbers aren't quite as large as they are for the full
20 dose. And as you can see in those studies that allowed
21 for both the full dose and the half dose as well as

1 placebo that 11 percent of patients who received .5
2 milligram per deciliter over baseline a change in serum
3 creatinine was 11 percent for full-dose aprotinin, 7.8
4 percent for half-dose aprotinin and 7.9 pieces for
5 placebo. Again, the differences between the groups for
6 greater than two milligram per deciliter over baseline
7 and the patients requiring renal dialysis did not
8 differ.

9 Dr. Hoyle published an article looking at
10 potential risk factors in patients who were receiving
11 aprotinin and may be at risk for renal dysfunction. In
12 that article he describes patients who received
13 perioperative aminoglycosides, patients with baseline
14 renal dysfunction, possibly even due to diabetes, as
15 well as the use of ACE inhibitors. We looked at all of
16 these risk factors across our global database to look
17 at the risk and how it might compare to the overall
18 population.

19 When we did this analysis and when looking
20 at perioperative aminoglycoside use, you can see for
21 full-dose aprotinin the rate of a serum creatinine

1 elevation greater than .5 milligram per deciliter over
2 baseline was 23.4 percent for full-dose aprotinin
3 compared to 11.1 percent of placebo. This odds ratio
4 is statistically significant. Also, we looked at
5 patients who had baseline renal impairment. For the
6 purposes of this analysis, we defined it as an
7 estimated GFR less than 60 milliliters per minute and
8 what we found was that rates were 17.7 percent versus
9 10.6, and this was also statistically significant.

10 The differences for diabetes mellitus and
11 ACE inhibitors were not different from the overall
12 population. Based on these findings with
13 aminoglycosides and the estimated GFR we have also
14 proposed to the FDA that we would make a label change
15 reflecting this current, most recent analysis.

16 To summarize then Bayer's position on the
17 renal safety of our randomized clinical trials, there
18 is an increased incidence of serum creatinine
19 elevations greater than 5 milligram per deciliter that
20 was seen with the full dose of aprotinin relative to
21 placebo. The same finding was not observed with the

1 half dose of aprotinin. There were no clinically
2 relevant differences in the rates of serum creatinine
3 elevations greater than 2 and there were no differences
4 in the rates of dialysis. These elevations were
5 transient with a median time to resolution being nine
6 days for aprotinin versus six days for placebo. The
7 increased incidence was also more noted with
8 aminoglycosides but not with the preoperative use of an
9 ACE inhibitor and there was an increased incidence in
10 patients who had baseline renal dysfunction defined as
11 an estimated GFR less than 60.

12 Now I would like to move onto
13 hypersensitivity. I will not be showing you the data
14 from the clinical trial database now but I will be
15 focusing on the spontaneous reports given the rare
16 events of hypersensitivity. As was mentioned by Dr.
17 Robie-Suh this morning, historically when Bayer
18 extended its label to primary CABG it does have a boxed
19 warning in its label now. This is highlighted and it
20 reflects that there is an increased risk of
21 hypersensitivity, that that risk is greater if you have

1 had known pre-exposure and that if you are treating a
2 primary CABG patient you should weigh the benefit of
3 the drug against the potential risk if the patient
4 needs to be re-exposed in the future.

5 As reflected in our label, the risk of
6 hypersensitivity and the anaphylaxis is related to this
7 exposure history. For patients who have no known prior
8 exposure, the rate is less than .1 percent. For
9 patients who have been re-exposed, the estimate is 2.7
10 percent across the entire population; however, if you
11 break that down into re-exposure within six months of
12 the prior exposure versus greater than six months, it's
13 5 percent for less than six months and .9 percent for
14 greater than six months. This information, as I stated
15 and was shared with you this morning by Dr. Robie-Suh
16 is reflected in the product information for Trasylol.

17 Moving then to the spontaneous reports, to
18 put it in perspective for you these reports are from
19 January 1st, 1985 to March 31st, 2006. It involves
20 4.38 million exposures. This is a global database so
21 it does include beyond what was shared with you by the

1 FDA this morning from within the U.S. As noted by
2 Ms. Lu this morning, we do have 311 hypersensitivity
3 cases that we sent to an independent assessor who
4 assessed 291 as being possibly associated with
5 Trasylol. One thing that I should point out with the
6 information that was shared with you this morning, that
7 where the FDA looked at their database, when there was
8 missing data or there was not enough data, they
9 dismissed the case and didn't count it as related to
10 Trasylol. In this analysis we counted it as being
11 associated with a Trasylol if there was lacking data on
12 the spontaneous cases. So of those 291 reports, 52 of
13 them were fatal.

14 When looking at this across the indications
15 for which the drug is used, you have to bring this into
16 perspective. Outside of the United States,
17 particularly in Europe, the indication is open heart
18 surgery. So, when you see this, this is not, this is
19 the global database, so, please keep that in mind.
20 And, as you can see, the distribution is mostly within
21 the cardiovascular arena where we know it but there are

1 cases where the indication was unknown or not the
2 reported.

3 When looking then at the reports within six
4 months of prior exposure to greater than six months of
5 prior exposure, more cases were reported in the less
6 than six months than in other time periods. As one
7 might expect, with having a drug that has the potential
8 risk for hypersensitivity, a test dose was put in place
9 in order to try to minimize the risk to the patient but
10 as we heard this morning there have been 19 fatalities
11 associated with the reaction after the test dose.
12 There have also been cases where the test dose has been
13 negative and a patient has gone on to have an
14 anaphylactic reaction.

15 The information about the risk of the test
16 dose having a hypersensitivity reaction associated with
17 it is reflected in the label as well as the risk of
18 having a negative test and going on to develop
19 anaphylaxis and hypersensitivity. What the spontaneous
20 report data doesn't allow us to assess, though, is how
21 many patients did not necessarily go on to get a full

1 dose of the drug because they did have a reaction to
2 the test dose. But Bayer acknowledges that we should
3 explore other ways to try to minimize the risk of the
4 patient for being at risk for hypersensitivity.

5 You heard this morning that we have put in
6 a minimization, risk minimization plan to the FDA.
7 This includes prescriber information with a key
8 message. Number one, this drug is indicated for CABG
9 and because it's indicated for reducing perioperative
10 blood loss and subsequent need for transfusion, it
11 should be used in those patients who are at risk for
12 such blood loss and requiring a blood transfusion.
13 Also education includes the increased risk following
14 re-exposure, especially within six months and they're
15 reminded of the boxed warning in our label. Also
16 they're reminded to obtain a complete medical history
17 and that there are other products that contain
18 aprotinin. There are tissue sealants available
19 commercially in the United States that do contain
20 aprotinin so it's not enough to check for a medical
21 history of Trasylol alone but you must also ask for the

1 tissue sealant history, and to use the test dose and
2 use it correctly and be reminded that the test dose can
3 be negative and that anaphylaxis can still occur and
4 that you can have anaphylaxis with the test dose and
5 that the patient should be monitored carefully and be
6 prepared to potentially intervene.

7 In addition to that, Bayer is exploring the
8 possibility of having an aprotinin-specific IgG assay
9 that will allow you to better determine who may be at
10 risk for a hypersensitivity reaction. In the near
11 term, we could have available a laboratory-based assay.
12 That doesn't solve everything because a
13 laboratory-based assay, you do have to ship off a blood
14 sample and you have to wait for the results to come
15 back, so Bayer is also actively pursuing a
16 point-of-care assay that will make the results more
17 readily available.

18 With the development of this assay, both
19 the lab assay and the point-of-care assay, we have a
20 labeling concept that we have discussed with the FDA
21 that when a test should become available that we would

1 contraindicate Trasylol in patients who have a
2 detectable aprotinin-specific IgG in order to further
3 minimize the risk of hypersensitivity and anaphylaxis.

4 So to summarize for what I have shared with
5 you today, aprotinin does provide an important clinical
6 benefit for CABG patients. It reduces the percent of
7 patients that receive red blood cells. It reduces the
8 percent of patients that receive platelets. It reduces
9 the mean number of units of all the blood products. It
10 also reduces the number of patients that receive at
11 least five units of red blood cells and it reduces
12 take-backs to the operating room for diffuse bleeding.
13 We have stated that we have discussed with the FDA
14 proposed labeling changes to reflect the recent renal
15 analyses and findings and we're continuing to develop
16 an IgG assay and propose this to be able to further
17 reduce the risk of hypersensitivity. With these
18 measures in place, Bayer remains convinced that the
19 benefits of aprotinin outweigh the risk and that
20 aprotinin, specifically Trasylol, is a valuable
21 component of an armamentarium for the cardiothoracic

1 surgeon treating the CABG patient. With that, I would
2 like to turn things over to Dr. Jerrold Levy, who is
3 profess of anesthesiology, director of cardiothoracic
4 anesthesiology and deputy chair of research at Emory
5 University and he'll be discussing the risk-benefit
6 assessment.

7 DR. HIATT: I think we'll take questions
8 after this, then. We'll continue with the next
9 speaker.

10 DR. LEVY: Yes. Thank you, a privilege to
11 be here to review the risk-benefit assessment of
12 aprotinin. What I would like to do this afternoon is
13 talk about categories of risks considered, discuss
14 hypersensitivity in the context of perioperative
15 anaphylaxis, discuss renal function and other safety
16 considerations raised in recent observational studies,
17 describe what I believe are some of the important
18 beneficial effects of aprotinin, and then summarize
19 with a risk-benefit assessment.

20 Hypersensitivity in cardiac surgery is of a
21 particular interest. I've spent the past 25 years

1 studying perioperative anaphylaxis. And you have to
2 understand that test doses of most agents with a
3 potential for anaphylaxis are often administered
4 primarily in the operating room. The idea of a test
5 dose is to make clinicians think about the potential of
6 an impending anaphylactic reaction in some of the
7 complex, critically ill patients that we deal with. As
8 mentioned I think earlier in the presentation, the
9 hallmark of perioperative anaphylaxis is hypotension.

10 And it's important to understand that
11 mortality is rare when patients in this particular
12 setting are intubated, they're extensively monitored,
13 they have arterial lines, often pulmonary artery
14 catheters, and the clinicians, both the cardiovascular
15 anesthesiologists as well as the cardiac surgeons are
16 experts at resuscitating these patients.

17 The other important perspective, to
18 remember that in a critical ill patient with a left
19 main equivalent a tight right coronary with aortic
20 stenosis, with mitral stenosis and concomitant coronary
21 disease, these patients are pretty unstable to start

1 with and that if you look carefully like I have at some
2 of the perioperative hypotensive events, that some of
3 these are related to the effects of anesthetics and
4 other agents on myocardial depression, vasodilation,
5 above and beyond any type of antigenic exposure and
6 anaphylaxis.

7 In 23 cases of anaphylaxis reported during
8 cardiac surgery, most reactions occurred before the
9 start of cardiopulmonary bypass. This is a study
10 reported out of Australia. And what they noted was
11 that rapid placement onto cardiopulmonary bypass
12 facilitated a good outcome, all but one operation
13 proceeded and there were no intraop or postoperative
14 death in this patient population. Cardiopulmonary
15 bypass is really lifesaving with acute anaphylaxis
16 because of the severe hypotension in cardiovascular
17 compromise. The other important perspective is that
18 the recommendation that currently has been made when
19 re-exposing patients to aprotinin that the ability to
20 institute urgent cardiopulmonary bypass is established
21 with the patient being in the operating room, patient

1 prepped and draped and the ability to urgently
2 institute cardiopulmonary bypass.

3 The other important point as we talk about
4 aprotinin anaphylaxis, it's important to understand
5 aprotinin within the context of multiple agents
6 administered in the operating room, that can indeed
7 cause hypersensitivity. This includes antibiotics, not
8 only cephalosporins, vancomycin and other agents,
9 aminoglycoside, blood, in the multiplicity of antigenic
10 thing that blood exposes a patient to from
11 transfusion-related acute lung injury to an incidence
12 of anaphylaxis to 1 in 600 in the IgA-deficient
13 population. Latex, a ubiquitous environmental antigen,
14 can produce anaphylaxis in certain patient population.
15 For instance, healthcare workers, 10 to 12 percent risk
16 of IgE to Latex as well as people undergoing following
17 multiple procedures. The neuromuscular blocking agents
18 in certain patient population may have a high risk of
19 anaphylaxis with an incidence reported as high as 1 in
20 1500 to 1 in 2500. And then other proteins besides
21 aprotinin which you have heard about, an agent that's

1 used in practically every cardiac surgical patient, a
2 drug called protamine, isolated from salmon sperm, a
3 complex protein with a similar molecular weight and
4 charge to aprotinin, has an incidence of anaphylaxis in
5 high-risk patients, specifically the diabetics of 1 to
6 2 percent, and this is from two large prospective
7 studies I published in the eighties, looking okay at
8 4,700 patients. An even higher incidence Stewart in
9 Circulation reported in 1984 a 27 percent risk of
10 cross-sensitization.

11 Furthermore, in the FDA database there's 69
12 deaths associated with protamine and then there are
13 other environmental and other agents that administered
14 in this particular setting. So again it's important to
15 put aprotinin in context to other agents that can
16 indeed cause perioperative anaphylaxis and other causes
17 of acute cardiovascular compromise in this critically
18 ill patient population.

19 So, regarding hypersensitivity and
20 aprotinin, hypersensitivity including fatal anaphylaxis
21 with aprotinin is known particularly with re-exposure

1 within six months because of the high titer of ITG
2 antibodies. It's reflected in the label with a boxed
3 warning and recommendations that have been made when
4 re-exposing a standard emergency treatment should be
5 available including when the test dose is administered
6 and the test dose should be administered
7 intraoperatively with the ability to urgently institute
8 cardiopulmonary bypass. Aprotinin-specific IgG
9 antibody test is expected to reduce risk. It
10 compensates for the uncertain history of prior exposure
11 and it may obviate the need for a test toes.

12 Looking, though, also further on at some of
13 the meta-analyses of the randomized clinical studies,
14 if you look at four important variables, some of which
15 Dr. Cyrus covered, but mortality, myocardial
16 infarction, renal failure and stroke, if you look at
17 the clinical studies of the randomized clinical trials
18 in CABG surgery there is no greater risk of mortality,
19 MI or renal failure and at least from this data there
20 was a reduction in stroke in these patients.

21 Regarding benefits of aprotinin from a

1 clinical perspective, if you look at seven different
2 meta-analyses of randomized clinical trials, one of the
3 consistent findings that is reported with aprotinin,
4 aprotinin limits the reoperation that is going back to
5 the OR a second time for re-exploration for bleeding.

6 One of the important perspectives is that
7 re-exploration has a significant impact on mortality.
8 Patients who go back to the operating room have a
9 significantly increased mortality compared to patients
10 who don't require re-exploration, and bleeding is part
11 of the major cause for re-exploration. The other
12 important perspective , and it was discussed earlier,
13 is the complex changing landscape of our cardiac
14 surgical patients. Clopidogrel, a ubiquitous
15 cardiovascular drug in all of our patients is an
16 increasing issue that I think has serious consequences.
17 Any patient on Clopidogrel increases blood loss --
18 multiple studies support that -- increases the need for
19 transfusion reoperation and ICU and hospital stay. If
20 you look at the ACC/AHA and STS guideline, it suggested
21 to stop the Clopidogrel five days before CABG surgery,

1 we still see emergent patients coming for surgery,
2 patients with very tight multivessel disease with
3 unstable angina, who require urgent surgery despite the
4 use of clopidogrel.

5 One of the important things is that of all
6 the potential things to consider, one of the important
7 perspectives with clopidogrel is there is data with
8 aprotinin, and this is reported by van der Linden in
9 The Circulation last year, that in the patients who are
10 coming to the operating room, receiving clopidogrel,
11 that the use of aprotinin significantly reduced the
12 need for allogeneic blood transfusion and significantly
13 reduced the need for allogeneic transfusions as well as
14 percentages of patients transfused. And these numbers
15 not only are statistically significant but they're
16 clinically relevant because this includes about one
17 unit of phoresed platelets, which is equivalent to
18 about eight units of single-donor platelets from some
19 of the older literature. So, aprotinin reduces
20 bleeding in clopidogrel-treated patients, an increasing
21 problem in our patient population. Regarding stroke,

1 if you look at four different meta-analyses of studies,
2 one of the things that is, I think, clear is aprotinin
3 does not increase the risk of stroke and in the
4 Sedrakyan analysis there was a significant reduction in
5 stroke.

6 So, regarding the beneficial effects of
7 aprotinin based on the randomized clinical trials,
8 aprotinin clearly reduces blood loss in transfusion and
9 CABG surgery. It's also effective in aspirin and
10 Clopidogrel treated patients and it's in the 2005 STS
11 guidelines for antiplatelet therapy and recommended in
12 the STS guidelines for reducing blood transfusion with
13 a class one recommendation.

14 The lysine analogs, both
15 epsilon-aminocaproic acid and tranexamic acid do not do
16 this. Aprotinin also limits reoperation. Reoperation
17 is known to have significant adverse clinical
18 consequences. We showed you the mortality data.
19 There's cost and other issues. And it is recommended
20 in the STS, the Society of Thoracic Surgical Guideline,
21 to limit reoperation with a Class II recommendation.

1 Again, the lysine analogs, episilon-aminocaproic acid
2 and tranexamic acid do not do this and it may reduce
3 stroke from the data that I showed you.

4 So, in conclusion regarding risk-benefit
5 consideration, hypersensitivity reaction and creatinine
6 elevations are known safety events. Bayer is pursuing
7 additional measures to reduce the risk of these events.
8 Beyond reducing blood loss and transfusion, aprotinin
9 reduces re-exploration and may reduce stroke from the
10 randomized clinical studies. And aprotinin I believe
11 is an important therapeutic option for the CABG surgery
12 patient with a favorable risk-benefit profile. Thank
13 you.

14 DR. HIATT: Thank you. We're next going to
15 discuss this and I think use the microphone over here
16 and just to take the prerogative of the Chair, I would
17 like to maybe begin with an overall comment on
18 reviewing the Bayer background information.

19 DR. ROZYCKI: And I think I would just
20 introduce Dr. Paul McCarthy, who is the head of the
21 U.S. medical organization who will MC the questions in

1 this period.

2 DR. HIATT: Okay. I would just like to
3 make some observations. In your background information
4 you made and introduced the concept that blood
5 transfusions might carry risk, including infection,
6 lung injury, hemolysis, release of bad cytokines,
7 increased risk of stroke, and that there was also a
8 study that suggested that a liberal transfusion policy
9 might be associated with excess mortality. And then
10 Dr. Mangano, at least in his background, suggested that
11 antifibrinolytic therapy might be prothrombotic. And I
12 guess a question that comes up in terms of safety is,
13 do we see any prothrombotic signals in this safety
14 database.

15 And at least when I reviewed these data, in
16 terms of mortality I counted ten excess events with an
17 odds ratio of 1.09, myocardial infarctions -- and these
18 have all been discussed extensively -- been 24 excess
19 events, about the same odds ratio of nonsignificant
20 though in three studies that were adjudicated by an
21 outside panel, there was odds ratios around one and a

1 half to two and half, increased heart failure events
2 decreased stroke events. And I'm curious because there
3 are two kinds of strokes obviously and my guess is this
4 is probably reducing the risk of hemorrhagic stroke
5 significantly and maybe in neutral and ischemic stroke
6 but I couldn't tell from the data, and maybe it's
7 impossible to tell.

8 So, my overall comment about it is that
9 it's clearly effective at reducing blood loss and I
10 also think it's effective at preventing what I would
11 call an event, which is reoperation. And I think that
12 that like an event in the heart failure study would be
13 hospitalization, you know, something that is
14 preventable. But the clinical benefit of reducing
15 transfusion and blood loss in my mind was not as
16 obvious at least in terms of some of these other
17 outcomes.

18 So, that's kind of my overview of what I
19 was reading in terms of the safety information. It
20 probably truly is neutral on these cardiovascular
21 events and outcomes but the point estimates at least go

1 a little bit in the wrong direction. So, with that I
2 would like to then open up the Committee for comments,
3 questions, or any rebuttal from Bayer.

4 DR. McCARTHY: I would like to ask Dr.
5 Cyrus from our medical department to comment.

6 DR. CYRUS: First I would like to speak to,
7 mechanistically -- if I could have the slide on,
8 please -- why aprotinin can be hemostatic and not
9 prothrombotic. You know, during the bypass surgery
10 there's a complex amount of things that happen. The
11 first thing that happens is the, with the contact with
12 the bypass machine, you have thrombin generation, and
13 this is, leads to clotting and obviously this is why
14 heparin is used.

15 Aprotinin actually inhibits the initiation
16 of the thrombin generation and inhibits its
17 amplification. It also works by a platelet effect
18 where it inhibits the pathological impact of the bypass
19 machine but still allows for the normal hemostatic
20 platelet function. It also inhibits free plasmin but
21 not bound plasmin so basically you are inhibiting the

1 pathologic but not the physiologic fibrinolysis. So
2 overall what you're doing is you're restoring the
3 normal hemostatic balance that was disrupted by the
4 bypass machine. So mechanistically this is how you
5 could be hemostatic but not prothrombic. If I could I
6 have the next slide, please.

7 We did a search where we looked arterial or
8 and venous thromboembolic events as reported by the
9 investigator, knowing that, you know, you were pretty
10 close with your hand tabulations, I have to say, but
11 looking at this, if you looked across any arterial or
12 in any venous, the event rate was 7.9 versus 7.6
13 percent with odds ratio of 1.05. And I should make
14 note this is including all studies including those that
15 may have not have had adequate anticoagulation.

16 DR. HIATT: Thank you. And, you know, I
17 think these are just issues for consideration around a
18 safety database that wasn't fully adjudicated. The
19 studies weren't decided to test the hypothesis that
20 this drug would reduce short-term mortality or
21 cardiovascular events and that was clearly spelled out

1 in the background information as well. But I also
2 point out to the Committee that at least in the
3 sponsor's data there were 120 deaths -- it's a
4 reasonable number of events -- 242 myocardial
5 infarctions. So, I think we have a reasonable
6 confidence around these point estimates. So, we'll
7 open up discuss starting down at this end.

8 DR. PAGANINI: I have a couple questions on
9 various presentations. I guess the first thing would
10 be the definition of dialysis. Is that any
11 intervention or is dialysis there for solute as well as
12 volume? Do you have a clear definition of that?
13 Anytime somebody is hooked up on a machine is dialysis,
14 is that the definition?

15 DR. McCARTHY: No. It's, the definition
16 was dialysis was undertaken in patients who had clear
17 renal failure with creatinine elevations that were
18 markedly elevated. It wasn't a definition for just
19 fluid removal.

20 DR. PAGANINI: Thank you. The second
21 question I would have is the cause and effect or a

1 marker difference. When we use surrogates for
2 outcomes, frequently we will look at how the effect is
3 on the surrogate and assume that if the surrogate gets
4 better, the outcome gets better. Here you've shown an
5 improvement in blood use, a decrease in blood loss and
6 yet -- and a decrease in reoperation, yet there's no
7 improvement in outcome. Could you explain that for me?

8 DR. HIATT: And that's kind of where I was
9 going, too. I think if the concept of reducing blood
10 exposure should have a lot of clinical benefit, I
11 didn't see it.

12 DR. McCARTHY: Yeah. I think, you know,
13 the studies that were undertaken were clearly not
14 designed to look at a mortality effect and, you know,
15 the duration of follow-up was basically short-term
16 while they were in hospital, so, and I think also the
17 studies weren't powered to, really to detect or show a
18 mortality difference.

19 DR. PAGANINI: If I can, Mr. Chairman, and
20 continue here. The third is the encephalopathies and
21 the strokes. Those were primary, those were

1 investigator defined and not defined initially and yet
2 one of your outcomes is an improvement in stroke. That
3 seems inconsistent if you don't have a clear definition
4 initially and then you have the investigator define
5 what it is and then you use that as an outcome. It's
6 just a comment.

7 A question I would have is in your slide
8 number C54 you have a difference in your numbers with
9 regards to dialysis versus the rest of the issues, you
10 know, less than five, greater than five or two
11 milligram percent differences. Your denominator here
12 tends to be somewhere between 23 and 33 patient
13 differences. Why is that? Why is it that you have a
14 total group of 335 but when you go to dialysis it's
15 361, which would in fact decrease the incidence of
16 dialytic intervention when you increase your
17 denominator and that's true across the board. Is that
18 an error or is that just a mis --

19 DR. CYRUS: No, that's actually true. Not
20 every patient may have had a baseline serum creatinine,
21 so if they didn't have a baseline seater serum

1 creatinine, there was nothing to compare creatinines
2 to. So for example in the full-dose aprotinin group,
3 335 had baseline serum creatinines. When looking at
4 dialysis that would include the entire patient
5 population regardless of whether they had baseline
6 serum creatinines and anyone who had an adverse event
7 of renal failure or renal dysfunction would have also
8 had all CRFs, case report forms checked for dialysis.
9 So that's why that denominator does differ.

10 DR. PAGANINI: Thank you. I'm done.

11 DR. FLACK: A couple questions. Why was
12 the trial data for hypersensitivity not looked at?
13 Because, one of the things I was actually curious about
14 had to do with, are the anaphylactic reactions after
15 you get a test dose different from that after you get
16 more full-dose therapy?

17 DR. CYRUS: We did look at the clinical
18 trial data but as one might expect, because this
19 development was done when patient may not have had an
20 opportunity have ever had prior aprotinin exposure, the
21 bulk of these patients obviously had no prior exposure.

1 What we did is we applied the same criteria that was
2 applied to the spontaneous database for doing a broad
3 search for hypersensitivity. We did that on the
4 clinical trial database across all of the patients.
5 And I should mention that we didn't just do this for
6 CABG because obviously hypersensitivity could be for
7 anything so we did it across the entire open heart
8 surgery database, all studies regardless of whether
9 they were controlled or post-marketing observational
10 studies and all indications including some orthopedic
11 data that we had and across 12,484 patients that are in
12 our overall clinical trial experience, we identified 24
13 cases that flagged out with hypersensitivity.

14 We then pulled each of those case report
15 forms to seek out additional information on those cases
16 and clearly some of them occurred while the patient was
17 well out of the OR and even, you know, post-op day two
18 and it clearly wasn't a temporal relationship, where
19 they had a clear alternate explanation assigned by the
20 investigator, such as hypersensitivity to protamine,
21 hypersensitivity to an antibiotic. For those where we

1 could not exclude a clear alternative explanation and
2 temporally you could not exclude aprotinin, we were
3 left with a rate of .1 percent across the clinical
4 trial, which, that we could not absolutely exclude,
5 which would be consistent with the no prior exposure
6 experience.

7 DR. FLACK: But, again, the question is, do
8 people who get it after a test dose have, is it a
9 different expression clinically, more serious, less
10 serious, than those who get it after full dosing?

11 DR. MCCARTHY: I would like to call on Dr.
12 Levy to respond to that.

13 DR. LEVY: The test dose basically is still
14 a significant number of molecules and it's really, is
15 there a less of a response to the test dose versus a
16 full dose? Theoretically there may be and that's also
17 potentially some of the idea of a test dose, for
18 instance, ten million versus two million in the full
19 load. So, the idea is one, to remind clinicians and
20 two, a smaller potential antigenic load, although even
21 in skin testing you can still get hypersensitivity.

1 DR. FLACK: It's fair to say you probably
2 don't really know?

3 DR. LEVY: Exactly.

4 DR. FLACK: Okay.

5 DR. LEVY: Thank you.

6 DR. FLACK: All right. The other question
7 I had is, when you do get a positive test dose,
8 positive reaction to the test does, is there ever any
9 thought, do people just automatically not use it or do
10 they try to pretreat them with steroids, Benadryl and
11 things like that, they think the risk really warrants
12 it?

13 DR. LEVY: Good question. First thing, the
14 H1H2 blocker corticosteroids really kind of came into
15 the labeling from Europe where they use a lot of
16 gelatins and other things that have a high risk of
17 hypersensitivity and that's where the concept occurs.
18 Pretreatment for anaphylaxis has never really been
19 established, probably from the contrast media
20 literature which is not, that's not anaphylaxis, not
21 antibody-mediated. The second question, sorry, about

1 the subsequent --

2 DR. FLACK: Yeah. Do people ever get a
3 positive test dose-response and then still try to move
4 on with some --

5 DR. LEVY: If they have a reaction to test
6 dose, then it's stopped. The other thing what also is
7 done is that the dose in the cardiopulmonary bypass
8 reservoir is not put in until after the test dose and
9 the loading dose has been successfully administered
10 because of the resuscitative capability of that.

11 DR. HARRINGTON: I'm going to try to
12 understand the graft occlusion and the MI a little bit
13 further. So first on the graft occlusion, were these,
14 in these particular studies, what was actually the rate
15 of the angiographic follow-up and was it the same
16 between the treatment groups, between placebo and
17 aprotinin? And then while you're thinking about that
18 one, were all these films read in a core laboratory, an
19 angiographic core laboratory and were they the same
20 core laboratory or are these different core
21 laboratories across the different studies?

1 DR. McCARTHY: It was the same for the
2 large study that was shown -- it's in our label -- was
3 run out of Dr. Alderman's laboratory in California.
4 So, they were all read centrally.

5 DR. HARRINGTON: What about the other five
6 studies that comment on graft observations?

7 DR. CYRUS: These five studies are from the
8 literature. I'll try to remember them off the top of
9 my head. The Havel study with a single-center study so
10 it was done at that institution. The Kalangos was also
11 a single-center. I believe the Bidstrup was as well.
12 The lass study and the Limmer study were, the
13 Ultra-Fast or CT was read centrally as well. And I'm
14 not sure about the last study, the Lass study.

15 DR. HARRINGTON: But, so it would be a fair
16 statement that the one that showed the difference was
17 the one really that prospectively set out to use a core
18 laboratory, et cetera.

19 DR. McCARTHY: Yes.

20 DR. HARRINGTON: On the MI front, the MIs
21 are defined as definite, definite, definite or

1 probable, definite, probable or possible. Can you help
2 me with, how was MI defined in these and how they ended
3 up in those various categories?

4 DR. McCARTHY: I would like to call on Dr.
5 Chaitman to respond, who was the central reader.

6 DR. CHAITMAN: Can you show the slide,
7 please? The three categories -- show the slide. The
8 three categories that we decided on are shown on this
9 slide, definite MI, used ECG criteria or autopsy
10 evidence of myocardial necrosis but not an enzyme
11 marker. It was an electrocardiographic diagnosis.
12 Recall, this is studies that were done 13 years ago.
13 And the second definition, probable, included cardiac
14 enzymes with a CKMB level of 120 units per liter or an
15 abnormal profile where the CKMB exceeded 100 but there
16 was also Q-wave worsening according to the Minnesota
17 Code, and possible MI was an abnormal cardiac enzyme
18 profile where the CKMB exceed 100 unit. If the patient
19 had none of these, then this was absence of these
20 criteria.

21 And so in the IMAGE trial, which is in your

1 briefing document, where these data were collected
2 prospectively, we present the data for definite,
3 probable and possible MI and the rates are similar
4 regardless of which definition you use. I should
5 mention also that we used ECG criteria but we didn't
6 use ST or T-wave changes because in a prior publication
7 that we had published looking at the prognostic value
8 of T-wave changes after coronary bypass surgery, about
9 40 percent of patients have T-wave abnormalities, and
10 the five year prognosis, whether you have them or you
11 don't have them is virtually identical in the absence
12 of enzyme markers. You just have T-wave changes.

13 DR. HARRINGTON: So Bernie, how did these
14 make it to your attention, did you, did cases get sent
15 to you that the investigators indicate is a possible
16 myocardial infarction or was there some sort of
17 systematic screening of the database looking for
18 abnormalities in either EKGs or enzymes?

19 DR. CHAITMAN: Yes. The data is going to
20 be shown on this slide. Can we show the slide? The
21 blinded review, we were blinded of course to treatment

1 assignment so we received the ECG's of all the patients
2 before surgery and then afterwards at three, five and
3 seven days or hospital discharge as well. We had the
4 enzyme data that you see on the slide, case report
5 forms, clinical summary or any other applicable
6 information that would relate to the potential
7 diagnosis of infarction including autopsy reports when
8 they were rarely available. So this was, in this
9 particular series of studies these were prospectively
10 collected.

11 DR. HARRINGTON: So this information,
12 though, I guess my question is, it was collected but
13 how was it identified, was it, were the enzymes
14 systematic looked at?

15 DR. McCARTHY: All patients. All patients.

16 DR. HARRINGTON: You saw every single
17 patient?

18 DR. CHAITMAN: Yes, absolutely. Yes,
19 absolutely.

20 DR. PORTMAN: I have a point of
21 clarification. Granted that renal failure based on

1 creatinine is less than optimal but I think we can all
2 agree that with aprotinin renal failure is certainly a
3 risk factor preoperatively, so are an aminoglycosides,
4 may be contrast agents, though, that we didn't
5 discussed that. But there's some confusion about the
6 use of ACE inhibitors and nothing really mentioned at
7 all about ARBs. And certainty since these studies have
8 been done, their ACE inhibitor use is prevalent and so
9 are ARBs. The study by Gillespie and Kincaid in the
10 briefing documents suggest that ACE inhibitors are a
11 risk factor whereas the global database suggests that
12 it's not. So the question I have is, can you clarify
13 the risk of ACE inhibitors with aprotinin use for renal
14 failure?

15 DR. McCARTHY: I would like to call on Dr.
16 Cyrus to stopped to that.

17 DR. CYRUS: First the, I guess to answer
18 the question about the angiotensin II, many of those
19 drugs were not approved at the time that these clinical
20 trials were done so we don't have data on that but
21 certainly we have it on the ACE inhibitors. Can I have

1 the slide, please? And, as you can see, we have about
2 347 patients in the full-dose aprotinin and 323
3 patients in placebo that were receiving a preoperative
4 ACE inhibitor use and the rates of serum creatinine
5 elevations greater than .5 were 11.5 percent versus
6 11.1 percent with an odds ratio of 1.05. So, clearly
7 at least within our database there did not appear to be
8 an increased risk of preoperative ACE inhibitor use in
9 serum creatinine elevations.

10 DR. PORTMAN: We don't know anything about
11 dosing with those ACE inhibitors?

12 DR. CYRUS: No. We don't have that
13 information.

14 DR. PORTMAN: Okay. One last question.
15 It's mentioned in the briefing document that there is
16 a, there may be a competitive inhibition between
17 aprotinin and creatinine for secretion in the proximal
18 tubule which might be responsible in some part for an
19 increase in serum creatinine levels. Is that, is that
20 in fact the case?

21 DR. McCARTHY: I would like to call on Dr.

1 Whelton to respond.

2 DR. WHELTON: Thank you, Dr. McCarthy,
3 Andrew Whelton from just up the road in Baltimore. I
4 guess the first issue to my mind, does this signal
5 emerge, is to say is this biologically plausible, and
6 it does lead directly into your question because I
7 should share with the Committee that what we now know
8 as solid factual data of the mechanism of toxicity is
9 of course based on preclinical animal data. And if you
10 just bear with me for a moment, following in your
11 mind's eye, a molecule of aprotinin as it goes through
12 the systemic circulation, into the renal circulation,
13 afferent arteriole and then lands at the surface of the
14 capillary loops in the glomerula, the molecular size,
15 about 5,000 DOLT and so it passes quite readily, then
16 of course would enter into the intraluminal space of
17 the proximal tubule.

18 And, as it is transversing there, it binds
19 to the hairy brush border of the proximal tubular
20 cells. Now, we do know from the animal data that it
21 looks like following binding to the cell wall, it is

1 engulfed in an endocytotic or pinocytotic vesicle and
2 goes right into the lysosomes. We know physically the
3 lysosomes increase in size. So that appears to be the
4 dominant side of action. So it tells us one, why the
5 drug accumulates within the kidney. It may well be
6 that a small amount will leak out through the
7 destabilized membrane of the lysosome into the cytosole
8 and have additional effects. The gist of it is without
9 ever doing a clinical study, you could then predict,
10 wow, this looks exactly like the mechanism of
11 aminoglycoside toxicity; hence, we should with
12 reasonable assurance see an interaction there and
13 indeed we do.

14 On the other hand, the ACE inhibitors and
15 the ARBs are going to have an effect dominantly on
16 efferent arteriolar tone. There may be some feedback
17 mechanism for an afferent effect but you would say it
18 is less likely you would have to do the studies. It is
19 interesting as I also looked at the Kincaid data that
20 the numbers are not dissimilar to what are available in
21 the prospective database. And, interestingly in the

1 Kincaid report, which is very interesting, there's an
2 overlap.

3 About 60 percent of those who were on ACE
4 may well have had diabetes as the reason for being on
5 it and hence it's unclear if it was underlying diabetic
6 nephropathy. But I think I would go with the
7 prospective data and say that it doesn't look like
8 there is, if there is an interaction, it's got to be
9 small. But other drugs, Cisplatin and amphotericin, I
10 think were we to study them, we would probably see,
11 yes, a mild interaction. And again I'd emphasize this
12 looks like mild, transient and we've got a good
13 explanation for it.

14 DR. WARNER-STEVENSON: I have two related
15 questions. I'm clinically quite impressed by the
16 serious impact of transfusions and reoperation in the
17 crucial postoperative period. I think the impact of
18 that probably takes quite awhile to see but I am
19 surprised that we don't find some trend towards fewer
20 hospital days, shorter time intubated, something that
21 would relate to these two. That's my first question.

1 Is there anything that might give us a trend from the
2 data, even if it's not strong, that there is an overall
3 improvement in how people do?

4 DR. CYRUS: When we collected the data for
5 the clinical trials, we did collect length of hospital
6 stay. Unfortunately it was collected in a very general
7 fashion. It became, it wasn't a primary endpoint and
8 was very difficult to analyze. There was a trend
9 towards decreased hospital stay albeit not
10 statistically significant associated with aprotinin.

11 DR. WARNER-STEVENSON: And then I have one
12 other related question. I'm interested in the STS
13 guidelines. Certainly in general clinical guidelines
14 represent a lot of thoughtful input that integrates
15 both the trial data and expert clinical opinion. And,
16 I am interested in the guideline which says that it's
17 status 2A for patients who have received aspirin, which
18 makes me assume it's not listed for people who have not
19 received aspirin. And then I am curious about the
20 later guideline from 2006 which indicates it's a level
21 one but that's a guideline for blood conservation. And

1 I just wondered if any of the surgeons could clarify
2 exactly the status of these recommendations for the
3 general patient or the high-risk patient undergoing
4 surgery.

5 DR. MCCARTHY: I would like to call on Dr.
6 Smith to respond.

7 DR. SMITH: She doesn't know, okay. You
8 can put that up. Thank you. This is Peter Smith. I'm
9 chief of thoracic surgery at Duke University and I'm
10 here on behalf of Bayer. The STS guidelines, there are
11 several guidelines that have been promulgated. This
12 one is the one related to aspirin-treated patients
13 citing level A and B evidence that aprotinin limits
14 bleeding in these patients and it has a good safety
15 profile. And it has this 2A recommendation, which
16 means that the preponderance of evidence is on the side
17 of aprotinin being effective in the high-risk patients
18 who are aspirin-treated. The, they caution that this
19 is not extrapolated from the lysine analogs that you
20 can see in 2B recommendation, class 2B evidence rather
21 which is the majority of the information shows that

1 they're not effective in that, in this setting.

2 The current state -- I think we have
3 another slide of the STS, the draft ones, yeah. If you
4 could put this one up. The STS has been developing
5 blood conservation guidelines that are now in draft
6 form and have been circulated on the Web site and have
7 been to my understanding approved by SCA, Society of
8 Cardiovascular Anesthesiology as well, with these
9 recommendations. And these recommendations were
10 developed subsequent to the publication in the New
11 England Journal of Medicine that was discussed today.
12 And, the class one recommendation for full-dose
13 aprotinin level A evidence reducing blood transfusion
14 persists; 2B recommendation for half dose and full
15 dose, aprotinin reducing reoperative rate, so that is
16 return to the operating room for bleeding has a 2A
17 recommendation based on the A and B type of evidence.
18 Those guidelines, I expect that they will be published
19 shortly but I am not on the workforce and I have no
20 independent information of their status other than I
21 have seen the guidelines be circulated.

1 DR. HENNESSY: I had two questions, one in
2 the background material that we got from FDA based on
3 the randomized trials available through 1993, I
4 believe, it was, quotes a rate of renal failure for
5 aprotinin-treated patients at 3 percent versus 1
6 percent for placebo-treated patients and we haven't
7 seen any data in Bayer's presentation that reflects
8 those numbers and I was wondering what the discrepancy
9 was.

10 DR. CYRUS: That information is based on
11 adverse event reporting so it would have been renal
12 failure as listed as an investigator term as an adverse
13 event. We chose to present data based on the more
14 subjective creatinine change -- objective, excuse me.
15 The more subjective findings of renal dysfunction and
16 renal failure are in the briefing document as adverse
17 events where we did use broad definitions.

18 DR. HENNESSY: Thanks. So my second
19 question is although the utility of a test dose seems
20 intuitive and seems obvious, lots of things that seem
21 intuitive and obvious when you study them turn out not

1 to be. And has the utility of a test dose ever been
2 studied and is there any consideration that that should
3 be done?

4 DR. McCARTHY: I would like to call on Dr.
5 Adkinson first.

6 DR. ADKINSON: Good afternoon. My name is
7 Franklin Adkinson. I'm a professor of allergy and
8 immunology up the road at Johns Hopkins and I have
9 spent a good bit of my professional career being
10 interested in doing research in immunologic drug
11 reactions.

12 I don't know the history in the case of
13 aprotinin but I suspect that the 1-CC challenge dose
14 was adopted by transfer from the practice for
15 radio-contrast media, which for many years included a
16 1-CC challenge dose or test dose prior to the
17 administration of RCM. We now know that that was a
18 very unhelpful screening device in the sense that the
19 vast majority of contrast media reactions are not
20 predicted by such a test dose. Nevertheless, the test
21 dose I think remains useful in drugs like aprotinin,

1 where we are administering a known allergen, that is a
2 foreign protein, to patients who can develop and will
3 in a predictable fashion develop some degree of
4 immunologic sensitivity to it if repeatedly exposed to
5 it.

6 And, it makes sense from an allergic point
7 of view to give a smaller dose rather than a larger
8 dose to someone who may have a hypersensitivity state
9 with regard to that material because contrary to what
10 many textbooks used to say, we now believe that
11 allergic reactions like almost every other biologic
12 reaction are dose-related and higher doses impose
13 significant risks.

14 So, using a 1-CC challenge dose as an
15 incremental challenge or a way of incrementally
16 introducing a potentially allergenic material to
17 someone who may or may not be sensitive makes sense
18 from the point of view of the mechanism of the reaction
19 that's trying to be prevented and it makes sense from
20 the I think presumed and I believe well established
21 experimental models, dose-response relationship between

1 exposure and allergic reactions, particularly fatal
2 anaphylaxis. But to answer your question directly, no.
3 As far as I'm aware this was not, this practice was not
4 derived from any direct test or clinical evaluation of
5 the value of the test dose.

6 DR. HECKBERT: Yes, I have another question
7 about allergic reaction, hypersensitivity reactions.
8 We had in our circulated materials some information
9 about the use of IgG as a test. Can you comment on,
10 what is the extent of knowledge about the use, utility
11 of the IgG level to screen for the risk of
12 hypersensitivity?

13 DR. MCCARTHY: Yeah, I would like to call
14 on Dr. Heller from Bayer to respond.

15 DR. HELLER: Some of this information, as
16 it happens, I think the panel may have in the review by
17 Beerline, et al, which was in the FDA's briefing
18 document, and, I think a number of salient points.
19 That review recommends, at least my read of that review
20 recommends consideration of IgG as a useful clinical
21 marker. Now, in that review there's a table that

1 summarizes data which were primarily from two sources.
2 One is a paper by Professor Dietrich, who is actually
3 with us today, which characterizes a series of patients
4 who, all of whom had, were re-exposed, had had prior
5 exposures to aprotinin and were examined for their IgG
6 status, that is, whether or not they had detectable IgG
7 and were then exposed to aprotinin and the outcomes
8 were recorded.

9 In addition, there's another series in the
10 literature by Shiwala, and I can refer you to the table
11 in that publication. I can, actually I have the slide
12 here which is very similar so let's show that slide
13 since we're talking about numbers. In terms of the
14 publication by Professor Dietrich, 117 patients, 121
15 exposures, the IgG status was, preoperatively was
16 determined and was positive for 18 out of those 121
17 exposures. There were among those cases -- and I
18 remind you all of these were re-exposures -- there were
19 three cases of anaphylaxis. The second paper,
20 Shiwala's paper, he looked at 448 cases, preoperative
21 IgG status, and here in both cases we're talking about

1 detectable IgG, 15 were positive. There was one case
2 of anaphylaxis. And the point that is made, I think in
3 the Beerline review, and we have captured that on that
4 portion of the table on this slide, is that the
5 negative predictability, that is, the confidence
6 interval around the absence of a reaction in the
7 presence of a negative IgG is highlighted in the paper.
8 Now, I think it's probably inescapable to note that in
9 this series there are, the sensitivity was 100 percent,
10 that is, all four cases were recognized but it has to
11 be allowed that that is not a large number. So, I
12 will, I think those are probably the most pertinent
13 data and I will, well, I was going to ask a follow-up
14 question but perhaps Dr. Adkinson should comment
15 further.

16 DR. ADKINSON: This is obviously not a
17 large dataset on a proposed screening test and I think
18 one has to go to analogous situations with other
19 foreign proteins administered to man and a belief that
20 the large majority of these anaphylactic reactions and
21 particularly those that are fatal have an immunologic

1 mechanism for which this would be a reasonable
2 surrogate marker. Many of you are aware that
3 anaphylaxis is commonly attributed to IgE antibody
4 rather than IgG antibody and yet IgE antibody is
5 difficult to measure in vitro especially in the
6 presence of larger quantities of IgG. And other
7 studies with foreign proteins in human administration
8 show quite clearly I think that IgE antibody responses
9 do not occur except in the presence of IgG responses.
10 So that all patients who make IgE will make IgG as well
11 and therefore should be detectable by this
12 aprotinin-specific IgG assay.

13 The important property of this test I think
14 in terms of predicting serious and potentially fatal
15 allergic reactions is the expected very high negative
16 predicted value, that is, insofar as all of these
17 reactions are immunologically mediated, my expectation
18 would be that they would be easily identified by this
19 IgG assay. The price to be paid for that is that some
20 patients, an appreciable number of patients will have
21 clinically false positive results in the sense that

1 they will have IgG antibody but will not be at risk of
2 the systemic allergic reaction and hence will be denied
3 treatment that they otherwise may benefit from. But
4 given the desire to prevent these fatal reactions, it
5 seems to me that the risk-benefit assessment of those
6 two properties at this point in time with this limited
7 amount of data would favor precluding the use of the
8 product in patients who have made an immunologic
9 response in the past, even at the expense of perhaps
10 denying some patients treatment who otherwise might be
11 able to receive it safely.

12 DR. HIATT: And just while you're up there,
13 I mean, maybe it's more directed to the sponsor but we
14 do have to wrestle with this issue. And what is
15 Bayer's plan; in other words, what would your algorithm
16 be, screen everybody who has received aprotinin
17 previously and if they have a positive IgG antibody you
18 would exclude them?

19 DR. McCARTHY: No. Our recommendation, and
20 we are in preliminary discussions with the FDA in this
21 regard, is to screen everybody undergoing CABG surgery

1 who are prospective candidates for the drug and to
2 contraindicate if the test is positive. And we would
3 like to move forward with the introduction of the test
4 into the marketplace as soon as we can.

5 DR. HIATT: So your proposal then would be
6 any test positive would be excluded?

7 DR. McCARTHY: Correct.

8 DR. HIATT: And what would be the overall
9 population prevalence of a positive test in this
10 population, do you have any idea?

11 DR. McCARTHY: In this population, I don't
12 know if you want to comment, Alan, but I do know in the
13 re-exposure patients it gets as high as about somewhere
14 between 40 to 50 percent.

15 DR. HIATT: Okay.

16 DR. McCARTHY: Yeah, and that's in patients
17 who have previously exposed to aprotinin.

18 DR. HIATT: Right. And I know that's a
19 clinical risk factor --

20 DR. McCARTHY: Sorry?

21 DR. HIATT: We already know that that

1 re-exposure --

2 DR. McCARTHY: Yeah.

3 DR. HIATT: -- is a clinical risk factor --

4 DR. McCARTHY: Yeah.

5 DR. HIATT: -- within six months. And then
6 the population, that that, I mean that hasn't been
7 previously exposed?

8 DR. McCARTHY: Yeah. I call on Dr. Heller
9 to respond to that.

10 DR. HELLER: Yeah, a quick clarification.
11 In terms of patients who were exposed there is a
12 dataset that suggests that if you look at detectable
13 IgG within six months and six months to one year, you
14 will find detectable IgG in 40 to 50 percent of the
15 cases. It is also clear that, provided there's no
16 additional re-exposure, that the IgG falls and becomes
17 undetectable. And perhaps the best series is a series
18 again by Professor Dietrich, who looked at 80 patients
19 who were known re-exposures after a year and found,
20 reported in his paper one case of positive detectable
21 IgG. So, so that's that, yeah, that's, that shows the

1 data from Professor Dietrich.

2 The other relevant data is in a paper by
3 Shiwala who examined several hundred patients who
4 either gave no, well, all of whom in terms of the
5 patients we're talking about, these patients had no
6 history of exposure. Some had a history of surgical
7 procedures but no history of exposure and he found a
8 background incidence in patients for whom there was no
9 documented exposure of approximately 4 percent.

10 Another point that I think is relevant -- and Dr.
11 Adkinson could perhaps respond further on this -- is
12 that to our understanding if you are positive for IgG
13 and with time that IgG becomes no longer detectable, it
14 is as if you had not developed the IgG.

15 DR. HIATT: All right. So, just to clarify
16 the sponsor's position for the Committee to understand
17 then, that your discussion with the FDA would lead to a
18 screening test in all patients who might be treated
19 with aprotinin and that if there is a positive IgG
20 titer -- and we haven't learned what the definition of
21 positivity is -- that you would exclude them?

1 DR. McCARTHY: Yeah. Positivity is a
2 detectable IgG.

3 DR. HIATT: It is detectable?

4 DR. McCARTHY: Right.

5 DR. HIATT: All right. And that's your
6 position?

7 DR. McCARTHY: Correct. Keep in mind,
8 though, that we did talk about two potential assays,
9 the laboratory-based assay and the point-of-care assay.
10 We're further along with the lab-based assay, so,
11 patients who are undergoing emergency surgery wouldn't
12 really have that option until the point-of-care test
13 is, becomes available.

14 DR. HIATT: So there would have to be other
15 obviously clinical predictors which we're not aware of
16 --

17 DR. McCARTHY: Correct. Correct.

18 DR. HIATT: -- that might make them high
19 risk?

20 DR. McCARTHY: Yeah.

21 DR. HIATT: Okay. Yes. You're next.

1 DR. LINCOFF: I wanted to go back to the
2 issue of myocardial infarction. And I understand the
3 limitations in the analysis that was presented but on,
4 so on your slides, C38 and C39, which talk about the
5 incidence of the adjudicated myocardial infarction in
6 the initial trials, 89004 and 89006 and then the
7 subsequent trials where they were prospectively
8 defined, it's reassuring on C39 that the repeat CABG
9 did not show a difference in myocardial infarction
10 rates once there had been the changes in practice with
11 the anticoagulation but you have to recognize that's
12 only 135 patients. And so it seems like the entire
13 database that we have in the reassuring set is very
14 small, whereas in the previous study you had 521
15 patients, recognizing that that was the group, in which
16 you didn't have the uniform policy for the
17 anticoagulation. That's a nice theory and it does make
18 sense but do you have any sort of supportive data in
19 terms of total heparin doses or anything that would
20 reassure us that patients undergoing repeat bypass, I
21 mean, there is, there is theoretical and

1 pathophysiologic reason to believe those patients might
2 be more at risk for thrombosis and myocardial
3 infarction.

4 So, and so those being the group that had
5 the higher rate of infarction in the first set of
6 trials is not completely ameliorated or the concern is
7 not completely ameliorated by the second set of a
8 rather small number. So, do you have any additional
9 data that might help us feel reassured that there isn't
10 an access risk of myocardial in repeat bypass.

11 DR. CYRUS: I guess it's a two-part
12 question in the way I'm viewing it. The first part as
13 far as the additional data in repeat CABG patients,
14 recall that historically repeat CABG was the initial
15 approval and in Europe the approval did precede the
16 approval in the U.S., so most of the repeat CABG
17 development had already been done. You can say, well,
18 why didn't they see this in Europe but, you know, Dr.
19 Royston is sitting here with us and his policy at his
20 institution was to maintain the ACTs at a higher rate
21 than what they were being maintained in the U.S., so

1 the interaction with the celite ACT may not have been
2 picked up in the European trials that were part of the
3 early development.

4 And as to why we believe this was the case
5 for study, D89-004, there is a, despite the fact that
6 the bypass time was the same for both groups and if the
7 bypass type is the same, you might use that as a
8 surrogate for the heparin dose, there was a
9 statistically significant difference between the full
10 and the half dose of aprotinin versus placebo and the
11 total amount of heparin given, with less heparin being
12 given to the aprotinin-treated group as opposed to the
13 placebo-treated group. Then if you look at ACT and you
14 look at the Wang data, which would suggest that where
15 you start running into trouble when you are using the
16 celite ACT is at the 90 minutes of bypass time, and you
17 look at that same correlation with study D89-004, that
18 was at a time when their ACT was still above that 400,
19 which was the cutoff that the site was using for their
20 anticoagulation and there were statistically
21 significant differences in the ACTs, so we're using

1 that as the marker.

2 DR. KASKEL: I would like to get back to
3 the measurement of renal function for a minute.
4 Knowing the difficulties using creatinine, serum
5 creatinine and creatinine clearances in estimating
6 kidney function, I wonder if it would be useful to
7 think about a pilot study using some more exact
8 measurements of kidney function. There are other
9 methods available. Iothalamate clearance is being used
10 now in an NIH-funded trial. There are exact
11 measurements that one could possibly do on a small
12 subcohort of patients control and treated group just to
13 see once and for all if you can decipher any effect on
14 kidney function as well as outcome data.

15 DR. JEEVANANDAM: I have a couple of
16 questions. Referring to your slide, C45, which is
17 incidence of congestive heart failure, if you look at
18 the full dose of the aprotinin group, there is a higher
19 incidence at 14.1 as opposed to 11 with an odds ratio
20 of 1.33. Is that statistically significant? It seems
21 like there are certain numbers --

1 DR. McCarthy: No.

2 DR. CYRUS: No.

3 DR. JEEVANANDAM: They're not significant.

4 The other question I had is, I know aprotinin has been
5 looked at in other randomized blinded trials,
6 specifically valve trials where there was -- and could
7 you comment on other trials other than CABG trials
8 where there might have been an effect on renal
9 function? Because, you know, a lot of the questions we
10 had in our first presentation by Dr. Mangano was
11 perhaps concomitant procedures with higher incidence of
12 renal dysfunction. So, do you have other trials other
13 than just CABG trials looking at renal function?

14 DR. CYRUS: First the data that I shared
15 with you, about 50 percent of those patients had an
16 isolated CABG procedure. The other 50 percent did have
17 a CABG-plus procedure so there is some of that in
18 there. Probably the most recent study where you could
19 just remove the effect of bypass totally is a study
20 that was just conducted by Bayer in hip surgery. So if
21 you remove, forget the bypass effect on the kidneys and

1 let's look at a patient population that may not be at
2 increased risk for changes in creatinine and there were
3 no differences between the groups in that patient
4 population. Can we have a slide on? Here's the data
5 for that, that study, just to sort of suggest that in a
6 patient population who was not at risk for renal
7 dysfunction that aprotinin did not have an effect.

8 DR. JEEVANANDAM: I have another question
9 here. In your IMAGE trial you had an overall higher
10 incidence of graft thrombosis and then if you looked at
11 the U.S. sites that difference went away. You
12 specifically said that there were two sites in Israel
13 that had a higher incidence of graft thrombosis. If
14 you just took out the Israel sites but kept the other
15 foreign sites in, did it make a difference or was it
16 only those two specific sites that were the difference
17 in that study?

18 DR. CYRUS: Just to be clear, there are
19 only three sites that were outside the U.S. Two were in
20 Israel and one was in Denmark. The analysis has not
21 been done, leaving the Denmark center in.

1 DR. JEEVANANDAM: And my final question is
2 on anaphylaxis. You know, being a cardiac surgeon we
3 deal with this all the time, so, if we have a
4 reoperative case, I will not have them even give the
5 test dose of aprotinin until I know I have access to
6 being able to go on bypass, whether it's an aortic
7 access or a venous access or both. And when we, if we
8 do have anaphylaxis after the test dose, or usually it
9 occurs during the loading dose, obviously we can go on
10 pump and manage hypotension. I saw some of your more
11 mortality statistics with anaphylaxis. Were those
12 mortalities on patients not going on bypass or, you
13 know, such as hip operations where I think it would be
14 a much more of a problem if somebody had anaphylaxis
15 during a hip and you're not going to plan on going on
16 bypass or the ability to go on bypass, those patients
17 might have a higher fatality rate than patients who
18 have bypass as a --

19 DR. McCARTHY: Obviously not all the cases
20 were in the setting of cardiac surgery. And we would
21 agree with you and that's part of our risk minimization

1 plan, is to really get the message out that since the
2 drug can cause anaphylaxis and particularly in, you
3 know, obviously with the test dose that's been seen as
4 well, that it is really important to educate physicians
5 who are using the drug as to how best to manage
6 anaphylaxis should it occur.

7 I think we have some examples where test
8 dose has been given in the induction room setting and,
9 you know, in discussions with our experts and
10 cardiovascular anesthesiologists, the real emphasis is
11 that the test dose should really open be applied when
12 the patient is intubated and the bypass, can go on
13 bypass in the event of an anaphylactic reaction.

14 DR. HIATT: I'd just like to remind the
15 Committee we have more ground to cover. We have an
16 open public forum with three speakers and then we have
17 to discuss some things. So, maybe if we take a few
18 more burning questions.

19 DR. ELLIS: The discussion of the hips
20 raises the question for me, particularly with regard to
21 the hypersensitivity. This morning, we saw an

1 increased use of the drug in maybe 250,000 uses a year
2 in the U.S., which suggests that, you know, a high
3 percentage of patients receiving cardiac surgery
4 receiving the drug. I'm wondering if you can comment
5 about, if you know about percentage use that's on-label
6 in the U.S., off-label cardiac surgery in the U.S., and
7 noncardiac surgery in the U.S.

8 DR. McCARTHY: Yeah, approximately between
9 60 and 65 percent of the use of the drug is in CABG
10 surgery, either CABG surgery alone or CABG surgery
11 with, in combination with, say, a valve. And the
12 others, remaining 30, an additional 30 or 35 percent
13 that then is in, in other types of cardiac surgical
14 procedures and then there's about 5 to 10 percent where
15 it's used in other situations such as pediatrics. It's
16 also used in liver transplant surgery to some extent.

17 DR. HECKBERT: Yes, I have a question about
18 your global database, clinical trial database. I think
19 it includes something over 4,000 patients. It looks to
20 me like the U.S. studies in that database from your
21 slide C37, most of them are from the early nineties and

1 they would reflect the kind of patient that would
2 present and might be considered for clinical trial in
3 those days. Is it, do you have -- and you don't have
4 anything beyond the early nineties in the United States
5 in that database. What about from other countries, are
6 we seeing the kind of patients that now go for CABG?
7 Do we have data in your global database from more
8 contemporary types of patients? And the other thing to
9 point out is that at least in the U.S. the more recent
10 trials tended to be primary CABG, so, even less
11 serious.

12 DR. CYRUS: Yeah, the bulk of our clinical
13 trial experience that I shared with you in the safety
14 database was between the late eighties and late
15 nineties. There were a few studies that went to 2001.
16 We do not have randomized clinical trials beyond 2001
17 in the database that I shared with you today. I would
18 like to call on Peter Smith to maybe talk about the
19 type of patients he's seen and how this data could be
20 extrapolated.

21 DR. SMITH: Thank you. I think, I would

1 like to show you a slide from STS data that I got
2 together actually for some discussions we had recently
3 with CMS, because you've remarked that the incidence of
4 the use of this drug has gone up and why. And the
5 patients are different than the patients who are
6 studied in the randomized trials, of course. Some of
7 those differences have already been pointed out.

8 But, I can also indicate that since the
9 randomized trials had a pretty high percentage of
10 reoperations in them, there were many patients who were
11 studied in the randomized trials who were every bit as
12 risky for bleeding as we see today. These are data the
13 STS database comparing 1995 to '99 to a more recent
14 period, 2000 to 2003. Isolated coronary patients, you
15 see we're looking at 800,000 patients approximately in
16 the earlier period and 550,000 in the later period.
17 This is showing the characteristics of the patients
18 that are coming to bypass surgery today or recently.
19 You can see the diabetic incidence is high in about the
20 fourth line there.

21 Peripheral vascular disease,

1 cerebrovascular disease, all these other markers of
2 diffuse vascular disease and especially other cardiac
3 interventions like PCI are becoming an increasing
4 component of this. If you go a little lower you see
5 that the blood product use actually has gone up in this
6 period of time from 41 percent of the patients to 44.
7 And, a lot of that has to do with the increased
8 incidence or prevalence, I should say, of both aspirin
9 and now even more particularly clopidogrel in our
10 patient population because many, many, many of our
11 patients now have got existing stents with an
12 indeterminate period of time of need of clopidogrel and
13 we often don't have choice as to delaying the surgery
14 for the indicated five days. In those kind of patients
15 it's hard to do that safely and with aprotinin being
16 the only agent that's shown to be effective in treating
17 these patients, platelets are ineffective in
18 clopidogrel-treated patients. It's only a delay that
19 can obviate the bleeding problems. And just going down
20 you can see that all the predictive factors of risk for
21 our patients are increasing and many of these things

1 align with the risk of transfusion as well. So I hope
2 that comment was germane.

3 DR. TEERLINK: A quick one. There's the
4 advantage of coming last in the line here. In regards
5 to slide C52 and C54, and what was the timeframe at
6 which dialysis was queried; in other words, did you
7 follow all cases of dialysis within seven days, 30
8 days, six months, or was it just if the investigator
9 happened to note it?

10 DR. CYRUS: The way we did the search for
11 dialysis, dialysis wasn't specifically a checkbox on
12 the case report form. So, in order to try to capture
13 the cases we identified any patient who had an adverse
14 event that fell into that renal failure or renal
15 dysfunction and any patient who had changes in their
16 serum creatinine and then we manually reviewed their
17 case report forms looking into the comment fields,
18 looking into the action taken, looking for evidence of
19 dialysis. So, this number could be an underestimate.

20 DR. TEERLINK: Yeah, so specific queries
21 and that was during the in-hospital time period, I

1 mean, during the entire hospitalization?

2 DR. CYRUS: It would be during the study
3 period so it would have been during hospitalization and
4 the follow-up period as allowed for in each study.

5 DR. KATO: Also, you know, from the
6 cardiovascular surgery standpoint, I guess one of my
7 problems with the STS database, while it's the only
8 database out there it's not audited. I mean, I still
9 think that the 40 percent transfusion rate that was
10 quoted up there from the mid nineties is still a bit
11 high and I guess I'm wondering about, is that percent,
12 if the percentage of transfusions is actually much
13 lower then is there really a big difference between
14 half-dose and full-dose aprotinin? Because, in terms
15 of the reoperation for bleeding rate, you know, open
16 the full dose is probably powered to have a statistical
17 significance. The half dose doesn't show it. But, on
18 the other hand, it's not, the half dose isn't powered
19 to show anything. So, I guess one of my concerns is
20 that as we're seeing it, it looks like there's a
21 greater risk with full dose, can you justify, with all

1 this data can you actually justify the full dose versus
2 a half dose in getting the same results for primary
3 CABG?

4 DR. CYRUS: You know, I should point out
5 from a historical perspective how these doses were
6 derived. The -- if I could have the slide on, please.
7 The -- at the Hammersmith hospital they were noticing a
8 lot in the way of a systemic inflammatory response to
9 the bypass machine and they were aware that aprotinin
10 may have an effect in this. They came to Bayer and
11 they were looking for a kalikrein-inhibiting dose that
12 could indeed have an effect on the antiinflammatory
13 effect. It just so happens when they used this in
14 bypass surgery they also noted that it had a
15 blood-sparing effect.

16 Because of this historical approach, the
17 main development in Europe used the full Hammersmith
18 and that is where the bulk of the experience with the
19 product is with the full dose. Only when the
20 development began in the U.S. did the half-dose regimen
21 become used, which was very late in the development.

1 But mechanistically if you look at the dose-dependent
2 properties of aprotinin, you can see that on this very
3 simplistic checkbox slide that both the half and the
4 full dose would have your plasmin-inhibiting properties
5 so you would expect to get some reduced blood loss and
6 transfusion. What you lose from going from the half
7 dose to the full dose or you gain, I should say, by
8 going to the full dose is you gain the ability to
9 restore the platelet function that has been disrupted
10 by the bypass machine. You have an effect on the
11 granular-site activation as well as inhibiting the
12 kalikrein pathway and bradykinin and modulating the
13 systemic inflammatory response. So mechanistically the
14 two doses are different.

15 If I could have the next slide, please.
16 Dr. Royston has looked at this data and he looked
17 across the correlation, looking at hourly blood loss
18 versus aprotinin dose and I should point out that there
19 is a very high correlation with increasing total
20 aprotinin dose and decreasing blood loss. The yellow
21 dot up there refers to the pump prime regimen, which is

1 not an approved regimen in the U.S.

2 If I could have the next slide. When
3 looking then across the clinical trials and looking at
4 those patients that required greater than five units of
5 blood, you can also start seeing that you are looking
6 like there's a dose-response although none of these
7 studies, I should point out, were to look for a
8 difference between the half dose and full dose.

9 If I could have that, the next slide. The
10 only meta-analyses that looked at dosing was the Munez
11 meta-analyses, which did determine that the full dose
12 of aprotinin may have associated with it a higher rate
13 of renal dysfunction. But I think it's fair to take
14 that same meta-analyses and say let's look at it from
15 an efficacy standpoint and I think when you do that
16 it's very clear that the higher doses of aprotinin did,
17 although both were statistically significant, the
18 clinically meaningfulness of the higher dose is more
19 pronounced.

20 DR. HIATT: Thank you. I think we'd maybe
21 like to wrap this section up. One just really final

1 quick question. How long does Bayer get to market this
2 drug; it's been approved since the '93?

3 DR. McCARTHY: Yes.

4 DR. HIATT: And how long does the patent
5 run?

6 DR. CYRUS: There is no patent.

7 DR. HIATT: Got it. Okay. So, we're going
8 to do the open public hearing now. I have to read this
9 statement. Both the Food and Drug Administration and
10 public believe in a transparent process for
11 information-gathering and decision-making. To ensure
12 such transparency, the open public hearing session of
13 the Advisory Committee meeting, FDA believes that it's
14 important to understand the context of an individual's
15 presentation. For this reason FDA encourages you, the
16 open public hearing speaker, at the beginning of your
17 written or oral statement to advise the Committee of
18 any financial relationship you may have with the
19 sponsor, its product, and if known, its direct
20 competitors.

21 For example, this financial information may

1 include the sponsors paying of your travel, lodging or
2 other expenses in connection with your attendance at
3 the meeting. Likewise FDA encourages you at the
4 beginning of your statement to advise the Committee if
5 you do not have any such financial relationships. If
6 you choose not to address this issue of financial
7 relationship at the beginning of your statement, it
8 will not preclude you from speaking.

9 DR. YOUNG: Hi. My name is Stan Young. I
10 am from the National Institute of Statistical Sciences.
11 The work that I'm going to talk about today was done in
12 joint work with Robert Obenchain, a statistician at the
13 Eli Lilly Company. I will say the National Institute
14 of Statistical Sciences is a freestanding,
15 not-for-profit, nongovernmental body in the Research
16 Triangle Park and our charge is to sort of tie up or
17 tie high-powered theoretical statisticians with
18 practical problems of interest. I have no dog in this
19 fight. I'm here just to talk about statistics. How do
20 I advance? How do I advance the slides? Oh, that way.
21 Okay.

1 The first thing I want to comment on is one
2 of the things that's been commented earlier, the
3 availability of data to people to look at and evaluate
4 important trials. The National Academy of Sciences
5 looked at this problem back in 2003 and for \$20 you can
6 download from the Internet a 120-page document which
7 goes into the ins and outs of sharing data.

8 I'll just read from that the report. More
9 specifically, the act of publishing is a quid pro quo
10 in which authors receive credit and acknowledgment in
11 exchange for disclosure of their scientific findings.
12 An author's obligation is not only to release the data
13 and the materials to enable others to verify or
14 replicate published findings but also to provide them
15 in a form other scientists build on the data without
16 undue work, whatever is necessary to support the major
17 claims of the paper and would enable one skilled in the
18 art to verify and replicate the claims.

19 Most journals today explicitly or
20 implicitly require authors provide enough detail about
21 their materials and methods to allow a qualified reader

1 to verify, replicate and refute the findings of the
2 paper. It is unacceptable to require collaboration,
3 coauthorship or intervention by someone else. The
4 person who gets the data should be free to analyze the
5 data and go forward because that requirement can
6 inhibit a scientist from publishing findings that are
7 contrary to provide his published conclusions.

8 Mangano has made a very serious claim. The
9 association between aprotinin and serious end organ
10 damage indicates that continued use is not prudent.
11 This is serious. I think it's clear to everyone here
12 that the data structure and analysis in his paper is
13 quite complex. I'll just say we as a matter of
14 statisticians looking at complex studies wanted to get
15 into the business of figuring out how to analyze these
16 studies to help clinicians and other people in society
17 make sense of these very complex studies. Requests for
18 the dataset were essentially ignored by us, and you
19 have heard the story of what's going on with the FDA.
20 There is absolutely no reason that Bayer should not
21 receive the data, too. It's a serious claim. It's a

1 medical claim.

2 The other point is that there's
3 insufficient analysis details in their analysis results
4 to replicate. Bob Obenchain from Eli Lilly has worked
5 on propensity score analysis for ten years. He's
6 published software and papers in the area. He and I
7 collaborated for about three or four days pouring
8 through this particular paper. We are rocket
9 scientists. We know how to do this stuff. Okay? And,
10 the descriptions in the paper were not sufficient for
11 rocket scientists to do much with it so heaven help
12 you.

13 Finally, just a comment. It's error only
14 and not truth that shrinks from inquiry. So, serious
15 scientists should give up the dataset. They should
16 give it up to anyone that wants it and it should be in
17 a form that people can build on and understand and
18 further the research that's in the dataset.

19 I'll turn and make a few comments on the
20 analysis of complex datasets. This is an area that I
21 have worked on for maybe 20 years or so. There, in

1 these particular datasets scientists tests here
2 responded, commented, there are multiple response
3 questions. So there's not one question here. The
4 point of the human mind is we focus on one thing at a
5 time. But in the sweep through this dataset, lots of
6 questions are being asked. There are fairly standard
7 ways to adjust analysis for asking multiple questions
8 and they should be done. Responses can be combined in
9 numerous ways. Sort of the off-the-wall kind of a
10 comment is attributed to Johnny van Noyman. You give
11 me four parameters and I can fit an elephant. You give
12 me five and I can make him wiggle his trunk. Okay?

13 So with multiple responses and being able
14 to combine them in multiple ways, it's no trick at all
15 to get P values of .001, no trick at all. Any graduate
16 student given random data and a few hours on the
17 computer can produce results of that sort. P values
18 can be moved many orders of magnitude through analysis,
19 manipulations. In exploratory analysis, P values and
20 risk ratios essentially have no meaning.

21 I want to comment a little bit about the

1 patient allocation. I'm not a clinician so I was
2 reading through the paper thinking randomize,
3 randomize, randomize. No. Very clearly the attending
4 physician could put the patient on whatever they wanted
5 to. So, in this particular case roughly 50 percent
6 more patients were put on the aprotinin group than were
7 put on the other two compounds. Just diagrammatically,
8 can I point here? Yeah, I can point.

9 Just think about sort of how patients
10 present. There's some very high-risk guys, some
11 moderate risk and some low-risk guys. Now, it's very
12 clear in reading the paper and looking at the prevalues
13 that most of the high-risk guys -- the physicians, I
14 mean, they're intelligent people. They put the
15 high-risk guys on aprotinin. They probably put, they
16 put the very high risk and they probably put the
17 high-risk guys there as well.

18 Now, intermixed in the paper there are
19 really two kinds of analysis going on. One is an
20 unadjusted analysis where risk factors are not taken
21 into account and another is a purported propensity

1 score analysis where the risk factors are supposed to
2 be taken into account. And the results from these two
3 analyses are scattered through the paper so you have to
4 be very careful as you are reading the paper which
5 parts were risk adjusted and which parts were not. If
6 you leave the red block in, these are the high-risk
7 people that have been moved across this diagram, and
8 then go down that thing, all that, that column tells
9 you is unadjusted analysis. So, the physicians who I
10 presume -- I go to physicians. I hope they're smart.
11 The physicians that know what they're doing are moving
12 the high-risk people into this drug. And then if you
13 do an unadjusted analysis, you've not a preponderance
14 of high-risk people on aprotinin.

15 I'll comment one other thing on the
16 statistical analysis. All of the results are against
17 control group and the three drugs. Now, all of the
18 questions that I have been hearing people say here is,
19 what's the difference between the three drugs? So, you
20 can have something over here that is not statistically
21 different from these two but is from the control group.

1 So, it doesn't come across to me as a very pertinent
2 thing to be comparing everything to the control group.
3 The comparison should be made in and amongst the drug
4 treatments.

5 This is completely external to the
6 particular study. There was a report in JAMA, 2005, 80
7 percent much highly-cited nonrandomized studies were
8 contraindicated. So this means a nonrandomized study
9 when it was followed up with a clinical trial, 80
10 percent of the time the results did not replicate.
11 Now, this is in distinction to clinical trials.
12 Clinical trials when they were followed up replicated
13 about 80 percent of the time. So just going into
14 looking at a nonrandomized study, your prior belief
15 system should be that, you know, this thing is not
16 likely to replicate.

17 Now, I have followed this over the next two
18 years and there have been a series of reports where
19 nonrandomized studies were attempted to replicate in
20 randomized clinical trials and right now my body count
21 is one for fifteen, so, one for fifteen nonrandomized

1 studies replicating in randomized clinical trials
2 following up.

3 So, methodologically the people analyzing
4 nonrandomized trials need to go back to the drawing
5 boards and figure out how they are doing their analysis
6 because most of the claims that they're making are not
7 being sustained in randomized clinical trials.

8 In summary, until the data are made
9 available to others and a proper analysis is
10 computed -- this is the New England Journal of Medicine
11 thing -- essentially these claims should be ignored.
12 The statistical analysis is seriously flawed. I'll
13 say, where were the editors? Where were the referees?
14 Where were the adults when this was going on? This is,
15 you know, why would I take a day out of my time and
16 come up here and talk to you? I was pretty offended by
17 this trial or the analysis of that trial. Thank you.

18 DR. HIATT: Thank you.

19 DR. SPIESS: Good afternoon, everybody. My
20 name is Dr. Bruce Spiess. I come from Virginia
21 Commonwealth University. I'm a professor of

1 anesthesiology, emergency medicine, and director of the
2 VCURES Shock Research Center. I couldn't pay Dr.
3 Karkouti enough to introduce me. He didn't know I
4 happened to be -- we had never been introduced to each
5 other. He didn't know I was sitting right behind him
6 when he presented, when he presented my data about,
7 about platelets. I'm going to read a prepared
8 statement but before that let me just make a remark or
9 two about his and my study. And it pertains to all of
10 what we're talking about here today, which is devils
11 and the details, and site differences are different.
12 His work was done in Canada. Mine was done in United
13 States sites and some European sites but prior to
14 leukoreduction. So that may be one explanation. His
15 data on platelets has patients on clopidogrel; ours did
16 not. That has to do with timing. I have some
17 disagreements on how his data analysis were run but
18 that's not what we're here to discuss today.

19 Let me read you my statement and then I
20 would be glad to take any questions you have. It's
21 not, for those who know me here it's not usual that I

1 read something. I do a full lecture without reading.
2 Thank you to the entire advisory panel for your time
3 and the opportunity to address this group. Thank you
4 also to Cathy Groupe for the instructions regarding
5 today's proceedings and for distribution of the
6 materials that I have sent. By way of full disclosure
7 and in complete evident for transparency let it be
8 known that I have received research support from Bayer
9 Pharmaceuticals as well as consulting and honoraria for
10 specific projects. I am here today, however,
11 completely on my own.

12 Also by way of disclosure and internally in
13 a complete mishmash of conflict, I have been intimately
14 involved in the past with McSPI databases and McSPI
15 research. I've published extensively from their prior
16 databases. Indeed for a number of years I was the
17 director of the hematology subsection, the hematology
18 study group of McSPI, within McSPI, the specific
19 peer-review group who should have been responsible for
20 the manuscripts including this aprotinin paper.

21 I have three points to address to you

1 today, first my opinions with regards to the scientific
2 merit. The New England Journal of Medicine article
3 regarding aprotinin are summarized in my editorial
4 published in the newsletter of the Society of
5 Cardiovascular Anesthesiologists, which I believe has
6 been distributed to you.

7 Physician channeling of more ill patients
8 toward the more effective drug aprotinin and the
9 employed statistical methods utilized for eliminating
10 bias are a major concern to me. The point I wish to
11 stress, also present in my editorial, is the very
12 likely possibility that some covariate -- and others
13 have mentioned this already -- or confounding variable
14 does exist that was not even included in the
15 multivariate statistical analysis but that was also not
16 even captured in the database.

17 Specifically I'm referring to a potentially
18 serious, unrecognized confounder, the presence of
19 heparin platelet factor four antibodies or the
20 so-called HIT syndrome. Recent research has found not
21 only that full-blown clinical picture of HIT is quite

1 prothrombotic but that the presence of HPF-4 antibodies
2 alone have serious implications. Without antibodies
3 present the risk of serious adverse events in a study
4 of over 300 CABG patients just recently completed and
5 published was 5 percent. With moderate levels of
6 antibody present, the incidence of death, MI, stroke
7 and other events went to 12.55 percent. However, a
8 high level of antibody was associated with 31.3 percent
9 of patients having serious outcomes. Unfortunately
10 there was no HPF-4 antibody collected in the mix by
11 database but also unfortunate is the fact that there
12 was no even surrogate such as preoperative heparin
13 usage, length of time in the cardiology ICU
14 preoperatively, multidosing of heparins, et cetera,
15 included in either the analysis or within the database
16 itself.

17 A case report of sudden right and left
18 heart thrombosis has been published in the Canadian
19 literature in which a patient undergoing open heart
20 surgery clotted extensively after heparin was reversed
21 with protamine. This patient had HIT antibodies and,

1 interestingly, did not receive aprotinin. My point is
2 this, that HIT antibodies may we have occurred more
3 often in the aprotinin-treated patients due to a
4 selection bias by the physicians channeling treatment
5 to more ill patients. Without testing for HIT,
6 collecting data regarding HIT or even examining HIT
7 surrogates, one cannot eliminate that single and now
8 very important biologic cause for severe adverse
9 events. In my editorial I called for an unbiased third
10 party such as the FDA to examine not only the
11 conclusions but the raw data themselves, how the analysis
12 was actually performed, and ultimately the conclusions
13 drawn. I commend you for undertaking this monumental
14 task.

15 My point with regards to HIT is that
16 experts in cardiovascular surgery, anesthesiology,
17 transfusion, hematology, should have had open access to
18 the raw data and so that the incomplete or inaccurate
19 associations are not published, interpreted as cause
20 and effect. I also stressed in my editorial that our
21 patients deserve the correct answer. Already today

1 patients throughout the world are suffering because of
2 what's happened.

3 The second point I would like to stress is
4 the effects of blood transfusion upon open outcome
5 after open heart surgery. That particular subject is
6 one in which I feel I am qualified as an expert.
7 Indeed I have lectured more than fifty times on that
8 subject alone in the last year throughout the world.
9 Most physicians view our blood supply today to be the
10 safest it's ever been. And at least with respect to
11 AIDS, hepatitis, and West Nile Virus, that statement is
12 absolutely true but since the viruses have largely been
13 eliminated from our risk radar, research as has been
14 refocused upon immune modulation trolley and ultimately
15 the adverse events with and without transfusion, with
16 and without leukoreduction.

17 The body of literature showing associations
18 between transfusion and severe events is large and
19 growing. Within the last three months, several
20 important studies have been added in cardiac surgery,
21 some with databases in excess of 12,000 patients.

1 These database bases have shown that patients who
2 receive more transfusions have a dramatically higher
3 mortality rate, more renal failure, longer hospital
4 stay as well as a number of other severe outcomes.
5 Importantly, two studies, Engorin, et al., and recently
6 Cook, et al., have shown that patients who were
7 transfused more have a higher mortality rate even out
8 to five years after surgery and those that are
9 transfused have a worse quality of life, and that does
10 include their abilities to perform their activities of
11 daily living. These studies were controlled both with
12 multivariate models and propensity analysis with
13 appropriate control for confounders in the association
14 stand.

15 In January 16th, 2006, I was invited to
16 participate in the Duke University Clinical Research
17 Institute's sponsored meeting entitled Bleeding,
18 Transfusion in cardiovascular disease, a Think-Tank.
19 And it occurred not many miles from here in Arlington,
20 Virginia. In attendance at that time meeting were 41
21 physicians and industrial leaders for this provocative

1 discussion of recent data. There were many members
2 present from the FDA, including Ann Ferreter, Acting
3 Branch, Circulatory Support; James Hung, Ph.D., Office
4 of Biostatistics; Donna Lockner, Deputy Director of the
5 Division of Cardiovascular Diseases; Wolf Sapperstein,
6 Associate Director, Senior Medical Officer, Division of
7 Cardiovascular Devices; Norma Stockridge and Bram
8 Zuckerman. From the National Institutes of Health were
9 George Nemo, acting director of blood resources in the
10 NHLBI, and Keith Horvath, in cardiothoracic surgery
11 branch of the NHLBI.

12 In the opening statement of that program,
13 Dr. Robert Califf, M.D., vice chancellor of clinical
14 research and director of Duke clinical research
15 reviewed the recent data regarding blood transfusions
16 and its associations with increased mortality in
17 patients undergoing PCI, cath lab interventions as well
18 as cardiac surgery and some recent studies in
19 leukoreduction, randomized and leukoreduction. He
20 showed a number of those papers including from Cochrane
21 database and then concluded with the following

1 statement. "Blood transfusion is the fourth largest
2 killer of patients in the United States." I would urge
3 you to contact his office for a transcript of that
4 meeting if you have any doubts with regards to the
5 risks of transfusion and outcome in heart surgery. I
6 am absolutely outspoken advocate for us to reduce
7 allogenaic transfusions in heart surgery and I believe
8 the data is strongly present to show the transfusion of
9 allogenaic blood is indeed associated with worse
10 outcomes.

11 In our center, the Virginia Commonwealth
12 University Health Systems, we reduced transfusion rates
13 for all-comers from heart surgery from greater than 70
14 percent to now 12 percent. In one six-month period for
15 all-comers during their entire hospitalization it hit 8
16 percent. That was through an aggressive blood
17 conservation program and aprotinin has been a major
18 backbone of that program. Our patients are doing
19 better, with less time on ventilators, less renal
20 dysfunction, and less congestive heart failure than
21 when they are more liberally transfused. The American

1 Association of Blood Bankers just recently noted in
2 this last year that the so-called TRICK study by Paul
3 Heber, the gentleman who has designed the BART study,
4 is the single most important study in the history of
5 transfusion.

6 Every member of this advisory board should
7 read that study as it is the only large randomized
8 controlled trial, prospective controlled trial of blood
9 transfusion. It found that patients transfused less
10 always did as well as or better than those patients
11 transfused more. In severely ill medical ICU patients
12 with the best practice the mortality rate was 28.1
13 percent. They were very ill patients. That was
14 in-hospital mortality. Withholding blood transfusion
15 to a hemoglobin of 7 grams per deciliter improved
16 in-hospital mortality by 25 percent to a rate of 21
17 percent, which was highly statistically significant.
18 And I will put it to the FDA. When was the last time a
19 drug was approved by the FDA when its nonusage improved
20 outcome by 25 percent.

21 Transfusion has never undergone safety and

1 efficacy testing by the FDA. I gave you my editorial
2 from Critical Care Medicine about transfusion and renal
3 failure. Habib's work has shown that low hematocrit on
4 bypass has an association with an increased renal
5 dysfunction but that transfusing either in response to
6 that low hematocrit or particularly as an effort by
7 physicians to prevent a low hematocrit worsens the risk
8 of renal failure and is not just additive but is a
9 multiplier.

10 Physicians in the United States transfuse
11 based upon lore, convention and belief. The act to
12 transfuse is in the end analysis an emotion-driven
13 prophylactic event. Only today are we beginning to
14 find the astounding associations between transfusion
15 utilization and worse outcome. Truly in the case of
16 cardiac surgery, less is more. The New England Journal
17 of Medicine paper has caused many cardiac surgery
18 programs to change their practice. When I speak at
19 individual hospitals around the world, their lead
20 cardiac surgeons and anesthesiologist talk to me. For
21 example, at Loma Linda University they stopped using

1 aprotinin after the paper was published but they
2 noticed such a large increase in bleeding and
3 reoperation rate that within several months they began
4 using the drug once again.

5 Most often when physicians have changed
6 their practice they tell me they don't believe the
7 results of the article but they're so scared by the
8 litigation climate that it has been, that it has
9 created as a result of that article that they're
10 fearful they'll be sued if anything happens to one of
11 their patients. In Europe the New England Journal of
12 Medicine article was largely ignored but it was the act
13 of the FA publishing an official statement, albeit
14 cautionary and noncommittal, that lent validity and
15 caused some to change.

16 My plea is this. Please realize that blood
17 transfusion is not necessarily lifesaving. It can be
18 deadly. Indeed there's good data to suggest, and
19 perhaps future generations who will do prospective
20 randomized trials when we might find out Rob Caliph's
21 allegations have some merit. Any decision made by this

1 important deliberative body will affect the lives of
2 many people.

3 My third and last point has already been
4 covered to some extent but let me just point out, make
5 this Committee aware that there is a document that you
6 may wish to obtain from the Society of Thoracic
7 Surgeons, the Society of Cardiovascular
8 Anesthesiologists. We are about to publish
9 Perioperative Blood Transfusion and Blood Conservation
10 in Cardiac Surgery, a practice guideline. Dr. Vic
11 Feraris led a team of eight physicians from the STS and
12 I led seven physicians from the SCA in a joint effort
13 to create these guidelines for practice. This document
14 is an evidence-based review with over 750 references
15 outlining where the societies will steer practice for
16 our future.

17 I am not authorized by the societies to
18 publicly pass you the document; however, I do know that
19 if you were to ask for it, you would have it in your
20 hands immediately. I can assure this group the
21 question of not only efficacy but also safety of

1 aprotinin as well as the lysine analogs was completely
2 and carefully considered by the 15 physicians who sat
3 on those deliberate bodies. Both the Karkouti paper
4 and the Mangano papers were evaluated, cited and
5 considered when the guidelines were crafted.

6 In summary, I thank you for your time
7 and your consideration in allowing me to speak. I do
8 believe the Mangano article infers cause and effect
9 rather than simple association. That is a dangerous
10 and a scientifically completely unfounded conclusion,
11 especially when some key confounders have neither been
12 collected nor have they been tested. It, the Mangano
13 paper, calls for the use of drugs. They're not
14 FDA-approved for usage and ones that have little or no
15 safety data testing whatsoever.

16 Furthermore, blood transfusion in itself is
17 a major risk hazard for adverse outcome, particularly
18 renal failure. That's what Habib's article speaks to.
19 The key ingredient in that risk-benefit equation with
20 which you are now struggling, blood transfusion
21 utilization, was not even tested in the Mangano

1 article. Ignoring that key confounder alone as a
2 hematology expert makes me wonder what peer review, if
3 any, this manuscript had during its inception, analysis
4 and publication.

5 Lastly and most importantly, whatever is
6 decided here today will definitely affect the survival
7 and the quality of life of a large number of people
8 both within the United States and worldwide. Thank you
9 very much for your attention. You want me to take
10 questions or --

11 DR. HIATT: I think we have one more
12 speaker so perhaps we'll move on.

13 DR. SHORE-LESSERSON: Thank you. Good
14 afternoon and thank you very much, Dr. Hiatt and
15 members of the Advisory Committee. Thank you for
16 allowing me this opportunity to speak to you about the
17 aprotinin issues and the New England Journal of
18 Medicine paper that we've discussed extensively today.
19 My name is Linda Shore-Lesserson. I'm an associate
20 professor of anesthesiology and I have practiced
21 cardiac anesthesiology for sixteen years and have

1 worked with aprotinin for thirteen years. I have
2 actually worked for aprotinin since before its approval
3 by the FDA when I was principal investigator on a
4 compassionate use protocol that we used at Mount Sinai
5 Hospital in New York City for patients undergoing liver
6 transplantation who had extensive problems with
7 hemorrhage. So I have a long history of working with
8 the drug in clinical trials, clinically, as a
9 practicing clinician, and as an investigator as well.

10 Now, by way of disclosure, I've mentioned
11 to you my extensive history with the drug. I also
12 would mention that Bayer Pharmaceuticals has supported
13 some of my clinical research as well as a number of
14 other companies within the hemostatic arena with which
15 I have conducted many clinical trials. I have been a
16 consultant for number of companies, including Bayer,
17 and I have received honoraria for speaking,
18 additionally.

19 Also by way of disclosure, I too, am a
20 member of the McSPI organization and I contributed 48
21 patients to EPI-2 database with which the New England

1 Journal of Medicine paper was based upon. In fact, I
2 have to disclose to you that I was the principal
3 investigator whose hypothesis is it was to examine the
4 effects of antifibrinolytic agents on bleeding and
5 transfusions in the EPI-2 database. My primary
6 hypothesis as I put forth the IDR was that we would
7 compare -- and I had a subgroup of investigators
8 working with me -- that we would compare the efficacy
9 in reducing bleeding and transfusions of each of the
10 antifibrinolytic agents compared with placebo or no
11 agent and then the efficacy of each of those agents
12 would be compared with each other, a point that was
13 mentioned by our statistician.

14 In working with the results and when the
15 adverse outcome data were presented and the coauthors
16 of the paper expressed their desire in how to interpret
17 those results, we could not come to an agreement on how
18 to interpret the adverse outcome data. And, because we
19 could not come to an agreement, I had to recuse myself
20 from authorship. And that is why you see the current
21 list of authors as you do, and I'll go into that a

1 lists bit further in a few moments.

2 I would like to express to you my opinions
3 clinically why aprotinin is such a valuable drug in
4 cardiac surgery. I would like to express to you that
5 the cardiac surgical patient nowadays is more complex
6 than ever before. We have clinical trials from the
7 nineties, we have literature that does not reflect even
8 today's cardiac surgical patient. Now, the
9 preponderance of the randomized controlled trials do
10 demonstrate that aprotinin reduces bleeding,
11 reoperations, transfusions, and with respect to end
12 organ outcomes is neutral if not perhaps a little
13 beneficial with respect to certain end-organ outcomes.
14 So I will review very briefly, since we have pretty
15 much exhausted that subject, my feelings on that
16 matter.

17 I will also put forth that I was a member
18 of the Review Committee for the Society of
19 Cardiovascular Anesthesiologists that approved the new
20 STS/SCA guidelines for transfusion that Dr. Duke and
21 Dr. Spiess have alluded to already. So, clinical

1 experience agrees with the randomized controlled trials.
2 Clinical experience is that we have been extensive
3 educators in the field of cardiac anesthesiology. And
4 speaking at national meetings around the country and
5 around the world, I have also in addition to Dr. Spiess
6 spoken at many of these meetings and I get questioned
7 all of the time.

8 Whether I speak about aprotinin or not,
9 when I'm finished, because of my known expertise, the
10 audience will ask me, what do you think about the paper
11 in the New England Journal of Medicine? And I will
12 tell you what I the audience but I will also tell you
13 that I then ask them, well, how have you changed your
14 practice?

15 And again as Dr. Spiess just said -- and I
16 did not, I did not consult with him at all before these
17 discussions so if they seem similar it's because it's
18 really, it's actual practice. The regular routine
19 answer is, we changed our practice for about a week.
20 We couldn't do it. We had so much bleeding that we had
21 to go back to using the drug. We really see a benefit

1 and we cardiac surgeons who follow our patients until
2 they leave the hospital don't clinically see an
3 increase in renal failure and if we do it's
4 appreciative because they're a higher risk group of
5 patients who have a higher propensity to have renal
6 failure.

7 So, my suggestion, and that has been
8 suggested and corroborated by many members of the panel
9 and by the rocket scientists in the audience, is that
10 randomized controlled trials are a standard that cannot
11 be, cannot be met by an observational study because
12 there are confounders that are known and unknown,
13 measured and unmeasured that cannot possibly be
14 included into multivariate analyses propensity
15 analyses.

16 You saw these data already this afternoon.
17 I believe Dr. Levy or one of the Bayer representatives
18 showed you the meta-analyses of the CABG trials
19 published by Sedrakyan. The meta-analyses demonstrates
20 that there's a benefit of transfusion therapy in
21 patients who receive aprotinin. Also I would like to

1 point to you that the incidence of renal failure showed
2 no difference in favor or against aprotinin in this
3 meta-analyses, and I would had also like to point out
4 for one of the members of the panel who asked a
5 question about outcomes and why is it that we don't see
6 a beneficial effect on length of stay or actual
7 mortality with respect to these studies, well, if you
8 look at the incidence of atrial fibrillation, it's a
9 post hoc analysis but you will notice that the
10 incidence of atrial fibrillation is marginally reduced
11 in the aprotinin patients. And this is a suggestion
12 that atrial fibrillation is an inflammatory process in
13 cardiac surgery. And, with the known antiinflammatory
14 effect of aprotinin, there is a small reduction in the
15 incidence of atrial tachyarrhythmias after cardiac
16 surgery in these patients who were randomized to
17 receive aprotinin.

18 Now, these studies were not designed to
19 look at atrial fibrillation and the criteria for
20 diagnosing atrial fibrillation were not standardized
21 across the study; however, atrial fibrillation is an

1 adverse outcome that's very prevalent. Therefore, it
2 doesn't take a lot of patients to see an effect. The
3 incidence is about 30 percent after cardiac surgery.
4 So, you really don't need a very large study to examine
5 a drug's effect on atrial fibrillation. That's just
6 one point I would like to make.

7 The real place where we need a drug like
8 aprotinin is in patients who have been exposed to
9 antithrombotic agents. This graph shows you the
10 relative proportions of patients that require cardiac
11 revascularization and who have it done by either CABG
12 surgery, shown in green, or percutaneous coronary
13 interventions, shown in red. And in 2002 percutaneous
14 coronary interventions outnumbered CABG surgeries by
15 about three to one, and now in 2005, 2006, they
16 outnumber CABG surgeries by five to one. What does
17 this mean? Well, this means that this many additional
18 patients are having intracoronary stents placed.
19 They're having drug-eluting stents place and they're
20 maintained on antithrombotic drugs for a minimum of a
21 year because that's what the ACC/AHA guidelines

1 recommend, is aspirin and clopidogrel therapy for a
2 year. So these patients are presenting for events,
3 cardiac surgical events on their clopidogrel and
4 aspirin. And they bleed excessively because of the
5 additive effects of cardiopulmonary bypass and
6 Clopidogrel. And this has been demonstrated in
7 individual studies, not randomized studies because that
8 would be very difficult to accomplish, and the
9 antithrombotic agents are relatively new but this is a
10 meta-analyses of observational studies looking at
11 patients who have taken clopidogrel within seven days
12 of cardiac surgery. And they evaluated blood loss,
13 transfusions and adverse events like prolonged time on
14 the ventilator, length of stay, reoperations for
15 bleeding in 4,000 patients; 3300 of them were control
16 and about 600 of them had clopidogrel treatment.

17 Now, you might say we've spent the whole
18 afternoon trashing observational studies, so, why would
19 I show you a meta-analyses of observational data? But
20 the fact of the matter is, these are how patients are
21 cared for. These are how we care for these patients.

1 We transfuse them more. They are hypothermic after
2 surgery because they get transfused so much more. They
3 stay in the ICU for longer, they're not extubated and
4 think come back to the operating room 9 percent of the
5 time, 9 percent of the time when they have been exposed
6 to clopidogrel versus 1 percent, which is the national
7 arching for reoperation for bleeding.

8 And if you take a look at what this
9 meta-analyses showed, it showed that as I just
10 suggested, blood loss and transfusions, adverse events,
11 length of stay and re-exploration rates and ventilator
12 time are all prolonged or increased. And here are some
13 the numerical data that were presented in the
14 meta-analyses. There's an excess of 323 MLs of chest
15 tube drainage in these clopidogrel-treated patients.
16 They're transfused one and a half unit more blood.
17 Their risk of transfusion is five times higher. But
18 what's most astounding if you read this literature is
19 that their reoperation rate is anywhere from 7 to 10
20 percent. And that's really a step backwards. For
21 those of you that practice cardiac surgery, that's a

1 real step backwards in the care of cardiac surgical
2 patients.

3 So, I tell you this because clopidogrel as
4 an antiplatelet agent has clinically been demonstrated
5 in small randomized trials to have a reduction in blood
6 loss when aprotinin is used in high dose. Now, these
7 data you also saw, I think Dr. Levy showed them to you
8 in his presentation that in a small 70-patient
9 randomized trial in patients who had been exposed to
10 clopidogrel, those that received aprotinin bled less in
11 their chest tube drainage than those that did not and
12 similarly those that received high-dose aprotinin were
13 transfused only 41 percent of the time versus 61
14 percent of patients.

15 Then I have another study I would like to
16 introduce to you and that's a randomized study of
17 patients who had come to the emergency room with an ST
18 or a non-ST elevation event who needed CABG surgery,
19 who had been exposed to clopidogrel but who could wait
20 five days, and what the investigators did was they
21 randomized these patients to stop their clopidogrel for

1 five days so the platelets could be regenerated and put
2 them on heparin or they kept the clopidogrel going and
3 gave them high-dose aprotinin at surgery time. And
4 that seems like a very strange study design but it's
5 clinical medicine as we practice it. And what these
6 investigators demonstrated in a slide that I didn't
7 bring but I will describe to you, is that aggregometry
8 studies confirmed that the patients who kept their
9 clopidogrel going still had very, very inhibited
10 platelet function. So, by looking at their
11 aggregometry you would have guessed that they would
12 have bled after surgery.

13 But clinically these are the results. In
14 the clopidogrel patients who got high-dose aprotinin,
15 they had less chest tube drainage, they had a shorter
16 period of time to chest tube removal, which is
17 considered a marker of hemostasis, and they were
18 transfused one-third the number of units of blood. So
19 these patients were able to be cared for in a
20 relatively average manner rather than in an
21 irresponsible manner because we operate on a patient

1 who has a lot of the antithrombotic therapy onboard.
2 So this is one major reason why I think we need a drug
3 like aprotinin in clinical medicine.

4 I would like to just point out to you some
5 of the unusual circumstances that surround the New
6 England Journal of Medicine article. And, many of
7 these have already been pointed out but if you read the
8 fine print you will find that the McSPI organization
9 was designed to be a mentorship organization. It was
10 bringing together people from different countries and
11 investigators, some of them young, designed to mentor
12 through clinical research.

13 And, if you will notice, the author list on
14 the New England Journal of Medicine paper doesn't
15 contain any clinicians. The two M.D.s don't practice
16 medicine and the other author is a statistician.
17 They're all full-time member of the IREF group. This
18 is very unusual for McSPI. If you go through the
19 literature, and I have sampled a couple of articles for
20 you but if you go through the literature from Epi-1 and
21 Epi-2 and find any other paper except for Dr. Mangano's

1 aspirin paper, which Dr. Spiess also alluded to, you
2 will notice that there are a number of different
3 authors, all of whom are clinicians. This is a study
4 out of Epi-1; this is a study out of Epi-2. These
5 authors are all investigators who contributed patients
6 to the study, who practice clinical medicine, who
7 believe in the results of the study.

8 I am currently an author on another Epi-2
9 publication. This is a McSPI publication. Dr.
10 Elizabeth Ott is the primary author. And these data
11 are published in abstract form in the anesthesiology
12 supplement, last, in 2003. The paper is now in review
13 in the Journal of Thoracic and Cardiovascular Surgery.
14 And what this paper attempted to do was look at
15 different timelines. It first set out to look at
16 country differences, knowing that patients wait a long
17 time for cardiac surgery in England and perhaps in
18 other sites in Europe. But what we found was very
19 surprising and what we found was also alluded to by I
20 believe one of the members of the panel if not the
21 Chair, and that is that there were country differences

1 that were pretty impressive in the Epi-2 database. So
2 these patients that comprise this dataset are many of
3 the same, probably 4,000 of the same patients
4 comprising these dataset.

5 Note that in Germany -- and I apologize to
6 the Germans in the audience. The Chancellor of Germany
7 was notified of these data so this is no surprise to
8 him. The mortality, the cardiac morbidity, any
9 morbidity or mortality was higher in Germany than any
10 other U.S., UK or Canadian site. Note that the use of
11 aprotinin occurs predominantly in Germany. This is the
12 way medicine is practiced in Germany. They use a lot
13 of aprotinin and they probably use it in a majority of
14 their CABG patients and they also have another
15 practice, according to Dr. Ott. They transfuse fresh
16 frozen plasma empirically at the end of cardiac
17 surgery. Now, someone asked Dr. Mangano if there was
18 any difference in transfusion requirements among the
19 groups that were analyzed. Well, there actually was an
20 increase in transfusion of FFP in the aprotinin-treated
21 patients.

1 And when you look at that, you think, well,
2 that's really surprising. Aprotinin is supposed to
3 reduce bleeding. Why would those patients get more
4 fresh-frozen plasma? Well, much of that was empiric,
5 much of that was in Germany, and we don't know if it
6 was a response to bleeding or if it was just the way
7 they practice medicine but the fact is that in that
8 subset, that country's subset, fresh-frozen plasma was
9 transfused in excess to the other countries.

10 And if you look at Dr. Mangano's propensity
11 renal outcomes analysis, I have it from the paper here,
12 and I have circled the odds ratios for aprotinin and I
13 have also circled the odds ratios for the independent
14 predictive value of the transfusion of fresh-frozen
15 plasma on adverse renal outcomes. The odds ratios are
16 the same, 2.5, 2.4, 2.4. Why wasn't this paper
17 incriminating the transfusion of fresh-frozen plasma as
18 causative for renal failure? I don't know. And, in
19 case you couldn't see those data because they were
20 transferred directly from the article, this is that
21 same table that I have copied over showing the odds

1 ratios of aprotinin, fresh-frozen plasma
2 administration, and these are the propensity-adjusted.
3 And also someone else mentioned ACE inhibitors today.
4 That was also on this list with an odds ratio of about
5 1.5 or so.

6 So, I would suggest to you that saying our
7 findings indicate that reconsideration of the safety of
8 aprotinin among patients undergoing cardiac surgery is
9 warranted and indicate replacement of aprotinin with
10 either aminocaproic acid or tranexamic acid is an
11 irresponsible statement. Those latter two drugs,
12 lysine analogs, are not even labeled for use in cardiac
13 surgery nor have there been extensive safety studies
14 looking at those agents.

15 Also, I would suggest to you that this
16 cause and effect suggestion is quite a leap. In
17 addition to the kidneys, suggesting a generalized
18 pattern of ischemic injury, where are the data that any
19 of this injury is ischemic? There's a supposition that
20 there's thrombosis going on, that there's ischemic
21 injury. There's no data to support this nor any cause

1 and effect relationship that I can see thus far. So I
2 think these two statements are really quite a leap of
3 faith and the latter a little irresponsible.

4 So, in summary, I would put forth to you
5 that the cardiac surgery patient is a very complex
6 animal right now. We need to improve hemostasis. Our
7 hemostatic abilities have been improved in small albeit
8 but randomized prospective trials, evaluating the use
9 of aprotinin and clopidogrel treated patients. And we
10 really cling to that clinical practice. Randomized
11 controlled trials and the impressions of clinicians do
12 not support that end-organ outcomes are at all
13 devastated by the clinical use of aprotinin. In fact,
14 some of them suggest that outcomes such as neurologic
15 injury are improved with aprotinin.

16 Observational studies can't possibly
17 capture the confounders that are either known or
18 unknown in the investigator's choice to use a drug like
19 aprotinin. I agree there's an association. I do not
20 doubt the findings that renal dysfunction is associated
21 with the use of aprotinin. In univariate and

1 multivariate analyses I see the association. But do I
2 agree that this is any causal relation? I do not. I
3 do not see that right now. And even the best
4 propensity matching can't account for that which we
5 have heard extensively about. The covariates that were
6 not evaluated or if they were evaluated were not
7 revealed to us include country, fresh-frozen plasma
8 transfusion, cardiopulmonary bypass time and aspirin
9 use. Aspirin use is also reduced in Germany -- so they
10 have a, they have a habit of using a lot of aprotinin
11 and very little aspirin -- surgical expertise and
12 regional techniques. So, again, cause and effect
13 relationship I think is a little irresponsible at this
14 point and to suggest cheaper alternatives that are
15 unlabeled this use is also so. Thank you very much for
16 your attention this afternoon.

17 DR. HIATT: Thank you. So we're going to
18 transition into the deliberations of the questions
19 presented to us. I just want to ask the Committee,
20 with all you've heard this morning and this afternoon,
21 are there any other points of clarification, albeit

1 briefly, you would like to ask of any of the speakers
2 that you have heard from today? If not, I think we'll
3 move on to the discussion.

4 Okay. So you should you all have before
5 you -- I think we'll get it up here on the screen --
6 the questions for the Committee. You'll see most of
7 these are discussion points and towards the end a
8 voting question. All right. And I think the process
9 as we've done previously is we'll go around the room in
10 kind of random order so you don't get to bias your
11 response based on somebody else's response, and just
12 try to capture your thoughts. I think what I heard
13 prior to this meeting is that you would like a little
14 bit of sort of sense of a summary of where these
15 questions around safety and efficacy might take the
16 further deliberations of the FDA which are not
17 complete.

18 So in that spirit, the first question, to
19 discuss, these two published reports in an updated
20 Bayer safety review are generally consistent in the
21 detection of an increased risk for renal dysfunction

1 following aprotinin administration, how the New England
2 Journal of Medicine report described several other
3 serious and mostly cardiovascular risks associated with
4 this drug. Please consider the conclusions from the
5 publication from Bayer as controlled clinical studies
6 and discuss whether Trasylol usage compared with no
7 hemostatic therapy is associated with increased risk
8 for the following serious adverse events: Renal
9 failure requiring dialysis, myocardial infarction,
10 heart failure, stroke or encephalopathy. All right.
11 Ron, do you have a question, clarification? All right.

12 DR. PORTMAN: No.

13 DR. HIATT: All right. You want to jump
14 in?

15 DR. HENNESSY: Sure.

16 DR. HIATT: Go for it.

17 DR. HENNESSY: Sure. I was going to say
18 that in my view the data are consistent with an
19 association between aprotinin and renal failure. I
20 don't know whether it's renal failure requiring
21 dialysis or not. We saw that in both observational

1 studies and in the clinical trials database. I don't
2 think that there are strong data that aprotinin is
3 associated with increased risks of MI heart failure or
4 stroke or encephalopathy. I think we, it's also not
5 been demonstrated that aprotinin improves mortality and
6 I think that maybe it would be a good idea to put in
7 the label what we don't know about the drug and that is
8 it's not been demonstrated to improve mortality or to
9 improve survival.

10 DR. HIATT: And as part of your discussion
11 to everyone, are there any subgroups that you might
12 identify or highlight? And also note that question
13 number three will talk a bit more about efficacy. Why
14 don't we just carry on this way. We're just going down
15 the table, Lynn, if you don't mind, and we'll just
16 maybe work our way around.

17 DR. WARNER-STEVENSON: I think that there's
18 a strong suggestion that it is associated with an
19 increased creatinine. I don't see data for increasing
20 dialysis and I don't see convincing data for increasing
21 of the other three events. In terms of the risk in

1 general, I think we would all favor focusing most on
2 the highest-risk patients and so I think I'm most
3 comfortable with the risk-benefit ratio being favorable
4 in those patients who are at increased risk for
5 bleeding either because of use of antiplatelet therapy
6 or because the surgery itself is complex or a redo.

7 DR. HECKBERT: Yes. My impression from
8 what we have reviewed and heard today is that there is
9 an increased risk of renal impairment but I am not
10 convinced that there's an increased risk of renal
11 failure requiring dialysis. For the other endpoints,
12 MI, heart failure and stroke or encephalopathy, I don't
13 think that the evidence supports increased risk but I
14 would like to emphasize that these are increases or no
15 increased versus no treatment or versus placebo. We're
16 not considering versus other agents. I think that's
17 the question that's being put to us. And I agree with
18 the importance of the fact that there's no, no
19 improvement in mortality, that that, that probably
20 should be noted.

21 DR. HIATT: Just to clarify, I noted that

1 you would have anticipated a benefit ultimately on
2 mortality?

3 DR. WARNER-STEVENSON: I don't believe the
4 trials have really been adequately designed to look at
5 longer term outcomes, I mean, basically looking at
6 decreasing transfusions, which they do.

7 DR. CHEUNG: I would like to actually
8 address the question why there is no change in
9 mortality and put out a hypothesis case that I guess
10 somebody was asking why do you only see a hint of
11 possibly less hospitalization or a hint of less
12 mortality. I mean, it's a possibility that
13 transfusion, although we haven't heard that, this is
14 really a risk by itself but it also can be a marker of
15 other comorbidity. But another possibility is that the
16 renal failure per se is an important factor. I would
17 like to actually ask the, maybe change it a little bit,
18 is that in the Q&O failure literature even upon five
19 milligram per deciliter rise in serum creatinine is
20 associated with worse outcome. So I'm not sure that we
21 have to be really, really tied to the issue of renal

1 failure requiring dialysis. So it's potentially
2 possible, just a hypothesis, that a drug can be
3 associated with one, benefits of decreasing bleeding
4 but in fact but leads to more renal failure and those
5 two cancel each other. So I think this is a
6 possibility.

7 DR. PAGANINI: I'm less convinced with the
8 dialysis relationship. I am very convinced with the
9 renal dysfunction relationship. My concern is that
10 this drug tends to be given to the sicker patient and
11 if we go down risk factors for renal dysfunction, valve
12 surgery, history of congestive heart failure prior to
13 surgery, elderly, COPD, left ventricular end function
14 is less than 35, peripheral vascular disease, increased
15 serum creatinines pre-op, diabetes and gender all tend
16 to have a higher risk of acute renal failure. And that
17 seems to be the database in which this drug is being
18 used.

19 So, I believe that what we should do is say
20 that there may well be an increased risk in renal
21 dysfunction with this drug but it's clouded by the

1 group of patients that they're seeing. And I haven't
2 to date seen any subgroup of patients with higher serum
3 creatinines, which is a preoperatively, as a subgroup
4 of patients that are given this drug. So if there was
5 a subgroup of patients, I would be very cautious of,
6 it's the higher creatinine. And I had go, rather than
7 1.3, I would say anything 1.9 to 2 or greater would
8 have special risk for high, for an increased risk in
9 acute renal failure. And again that may or may not be
10 the fodder for some sort of prospective study that
11 either the company or someone else would like to do.

12 DR. PORTMAN: Well put. I completely agree
13 with you in what you're saying and the clouding of the
14 issue of renal failure by the selection of patients. I
15 think this is a critical issue because, you know, maybe
16 aside from smoking, chronic kidney disease is a
17 tremendous risk factor for cardiovascular disease. And
18 because dialysis patients, transplant patients are
19 living longer, they're going to be presenting
20 themselves to the cardiovascular team and the surgeons
21 more often. And so they're not just going to come in

1 with preexisting chronic kidney disease but even kidney
2 failure.

3 So, how to deal with those patients is
4 really a critical issue that I think needs to be
5 addressed and I'm not convinced by any of the studies
6 I've seen so far that we have a really good answer
7 about aprotinin and renal failure and I think it needs
8 to be studied in much more detail. Maybe the BART
9 study will give us those answers but that's my feeling.

10 DR. KNAPKA: Okay. The patient, I have
11 haven't seen anything here today that, you know, would
12 make me worry about any of these risk factors. You
13 know, I think time has proven that the traditional
14 studies, we have controls, the control studies,
15 randomized studies given in the United States, some
16 real safe drugs and I would hate us to go to three
17 prospective studies and start to use them as gospel.
18 So, I think, I don't think we should really ignore them
19 but I think we certainly, on the other hand, I don't
20 think we should go hog-wild and take their data as the
21 truth. I think there's an awful lot of work to be done

1 here. I just, and I think somebody made a comment
2 about that New England article. I read it and I have,
3 although I'm not medical but I am in science and I,
4 too, wondered why did, why was it ever published, what
5 happened to reviewers? There was just to me so many
6 errors in it and so many variables and it's variables
7 that I don't think anybody ever dreamed of. And when
8 you start going through, you know, 69 centers in 19
9 countries, there's no way you can get all the
10 variables. And so I just, I don't think that study
11 should be ignored but on the other hand I don't think
12 we really should change what we're doing because of it.

13 DR. FINDLAY: I would echo others' comments
14 on the suspicion, the strong sufficient suspicion about
15 renal failure. I have a concern about that. And, no,
16 on the others.

17 DR. BALSER: I agree. I, my view would be
18 there aren't data that were presented here to suggest
19 an increased incidence of renal failure, that would, at
20 least in the cardiac critical care community, which is
21 the community I have been a part of, be convincing. I

1 think that the notion of putting something into the
2 warning label about lack of demonstrated reduction in
3 mortality or long-term mortality, I would just caution
4 that we have a lot of drugs approved or not approved by
5 the FDA where with it is extraordinarily difficult to
6 collect long-term outcome data. The labeling of those
7 drugs has been silent on this issue and I think that's
8 appropriate when we have no idea. So, I would, I
9 wonder why in this situation we would want to go there.

10 The other comment I had is I do think it
11 might be, given the much, we have a lot more experience
12 with aprotinin now around anaphylaxis issue and I think
13 the cardiac surgeons here made some good comments
14 about, you know, how the test dose should be
15 administered and at what stage in the operation it
16 should be administered, to, such that the patient can
17 be put rapidly on bypass if they do have an
18 antiphyllactic reaction. I just wonder if some more
19 explicit instruction in the labeling is warranted given
20 that we have now more experience with the drug and know
21 much more about how to protect patients if that does

1 occur. Thank you.

2 DR. DeMETS: Well, this has been a
3 fascinating day and a fascinating discussion. I think
4 the cardiologists and the clinicians here have done
5 most of the heavy lifting even for the statistical
6 side. But I have to say a comment that when I looked
7 at the New England Journal paper I was disturbed by it.
8 As has been alluded earlier, I guess I pretty much
9 dismissed the conclusions that were drawn. But, I have
10 to say the reason it's been fascinating today is
11 because this kind of data I suspect we're going to see
12 more of. That is, in the post-Vioxx era, put it in
13 that term. We're going to have to rely on
14 observational data of this kind to make, to get some
15 further information. We will not have randomized
16 trials of long duration for rare events. But I think
17 today's discussion has demonstrated just how big a
18 challenge that is.

19 And we've heard over and over again the
20 challenge in the analysis. I mean, "It's in the
21 analysis stupid," is sort of the bottom line and it's

1 very tricky stuff and it's very hard to do. And so we
2 need as we look at these trials or these kind of data
3 in the future, we're going to have to really drill down
4 on the analysis details a lot more than we do in, say,
5 randomized trials. So that's just sort of a general
6 overview and I think it's been, it's just been a good
7 lesson.

8 As far as the specific issue, I think I
9 would agree with the previous comments that the issue
10 of creatinine increase seems to be there. I don't
11 think there's enough data to rule in or rule out an
12 issue of dialysis. I mean, there's no evidence to say
13 that it is but it's pretty small numbers and it comes
14 from a pretty wide -- but I would see no basis for
15 making a claim or a comment on that.

16 DR. TEERLINK: So I would agree with all
17 the previous comments as well. I think we are
18 confronting a number of challenges here, one of which
19 Dave just mentioned in terms of this, that we will
20 increasingly need to rely on observational data. And,
21 this may be an opportunity to really put our heads

1 together and figure out, maybe not in this forum but in
2 other forums to try to figure out, well, how can we
3 actually effectively do this? Because it's what we're
4 going to be getting in the future. And in that context
5 I think I would reinforce that I think the Epi-2
6 database is a quite, quite impressive amount of
7 information that could be potentially available that
8 could possibly benefit a great deal, a great many
9 patients given all the caveats that we've said about
10 observational data. So, I would once again reinforce I
11 think what was a common belief that we'd really love to
12 have this data be made available in a useful manner to
13 the FDA with the appropriate protections in place to
14 protect the investigators.

15 In regards to the specific questions, I
16 think that there is evidence for an increase in
17 creatinine in response to aprotinin use. I don't
18 believe there is any support for the other adverse
19 outcomes. And then it also asks to please comment upon
20 the increased risks applying to these different
21 subsets, and since I don't believe those necessarily

1 can be ruled in or ruled out, there is still an open
2 question in regards to these issues. And, I think that
3 we are, it's important for us to encourage clinicians
4 to use a risk-benefit analysis in saying that perhaps
5 in patients who are, you know, simple, straightforward
6 cases, if there is such a thing -- not being a cardiac
7 surgeon I have no idea -- but, you know, that may be
8 where the risk-benefit may not be in favor of aprotinin
9 use.

10 DR. FLACK: I agree with most of what's
11 been said. I think it's been a very interesting day.
12 There's no question in my mind that the creatinine goes
13 up and future studies or maybe even existing datasets
14 ought to be really teased out to really figure out how
15 long does the creatinine stay up, is it persistent, is
16 it a transient rise. I mean, every time the creatinine
17 goes up, it's not necessarily bad. Creatinine goes up
18 when you use ACE inhibitors in patients for hemodynamic
19 reasons in the kidney. On the other hand, I think a
20 pretty good case was made this morning about the
21 physiologic plausibility of going into the kidney,

1 inhibiting the kalikrein system which you would predict
2 would probably lead to reductions in bloodflow and
3 hypoxia in the kidney. Nevertheless, we need to
4 clarify that. I think it's probably real. I'm not at
5 all convinced about the need for a dialysis or any of
6 the other endpoints.

7 And I think, observational studies are
8 going to be like randomized clinical trials. They're
9 not all going to have the same weight. I mean, some
10 well done trials are, have a lot more weight than other
11 trials that don't get as well done. And so I think
12 today in part what we saw was that there were some
13 serious issues about the methodologies used to analyze
14 this observational dataset which exacerbated some of
15 the weaknesses of the observational dataset but in no
16 way precludes I think future use of observational
17 datasets when done right to provide insight.

18 DR. HARRINGTON: So I'll start by saying
19 that we have had a long discussion I think that's
20 nicely pointed out the multiple problems of the
21 particular paper in the New England Journal that I

1 won't go through. I will, though, comment on two
2 specific things regarding the paper, that I'm very
3 troubled by the lack of data sharing and what that
4 means. And I'm also very troubled by the last
5 speaker's comments regarding the interactions of the
6 study group members over authorship on a particular
7 paper because of disagreements in interpretation.
8 Going into the specific questions, I'm actually less
9 bothered by the long-term outcome associating
10 transfusion in this particular area though I would
11 absolutely like to see it and encourage Bayer to engage
12 in some programs, which is looking at the long-term
13 relationships.

14 I think now we have a host of observational
15 data from surgery, from percutaneous intervention, from
16 acute coronary syndromes that would all suggest that
17 transfusion is bad, as one of the presenters say. And
18 we have randomized clinical trial data largely led by
19 the surgical trauma and critical care communities that
20 that would suggest including in systematic overviews of
21 these data that a less aggressive transfusion strategy

1 is better for our patients. I also agree that there is
2 an association with renal dysfunction. I agree with my
3 colleague at the end of the table who said these
4 relationships though are complex, it clearly needs more
5 study, and I'm particularly encouraged by the sponsors
6 looking at the data around aminoglycosides to see, this
7 is at least one step that might be taken. I agree with
8 the previous speakers that dialysis, low frequency
9 event, no association, and I don't see any association
10 of aprotinin with worsening outcomes of any of the
11 cardiovascular outcomes.

12 DR. KASKEL: I agree with what's been said
13 around the table and I think the fact that we have a
14 dilemma with somewhere between 20 and 30 -- Americans
15 having creatinines over 1.3 presently, this would
16 become a greater problem in the future as patients get
17 sicker. I think that this begs the importance of
18 study, of scientific study. Even though we have
19 observational studies we need a prospective study even
20 if it's on the small number, subcohort study looking at
21 renal function in a scientific manner in these type of

1 patients to try and discern what's going on.

2 DR. ELLIS: So as everyone said, elevates
3 creatinine doesn't seem to convincingly produce the other
4 complications. Query, I guess I'm still concerned
5 about the lack of long-term follow-up; otherwise, I ask
6 myself the question, well, blood is bad but if you
7 don't show better outcomes with aprotinin are you just
8 substituting blood for something else that has its own
9 set of problems despite the fact that we don't have,
10 you know, good safety data on these small outcomes. As
11 the last speaker said, you know, I'm concerned with sort
12 of the moving target of what cardiac surgery is like
13 and hypercoagulable states on the one hand and
14 antiplatelet therapy on the other hand and I think that
15 as the target moves we need to revisit these issues.

16 DR. KATO: I think I'm on now. Okay. I,
17 too, share the comments and feelings of the previous
18 speakers. I have actually been somewhat relieved and
19 encouraged by the fact that the sponsor has come
20 forward with as much data as they have and has made an
21 attempt to be almost -- oh, excuse me -- almost, almost

1 overly transparent, which I find refreshing in this day
2 and age. I would like to see more, you know, continued
3 ongoing study of this drug as we move forward and
4 despite the fact that cardiovascular surgery and
5 cardiology and coronary artery disease in general is
6 just changing very, very rapidly but I think that's
7 just the nature of our environment now. So, again, I
8 think the appreciation, my appreciation actually goes
9 to the sponsor for being as open and forthcoming as
10 they have been.

11 DR. JEEVANANDAM: I, too, echo the comments
12 of everybody around the table. You know, it increases
13 creatinine, doesn't seem to increase the need for
14 dialysis, and the other three, I think, it doesn't
15 really increase the incidence of. I think the one
16 thing I would like to see, though, the sponsor do is,
17 you know, is there an association with increasing
18 creatinine and things like aminoglycosides or other
19 drugs such as maybe cyclosporine and things, so, I
20 wonder if there's any interactions that they might have
21 looked mining through the data. In a separate study, I

1 mean, we presented a paper, we published a paper on
2 this aprotinin in heart transplantation and it took a
3 lot of work but we were able to show better pulmonary
4 function and better outcomes as well in terms of
5 decreased length of ICU stay but, you know, that
6 obviously is an off-label use of this drug.

7 DR. LINCOFF: Well, being the last one in
8 line here --

9 DR. HIATT: I'm the last.

10 DR. LINCOFF: Oh, I'm sorry, second to
11 last. I'll say that briefly that I, you know,
12 virtually all of the opinions I agree with here. I
13 think that there is some signal with creatinine and I
14 don't think that that necessarily means there's no
15 signal ultimately with dialysis. I think a small
16 proportion of patients but it's a very small
17 proportion; it's a signal that we can't really see in
18 the larger randomized trial database and I don't think
19 it clinically outweighs the benefit of the aprotinin.

20 DR. HIATT: Thanks. I've actually been
21 intrigued around safety issues in drug development and

1 have published some statistical analyses about how to
2 look at that. And when you look at the Bayer database,
3 my first comment is, I think that there are sufficient
4 numbers of deaths, myocardial infarctions, strokes and
5 heart failure events to draw conclusions. So, that's
6 my first comment. And when you look at that, as we
7 discussed earlier in the day, I was intrigued by the
8 fact that there was numeric excess and what I would
9 call ischemic events in the database.

10 The question in my mind was did the
11 observational studies support that. And, I think the
12 Karkouti study really is fairly neutral on these events
13 and I was reassured by that. And then the Mangano
14 paper has already been discussed at length. I think
15 that that paper though did suggest significantly
16 increased risk of cardiovascular ischemic events but I
17 think my problem is whether I am yet ready to accept
18 that data. I think that data needs to undergo the
19 proper matching and re- analysis and I think if that
20 were to continue to show the signal, then I might be
21 slightly concerned. But where I stand today is that I

1 think that this drug is fairly neutral on
2 cardiovascular ischemic event, which was my major
3 concern. I think the renal issue is probably
4 transient. I'm not convinced there's a signal for
5 dialysis nor am I convinced that there are any
6 particular subgroups to be concerned about, with the
7 caveat that these are short-term outcomes and we really
8 don't know the long-term outcomes.

9 All right. Let's go to question number
10 two. Safety, further discussion. This is about the
11 hypersensitivity. Identification of patients at high
12 risk for Trasylol hypersensitivity reactions
13 predominantly involves ascertainment of a history of
14 any prior exposure and the use of a test dose
15 procedure. Bayer has proposed a risk minimization
16 program focused upon healthcare provider education and
17 the possible of use an IgG assay to detect prior
18 aprotinin exposure. Please discuss the strengths and
19 limitations of these procedures. In your discussion,
20 please consider the following questions: To what
21 extent do you regard the procedures, especially the use

1 of a test dose as acceptable measures to identify
2 patients at risk and B, please discuss whether the
3 risks and consequences of hypersensitivity differ for
4 subsets of patients, for example those undergoing
5 repeat CABG versus initial, are the risks sufficiently
6 high for some subsets of patients such that's Trasylol
7 should the not be administered; if so, who are those
8 patients? Go back to you, Michael.

9 DR. LINCOFF: I think with regard to the
10 test dose that it is striking that nearly half of the
11 reported events happened after the test dose or with
12 the test dose. So it doesn't appear, and maybe the
13 severity was less but the same proportion died. So, it
14 doesn't appear that a test dose per se is a very useful
15 screen. You may as well give the full dose and get the
16 benefit since you're going to have to crash them onto
17 cardiopulmonary bypass of whatever it takes to rescue
18 them. But in any case I think that the idea of the IgG
19 assay, a reliable means of having a good negative
20 predictive value is where this really goes. It doesn't
21 sound like the test dose is a real useful issue.

1 In terms of the education, et cetera,
2 education from the standpoint of providing a reasonable
3 way to rescue patients, that is, having cardiopulmonary
4 bypass available does sound like it's appropriate and
5 also the recognition that this is, in those who may not
6 be as familiar with the drug that this is a possibility
7 and certainly important. It sounds like there's a lot
8 of difficulty, though, in getting an adequate history
9 in that it is used in some gel compounds, et cetera,
10 and that it may be difficult despite best efforts to
11 really identify who has been previously exposed.
12 Clearly the risk of hypersensitivity is higher than
13 patients who have had, who are having repeat surgery as
14 the likelihood of exposure although there are a
15 proportion which sounds like about 20 percent who had
16 it on what may have been primary exposure which may be
17 an anaphylactoid reaction.

18 And I'm curious whether or not the IgG
19 assay would be useful in those patients. There have
20 been so few patients in whom it has been studied, if
21 rolled out into a larger group there may be still a

1 larger proportion of patients who are IgG negative who
2 actually develop a primary reaction, if it is an
3 anaphylactoid reaction. And so I guess we should
4 always be concerned and ready to deal with that type
5 reaction even in patients who are IgG negative.

6 DR. HIATT: We'll go to the right here just
7 because it's a random thing. I'm concerned about this
8 one and I don't think that, it wasn't clear to me that
9 a clear path forward was articulated though I was
10 actually fairly reassured that Bayer is planning to
11 screen people with the IgG assay and exclude people
12 from use of this drug if they test positive. I think
13 it's also interesting that the assay wanes over time
14 parallel with kind of the risk. But I'm not sure that
15 the education program, I'm not sure how effective that
16 will be nor do I know what I would necessarily say in
17 an education program because I think that the risk
18 factors though they seem to be there, haven't been
19 fully fleshed out.

20 My other comment is that how are you going
21 to know if things are getting better. I mean, these

1 are very, very low-frequency events and event rates are
2 going to be hard to compare because of the secular
3 trends over time but I do applaud the idea that I think
4 an assay with a good negative predictive value could be
5 promulgated and used and as long as that's coordinated
6 with the FDA, it's probably the best you can do.

7 DR. HENNESSY: Yeah, I think an assay
8 should be tested before it's recommended for routine
9 clinical use and given that we also don't know what the
10 utility is of the test dose, I think it would be fair
11 to reflect that uncertainty in the package label. The
12 package label recommends that a test dose be given in
13 the complete absence of any data that, that helps.

14 DR. WARNER-STEVENSON: I also feel that I
15 really don't have enough information on which to judge
16 at this time. I think the company is doing a very
17 responsible job trying to keep on top of what we are
18 learning. Certainly the problems are going to become
19 bigger and not smaller. We're going to have more and
20 more patients who have had previous reoperations so
21 it's going to get even more important. I agree, I'm

1 not at all convinced that the test dose is the
2 appropriate route to go. I'm not convinced that we
3 know enough about the IgG to do that and I would just
4 have to say this is a work in progress that requires a
5 lot of attention.

6 DR. HECKBERT: Yeah, I would, comment is
7 that regarding the test dose, from what we have read
8 and heard today it sounds as though that is not an
9 adequate way of screening. And regarding the IgG, it
10 sounds like it has promise. I think if we, if the FDA
11 were to recommend it and to increase the education
12 information, I think ideally it would be good to have
13 the company or someone monitor this to see is it used,
14 is the IgG used and what proportion are positive and in
15 what setting and what's done about it. And I know the
16 events, the hypersensitivity reactions will be very
17 rare. It may be hard to draw conclusions about whether
18 anything was prevented but I think if it's going to be
19 recommended, it should be evaluated.

20 DR. CHEUNG: Even though I totally agree
21 with the test dose, not very useful scientifically but

1 I think it does give us physicians some comfort. I
2 don't think it's a very big deal to have, give a test
3 dose as long as the physicians know to interpret
4 correctly and that's why the education part comes in.
5 So, I am actually endorsing both the test dose and the
6 development of the antibody assay.

7 DR. PAGANINI: I don't think there's enough
8 data to, for the IgG testing as yet. I think it's an
9 interesting concept that needs a much larger patient
10 population before you can sort of suggest this is a
11 good screening technique. I would be very leery of
12 abandoning the test dose. It may catch some
13 low-hanging fruit that have had a positive response. A
14 negative test dose doesn't mean that you're not going
15 to have a reaction; we all understand that. So I think
16 there's, issues here are the exposure load, how much
17 should be given as far as an exposure and when and
18 where to test if you're going to do the test load. And
19 those are issues that still have to be defined.

20 DR. PORTMAN: I agree the test dose is
21 concerning, doesn't seem like a very good test. Maybe

1 we should call it an initial dose. But my big worry is
2 the noncardiac use because if you are ready to put a
3 patient on the pump, you know, you can go ahead and
4 give him the dose. They can go right on the pump and
5 you can control it but if you're doing it for a hip,
6 you know, then you're not necessarily going to be
7 ready. You've got a punch of orthopedists standing
8 around. I mean, you know, I'm not sure what, how
9 that's going to help so that worries me somewhat.

10 Another thought is, is whether or not
11 there's a pretreatment for this that could be
12 evaluated. Granted it's a different immunologic
13 mechanism but when we give OKT3, for example, and we
14 have a cytokine release system, you know, we use it
15 anyway but we have a very aggressive pretreatment
16 regimen to try to minimize that. So that might be
17 something to look into. If you're going to do this
18 electively, is there a desensitization that's possible
19 if we feel the drug is that valuable, is it, is that
20 something that could be done to allow its use?

21 DR. KNAPKA: Well, in my own mind I don't

1 know how the test dose really is going to identify the
2 high-risk patients and that's probably my ignorance but
3 I think the idea of a training program and education is
4 really the way to go and should, you know, certainly
5 include the physicians and certainly the patients to a
6 certain point.

7 DR. FINDLAY: I would reevaluate the test
8 dose and study it over time, also study the assay
9 before widespread use but it seems like a logical idea.
10 I applaud Bayer for trying to make use of this drug
11 safer. It's a no-brainer to ascertain prior use. And,
12 if I am interpreting this right, I think another
13 risk-mitigation strategy would be to try to get more
14 patients off aspirin and clopidogrel many days before
15 surgery.

16 DR. HIATT: Jeff, you're next.

17 MR. BALSER: I don't have any additional
18 comments.

19 DR. HIATT: Okay.

20 DR. DeMETS: I would agree with the issue
21 about the test dose is not good enough, I wouldn't

1 abandon it but I would think if you're getting a new
2 test that with the number of bypass surgeries done in
3 this country annually that you could design a very
4 simple one-page form to find out whether in fact with
5 all the patients, all patients whether there is it a
6 problem with the new test, does it work.

7 DR. TEERLINK: In terms of the test dose, I
8 actually will put my nickel down on being a fan. You
9 know, there was a 36 percent fatality with the test
10 dose, 26 percent fatality rate in the non -- of the
11 patients who got through the test dose so there is some
12 differential there. And we don't know how many of
13 those patients who got through the test dose, if they
14 had not had a test dose would be converted from a
15 nonfatal event to a fatal event because of the higher
16 antigen load. So, I am, you know, perhaps concerned
17 about that.

18 The test dose makes sense. I think there
19 are some other alternatives that you could be done. I
20 agree with the concerns about noncardiac use of this
21 agent. And, this brings up another issue that's come

1 up in a couple other meetings of the concept of is it
2 possible to actually have a national registry that
3 anytime anybody gets a dose of aprotinin it goes to a
4 national registry where that, you know, somebody has to
5 write a prescription. I know, there's some challenges
6 to this but somebody has to write a prescription for it
7 and that gets put in saying, you know, this patient has
8 had it and that that be queriable database so that we
9 have yet another way to check to see if there is prior
10 exposure. And then the other thing is to actually
11 address the surgical, educate folks on how to use it
12 such as that they are able to go on bypass more
13 rapidly.

14 DR. FLACK: Certainly looks like people who
15 have, get exposed to aprotinin within six months are
16 definitely higher risk than those after six months. I,
17 I think, though, the assay, unless there's some kind of
18 point in service assay is going to be a logistical
19 nightmare and very hard to implement in clinical
20 practice. I just don't see, even with the education,
21 surgeons and teams really taking this up very rapidly.

1 I think the sponsor, Bayer, has done a very nice job in
2 going into their dataset, not being defensive about it
3 and not spinning it too much but one thing I do want to
4 chide them a little bit about is this drug's been out
5 on the market forever and your worldwide database has
6 virtually nothing in it with Blacks and Hispanics, two
7 very large minorities in this country. And I would
8 encourage as you go forward and build your database to
9 really pay attention to that because that just
10 shouldn't happen in these days and times.

11 The information about, I mean, the test
12 dose, I'm not that sold on it. There was no really
13 good indication that the outcomes were that much
14 different if you had a test dose in a reaction and
15 didn't, and, so, I don't really know what to say about
16 the test dose. I'm not really that big on it. I don't
17 know if there are any cross-reactions, anything that
18 people could have been exposed to that may augment your
19 ability to respond to this and maybe that's something
20 that can be looked at in the future, so.

21 DR. HARRINGTON: I found the description

1 from our allergist colleague from Hopkins constructive
2 in that it was pretty much a random decision, to give
3 this and not based on a lot of scientific insight or
4 perhaps scientific insight that was only available at
5 the time. But having said that, I am like others
6 reticent to abandon the test dose. I think the
7 comments from Ron down the other end about the
8 relationship between giving the test dose and being
9 ready to go on pump are very important ones and should
10 be ones that are stressed in the education campaign.
11 And I also worry about this issue with hip surgery and
12 not having the ability to get rapidly in a mode where
13 you could save that patient's life.

14 Like others, I worry about the assay but I
15 commend Bayer for at least trying to be proactive in
16 developing a way to screen these patients and I also
17 commend them for saying we will educate physicians,
18 that if it's positive not to give the drug rarely do
19 you hear people say that they're going to advocate not
20 giving their drug and like others I think that any
21 educational campaign with clinicians is challenging but

1 I think it needs to be done.

2 DR. KASKEL: I agree with everything that's
3 been said and would like to add another population to
4 what Dr. Flack mentioned about populations that need to
5 be studied and that would be children. We're mandated
6 to study these medications in children. Children not
7 little adults. They're different.

8 DR. KATO: As a cardiovascular surgeon I'm
9 a big fan of the test dose. The most dangerous time
10 period in the operating room is actually from the time
11 of incision to the time of cannulation. And at that
12 point in time, you know, if anything does happen, the
13 heart fibrillates, the patient becomes hypotensive.
14 You're really up the creek without a paddle. The
15 advantage of doing a test dose at the time when you are
16 heparinized and the canular are in is that the
17 instruction or the order to go on bypass is literally
18 let's go on bypass and circulation is instantaneously
19 restored.

20 I think that the other advantage of having
21 a test dose is that then you don't have to add the

1 aprotinin into the pump prime nor do you have to run it
2 during, you know, during the are rest of the procedure.
3 If there's any additional anaphylactic antigenic
4 antibody response, at least you try to minimize
5 continuing damage as you do the operation.

6 So again I think the problem with the IgG
7 assay would be that, one, I would agree with my
8 colleagues that it would take time to get that assay
9 run and particularly many of these high-risk patients
10 that would need or that could benefit by the aprotinin,
11 you may not have the time to do an IgG assay and
12 therefore you may be reluctantly back to the position
13 of giving a test dose to see whether it works or not.
14 So, that's where I'm kind of at a -- I can't, I can't
15 make a decision about the IgG assay or not.

16 DR. ELLIS: I would be in favor of
17 continuing the test dose. You know, I think we saw
18 data that the rate of positive IgG G after a year out
19 from exposure was one percent. Depending on how much
20 that test cost, there could be some cost-effectiveness
21 issues if you can clearly document that someone has not

1 had surgery in the last year. But it certainly sounds
2 promising. I think a lot more needs to be developed.

3 DR. JEEVANANDAM: I actually may be in a
4 unique situation here because I have used, we need to
5 use aprotinin a bit because we do a lot of
6 ventricular-assist devices and follow it up with a
7 bridge-through transplant. So I have personally had
8 about four patients who had have anaphylactic
9 reactions.

10 Now, I don't think I've actually reported
11 them so I might be as guilty as everybody else. So I
12 was probably one of the reasons they don't have a lot
13 of them reported. I think we've developed a strategy
14 for it. I mean, clearly none of the patients reacted
15 to the test dose but what we do now is give the loading
16 dose really, really slowly. And if you give a loading
17 dose slowly, they usually will have a reaction within
18 the first 10 to 20 percent of that loading dose. And
19 if you start to see any decrease in blood pressure we
20 stop it right away and support them immediately with
21 epinephrine and steroids.

1 The last two patients we haven't had to
2 crash on bypass. The first two patients we did not
3 realize that so we just gave them the loading dose like
4 we would normally do and they had a crash on bypass.
5 And I agree with Norman. I mean, you know, even now we
6 will not give the dose, the loading dose of aprotinin
7 till we are sure that we have access to go on pump. I
8 mean, I wouldn't put the cannulas in or give heparin
9 but at least we know that we have arterial and venous
10 access.

11 The other thing is, you know, most of the
12 time if you're doing a reoperative CABG, it's going to
13 be after a year. Hopefully it's after a year so by
14 that time, you know, their antibodies should have come
15 down to negligible levels. I think there is a subgroup
16 of patients such as bridge-through transplant where you
17 do them within the first three or four months and I
18 think those are the parents that have the highest risk
19 for this anaphylaxis.

20 I think the other problem, that IgG assay,
21 I agree with everybody at the table. It might become a

1 logistic problem where unless you have it as a point of
2 use you waiting for the result to come back which may
3 delay some cases. And I must admit even if the data,
4 what that was presented was, you know, it identified
5 patients but it didn't, it wasn't specific enough. So,
6 even if I had a positive IgG, I may be very careful and
7 make sure all the cannulas and everything are ready but
8 I can tell you on a bad explant and a transplant unless
9 you use aprotinin, that patient has a high chance of
10 morbidity just from bleeding. So I actually might
11 even, you know, understanding there are more risks but
12 be prepared to take those risks even with IgG
13 positivity.

14 DR. HIATT: Okay. Question number three,
15 an efficacy question. Since Trasylol was originally
16 approved in '93, allogeneic blood transfusion practices
17 in CABG surgery may have changed due to a wider use of
18 autologous blood and changes in clinical criteria for
19 transfusion. Please discuss the importance of the
20 Trasylol benefit of reducing perioperative bleeding and
21 the need for transfusion in the context of current

1 cardiovascular surgical anesthetic and blood
2 transfusion practices. I would probably throw in there
3 the need for reoperation as well. Ron, do you want to
4 start it and come back this way? No, you don't want to
5 start? You have to.

6 DR. PORTMAN: I have to? Okay. Come back
7 to me.

8 DR. HIATT: Okay. Lynn, you want to start?

9 DR. WARNER-STEVENSON: I would anticipate
10 in fact that it's going to become only more urgent as
11 we go on. I think the thing in the, the abstract
12 included in our packet was very illustrative of the
13 interim report from the BART study that suggested so
14 far a 12.2 percent incidence of life-threatening
15 bleeding episodes compared to the anticipated 5
16 percent. And certainly the patients that we're sending
17 to surgery now are much sicker than they were a few
18 years ago. So, I think it's only going to get worse.
19 The other thing just about the previous question about
20 the test dose, I think we should definitely continue it
21 but I liked the suggestion that came from this end of

1 the table that we stop calling it the test dose because
2 that may give some people a false sense of the
3 security. Just call it the initial dose perhaps.

4 DR. HENNESSY: So aprotinin is given and it
5 clearly reduces the need for transfusion and people
6 make the inference that it probably improves survival.
7 Encanide and flecainide were given to prevent premature
8 ventricular contractions in people who have had heart
9 attacks and the inference was made that it probably
10 improves survival. I think that if in the label for
11 encanide and flecainide we had said that these drugs
12 have not been shown to improve survival that it would
13 have had less uptake than it did and that ultimately
14 when it was shown to have killed people it would have
15 killed fewer people in the interim, I would say that
16 there is an analogous situation and that because people
17 are using it to improve mortality and we don't know
18 that it does, we should make it explicit that we don't
19 know that it does.

20 DR. HIATT: I think the need for this kind
21 of drug is still there and that in fact probably sicker

1 patients are coming to bypass surgery than previously.
2 Because I'm not convinced that there's a safety
3 concern, because the data to support the transfusion
4 need is pretty good, but I guess the thing that most
5 convinces me is the reoperation data. I think that's a
6 heart event; whether you need a few less units of blood
7 or not the clinical benefit of that we discussed at
8 length today but I think the need for reoperation is a
9 really heart event. So, I think it continues to be
10 relevant in the efficacy, at least short-term, has
11 still been demonstrated.

12 DR. LINCOFF: I agree with my colleagues
13 that the efficacy or the indication for the efficacy
14 continues to be there, and in fact is probably
15 magnified. Not only are patients sicker as had been
16 mentioned but we're using more antiplatelet agents in a
17 larger proportion of these patents. Many of these
18 patients or perhaps more of these patients will be
19 reoperations, more complex operations, transplants, et
20 cetera.

21 So I think that this, that there's nothing

1 to suggest that the underlying risk of bleeding is any
2 better in patients that are undergoing surgery now than
3 it was at the time the drug was approved. We do have
4 better transfusion practices but in part those reflect
5 the use of drugs such as this as well as the algorithms
6 for more conservative transfusions.

7 So, and I remain not particularly concerned
8 by the lack of long-term mortality data. I don't
9 believe that there is evidence that there's long-term
10 mortality risk. And many drugs don't have any
11 influence on long-term mortality but still change
12 important morbidity. I think if we had enough patients
13 there probably would be a downstream effect of
14 preventing reoperations and large massive transfusions
15 but if you calculate the numbers of patients that that
16 would likely require to see in a trial, I think we
17 would talking in the multiple 10,000 range and I just
18 don't think that's practical, so.

19 DR. JEEVANANDAM: I, you know, looking
20 through the data clearly there is a decreased incidence
21 of bleeding and transfusion and having used the drug

1 and using other agents as well, I think there's no, no
2 question that this is, this improves hemostasis. Now,
3 and there's the question and that comes up of does
4 decreasing transfusion lead to improved long-term
5 survival and I guess none of the studies have empowered
6 to look at that, at least in the immediate
7 postoperative period, and not getting blood
8 transfusions decreases pulmonary vascular resistance
9 makes them a lot more hemodynamically stable and there
10 is a much lower incidence of reoperation. So I think
11 that, you know, the drug is important in terms of
12 decreasing bleeding and decreasing the need for
13 transfusions.

14 DR. ELLIS: I don't think that the changes
15 in clinical practice have by any means diminished the
16 indications for the drug.

17 DR. KATO: I'm still concerned about the
18 use of aprotinin in, you know, in primary routine
19 bypass candidate, bypass surgery candidates. I'm not,
20 while no bleeding or no transfusions may be good, the
21 extreme of that, which is you know, thrombosis,

1 inadvertent thrombosis of whether it's a native
2 coronary artery or the rest of the blood in the body,
3 is also a bad thing. And so finding that, walking that
4 fine line I think is still difficult. Given the, when
5 aprotinin was first, I believe that when aprotinin was
6 first approved the average transfusion was about six
7 units of blood, and, which in the United States even at
8 that time wasn't really relevant because the average
9 transfusion rate was only about two units.

10 So, I'm still, while I think that aprotinin
11 has its place for decreasing perioperative bleeding and
12 the need for transfusions particularly in high-risk
13 patients, I think there still needs to be caution of
14 not using it routinely in everyone but selecting out
15 those people the best way you can who are going to
16 benefit from it.

17 DR. KASKEL: I agree with what's been said
18 and maybe one needs to consider guideline paper or a
19 consensus paper on the topic in the future.

20 DR. HARRINGTON: Clearly when you look at
21 the data that Peter Smith showed from STS, the use of

1 blood products during cardiac surgery are still very
2 high and has been pointed out, the patients are
3 different. They're more complex. They have more
4 comorbidities. The drugs are different. There's a lot
5 antithrombotic use. Techniques are different. And so
6 I would go back to Bayer and say that there's a
7 knowledge deficit here and that I would encourage
8 people who have access to data, for example, the large
9 STS dataset to actually look at some these transfusion
10 questions within those data sets as a helpful means of
11 advancing the field but I would also put to Bayer --
12 maybe this follows up on Norm's point -- that because
13 this data originates in the late eighties, early to mid
14 nineties, we don't have contemporary prospective
15 studies and I would encourage Bayer to sponsor such
16 studies. Because, if I'm hearing my surgical colleague
17 right, there is some equipoise in the field about this
18 balance between transfusion and thrombosis, that sounds
19 like clinical trials could be done. And, I would
20 encourage people active in the field to look at what
21 those studies might look like and to engage in them.

1 DR. FLACK: Not much to add, just say one
2 thing. It would make me feel better if I saw more
3 tangible evidence of benefit. Certainly reducing
4 transfusion appears to be something that's very
5 desirable and in and of itself you might say, well,
6 that's a benefit and if I didn't need blood I wouldn't
7 want to get it, I don't care how sick the blood supply
8 is but if you're also looking at the mortality data and
9 these are sick people and despite the fact you reduce
10 transfusion and reoperation and those things, you see
11 pretty similar mortality, you sort of scratch your head
12 and say, okay, well, you are supposed to, should be
13 reducing it, what's happening? Are we substituting
14 something else? So, I would encourage the company to
15 really look for some sophisticated ways to try to get
16 at clinical benefit short of doing a big randomized
17 trial by doing some very careful analyses of both
18 probably smaller trials and observational datasets.

19 DR. TEERLINK: I'm comfortable with the
20 degree of efficacy of the agent in terms of reducing
21 blood product usage. If anything, I think the patient

1 population is getting more and more complex and so
2 would anticipate that it would be more useful at least
3 for that specific endpoint. In terms of the getting at
4 actual outcomes we on this committee have often sat and
5 insisted upon finding these hard outcomes. This agent
6 has already been approved for this indication. I think
7 it would be, I would second Bob's point that using
8 databases to try to find out more information in
9 regards to the outcomes, though I'm not sure we
10 actually do have clinical equipoise from the surgeons
11 in regard to its use and it would be interesting to see
12 whether a real outcomes trial would be possible. I
13 think probing the databases would be useful.

14 DR. DeMETS: I don't have any additional
15 comments.

16 DR. BALSER: Just that I, you know, I don't
17 think we have the data to make any changes in
18 recommendations around when to use but I think a lot of
19 us would like to see better stratification of what
20 patients benefit in primary CAB. Some primary CABs,
21 it's certainly appropriate and you wouldn't want to

1 change the labeling so that was prohibited but there
2 are a lot of centers doing very simple cases where they
3 aren't using this drug and so what is the risk-benefit
4 ratio in those cases and which cases, so, I think
5 that's just something that requires further study but
6 needs to be done.

7 DR. FINDLAY: Nothing to add.

8 DR. KNAPKA: I have nothing to add.

9 DR. PORTMAN: Thank you for allowing me to
10 put my thoughts together.

11 DR. HIATT: Absolutely.

12 DR. PORTMAN: As a patient I think if you
13 can't tell me for sure it's going to be a benefit but
14 it's not going to be a detriment, however, I'm going to
15 get less blood exposure, then I'm probably going to be
16 pretty happy and say yes, give me that drug. So I
17 think the other concern is that so much Plavix use and
18 stenting and aspirin, I mean, we don't really know in a
19 control way, you know, what this drug is going to do
20 under those circumstances and that should be studied as
21 well.

1 DR. PAGANINI: I think it's effective in
2 reducing drug transfusions and re-op. I think that
3 we're seeing, we've heard that the type of patient that
4 might benefit from this is increasing and therefore
5 it's use may reduce the load on the blood banks for
6 cardiac surgery which would in and of itself be
7 something positive. However, I don't see any
8 improvement in these surrogates translating into an
9 overall ultimate improvement in outcome either in
10 short-term or long-term and that's somewhat concerning.

11 DR. CHEUNG: From what I have seen and
12 heard today, I am very much in favor of its continued
13 use to decrease the bleeding. I think diffuse bleeding
14 reoperation is and the burden on the blood bank is
15 something we all should be very, very concerned of. In
16 terms of the short-term and long-term outcome, the
17 short-term mortality is unchanged.

18 That's why that we're going to have more
19 and more patients with comorbidity and many of those do
20 have underlying kidney disease associated with all the
21 other comorbidities. I think we should pay more

1 attention to those because those are going to be the
2 one coming on the table more and more often. So it's
3 kind of a two-way street. On one hand I think those
4 patients can benefit the more. On the other hand, they
5 might be the high-risk population. We really need to
6 sort out what is the kidney, potential kidney risk to
7 these patients.

8 DR. HECKBERT: Yes, I support the drug
9 remaining available for patients who are at high risk
10 for blood loss and blood transfusions. I would also
11 comment that from the public health point of view, the
12 question isn't just whether it's better than placebo or
13 nothing. I think another question of public health
14 importance would be whether it is equally effective or
15 better or worse than tranexamic acid or aminocaproic
16 acid. And that's a question that would be difficult to
17 study in the U.S. but I think it's an important
18 question.

19 DR. HIATT: All right. We have one more
20 question. This is a voting question. It's a bit long
21 so I think I'm not going to just read the whole thing.

1 But, Bayer has proposed modifying the indications
2 statement as following. Trasylol is indicated for
3 prophylactic use to reduce perioperative blood loss and
4 the need for blood transfusions in patients undergoing
5 bypass and CABG who are at increased risk for blood
6 loss and blood transfusions.

7 So, discussion. We'll discuss the clinical
8 considerations for identifying patients who are at
9 increased risk for blood loss and transfusions and who
10 should that apply to and then the voting question
11 highlights this safety and efficacy database. And you
12 can see this before you. Based on the presentations
13 today, do you regard the totality of clinical data
14 supporting acceptable safety and efficacy for Trasylol
15 usage among certain CABG/CPB patients? And then you
16 have a discussion of yes or no. All right. Anyone
17 want to start with this one? Lynn, your light's on.

18 DR. WARNER-STEVENSON oh. Not intentionally
19 but --

20 DR. HIATT: How do you like that?

21 DR. WARNER-STEVENSON: Yes, I do think the

1 totality of clinical data supports this. I actually
2 would say, kind of, I know this wasn't what was asked
3 but I would wonder if within the database at this point
4 you mentioned some small experiences in some valve
5 patients in the randomized parts, if it might be
6 possible to delete the phrase "in the course of
7 coronary bypass graft surgery." For instance, patients
8 who are high risk, who are just having valve surgery,
9 et cetera. I just raise that as a point of discussion
10 but I certainly would support it as it stands but
11 perhaps think slightly more broadly than just the CABG
12 patients since it's certainly being used in a number of
13 other populations.

14 DR. HECKBERT: Yes, I would support the
15 revised, the revision to the label. I might say it at
16 high risk for high blood loss and blood transfusion
17 because if you say at increased risk, that means, that
18 begs the question compared to who. But, anyway, and in
19 terms of, based on what we heard and read today, I
20 don't think that it would be appropriate to say that
21 this descriptor only applies to patients undergoing

1 repeat CABG. And let's see. I guess there's B here.
2 And yes, I do regard the totality as supporting
3 acceptable safety and efficacy for certain patients.

4 DR. HIATT: And as you go through this, can
5 you all maybe help us define who would be the
6 increased-risk patient?

7 DR. WARNER-STEVENSON: Oh, certainly I
8 think the patients who are on antiplatelet therapy, the
9 patients who are re-dos and then we could debate valve
10 transplant RAD.

11 DR. HECKBERT: I don't have anything to add
12 to that.

13 DR. CHEUNG: Being a nephrologist and
14 knowing that uremia -- definitely adds CKD or chronic
15 kidney disease to that list. I think that statement is
16 actually very accurate but whether you can use it
17 beyond the coronary bypass obviously is something that
18 is, I guess not particularly FDA-approved for other
19 purposes although from all the data it sounds like it
20 could be applicable to others as well. But that
21 statement itself I think is conservative and I think

1 it's quite accurate.

2 DR. PAGANINI: I also agree that the
3 statement is accurate. I don't know how you would
4 identify high-risk patients of bleeding other than what
5 has already been stated, that is, those that are
6 already on antiplatelet activities and those that are
7 uremic. I would propose that perhaps an additional
8 statement that no data on improved outcome be added as
9 well. And as far as voting on the clinical data,
10 supporting acceptable safety and efficacy of the drug,
11 I think that that has been shown. There are questions
12 about renal dysfunction. I think they will come out
13 but the safety and efficacy of the drug overall in this
14 subgroup of patients seem to be acceptable.

15 DR. PORTMAN: And as far as whether it
16 should be unlimited to repeat CABG, I would say no. I
17 think we shouldn't take that away from a surgeon. You
18 know, if he feels it's very important in his practice,
19 he should be able to use the medication. As far as
20 high-risk patients are concerned, I think clearly
21 patients with renal failure is a high-risk patient.

1 Patients who are on nephrotoxins, such as
2 aminoglycosides. The patients who are on antiplatelet
3 therapy and those that have received the drug within
4 six months with a risk of anaphylaxis I think is
5 another group that needs to be attended to but I do
6 support its safety and efficacy.

7 MR. PAGANINI: Okay.

8 DR. KNAPKA: I agree with the statement, I
9 vote yes, and for, though, says population, high risk
10 but I think high risk would be an individual, too. I
11 think that there's some people who may be in the
12 population, and I think the physician's, one of the
13 responsibilities is to determine is this individual at
14 high risk. I'm not so sure we can say well, there's
15 this population at high risk because there may be some
16 in there that's not. So, I think there's a little
17 danger. You're trying to group people in groups but
18 we're all individuals and we react differently.

19 DR. FINDLAY: Yes, I would support the
20 continued use and the proposed restriction and I would
21 hope that Dr. Mangano's raw data would eventually

1 become available to all for analysis to put that debate
2 to rest.

3 DR. BALSER: I agree with the restriction
4 and vote yes.

5 DR. DeMETS: I vote yes and the exposure
6 within six months is a part that would concern me so I
7 support that.

8 DR. TEERLINK: I would not limit this to
9 only patients undergoing repeat CABG and I would vote
10 yes.

11 DR. FLACK: I vote yes and I would not
12 limit it.

13 DR. HARRINGTON: I vote yes. I also think
14 it's a responsible statement because it stresses that
15 we're looking for an increased risk cohort and it's
16 stressing that the benefit as of now is only on blood
17 loss and transfusion and not the other clinical
18 outcomes as been mentioned. Regarding definition of
19 risk, we've heard today that there are consensus
20 documents forthcoming from the professional societies
21 and I would look to those based on empirical data that

1 would define risk and that I don't think it would be
2 our job to define what those risk characteristics are
3 without seeing more data. And I also would not want to
4 confine it only to redo procedures.

5 DR. KASKEL: I would vote yes and agree
6 with what's been said.

7 DR. KATO: I would vote yes but adding on
8 both primary or first-time coronary artery bypass graft
9 surgery as well as redo coronary artery bypass graft
10 surgery. I don't think we have seen enough data for
11 other operations to include that so from an
12 evidence-based perspective, as much as I think that it
13 should be used for complex valve surgery or CABG valve
14 surgery, I don't think we have enough data to make that
15 statement. In terms of adding on a couple of other
16 risk factors for bleeding, elderly, usually in the age
17 group of over 70 or 75, I would also add
18 mechanical-assist devices to this group of high-risk
19 patients.

20 DR. ELLIS: I vote yes and I'm not in favor
21 of prescription-to-prescriptions on who is at high

1 risk. I think clinicians generally know who those
2 people are.

3 DR. JEEVANANDAM: I vote yes and concur
4 with what's been said.

5 DR. LINCOFF: I vote yes. I would not
6 restrict repeat procedures and I would really like to
7 emphasize that I think the clinician's judgment in
8 identifying high-risk patients on whatever basis,
9 particularly use the guidelines, et cetera, should not
10 be specified in the label but it should be at the
11 clinician's discretion they do a pretty good job of it.

12 DR. HIATT: I think if the sponsor wants to
13 change the label, obviously you should be thought of
14 about that and I think that there's increased, factors
15 that put you at increased risk for blood loss and
16 factors that might put you at increased risk for the
17 drug. And so we have already enumerated some of these.
18 Antiplatelet therapies might put you at increased risk
19 for blood loss but renal insufficiency might put you at
20 increased risk for drug toxicity.

21 So, if the sponsor is going to entertain

1 changing a label, I think I would sort of broaden that
2 a little bit and then the way I would try to get at
3 what that is, is to probe the existing database and try
4 to look at prediction models for what those risk
5 factors might be to thoughtfully change the label. So
6 I support the concept but I'm not sure I would support
7 this language. In terms of the voting question, I
8 think yes, the totality of it is, does support its use
9 and then as I just said earlier, I think that a label
10 change to define patients at increased risk should look
11 at both drug toxicity and bleeding risk.

12 DR. CHEUNG: Mr. Chairman, I did not know
13 the, procedurally whether it's correct or not. I meant
14 to vote yes but I guess I did not say it explicitly,
15 so.

16 DR. HIATT: Thank you.

17 DR. BALSER: Mr. Chairman, the issue of
18 restriction, I think what I was responding to was of
19 the pharmaceutical company's request, as you indicated,
20 to indicate folks who are at increased risk for blood
21 loss or blood transfusions, which I took as a fairly

1 general comment. I was not suggesting that we should
2 restrict it to only re-dos. So, just wanted to clarify
3 that.

4 DR. HIATT: Good. Thank you. Thank you
5 for that clarification.

6 DR. BALSER: And a different general
7 statement is fine with me. I agree that it needs some
8 work.

9 DR. HIATT: Any other comments from the
10 Committee? I'm sorry.

11 DR. HENNESSY: So as you pointed out, the
12 question who is at high bleeding request is a research
13 question and I think there are data available to answer
14 that. In terms of what's the threshold for treatment
15 versus not treatment, I think that's an appropriate
16 question that could be answered by a decision analysis.
17 I think that decision analysis would have to make some
18 assumptions between the relationship between bleeding,
19 preventing bleeding and mortality that we don't know
20 about. In terms of my vote, I suspect that there are
21 subgroups in whom there's a survival benefit for the

1 drug but that hasn't been demonstrated so in the
2 absence of that being demonstrated, I'm going to
3 abstain from the voting question.

4 DR. HIATT: All right. Before we adjourn,
5 I think that in addition to the specific questions the
6 Committee has been faced with, we saw something a bit
7 more generic which we always have discussed, which is
8 the use of observational data to address safety
9 concerns in a post-marketing fashion. And I think my
10 final comments would be that I think this information
11 has been very interesting but poses unique analytic
12 challenges.

13 And in the spirit of how this committee has
14 been run in the years I've served, full transparency
15 disclosure is absolutely critical to evaluate any
16 database. And we hold that standard to all sponsors
17 who bring us new data to this committee and we also
18 hold that standard to other published articles that
19 have been recently discussed around new indications for
20 antithrombotic agents.

21 So, I think in light of that, these

1 observational studies are really not informative until
2 they have been rigorously evaluated independently by
3 not just FDA but other statisticians and investigators
4 that want to review it. And so I think the Committee
5 strongly advocated approach. I would like to
6 articulate that again and that until we can see the
7 data in that light, I think we're challenged to draw
8 any meaningful conclusions. I would also like to thank
9 the sponsor for the authors of these articles and for
10 this committee's deliberations today. And I believe
11 we're adjourned.

12 (Meeting adjourned at 4:54 p.m.)

13

14

15

16

17

18

19

20

21

1 State of Maryland.

2 Baltimore County, to wit:

3 I, ROBERT A. SHOCKET, a Notary Public of
4 the State of Maryland, County of Baltimore, do hereby
5 certify that the within-named proceedings personally
6 took place before me at the time and place herein set
7 out.

8 I further certify that the proceedings were
9 recorded stenographically by me and this transcript is
10 a true record of the proceedings.

11 I further certify that I am not of counsel
12 to any of the parties, nor in any way interested in the
13 outcome of this action.

14 As witness my hand and notarial seal this
15 18th day of October, 2006.

16 _____

17 Robert A. Shocket,

18 Notary Public

19

20 My Commission Expires:

21 November 1, 2006