

FIFTY-THIRD MEETING
OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE

CENTER FOR DRUG EVALUATION AND RESEARCH
FOOD AND DRUG ADMINISTRATION

8:33 a.m.
Tuesday, June 24, 1997

Versailles I and II
Holiday Inn Hotel - Bethesda

8120 Wisconsin Avenue
Bethesda, Maryland

APPEARANCES

COMMITTEE MEMBERS:

JANICE DUTCHER, M.D., Chair
Professor of Medicine
Montefiore Medical Center
Albert Einstein Cancer Center
111 East 210th Street
Bronx, New York 10467-2490

JANNETTE O'NEILL-GONZALEZ, M.H.S.
Advisors & Consultants Staff, HFD-21
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

E. CAROLYN BEAMAN, M.H.S.
Consumer Representative
President, Sisters Breast Cancer Network
123 Poinciana Street
Lake Jackson, Texas 77566

ARLENE FORASTIERE, M.D.
Associate Professor of Oncology
The Johns Hopkins Oncology Center
Department of Medical Oncology
600 North Wolfe Street, Room 128
Baltimore, Maryland 21287-8936

RICHARD GELBER, PH.D.
Professor of Biostatistics
Division of Biostatistics
Dana-Farber Cancer Institute
44 Binney Street
Boston, Massachusetts 02115

DAVID H. JOHNSON, M.D. (A.M. Session)
Director, Division of Medical Oncology
Department of Medicine
Vanderbilt University Medical School
1956 The Vanderbilt Clinic
Nashville, Tennessee 37232

APPEARANCES (Continued)

COMMITTEE MEMBERS PRESENT: (Continued)

JAMES KROOK, M.D.
Medical Oncologist
The Duluth Clinic Limited
Internal Medicine Department
400 East Third Street
Duluth, Minnesota 55805

KIM A. MARGOLIN, M.D.
Staff Physician
Department of Medical Oncology and
Therapeutics Research
City of Hope National Medical Center
1500 East Duarte Road
Duarte, California 91010

ROBERT OZOLS, M.D., PH.D. (A.M. Session)
Senior Vice President, Med Science
Fox Chase Cancer Center
7701 Burholme Avenue
Philadelphia, Pennsylvania 19111

RICHARD L. SCHILSKY, M.D.
Director, University of Chicago
Cancer Research Center
The University of Chicago Medical Center
5841 South Maryland Avenue, MC1140
Chicago, Illinois 60637

SANDRA SWAIN, M.D.
Medical Director
Comprehensive Breast Center of
Greater Washington
5335 Wisconsin Avenue, N.W., Suite 440
Washington, D.C. 20015-2034

PATIENT REPRESENTATIVE:

JAMES R. ANDERSON, M.S.A.

Prostate Cancer Patient Advocate
Independent Consultant
La Plata, Maryland

APPEARANCES (Continued)

FDA STAFF MEMBERS:

GANG CHEN, PH.D. (A.M. Session)
ROBERT DeLAP, M.D.
CLARE GNECCO, PH.D. (A.M. Session)
SUSAN HONIG, M.D. (A.M. Session)
ROBERT JUSTICE, M.D.
GRANT WILLIAMS, M.D.

ON BEHALF OF JANSSEN RESEARCH FOUNDATION:

JANICE BUSH, M.D.
Vice President, Regulatory Affairs

PETER DePORRE, M.D.

BRUCE GIVEN, M.D.
Vice President, R&D

ALTON KREMER, M.D., PH.D.
Group Director, Clinical Development

ROBIN MURRAY, M.D.
Peter MacCallum Cancer Institute
Melbourne, Australia

DANIEL PETRYLAK, M.D.
Columbia Presbyterian Medical Center

MARGARET ROTHMAN, PH.D.

HOWARD I. SCHER, M.D.
Memorial Sloan Kettering Cancer Center

ANASTASIOS TSIATIS, PH.D.
Harvard School of Public Health

TONY VANGENEUGDEN

SCOTT ZEGER, PH.D.

Johns Hopkins University

APPEARANCES (Continued)

ALSO PRESENT:

RALPH BARCLAY
BETTY GALLO
JOANNE GOBER
HARRY B. HARRIS

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT - by Jannette O'Neill-Gonzalez	7
OPEN PUBLIC HEARING PRESENTATIONS	
by Ralph Barclay	9
by Joanne Gober	10
by Harry B. Harris	14
by Betty Gallo	15
- - -	
NDA 20-794 LIAZAL Tablets (liarozole fumarate), indicated for treatment of advanced prostate cancer in patients who relapse after first-line hormonal therapy	
JANSSEN RESEARCH FOUNDATION PRESENTATION	
Introduction - by Dr. Janice Bush	20
Efficacy and Safety - by Dr. Alton Kremer	22
Value of Post-therapy PSA Decline in Hormone-resistant Prostate Cancer - by Dr. Howard I. Scher	42
FOOD AND DRUG ADMINISTRATION PRESENTATION	
by Dr. Susan Honig	82
by Dr. Gang Chen	101
COMMITTEE DISCUSSION	117

DISCUSSION OF DRAFT GUIDANCE DOCUMENTS

P R O C E E D I N G S

(8:33 a.m.)

1
2
3 DR. DUTCHER: Good morning. Could we have
4 people please take their seats? We're going to begin.

5 Just to orient everyone, this is the Oncology
6 Drugs Advisory Committee meeting, second day.

7 We're going to start this morning with a
8 reading of the conflict of interest statement and then the
9 open public hearing.

10 MS. O'NEILL-GONZALEZ: Good morning.

11 The following announcement addresses the issue
12 of conflict of interest with regard to this meeting and is
13 made a part of the record to preclude even the appearance
14 of such at this meeting.

15 Based on the submitted agenda for the meeting
16 and all financial interests reported by the committee
17 participants, it has been determined that all interests in
18 firms regulated by the Center for Drug Evaluation and
19 Research present no potential for a conflict of interest at
20 this meeting with the following exceptions.

21 In accordance with 18 U.S.C. 208(b)(3), waivers
22 have been granted to Dr. Sandra Swain and Dr. Richard
23 Schilsky which permit them to participate fully in all
24 matters concerning Janssen's Liazal.

1 In addition, general matters waivers have been
2 granted to all committee participants who have financial
3 interests in companies or organizations which could be
4 affected by the draft guidelines to be discussed by the
5 committee.

6 A copy of these waiver statements may be
7 obtained by submitting a written request to the agency's
8 Freedom of Information Office, room 12A-30 of the Parklawn
9 Building.

10 We would also like to note for the record that
11 Dr. Robert Ozols and his employer, the Fox Chase Cancer
12 Center, have interests in Pharmacia and Upjohn, the sponsor
13 of a competing product to Janssen's Liazal, which do not
14 constitute financial interests in the particular matter
15 within the meaning of 18 U.S.C. 208. The agency has
16 determined, notwithstanding these interests, that it is in
17 the agency's best interest to have Dr. Ozols participate
18 fully in all matters concerning Janssen's Liazal.

19 In the event that the discussions involve any
20 other products or firms not already on the agenda for which
21 an FDA participant has a financial interest, the
22 participants are aware of the need to exclude themselves
23 from such involvement and their exclusion will be noted for
24 the record.

1 With respect to all other participants, we ask
2 in the interest of fairness that they address any current
3 or previous financial involvement with any firm whose
4 products they may wish to comment upon.

5 Thank you very much.

6 DR. DUTCHER: In addition to the members of the
7 committee, we'd like to welcome Mr. James Anderson who is
8 our patient representative. Thank you for joining us this
9 morning.

10 Now we're going to begin with the open public
11 hearing. We have several people who have submitted
12 statements and would like to provide a comment. The first
13 person is Mr. Ralph Barclay. Please identify your
14 affiliation and whether or not any financial support has
15 been provided by the sponsor.

16 MR. BARCLAY: No, I don't have any affiliation
17 except the support groups.

18 First of all, I'd like to say I was paid
19 nothing to come here, and you may feel that that was an
20 appropriate sum when I'm through.

21 (Laughter.)

22 MR. BARCLAY: In my written statement, I
23 presented myself as a ME TOO advocate. I just want to say
24 "me too" to the fine presenters that have preceded me and

1 are to follow me.

2 In other words, I would just like to sort of
3 echo their thoughts and perhaps ask for the approval of
4 Liazal, if it does seem promising. We understand your
5 difficult position with everybody pulling on you like this
6 with so many demands, but we prostate people would like
7 your help and would greatly appreciate you keeping us in
8 mind for developing new therapies.

9 Thank you.

10 DR. DUTCHER: Thank you very much.

11 Ms. Joanne Gober?

12 MS. GOBER: Good morning, ladies and gentlemen
13 of the committee and audience. I come to you today as a
14 surviving breast cancer patient of 10 years. My name is
15 Joanne Gober and I'm from Charlestown, Rhode Island. My
16 husband is a surviving prostate cancer patient of advanced
17 prostate cancer of five years.

18 I have come today with a lot of difficulty
19 financially because of our situation, and I did receive
20 some assistance from Janssen, but it would not have
21 prevented me from coming without the assistance. And I
22 want to make that very clear.

23 My husband has been under treatment for the
24 past five years with injections of Lupron and the following

1 hormonal blocking agents. He has been on Eulexin, Megace,
2 Cytadren, Nizoral, nilutamide, and Liazal.

3 Through the willingness and only the
4 willingness of our oncologist to constantly change these
5 drugs and to work with us as patients to try to help him,
6 we were able to lower the PSA every time it rose and put
7 him out of remission.

8 Last August Frank's PSA escalated to 1,382,
9 giving him extreme bone pain and total dysfunction. He was
10 not able to walk and not able to even do normal things that
11 most people are accustomed to. He required hospitalization
12 and radiation in-patient and out-patient exceeding three
13 weeks.

14 Through the assistance of a very dear friend,
15 Lloyd Nade, with PACT, he suggested that I try to reach
16 Janssen and see if I could get any information or find out
17 about Liazal, which had shown good results. Only through
18 diligence of working and asking and seeking help from lay
19 people and people in the medical world was I able to get
20 through to Dr. Kremer who, only through his compassion,
21 provided the drug Liazal to our oncologist, and Frank
22 started this drug last October 10th.

23 At that point, his PSA was 1,382. In December,
24 his PSA dropped to 700. In February, it dropped to 683,

1 and his PSA this month, June 2nd of 1997, was 392.

2 He is a changed person. He is able to walk
3 with a cane. He is able to go out and walk on the beach.
4 He's able to drive his car. He's able to deal in a
5 capacity that is normal or as normal as you can be with
6 advanced cancer. This has only been accomplished with the
7 aid of this medication, and this has only been accomplished
8 because it has been made available to us, to our doctor and
9 to us as patients, because somebody cared.

10 I think that's the message that everybody here
11 has to hear is that somebody has to care. Certainly as
12 people we know there are side effects to these drugs. We
13 know that things could happen, but people, scientists,
14 physicians, research people have taken as much time and as
15 much effort to help people or to perfect these drugs so
16 they can be safe. We're not safe from aspirin. When we
17 take that, it has certain side effects.

18 But if Liazal is not made available, then there
19 is no choice. I think this is the message that you have to
20 hear. This is a miracle that my Frank has had because he
21 was able to have this. Will he be here in six months? I
22 don't know the answer to that, nor do I know the answer if
23 he'll be here in a year. But I know he's here today. It
24 gave us time that we would have not had.

1 I think the message that I need to tell you is
2 that when you give your recommendations to the people in
3 charge, the people that will make the decisions, not to
4 think of how unsafe or is it proven, is it for everyone,
5 but to think if this was my family, if this was my brother,
6 my father, my grandfather, would I want them to have the
7 opportunity to use this drug or not to use it.

8 This is all we can do as human beings. There
9 is no guarantee, but the guarantee is that you did the best
10 you could. You looked at a situation and you did the best
11 you could to address it for everyone and let people make
12 the choice. Let people with their doctor's guidance decide
13 if this drug is good for them and will it help them.

14 It helped us. Frank would not be here today
15 without it. We are totally convinced of this and not
16 totally by things that are not proven. His medical history
17 proves this.

18 Again, I thank you all very much for allowing
19 me to come here today and to speak to you. I ask you in
20 your hearts to look at this and look at it as something
21 that can help people, that can help people who are
22 afflicted with this horrible disease and give them a
23 choice. When you give your vote or your recommendation, do
24 it from your heart, not from a black and white scenario of

1 this is good, this is bad. Let people make that decision.
2 If it means you gave someone 30 days or 6 months of life,
3 then that's a good thing because it helped people be here
4 that would not be here.

5 I thank you very much.

6 DR. DUTCHER: Thank you very much. Thank you
7 for making the effort to come.

8 Our next speaker is Lieutenant Colonel Harry
9 Harris.

10 MR. HARRIS: Thank you very much, madam. Good
11 morning, panel members, ladies and gentlemen. I am Harry
12 B. Harris, regular Army, retired Lieutenant Colonel and
13 retired Department of State, Agency for International
14 Development Foreign Service Officer.

15 I appreciate the opportunity to speak to you
16 this morning. I am here as a Walter Reed Army Medical
17 Center radical prostatectomy five-year survivor. I am also
18 a member of several prostate cancer support groups in the
19 area, to include the Walter Reed Army Medical Center's US
20 TOO Incorporated, the American Foundation for Urologic
21 Disease, Incorporated, the newly formed National Prostate
22 Cancer Coalition, and the District of Columbia's Prostate
23 Cancer Awareness Task Force Advisory Group.

24 You may ask why. I am in excellent condition,

1 but when I was on the bed recovering from radical
2 prostatectomy, I promised God that if I were able to get
3 up, I would go out and try to spread the word that prostate
4 cancer can be conquered. So, after having heard Mrs.
5 Joanne Gober, I am very happy to be here at this time.

6 My purpose for coming here is to request your
7 approval for the immediate use of the Janssen Liazal,
8 liarozole tablets. As you have heard, they may improve the
9 quality of life for men suffering from excruciating pain
10 due to advanced prostate cancer.

11 My symptoms of prostate cancer were detected
12 early and I survived my April 1992 painless operation. I
13 am advocating the use of an American drug discovered and
14 being developed and may ease terrible pain for prostate
15 cancer patients who may detect that disease after it may
16 have metastasized.

17 I am not a lobbyist for any of the prostate
18 cancer organizations cited above, Janssen, nor any other
19 organization. I am here as a 76-year-old prostate cancer
20 survivor to show you by my presence that the FDA's past
21 approval of newly discovered and developed drugs helped
22 save my life. Through your professional insight and to the
23 economic, political, social, and clinical advantages
24 associated with the use of new medical options for prostate

1 cancer patients, we would have more alternatives for our
2 treatment. Your approval at this stage is requested and
3 appreciated. Working together, we will accelerate
4 America's pharmaceutical lead in prostate care.

5 God bless you, all prostate cancer patients and
6 those involved in their care. Thank you very much.

7 DR. DUTCHER: Thank you very much.

8 Our next speaker is Mrs. Betty Gallo.

9 MS. GALLO: I say good morning to everyone, the
10 committee and also the audience, and I want to thank you
11 for allowing me to be here to testify.

12 I want to say that I received no assistance
13 from Janssen except for my accommodations, but I would be
14 here anyway in memory of my husband because I want to be
15 able to save somebody else's life even though my husband is
16 no longer with me.

17 I am Betty Gallo, the wife of the late
18 Congressman Dean A. Gallo from the 11th District of New
19 Jersey, who retired from Congress in August of 1994 and
20 died from prostate cancer on November 6, 1994.

21 Unfortunately, when he was diagnosed in
22 February of 1992, he was in the advanced stages of prostate
23 cancer. How our life revolved around the PSA level and
24 what future options were available for him.

1 I'm a prostate cancer advocate for the
2 prevention of prostate cancer, education, awareness, and
3 research. I'm here on behalf of Janssen and Liazal.

4 It is so important to have as many drugs
5 available, especially in the advanced stages of prostate
6 cancer. It can be given after a man is hormone refractory.
7 The question is, what can I take after the hormone therapy
8 fails? It gives the men and their families hope that if
9 one drug doesn't work, that another one will. This is
10 because in prostate cancer, there are so many variables.
11 Each man responds differently to every medication.

12 I saw that with my husband Dean. When he was
13 first diagnosed with prostate cancer in the advanced
14 stages, he was treated at the National Institutes of Health
15 in Bethesda, Maryland. With a PSA of 883 and a Gleason of
16 8, his life expectancy was three to six months, but because
17 of the hope and new medications, he survived two and a half
18 years.

19 Dean first started on suramin and combined
20 hormonal therapy. His PSA level began to come down, but in
21 October of 1993, it began to rise again. When it started
22 to elevate, they gave him Cytadren. Dean's doctor, Dr.
23 Meyers, told him a story about a gentleman who was on the
24 same medication who had a PSA level of 4,000. He said this

1 man was basically put in a wheelchair and sent home to die.
2 The man went home. The wife called up a couple weeks later
3 and said there was medication called Cytadren and said
4 would it be possible to give it to my husband, and Dr.
5 Meyers, sure, there's nothing else I can do for him at this
6 point.

7 So, they administered the drug and a couple
8 weeks later she called back and he just assumed that she
9 had called to say that he had passed away. She said, can
10 my husband play limited tennis?

11 (Laughter.)

12 MS. GALLO: Unfortunately, Dean did not respond
13 as well as this gentleman did. In January of 1994, they
14 put Dean on tamoxifen, 48 pills a day. Can you imagine
15 sitting there in Congress counting out 24 pills in the
16 morning and 24 pills in the afternoon?

17 This unfortunately became toxic to his system.
18 So, they had to wait because he had to have a hip
19 replacement in March of 1994. They restarted him on 19
20 pills a day of tamoxifen when his PSA level at that point
21 was 2,000. Within a month it did drop to 1,000.

22 Dean was then put on strontium 89 for bone
23 pain.

24 All I can say is when my husband's -- after he

1 died, his medical records were this thick, but that's
2 because of the hope that there were other medications
3 available. If this prognosis had been the three to six
4 months, his medical records would have been that thick.

5 Since his death, there are more breakthroughs
6 such as this medication Liazal, and I'm sure that if there
7 were more medications like Liazal available in 1994, my
8 husband would probably have lived longer and made the
9 quality of his life much better.

10 I just had a seminar in New Jersey put on by my
11 task force of the American Cancer Society. I had a friend
12 of my cousin's whose husband was just diagnosed about a
13 month ago with prostate cancer in the lymph nodes. She and
14 her husband came to the seminar and listened to the doctors
15 talk about medications in advanced prostate cancer. It was
16 the first time there was a hope for them than just the
17 medication he was on, and if he does not respond to that
18 medication, they know there is more available to them.

19 This year 30 to 40 percent of men over 50 will
20 be diagnosed with prostate cancer.

21 One final thought. Do you or someone close to
22 you have prostate cancer? Could you be next since one out
23 of every eight men will be diagnosed this year with
24 prostate cancer? Wouldn't you like to have that option

1 available to you, that there are drugs that you can use if
2 one fails? How would you feel being incontinent or
3 impotent, which is very devastating to men and their wives
4 and their significant others? Don't you want the hope and
5 options and a better quality of life?

6 The FDA should consider what will give men the
7 hope and quality of life. I know Liazal will do this. You
8 can never have enough medications available for prostate
9 cancer. Every new breakthrough is one more piece of hope
10 for a man and his family. Having that hope helps mentally
11 to fight the disease. I urge the FDA to approve the drug
12 Liazal to continue the hope that is so desperately needed.

13 Thank you.

14 DR. DUTCHER: Thank you very much. I'd like to
15 thank all of the people who have come both yesterday and
16 today to offer their thoughts. We realize they've made a
17 tremendous effort to appear here.

18 Is there anyone else in the audience who would
19 like to make a statement with respect to Liazal?

20 (No response.)

21 DR. DUTCHER: Just to let you know, this is the
22 only time for open public hearing. If there are any
23 members of the audience who would like to make a comment
24 about the new initiatives that will be discussed this

1 afternoon, presenting an opinion or recommendation to FDA
2 regarding these guidelines that are currently in draft
3 form, this is the time to do it.

4 No? That being the case, then we will proceed
5 with the morning's agenda and we'll begin with the
6 sponsor's presentation regarding Liazal.

7 DR. BUSH: Good morning, everyone. I'm Dr.
8 Janice Bush, Vice President of Regulatory Affairs at
9 Janssen Research Foundation.

10 Today we will be discussing Liazal tablets for
11 treatment of advanced prostate cancer. Dr. Alton Kremer,
12 Group Director, Clinical Development, will present data on
13 Liazal's safety and efficacy. Then Dr. Howard Scher of
14 Memorial Sloan Kettering Cancer Center will discuss the
15 value of post-therapy PSA decline in hormone-resistant
16 prostate cancer. Then I'll return briefly at the end of
17 the presentation to wrap up.

18 In addition, we have several consultants here
19 to help us with questions later during the discussion
20 period: Dr. Murray, Dr. Petrylak, Dr. Tsiatis, and Dr.
21 Zeger.

22 As you've heard from patients yesterday and
23 also this morning, metastatic prostate cancer is not
24 curable with today's therapeutic options. Despite the

1 benefits of hormonal therapy, the median duration of
2 progression-free survival of men with this disease is only
3 16 to 18 months. Virtually all will develop progressive
4 disease after hormonal therapy. When this occurs, median
5 survival is only about 9 to 12 months. Prostate cancer is
6 now the second leading cause of cancer death in men, and in
7 1997 it's estimated that almost 42,000 men will die of
8 hormone-refractory prostate cancer.

9 The treatment of relapsed metastatic prostate
10 cancer has improved only marginally in the last few
11 decades. Once hormone-refractory disease develops, there
12 are very few therapeutic options available for these
13 terminally ill patients.

14 So, there's a clear need for novel active
15 agents. We are presenting the data on Liazal, an agent
16 with a novel mechanism of action. It doesn't represent
17 just another hormonal manipulation which has proven
18 disappointing in the past. We will show that Liazal does
19 work in the treatment of hormone-resistant prostate cancer
20 and therefore offers these patients a new treatment option.

21 Janssen has submitted an NDA in support of the
22 following: Liazal is indicated for the treatment of
23 advanced prostate cancer in patients who relapsed after
24 first-line hormonal therapy.

1 In support of this indication, we will show you
2 that Liazal has produced longer survival in one comparator
3 trial. PSA response is statistically correlated with
4 survival and can be used to guide clinical use, and PSA-
5 responding patients derive benefit that outweighs risk.

6 Now, Dr. Kremer will present the safety and
7 efficacy data.

8 DR. KREMER: Thank you very much. I'm Dr.
9 Alton Kremer. I'm an oncologist working with Janssen.

10 Hormone-refractory prostate cancer is a
11 horrible disease and we do not treat it well today. As
12 you've heard, with one in eight men eventually facing
13 prostate cancer, this is a significant issue that we must
14 address and it is in this setting I would like to discuss
15 the efficacy and safety of liarozole.

16 This is a benzimidazole-derived agent, and it
17 is the first of a novel class of differentiation agents
18 that act by blockading retinoic acid metabolic pathway and
19 therefore raising the intracellular levels of retinoic
20 acid. This does not cause induction of retinoic acid
21 metabolism, as can occur with exogenously administered
22 retinoids. This agent has demonstrated antiproliferative
23 effects in preclinical models of both androgen-dependent
24 and independent prostate cancer lines and in breast cancer

1 cell lines.

2 The action of liarozole in blockading retinoic
3 acid metabolism is shown here in a rat model. On the top
4 slide, you see the increase in plasma retinoic acid with
5 varying doses of liarozole, and more strikingly, on the
6 bottom of the slide you see increases in the experimental
7 tumor implanted in these rats consequent to the dosing of
8 liarozole. There is a dose-dependent increase in the level
9 of retinoic acid in these tumors.

10 It's also important to comment on what
11 liarozole is not. This drug does not bind to the androgen
12 receptor nor to the retinoic acid receptor, and it does not
13 block adrenal androgen production.

14 When given chronically to humans, it does not
15 suppress testosterone.

16 LNCaP cells, which are a prostate cancer cell
17 line that in culture synthesize PSA -- when liarozole is
18 added to these cultures, there is no artifactual
19 suppression of PSA synthesis as can occur with some agents
20 such as suramin.

21 This is not a direct cytotoxic agent.

22 The pharmacokinetics of liarozole have been
23 well described. The drug is primarily N-glucuronidated.
24 It is not itself a P450 metabolized agent.

1 The time to maximum level is approximately 30
2 minutes to 2 hours, and the half-life is approximately 8
3 hours.

4 There is no food effect, and there is excellent
5 oral bioavailability.

6 In patients with prostate cancer, liarozole at
7 a dose of 300 milligrams b.i.d., the labeled dose, has been
8 tested in 383 such patients for over 150 patient-years of
9 exposure. All doses of liarozole have been administered to
10 575 prostate cancer patients, for in excess of 200 patient-
11 years of exposure.

12 We have conducted three key trials of liarozole
13 in hormone-refractory prostate cancer. They're summarized
14 on this slide.

15 USA-26 was a limited, 16-week randomized study
16 looking at the effect of three doses of liarozole, 75, 150,
17 and 300 milligrams b.i.d., and looking at the effect on
18 PSA.

19 LIA-INT-5 was an open-label randomized
20 comparative study looking at survival and comparing
21 liarozole to cyproterone acetate, an antiandrogen that is
22 licensed for use in many countries outside the United
23 States.

24 LIA-USA-22 was similarly an open-label

1 randomized comparative survival trial comparing liarozole
2 to prednisone 10 milligrams b.i.d. and was conducted in the
3 United States.

4 The general scheme of these studies is outlined
5 on this slide. All patients entering these trials had
6 progressive metastatic prostate cancer following primary
7 hormonal therapy. Their primary therapy could have been an
8 orchiectomy or LHRH agonist with or without an
9 antiandrogen.

10 Following progression, these patients were then
11 randomized to one of the treatment arms in the three
12 trials, and those are shown on the right-hand side of the
13 slide with the patient numbers in each arm.

14 Please note at the time these trials were
15 designed, the flutamide withdrawal or antiandrogen
16 withdrawal syndrome had not been described and these trials
17 did not take that into account. We have retrospectively
18 examined the data in these trials with regard to
19 antiandrogen withdrawal and I will present that.

20 I would like to summarize USA-26 briefly on
21 this slide. As I mentioned, this was a limited 16-week
22 trial looking at the PSA effect of 75, 150, and 300
23 milligrams b.i.d. of liarozole. The trial demonstrated a
24 dose-dependent PSA response rate and a dose-dependent time

1 to PSA progression. Increases in liarozole dose correlated
2 with decreased level of PSA.

3 Most importantly, when we looked at this trial
4 retrospectively, the flutamide withdrawal effect did not
5 account for the response rate of liarozole, nor did it
6 account for the dose effect that was demonstrated in the
7 study.

8 I'd like to move then to the two comparator
9 trials I mentioned, one versus cyproterone acetate and the
10 other versus prednisone.

11 In the final amended protocols and analysis
12 plan, effectiveness was to be based on the following. The
13 primary parameter for these studies was survival, and a
14 difference was to be shown at p less .05. Please note that
15 survival in these trials reflects all causes of mortality.
16 It is not cancer-specific.

17 Additional parameters included response rate
18 which could be used to demonstrate effectiveness if it was
19 linked to clinical benefit for the patients.

20 Time to progression was also examined and this
21 was analyzed on PSA, radiologic, and clinical progression
22 events. The analysis plan called for a difference in time
23 to progression to be shown if one such event was
24 significant at p less than .05 and at least one additional

1 event showed a trend or better, p less than .1.

2 For effectiveness to be demonstrated on these
3 additional parameters, the totality of the data was to be
4 examined. Please note that the log-rank test was used for
5 the primary analysis in the time-to-event variables.

6 The analysis plan specified the use of a Cox
7 regression, and this was done to correct for baseline
8 imbalances. At the time this analysis was planned and in
9 fact I believe today, the literature is not clear on what
10 the appropriate set of baseline parameters are for
11 determining imbalance in prognostic factors. Therefore, we
12 took a subset of the variables from the study for which
13 there was literature evidence that they were potentially
14 prognostic and by a stepwise algorithm narrowed that list
15 to obtain the parameters for the Cox regression analysis.

16 Consequently the Cox regression analysis
17 parameters were derived from the data and this analysis is
18 considered a post hoc analysis. A validation package was
19 suggested by the division for the post hoc Cox regression
20 analysis and I will present those results.

21 The issue of tumor response in hormone-
22 refractory prostate cancer is an important one. Measurable
23 disease is uncommon in these patients, approximately 15
24 percent of any patient cohort.

1 Bone lesions are not useful for the
2 determination of response. They are in general
3 osteoblastic and exhibit a very prolonged healing time.
4 Additionally, bone scan, as is usually the case, is not
5 useful for the determination of response.

6 Today in the clinic, PSA changes are the method
7 that is used by physicians in making treatment decisions
8 for patients.

9 In light of this situation, there was an
10 amendment to USA-22 based on an investigators' meeting in
11 1994 while the study was in progress. The original
12 protocol used the National Prostate Cancer Program, NPCP,
13 criteria for response and progression. These criteria were
14 developed in the 1970s and for response rely on measurable
15 disease and healing bone lesions and do not account for
16 PSA, which of course was not developed until the end of the
17 1980s. In progression, these criteria do not define the
18 symptoms, again of course do not account for PSA, and do
19 not account for differing time-to-event progression
20 parameters.

21 The amended protocol, therefore, defines
22 response based on PSA and PSA response is to be correlated
23 to clinical benefit for patients. Progression symptoms
24 were defined as cancer-related pain, and as I mentioned

1 previously, the time to PSA, radiologic, and clinical
2 progressions were evaluated separately.

3 I'll present the results from these comparator
4 trials in this sequence, first looking at the log-rank
5 analysis of survival, and then we'll talk about the
6 baseline comparisons in these trials because hormone-
7 refractory prostate cancer patients are a heterogeneous
8 population of patients and it is well known that even small
9 imbalances in important prognostic factors in heterogeneous
10 populations can significantly influence the clinical
11 outcome. Following that, I will present the survival
12 analysis and then, importantly, the data on PSA response
13 and its linkage to survival. Then I'll present progression
14 and quality of life.

15 This is the log-rank Kaplan-Meier curves for
16 LIA-INT-5, liarozole versus cyproterone acetate. You will
17 notice there is not much separation of the curves, p .52,
18 is not significantly different.

19 These are the survival curves for LIA-USA-22 by
20 log-rank analysis. There is a separation of the curves but
21 in fact it is prednisone that appears better, p .01.

22 I did mention, though, that we do need to look
23 at the baseline comparisons and these are important in
24 these trials.

1 This slide gives you a table of baseline
2 comparisons in LIA-INT-5. All items are shown with an
3 asterisk and those indicate parameters that had significant
4 prognostic value from a univariate proportional hazards
5 model. There is one univariate significant difference in
6 pain and analgesic use. The others show some imbalances or
7 trends, but again in heterogeneous populations, such
8 imbalances may be important.

9 These are baseline parameters from LIA-USA-22
10 and here you see a much more significant difference at
11 baseline. Again, start parameters had significant
12 prognostic value in a univariate proportional hazards
13 model. The ECOG score was highly significantly different
14 at baseline in this trial between the two treatment arms, p
15 .008. The other parameters in this table were significant
16 as noted.

17 I note in this slide some additional baseline
18 comparisons. These were not significant in a univariate
19 proportional hazards model but do indicate some numerical
20 imbalance and in all cases these are consistent with a
21 poorer prognosis group assigned in the randomization to
22 liarozole.

23 The Cox model was constructed as I described
24 and the final parameters are shown here. They include ECOG

1 performance status, hemoglobin, alkaline phosphatase, PSA,
2 and the duration of response to primary hormonal therapy in
3 LIA-INT-5. This model was derived in the LIA-INT-5 data
4 set and then shown to predict the behavior of the LIA-USA-
5 22 data set. In the USA-22 study, because data was
6 collected differently, time since primary hormonal therapy
7 was substituted for the last parameter. All of the
8 parameters included in the final Cox model have literature
9 support as important prognostic variables.

10 This shows you the survival curves from LIA-
11 INT-5 output from the Cox regression analysis. There is
12 now a separation of the curves, and in fact liarozole is
13 superior to cyproterone acetate, p .039.

14 On this graph, you see the survival curves from
15 the Cox regression analysis for LIA-USA-22. The separation
16 between the curves is now much narrower. There is still a
17 trend in favor of prednisone, p .073.

18 Conclusions from the Cox model, therefore,
19 differ from the unadjusted analysis that we conducted.
20 Based on the Cox model, liarozole is superior to
21 cyproterone acetate, and the differences from prednisone
22 have become statistically insignificant.

23 In this setting, validation of the Cox model is
24 extremely important. Two methods were suggested by the

1 division for this purpose. The robust inference method
2 tests for the validity of a treatment effect in the event
3 that the model is misspecified. The bootstrap analysis
4 tests for the appropriateness of the parameters and of the
5 model that is selected. In addition, we performed an
6 outlier analysis because it is known that Cox models are
7 sensitive to the presence of outliers.

8 On this slide you see the results of the
9 validation package. On top in yellow I have put the
10 original p values from the Cox regression analysis. On the
11 left-hand side of the slide under LIA-INT-5, you see the
12 results from each of the validation tests on the LIA-INT-5
13 study. There is a notable consistency to these data. In
14 each case the result is the same. There's a significant
15 difference between the treatment arms, with liarozole
16 superior to cyproterone acetate.

17 On LIA-USA-22, there is a slightly different
18 story. In this setting, the trend which you see in the Cox
19 regression analysis does not appear robust and in fact
20 there appears to be a sizable impact of outliers in this
21 trial.

22 Consequently, to summarize the survival
23 analysis -- and again, this is an analysis that includes
24 all causes of death -- clinically important baseline

1 differences exist in these studies. The Cox model that was
2 developed to account for baseline imbalance is robust and
3 it is valid. After adjustment in the Cox model, liarozole
4 is superior to cyproterone acetate and the differences from
5 prednisone have become statistically insignificant.

6 In this setting, now I would like to turn to
7 the issue of PSA response and particularly the linkage of
8 PSA response to survival for these patients.

9 There has been substantial literature over the
10 past several years linking PSA to clinical outcome in
11 hormone-refractory prostate cancer, and Dr. Scher will
12 address this in more detail later. For the purpose of
13 these studies, PSA response was defined in the same way. A
14 complete response, i.e., a drop to less than or equal to 4
15 nanogram per ml, or partial response, a decrease to less
16 than 50 percent of the baseline level, had to be confirmed
17 by a second determination at least 28 days later.

18 Progressive disease was determined as a 50
19 percent or greater increase over the lowest prior moving
20 average of 3 consecutive points, and that was chosen to
21 minimize artifact due to any laboratory variation.

22 Evaluable patients were required to have a PSA
23 level of at least 20 nanograms per ml, and this helps
24 exclude the possibility of de-differentiated tumors.

1 The PSA response rates in this trial are shown
2 here. You'll note that there is a highly significant
3 difference in LIA-INT-5 with a superior PSA response rate
4 in the liarozole arm, 20 percent to 5 percent.

5 In USA-22, there is not a significant
6 difference between the groups, 18 percent response on
7 liarozole, 25 percent response on prednisone. That's not
8 significantly different. Please note that in fact the
9 pattern of response correlates with the outcome of the Cox
10 model.

11 This slide shows you the accrual of PSA
12 responders to liarozole over time, and you will note that
13 responders are detected rapidly. By 8 to 12 weeks,
14 approximately 90 percent of all patients who will
15 potentially respond can be detected as having done so.

16 I mentioned before the issue of antiandrogen
17 withdrawal. We retrospectively examined the data from
18 these studies to look for the influence of antiandrogen
19 withdrawal, and on the left of this slide, you see patients
20 who had no exposure to an antiandrogen within 30 days prior
21 to entering the study. The right are all patients. There
22 are numerical differences that you can see in the response
23 rates, but it is clear from this slide that PSA responses
24 to these agents are observed in the absence of antiandrogen

1 withdrawal and in general the patterns are the same.

2 Now, if all we had was PSA response, we're just
3 changing laboratory numbers on a slip, and that's not
4 valuable. But the point is the linkage of PSA response to
5 clinical outcome, specifically survival.

6 This graph shows you a landmark analysis at
7 week 8 for LIA-INT-5, and you can see there is a difference
8 that is statistically significant, $p .032$, between the PSA
9 responders and the PSA nonresponders. PSA responders live
10 longer by approximately 10 months, and this is in a
11 landmark analysis that accounts for the potential of lead
12 time bias.

13 The same landmark analysis at week 8 is shown
14 here for USA-22 for both treatment groups. Again, there is
15 a significant difference, $p .005$, between the PSA
16 responders and the nonresponders. The PSA responders live
17 longer by approximately 10 months.

18 You can see in your package on pages 111 and
19 114 curves that break out these landmark analyses by each
20 treatment group and you see that the patterns are the same.

21 We also conducted a time-dependent covariate
22 analysis to exclude the possibility that this is just a
23 fortunate landmark. Again, for both LIA-INT-5 and LIA-USA-
24 22, you can see that there is a significant difference

1 between the survival of PSA responders and PSA
2 nonresponders.

3 This analysis was also stratified for baseline
4 risk group to account for baseline prognosis, and the
5 stratification breakout is in your briefing package on page
6 115.

7 Therefore, there is a strong and statistically
8 significant correlation between PSA response and survival.
9 This correlation cannot be attributed to baseline
10 prognostic factors, as the stratified analyses continue to
11 show the difference, and it is not sensitive to the
12 landmark that is chosen.

13 In this table you see the times to progression
14 in these trials shown in months. In each study you observe
15 that there is one time-to-event parameter that shows a
16 significant difference, p less than .05. In LIA-INT-5,
17 liarozole has a significantly longer time to PSA
18 progression, and in LIA-USA-22, prednisone shows a
19 significantly longer time to subjective clinical
20 progression.

21 However, there is no second time-to-event
22 parameter that shows a trend, p less than .1.

23 We also examined the bone scan data in LIA-USA-
24 22, as well as the soft tissue radiology, which I showed in

1 the previous slide. Again, looking at changes in discrete
2 number of bone lesions or in the skeletal involvement
3 index, there is no significant difference between liarozole
4 and prednisone.

5 One exploratory analysis that we did conduct
6 which is of interest is shown here and this comes from the
7 bone scan data. You can see here that the number of
8 discrete bone lesions in PSA responders increases more
9 slowly over time than in the PSA nonresponders, and this is
10 what one would expect based on the survival linkage with
11 PSA.

12 In summary on time to progression then, for
13 both LIA-INT-5 and LIA-USA-22, one time-to-progression
14 event was significant, p less than .05, but no second event
15 showed a trend, and consequently no treatment arm is
16 superior in time to overall progression.

17 We did conduct Cox regression analyses for each
18 of these time-to-event parameters, and at the suggestion of
19 the division, we conducted a competing risk analysis for
20 these parameters. The results are consistent.

21 Quality of life in these studies was measured
22 by the FLIC scale, by ECOG performance status, and by pain
23 and analgesia use scale, and in the United States as well
24 by the MPAC scale. We conducted both the per-protocol

1 analyses, and also at the suggestion of the division, we
2 conducted longitudinal analyses of the quality of life
3 parameters. I'm going to summarize the longitudinal
4 analyses results on the next slide.

5 In LIA-INT-5, liarozole showed a significantly
6 better pain profile than cyproterone acetate. In LIA-USA-
7 22, the liarozole group began with significantly worse
8 quality of life scores than the prednisone group and ended
9 with significantly worse quality of life scores. PSA
10 responders also began and ended with significantly better
11 quality of life scores than PSA nonresponders.

12 It should be noted that these longitudinal
13 analyses are quite sensitive to attrition patterns and to
14 missing data patterns, and therefore quality of life
15 results should be interpreted cautiously.

16 I'd like now to discuss the safety profile of
17 this drug both from the perspective of all prostate cancer
18 patients treated at 300 milligrams b.i.d. and the two
19 comparator trials.

20 This bar chart shows you the most frequent
21 adverse events recorded for patients treated with 300
22 milligrams b.i.d. of liarozole in prostate cancer studies.
23 The most frequent adverse events tend to be skin and
24 gastrointestinal. This is fairly typical for a

1 hypervitaminosis A type pattern. In general, most of these
2 adverse events were rated as mild to moderate. In each
3 case less than 5 percent of the population reported the
4 adverse event as severe.

5 Please also note that an individual patient can
6 have more than one of these adverse events so that we
7 cannot simply add one bar to the other to produce the sum
8 of the two adverse events. It's not a unitary situation.

9 We examined adverse event discontinuations in
10 these trials. These are patients that were listed by the
11 investigator as having been discontinued for an adverse
12 event. There is a difference between the numbers in this
13 table and the numbers on your question handout, and that
14 arises from the fact that we have excluded patients removed
15 from the trial for disease progression in the international
16 study. In this table you do see a higher rate of adverse
17 event discontinuation in the liarozole group.

18 We examined the specific body system causes of
19 adverse event discontinuations. Again, these are as
20 recorded by the investigators. You can see on this table,
21 particularly the top two lines, the biggest excess in
22 causes of discontinuation are in the skin side effects and
23 in the gastrointestinal side effects. When we looked at
24 these skin side effects and the gastrointestinal side

1 effects, that were noted as causing discontinuation, more
2 than 50 percent of the skin and more than 60 percent of the
3 gastrointestinal events so noted were also rated as mild to
4 moderate. These are the kinds of adverse events that can
5 be managed with skin care and certainly with antiemetics.

6 We examined fluid and electrolyte balance
7 adverse events in these trials, and you see in the
8 liarozole column all 383 patients treated in prostate
9 cancer trials and, for comparison, the two comparator arms
10 in the two comparator trials.

11 You'll note on the top four lines that the
12 overall signs of fluid overload appear similar across these
13 treatment arms.

14 There is an increased incidence of CHF recorded
15 in the liarozole patients in these studies, 7 percent to 3
16 percent and 3 percent respectively. We did look at the
17 patients who were noted as having CHF and there is a
18 statistically significant linkage to anemia at baseline.
19 These patients on average had a further loss of about 1
20 gram of hemoglobin per deciliter from baseline to the time
21 at which they were noted as having CHF. And there was also
22 a statistical linkage to poorer ECOG performance status.

23 We also looked at outcome in these patients.
24 In the two comparator trials, when one examines patients

1 with CHF who died in the presence of CHF, we see 4 percent
2 on the liarozole arm, 2 and a half percent on the
3 cyproterone acetate, and 1 percent on the prednisone. So,
4 there is still a bit of an increase. We do not know if
5 this is due to a sicker population or if it could be
6 related to drug. It does, therefore, seem prudent that
7 there be appropriate labeling in this regard and that
8 physicians be cautioned to look for signs of CHF in these
9 patients.

10 We did also note an increase in hypokalemia in
11 the liarozole patients relative to the two other treatment
12 groups, and again we're looking at all 383 liarozole
13 patients but it's similar in the two comparator studies.

14 We considered the possibility that there was a
15 mineralocorticoid mechanism based on deoxycorticosterone,
16 but on the limited data that are available of paired data
17 points, we don't see a correlation.

18 Hypokalemia is reported with other azole
19 compounds and the mechanism for that is not known, but this
20 is a side effect that is eminently treatable as well as
21 preventable.

22 Therefore, in summary on adverse events, the
23 most frequently occurring adverse events to liarozole are
24 gastrointestinal or skin in nature and they are consistent

1 with its mechanism of action. These are largely mild to
2 moderate in severity and they are manageable.

3 The excess discontinuations due to adverse
4 events as well are primarily attributable to the GI and the
5 skin adverse events.

6 The safety profile of this drug, therefore, is
7 acceptable in patients with relapsed cancer with monitoring
8 for signs of CHF.

9 To conclude on efficacy then, liarozole
10 produces longer survival when baseline imbalance is
11 accounted for than the comparator in one trial. That's the
12 cyproterone acetate. The second trial did not show such a
13 superiority.

14 PSA response, however, is statistically
15 correlated to survival and it can be used to guide clinical
16 use of the drug.

17 PSA responding patients derive a significant
18 benefit from treatment. They have an increased survival of
19 9 to 10 months, and based on bone scan, they have slower
20 progression of bony disease and they do have better quality
21 of life scores.

22 PSA monitoring detects those patients who will
23 benefit from drug and it does so after relatively short
24 exposure, 8 to 12 weeks.

1 Most adverse events are acceptable and
2 manageable.

3 Finally, in hormone-refractory prostate cancer
4 today, the treatment options are limited and currently
5 survival is short. Liarozole offers a new oral therapeutic
6 option for patients who have very few options today.
7 Patients who demonstrate a PSA response derive benefit from
8 drug therapy that outweighs the risk.

9 I thank you very much and I would like to turn
10 the podium over to Dr. Howard Scher.

11 DR. SCHER: Thank you very much.

12 Classical clinical trials in oncology rely on
13 the entry of patients who present with bidimensionally
14 measurable tumor masses that can easily be assessed post
15 treatment. Such a situation rarely exists in prostate
16 cancer as less than 10 percent of patients present with
17 measurable tumor masses.

18 Furthermore, in most cases these represent
19 lymph nodal metastases where the response does not parallel
20 the changes that occur in bone, the most frequent and most
21 devastating site of spread. It is clear, with currently
22 available techniques such as bone scan in relapsed disease,
23 that monitoring the disease in a serial way is extremely
24 difficult and very hard to quantify in a reproducible way.

1 For individual patients, a PSA change would
2 reflect changes in total tumor burden.

3 There are ample data to support a rising PSA
4 after hormonal therapy bodes for a poor prognosis. Two
5 large randomized clinical trials evaluating patients
6 treated with combined androgen blockade, EORTC 30853 and
7 SWOG INT-1, which included over 900 patients, clearly
8 showed a sequence whereby if a PSA rise was documented post
9 therapy, radiographic progression followed in a median of 5
10 to 6 months, and clinical progression, namely, an increase
11 in symptoms occurred in a median of 4 months.

12 Thus, we opted to offer changes in therapy
13 based on this initial PSA rise after hormones. PSA has the
14 advantage over other techniques in that it is easy to
15 monitor on a serial basis and in a patient who has shown
16 progression prior to treatment, it would allow us to
17 monitor and test new agents in a rapid way.

18 There are obviously pitfalls to using such a
19 technique. Not all cells within a tumor express PSA, and
20 it is clearly known that the PSA gene and PSA protein are
21 subject to hormonal regulation. It is also clear from cell
22 culture data that a PSA decline can be documented
23 independent of killing cells. Such has been shown for
24 suramin. Thus, we postulated that the validity of a post-

1 therapy PSA decline in clinical trials may vary as a
2 function of the agent that is being tested.

3 The following slides illustrate some of the
4 pitfalls and how we developed the two components of the
5 criteria, namely, multiple determinations of a proposed
6 outcome over time.

7 Here is a patient treated with a
8 chemotherapeutic agent trimetrexate showing transient
9 declines that coincided with the day 1 to 5 administration
10 of the drug. You will notice, however, that over time
11 these levels are serially increasing, and as such we would
12 consider such a patient to be showing progression. Thus,
13 in developing outcome criteria, the two critical components
14 we required were that the given degree of decline be
15 documented on multiple occasions and that it include some
16 time factor.

17 Our first analysis consisted of patients
18 enrolled in clinical trials treated at Memorial Sloan
19 Kettering Cancer Center. It included a variety of
20 therapies, all of which were nonhormonal. We used
21 univariate techniques to explore the outcomes of patients
22 utilizing prognostic factors that had been published as
23 well as several new ones. We used the method of life table
24 analysis, proportional hazards, and recognizing the

1 pitfalls in trying to compare responders versus
2 nonresponders, we used the landmark method as originally
3 proposed by Anderson and coworkers in 1983.

4 Furthermore, because we were using a single
5 institution, nonrandomized data set, we thought it was
6 important to obtain an independent data set of patients
7 treated outside of Memorial, and for this we relied on our
8 colleague, Dr. Sophie Fossa who had treated a cohort of
9 patients in Norway.

10 In an analysis of 22 factors and multivariate
11 techniques whether or not the patient achieved a post-
12 therapy decline of 50 percent or greater was the most
13 significant factor associated with survival.

14 This slide illustrates the comparative survival
15 distributions of the Memorial Sloan Kettering Cancer Center
16 and Norwegian treated patients. As you can see, these two
17 populations are comparable.

18 The next slide illustrates the survival
19 distributions of patients who achieved a post-therapy
20 decline of 50 percent or more versus those patients who did
21 not achieve a decline using the 8-week landmark. This
22 landmark time was chosen because this is the most common
23 time that we typically assess whether or not a given
24 treatment is efficacious for patients undergoing therapy.

1 Our next analysis was recently completed and
2 included a cohort of 254 consecutively treated patients on
3 sequential clinical trials at Memorial Sloan Kettering
4 Cancer Center. We again looked at the association of
5 different baseline variables and outcome, and we also
6 explored different rules or decision trees for PSA decline.
7 Specifically we looked at whether two values were as
8 informative as three values, whether biweekly
9 determinations or monthly determinations were adequate, and
10 we also explored different landmark periods, namely, 2 or 3
11 months based on practice patterns in different parts of the
12 world.

13 We again went through a similar analysis with
14 univariate techniques and developed a multivariate
15 prognostic model.

16 For our validation data set, we combined the
17 individual patient data, including 541 patients who were
18 enrolled on INT-5 and USA-22, the trial just presented by
19 Dr. Kremer.

20 Here is the summary of the data sets. 254
21 patients treated at Memorial Sloan Kettering, of whom 200
22 have succumb to disease. Janssen patients, 541,
23 approximately 80 percent who have succumb to disease,
24 reflecting mature data sets.

1 As you can see, the survival distributions are
2 similar between the two groups, and the proportion of
3 patients who reach the 60 and 90-day landmarks are
4 included.

5 More importantly, in comparison to our previous
6 analysis, a larger number of patients had achieved the
7 outcome of interest, namely, a 50 percent decline in PSA
8 from baseline so that we had more events to analyze using
9 statistical modeling.

10 Once again, in univariate and multivariate
11 analyses, the most significant factor was whether or not a
12 patient achieved a 50 percent decline from baseline. We
13 saw no difference in outcome whether the analysis included
14 two or three variables or whether the 60- or 90-day
15 landmark was used. This graph illustrates the comparative
16 survival distributions of patients who achieved the 50
17 percent decline versus those who do not, essentially
18 identical to our previous analysis, showing a marked
19 difference in outcome.

20 For our validation set, we took the Cox scores
21 of each patient and divided them into three equal groups,
22 low, intermediate, and high risk. The comparative survival
23 distributions are listed on this slide based on the
24 observed and expected outcomes as the Janssen treated

1 patients were evaluated using the model derived on the
2 Memorial Sloan Kettering Cancer data set.

3 As you can see, there is no difference on the
4 1, 2, or 3-year survival. This is illustrated graphically
5 here, the observed and expected outcomes for the good,
6 intermediate, and poor risk populations.

7 We next evaluated the question of whether or
8 not PSA could serve as a surrogate marker for survival, and
9 for this we used the Prentice conditions as proposed in
10 1989.

11 The first question using these definitions is
12 whether or not the surrogate marker is affected by
13 treatment. As illustrated here, the relative probability
14 of achieving a 50 percent decline or not is significantly
15 inferior for the patients who were treated with cyproterone
16 acetate.

17 We next asked the question of whether the
18 surrogate marker is prognostic. Shown here is the relative
19 risk for patients who did not achieve a 50 percent decline
20 using a 12-week landmark. As you can see, the relative
21 risk of death is significantly higher for those patients
22 who did not achieve the 50 percent outcome measure. I
23 could add that there was an identical outcome whether an 8-
24 week or 12-week landmark was used.

1 We next looked at the question of whether the
2 effect of the surrogate marker was independent of
3 treatment, and when treatments were added back into the
4 model, whether or not the patient achieved the 50 percent
5 decline remained the most significant factor. As you can
6 see, for patients who did not achieve the decline within 12
7 weeks, relative risk of death remains high, exceeding
8 unity, and this was not affected in this analysis by
9 treatment.

10 Our conclusions from these analyses is that a
11 post-therapy PSA decline is a prognostic marker for
12 survival and the post-therapy PSA decline fulfills the
13 conditions of surrogacy that were examined. These data
14 show that PSA declines can be used as an endpoint for
15 clinical trials, and for patients with prostate cancer, a
16 post-therapy PSA decline is not only an index of efficacy
17 but ultimately translates into a clinically meaningful
18 outcome, specifically in improvement in survival.

19 Thank you very much.

20 DR. BUSH: Thank you, Dr. Scher.

21 Before we begin to answer any questions you
22 might have, we'd like to suggest that during the
23 committee's deliberation there be considered a fourth
24 question. Now, we've talked to the division about this

1 earlier, so this isn't just coming out of the blue. We
2 think this question could follow question 3, which I put up
3 here for review purposes. That question is should Liazol
4 be approved for the treatment of patients with advanced
5 prostate cancer who relapse after hormonal therapy.

6 We would suggest that if the answer to number 3
7 is no, that the committee consider whether there's a
8 subpopulation that can be identified for whom the risk-
9 benefit ratio is acceptable and therefore warrants
10 approval. You might guess from our presentation that we
11 would have the suggestion that maybe PSA-responsive
12 patients might be something to consider, but certainly the
13 committee may have other thoughts and we would certainly
14 welcome that dialogue.

15 I would also say too it might sound kind of
16 strange for a company to, before we even hear what you have
17 to say, suggest a more restricted label. I think that's
18 because we really do believe this drug works and we want
19 there to be options out there, more than what's currently
20 there. So, that's the reason we're doing this.

21 Now we're ready to answer any questions.

22 DR. DUTCHER: Thank you.

23 Questions for the sponsor from members of the
24 committee? Can Ms. Beaman ask a question first?

1 MS. BEAMAN: I'd like to see some more
2 information please on the quality of life data that you may
3 have accumulated.

4 DR. KREMER: Sure. I'd be glad to. Are there
5 specific indices or anything in general?

6 MS. BEAMAN: The profile that was mentioned
7 with CPA.

8 DR. KREMER: Okay. If I can have please our
9 QOL slides, and what I would like is number 32.

10 This shows you two rather close lines, but
11 these are the longitudinal profiles for the FLIC pain
12 scale.

13 DR. DUTCHER: Can you raise the slides?

14 DR. KREMER: Oh, I'm sorry.

15 This shows you the longitudinal profiles from
16 LIA-INT-5 for the FLIC pain scale for cyproterone acetate
17 and for liarozole. I'm sorry. It seems to be floating a
18 bit.

19 (Laughter.)

20 DR. GELBER: Can I ask you to show us the
21 number of subjects that were evaluated over time when you
22 show us this? You mentioned that there was a lot of
23 missing data.

24 DR. KREMER: Missing data refers to dropout

1 patterns. So, this is essentially an intent-to-treat.

2 Dr. Rothman, can you comment please for Dr.
3 Gelber in terms of number of patients?

4 DR. ROTHMAN: This is basically a projection
5 over time. This is the random coefficient model. So, we
6 start with the baseline values. We included everyone in
7 there and it's a projection over the rest of the study.
8 So, it includes all patients.

9 DR. JOHNSON: I'm sorry. You're going to have
10 to explain how you project quality of life to me. I
11 thought that was something that the patient assessed.

12 DR. ROTHMAN: Yes. What we did was we
13 collected data -- and we had very complete data -- as long
14 as the patient remained in the study. When they dropped
15 out of the study, what this model does is project their
16 trajectory over time. But perhaps Dr. Zeger could comment
17 on the methodology.

18 DR. ZEGER: Actually to get back to Dr.
19 Gelber's question, there was very substantial dropout as
20 people were having events through the study. I think it
21 was as high as 50 percent by the second month. So, there's
22 very substantial dropout.

23 The methods attempt to make unbiased estimates
24 of the difference between the two curves. There's not

1 filling in of data. People are only supplying data so long
2 as they're in the study. There's no filling in, but what
3 it's doing is so long as the dropout pattern -- the reason
4 for dropping out depends upon things that we've already
5 measured, for example, their previous quality of life
6 scores or which treatment group they're in. The methods
7 that are used will give valid inferences.

8 If the reason for dropping out depends upon
9 things which we are not measuring -- and it's very
10 difficult to know from a study like this whether that's the
11 case or not -- then these estimates really will not be
12 unbiased.

13 So, I think the qualitative message I would
14 give to the committee is that with such a very large
15 fraction of people dropping out, we've done the best that
16 can be done with current methods, but there's no way to
17 rule out the possibility that there are factors which are
18 causing people to drop out and also affecting the quality
19 of life score which we have not measured in this study.

20 DR. KREMER: I would note, just to follow your
21 question with regard again to quality of life for PSA
22 responders and nonresponders, I mentioned in the slide that
23 scores were better in the PSA responders. If I can have
24 slides 23 and 24 from this carousel, I think again I can

1 show this point graphically.

2 DR. JOHNSON: Let me interrupt you to make a
3 point and that is that you're focusing on the responders,
4 but all the patients that entered into this trial received
5 your drug that were on that arm. So, we really are not
6 interested solely in the quality of life of the responders.

7 One of the other elements that we're interested
8 in is knowing what happens to the patients who received the
9 drug and do not respond. That's actually the majority of
10 the patients. And we need to know that information as
11 well.

12 DR. KREMER: Okay. Can we switch then for Dr.
13 Johnson's question, if I may? If I can have on the GENBU
14 carrousel, please, slide 36 which I think will illustrate
15 the overall FLIC scores in the liarozole and the prednisone
16 trial. We'll show you both the difference at baseline as
17 well as the difference that persists through the study.

18 As you can see, there is a wide separation at
19 baseline and there remains a wide separation throughout the
20 study in this case. Prednisone patients begin and end with
21 better QOL scores.

22 The slide that I put up initially from the LIA-
23 INT-5 study is the same nature slide for liarozole and
24 cyproterone acetate. It shows liarozole patients beginning

1 with a slightly worse score and marginally improving versus
2 the cyproterone acetate patients, and in fact that was
3 statistically significant.

4 So, those two slides do include all patients
5 from both arms, and the point that I had wanted to make
6 regarding the PSA responders is simply that that is
7 consistent with the other pieces of the data.

8 DR. JOHNSON: Let me see if I understand this
9 slide correctly. At about 6 weeks, it looks like there's
10 an even wider splay between these two curves, for which we
11 have no statistical analysis. I'm just looking at the
12 curves.

13 DR. KREMER: Dr. Rothman, if you could comment
14 please.

15 DR. ROTHMAN: One of the things that makes this
16 a difficult analysis to interpret is that a large number of
17 people dropped out between week 2 and week 4 in the
18 liarozole group. What we do know about both groups is that
19 those people with much lower quality of life scores dropped
20 out, so this is biasing it downward at that point.

21 DR. JOHNSON: No. Actually that biases it
22 upwards for the liarozole. If your worst quality of life
23 patients fall out and your curve gets worse --

24 DR. ZEGER: No. Actually let me try to make

1 some correction about the method used.

2 The method used will not be biased by the fact
3 that worse patients are dropping out. It's correcting for
4 that effect. It's attempting to estimate what's the
5 average quality of life for all people had they continued
6 forward. The way it does that is by looking at what their
7 trend was prior to dropping out and basically imputing the
8 values had they continued. It's not treating those imputed
9 data as if they're real data. It's acknowledging that that
10 data is imputed and making estimates of confidence
11 intervals that take account of the fact that imputation is
12 being done. But the methods used will not be biased by the
13 fact that people who are poorer previously are the ones who
14 tend to be dropping out. It will not give a biased upward
15 indication.

16 But I think her point is correct, that given
17 there are so many people dropping out and given there's an
18 imbalance in the rate of dropping out, I think you have to
19 be cautious in interpreting this information.

20 DR. GELBER: That's correct. This is not the
21 usual curves that we're used to seeing where the individual
22 patient data are plotted, which is subject to that. This
23 is a modeling.

24 But just one question on that. What kind of

1 assumption is made then in this modeling, if you can just
2 describe that quickly to the committee.

3 DR. ZEGGER: Yes, and maybe I could just add to
4 Dr. Gelber's comment as well.

5 If we had just taken the mean value, mean
6 quality of life value, for all the people who were still in
7 the study, then if the people with poorer quality of life
8 were dropping out, you'd see these curves starting to rise
9 again not because they were getting better. It's just that
10 there's a selection bias going on. But we did not use that
11 method.

12 What we did is we used a method that basically
13 estimates a linear or a quadratic curve for each person and
14 then averages the curves. So, even if you give us a little
15 bit of data at the beginning, if you're on a downward
16 trajectory, we'll be averaging that downward trajectory at
17 all the times and we won't get a biased inference. So,
18 this is basically a random effects model with either a
19 linear or quadratic pattern for each person and allowing
20 for a different shape of the curve for the two treatment
21 groups which is being shown here.

22 DR. JOHNSON: So, there is a break at 6 weeks
23 that shows an even further downward trajectory for the
24 Liazol.

1 DR. ZEGER: Perhaps we should get the specific
2 p value for the curvature in that particular picture. I'll
3 get back to you with that.

4 DR. DUTCHER: Dr. Gnecco, do you want to make a
5 comment?

6 DR. GNECCO: I'd just like to bring up the
7 point that in a situation like this, the approach that we
8 take is that we look at completers and noncompleters
9 individually. That's very important and we define
10 completers after consulting with the clinicians and
11 deciding what a clinician would consider an adequate course
12 of therapy. Then you do this type of modeling to see if
13 the patterns are the same for completers versus
14 noncompleters, and if they are not, then you cannot use the
15 data in aggregate like this. You have to look at those two
16 what we call homogeneity groups based on this pattern of
17 completing and not completing.

18 DR. JOHNSON: I actually have several
19 questions, and I'm going to preface my comments by the
20 following. This is not a process that takes place without
21 input from the FDA from the standpoint how the regulatory
22 process takes place. There's a lot of give and take
23 between the sponsor and the FDA and a lot of advice that's
24 given regarding the design and development of studies that

1 can lead to appropriate approval of products.

2 I think it's very important to point out that
3 the sponsor was given some defined endpoints that they were
4 asked to meet, and those are outlined in the sponsor's
5 presentation. Specifically, they were asked to look for a
6 survival advantage. They were asked to look for one of
7 three measures of time to progression demonstrating a
8 benefit for their product and demonstration of clinical
9 benefit.

10 I want to address some very specific points
11 that may seem a bit tedious and maybe arcane to some of the
12 listeners, but I think it's important that we address these
13 because they will come up later in the discussion in my
14 estimation.

15 Now, first of all, a lot has been made in the
16 presentation today about an imbalance in patients. I would
17 ask the sponsors specifically how was USA-22 designed and
18 how is it that you can design a randomized trial and not
19 use a recognized prognostic factor in the stratification of
20 patients. I'd like to know that, specifically the
21 performance status. I don't believe this is a new
22 prognostic factor.

23 DR. KREMER: Thank you, Dr. Johnson.

24 USA-22 was designed as an open-label and

1 randomized trial and it was randomized by a sealed envelope
2 technique and randomized by center which at that time was a
3 fairly standard approach. This trial was not stratified,
4 as is noted, for ECOG performance status. We did in fact
5 have discussions around that, and as is noted, the division
6 had a suggestion that this trial would be best if it were
7 stratified by performance status. Unfortunately, at the
8 time that conversation and suggestion happened, the trial
9 was about 60 percent enrolled, and at that point it was not
10 possible to undertake a prospective stratification.

11 DR. JOHNSON: That's an explanation but you
12 didn't define for me -- really the question I asked is why
13 was that not considered in the design of the trial. You've
14 explained why it might have happened, but I'm curious as to
15 why that wasn't included in the design of the trial.

16 DR. KREMER: Sir, I think I can only state that
17 these trials were designed around 1990. The appropriate
18 prognostic factors were less clear at that time than they
19 are now, and there still is not agreement in the literature
20 on which is the set. At that time we did not stratify the
21 trial up front for PS status.

22 DR. JOHNSON: Well, I'll just refer you to your
23 own literature which you cite in your presentation which
24 annotates those times where you in fact point to papers

1 where prognostic factors, including performance status, are
2 stated as relevant to the design of these trials. I'm
3 curious about that.

4 DR. KREMER: Yes, sir. You are correct and I
5 would certainly say retrospectively that it would have been
6 better had we stratified it, but at the time we put it
7 together, we didn't.

8 DR. JOHNSON: I also want to address the issue
9 of the landmark analysis that was performed which actually
10 I think was addressed reasonably well by Dr. Scher. But as
11 I understand the landmark analysis, that that has been
12 proposed by Anderson, et al. in their 1983 paper, a
13 selection of a landmark should be made before a data
14 analysis is undertaken.

15 I'm unclear in my mind why the 8-week interval
16 was selected for the landmark analysis. Much of your data
17 and the data assessment and the response assessment took
18 place at a different time. So, I'm curious as to why you
19 selected 8 weeks for your landmark analysis, for the
20 reanalysis of the data.

21 DR. KREMER: 8 weeks is a frequently noted
22 landmark in the literature. I'd like to ask Dr. Ouyang
23 from our statistical group to comment further.

24 DR. JOHNSON: While you're going to the

1 microphone, let me just reiterate a point you made during
2 the course of your presentation in which you cite that 90
3 percent -- actually 87 percent -- of patients had
4 demonstrated their PSA response by the 12-week interval.
5 That would seem to me to be a logical time. Now, that's
6 again after the data had been analyzed. I'm interested why
7 the 8-week period was selected.

8 DR. OUYANG: In addition to what Dr. Kremer
9 just mentioned, actually the way things happened is the
10 liarozole USA-26 study was analyzed first, and in that
11 study it was predefined that a patient needs to have at
12 least 10 weeks treatment before they would be evaluable for
13 PSA response. A lot of thought was given to that study,
14 and based on the information we had at that point, 10 weeks
15 treatment is deemed as the necessary length of the period
16 of the treatment.

17 Coming out from that study, we are getting into
18 the analysis for the two pivotal trials. Based on what we
19 have learned from the liarozole USA-26, week 8 became a
20 very logical choice and also it met with what Dr. Kremer
21 mentioned earlier. That became the primary choice.

22 In addition to that, we also recognized the
23 choice of the landmark may be an issue, so we have done the
24 sensitivity analysis looking at the week 4 landmark, week

1 12 landmark, as well as the week 24 landmark to see whether
2 the result is arbitrary to the selection of the primary
3 landmark.

4 We also conducted a time-dependent covariate
5 analysis which is not depending on the selection of a
6 particular landmark, and that also supports the results.

7 DR. KREMER: Dr. Johnson, if I may ask Dr.
8 Tsiatis to comment.

9 DR. TSIATIS: I'd like to comment just on
10 landmark analyses a little bit, and that is basically what
11 we do is pick a point in time, compare the responders and
12 nonresponders that are available at that point in time, and
13 then look at their subsequent survival. So, there are
14 really two issues in the timing of where you pick the
15 point, and that is, one, is there sufficient numbers that
16 are responders and nonresponders that you can compare, and
17 secondly, how many events you're going to see. So, if you
18 pick it too far into the future, then you're not going to
19 see subsequent events. If you pick it too far forward,
20 you're not going to get enough responders and
21 nonresponders.

22 So, where that cutoff is is somewhat -- there's
23 this fuzzy area and that fuzzy area seems to be somewhere
24 between 4 and 12 weeks. As Janssen said, they did it at

1 all of those times.

2 But I do want to mention that the most
3 appropriate analysis, although difficult to understand, is
4 the time-dependent covariate analysis which looks at the
5 role of responders and nonresponders throughout all of
6 time. That's the most appropriate analysis and that's the
7 analysis that showed the largest difference between those
8 two.

9 DR. KREMER: For the record, that was Dr.
10 Anastasios Tsiatis. I regret that I did not mention it
11 near to the microphone for recording purposes.

12 Dr. Scher, you had a comment as well I believe.

13 DR. SCHER: I think most of the points have
14 been covered, but essentially the initial choice of the 8-
15 week was based on the time of clinical reassessment. The
16 first thing that was done with the raw data was to look at
17 the patterns of PSA change and in fact, if you notice, at 8
18 and 12 weeks, there are still, quote/unquote, responders
19 coming in. So, we're looking for the appropriate number of
20 events.

21 We have as well done it at 8 weeks, 12 weeks,
22 two points, three points, and used time-dependent with and
23 without a landmark and get the same results.

24 DR. JOHNSON: I'll save my other comments till

1 the discussion later. Dr. Gelber was going to make a
2 point.

3 DR. GELBER: Let me just follow up on the time-
4 dependent covariate analysis. When other covariates were
5 put in the model, did that influence the magnitude of the
6 difference in PSA response?

7 DR. KREMER: Dr. Ouyang?

8 DR. OUYANG: In both the landmark analysis as
9 well as the time-dependent covariate analysis that we have
10 done, we've also examined whether the association coming
11 out from those analyses can be attributable to other common
12 causes. So, in doing that, we also added the baseline ECOG
13 as well as the risk group that's coming out from the Cox
14 regression model as the covariates.

15 After we have added that in there, the result
16 is the association that was identified from those analyses
17 are independent from the ECOG or the risk group that we
18 have identified.

19 DR. GELBER: So, you get completely the same
20 magnitude of effect associated with PSA response whether or
21 not you put in other factors that might be associated with
22 survival such as performance status, et cetera.

23 DR. OUYANG: Correct. The risk group is really
24 coming from all the prognostic factors that have been

1 identified in the Cox regression model. So, those are the
2 five factors, and based on that, we determined the linear
3 predictor. So, that risk group is really accounting for
4 all the prognostic factors, and adding that into the model
5 does not change the association that we have established.

6 DR. KREMER: Dr. Gelber, those figures are in
7 the briefing package for you on page 115.

8 DR. SCHILSKY: I just have a couple of other
9 questions.

10 As I understand it, the eligibility criteria
11 for the study were that patients were to have progression
12 on primary hormone therapy. Can you tell us how
13 progression on hormone therapy was defined?

14 DR. KREMER: It was to be defined in fact in
15 the U.S. by the old NPCP criteria, and in Europe also
16 similarly by clinical or radiologic grounds.

17 DR. SCHILSKY: So, have you, I guess in a
18 sense, verified that the patients in the study actually
19 were progressing at the time that they were enrolled?

20 DR. KREMER: We accepted the physician's note
21 in terms of the fact that the patient had shown progression
22 by the required criteria. We did not, for example, conduct
23 a radiologic review of films at that time versus films that
24 were existing prior to and not on study.

1 DR. SCHILSKY: Another question relates to --
2 one of the parameters in the Cox model I guess in the U.S.
3 study was time since primary hormone therapy. I was just a
4 little confused as to whether that means time from the
5 beginning of the primary hormone therapy or time from the
6 completion of the primary hormone therapy.

7 DR. KREMER: Dr. Ouyang, can you please comment
8 on the factor for the Cox model?

9 DR. OUYANG: This variable was used in the
10 liarozole USA-22. This is starting from the beginning.

11 DR. SCHILSKY: From the beginning, okay.

12 A question about the PSA levels again. Now, I
13 believe you mentioned in your presentation that the studies
14 were designed before PSA testing was widely available. So,
15 I'm wondering then in fact whether baseline PSA values were
16 available on all the patients for purposes of comparison.

17 You also mentioned that in order to be
18 evaluable for PSA response, you had to have a PSA value
19 greater than 20. So, that by itself would have dropped out
20 some of the patients.

21 So, I guess the bottom line is were the
22 patients who were included in the analysis of PSA response
23 representative of the patients who were enrolled in the
24 trial overall.

1 DR. KREMER: Thank you, and that's a very good
2 question.

3 I do believe so and in fact we went and
4 conducted the time-dependent covariate analysis for all
5 patients making the assumption that any patient who was not
6 evaluable for PSA response was a failure. When we repeated
7 the time-dependent covariate analysis in that fashion, we
8 still see the same advantage of the PSA responders over the
9 nonresponders. So, I do believe that that holds.

10 DR. SCHILSKY: It would be nice, though, if you
11 could show us the patient characteristics of the patients
12 who were evaluated for PSA response and to show that those
13 are not different from the patient characteristics of the
14 population overall in the trials. Do you have that data?

15 DR. KREMER: You mean baseline demographics. I
16 do not believe we have that available, sir.

17 DR. SCHILSKY: One other question with regard
18 to the safety. It's quite clear that for the patients
19 getting the liarozole, that skin problems and GI complaints
20 are predominant. You made a comment about how it was
21 likely that with better skin care and antiemetics and so on
22 that these would be more tolerable. I take it that there
23 was nothing in these protocols that recommended any
24 particular types of management for these complaints.

1 But I'm wondering, in sort of the global
2 experience that exists from these and other studies with
3 this drug, whether you have any data to suggest that the
4 incidence of these side effects is reduced or the severity
5 is reduced if appropriate skin care and antiemetic therapy
6 is in fact used.

7 DR. KREMER: Yes, thank you. Let me comment on
8 that in two parts.

9 First, you are correct that in the protocols
10 that we presented there was no prospectively planned either
11 skin care or plan for antiemetic use. In fact, the
12 antiemetic use in both LIA-INT-5 and LIA-USA-22 was quite
13 low and in particular with regard to the potent
14 antiemetics.

15 What I'd like to do in regard to management of
16 these side effects and how we are proceeding with it,
17 because we now do recommend particular agents for skin care
18 and appropriate management of gastrointestinal side
19 effects, I'd like to ask Dr. Dan Petrylak from Columbia and
20 then Dr. Robin Murray from Melbourne, Australia who've
21 treated patients if they could comment clinically on these
22 events. These are folks who actually take care of the
23 patients.

24 DR. PETRYLAK: I can comment on our two trials

1 that we're currently performing at Columbia. One is a
2 trial of liarozole, three different doses randomized in
3 patients with early stage disease, and a second trial is a
4 combination of liarozole plus interferon for patients with
5 refractory genitourinary malignancies.

6 In the first trial, we've entered 8 patients
7 thus far and we've really seen only 1 patient with
8 significant skin toxicities. We have been using vitamin E
9 supplementation as well as the use of fatty soaps to help
10 alleviate these problems with skin.

11 In our second trial, liarozole plus interferon,
12 we've had 3 skin reactions in the 21 patients entered.
13 Again, we are using vitamin E as well as fatty soaps. So,
14 that seems to be helpful in reducing the skin toxicity.

15 DR. SCHILSKY: I'm sorry. What's the liarozole
16 dose in your studies?

17 DR. PETRYLAK: The first trial is a randomized
18 study, three different doses, 75, 150, and 300 b.i.d. It's
19 blinded to the investigator. The second study is an
20 escalating phase I study of liarozole plus interferon.

21 DR. SCHILSKY: And in that study where you seem
22 to have more number of patients, have you gotten to
23 liarozole doses of 300 milligrams?

24 DR. PETRYLAK: Yes, we have.

1 DR. KREMER: Dr. Murray from Melbourne,
2 Australia has treated I believe in excess of 100 patients.
3 I'd just like to draw on his experience for a minute.

4 DR. MURRAY: Yes. We in fact have treated more
5 than 140 patients, and it's my impression that with more
6 experience, we are able to counter these side effects more
7 effectively. I think it's a very simple matter, as far as
8 hypokalemia is concerned, to replace with potassium, and to
9 treat the cardiac failure, if that occurs. The nausea and
10 vomiting can be a problem, but with the use of antiemetics,
11 that improves, or if it is intractable, it may respond to a
12 dose reduction.

13 DR. SCHILSKY: Thank you.

14 DR. KREMER: Thank you.

15 I would just close by commenting, if you will
16 permit, we are running as mentioned a new study. It's a
17 factorial design which looks at liarozole either 150 or 300
18 with two doses of prednisone. It's really a large dose-
19 finding trial, if you will. But this is one of the first
20 trials in which we've had an opportunity to put these
21 prospective arrangements in. At least at this point in the
22 U.S., we have approximately 45 patients who are on 300
23 milligrams of liarozole, and so far the number of adverse
24 event related discontinuations are low so far in the U.S.

1 on this dose. I realize and note that this is ongoing and
2 this is tracking data, but I do believe that we are having
3 an impact there.

4 DR. SCHILSKY: I have one other question again
5 with respect to the PSA determinations. I don't believe
6 you told us in the protocols how frequently was the PSA
7 measured?

8 DR. KREMER: Monthly.

9 DR. SCHILSKY: It was measured monthly.

10 With respect to the response determination, the
11 response criteria require a greater than 50 percent
12 decrease from baseline on two determinations greater than
13 28 days apart. Are those two consecutive determinations or
14 just any two determinations?

15 DR. KREMER: No, sir, and I should have been
16 more specific. You cannot have a rise in the middle.

17 DR. SCHILSKY: Thank you.

18 DR. SWAIN: Could you discuss the compliance in
19 this study?

20 DR. KREMER: In terms of dose taken?

21 DR. SWAIN: Right. In the booklet you handed
22 us, I think in one of those studies it was 60 percent.

23 DR. KREMER: Yes, in terms of patients who
24 remained on the 300 milligram dose. That's correct.

1 Both protocols permitted dose reduction in the
2 presence of side effects, and that was used by most of the
3 investigators and, as you note, in a fair number of
4 patients. So, particularly in the international study,
5 also it was possible to both dose reduce and then re-
6 escalate. So, patients did spend varying lengths of time
7 on 300 milligrams versus 150. I do believe I have figures
8 on that if you will just give me one second to pull this
9 up.

10 For example, in the LIA-INT-5 study, as you
11 noted, the number of patients who remained at 300
12 milligrams for more than 90 percent of the time was 60
13 percent.

14 DR. SWAIN: Was that due to toxicity?

15 DR. KREMER: Our assumption is that dose
16 reductions were based on side effects, yes.

17 DR. DUTCHER: Are there other questions?

18 DR. WILLIAMS: I would like to address my
19 question to Dr. Scher.

20 I think it's widely known in the oncology
21 community that responders do better than nonresponders in
22 terms of survival, but it's not clear to us what that
23 means. I don't think the community has widely accepted
24 response as a surrogate for survival. In fact, I think

1 many of us believe that it is an independent prognostic
2 factor maybe due to the patient's physiology, and as such
3 you couldn't correct for it in a multivariate analysis and
4 you couldn't correct for it with a landmark analysis
5 because it's intrinsic to the patient.

6 Under such circumstances, the only way to prove
7 it is to show that in all trials, that the two track and
8 are never separated; that is, if you increase response in
9 arm 1, you increase survival in arm 1. That would be a
10 true surrogate.

11 Do you know of the track record for trials
12 which increase PSA in one arm and do or do not increase the
13 survival in that arm?

14 DR. SCHER: I'm not sure. You mean looking at
15 patients who do not respond?

16 DR. WILLIAMS: Well, put it the other way, that
17 arm 1 has more PSA responders and arm 1 survives longer
18 than arm 2. That to me would be the true validation of a
19 surrogate.

20 DR. SCHER: One way to do that -- I think if
21 you impose different outcome measures, for example, look at
22 10 percent in one arm versus 20 percent, you'll see the
23 shift in proportion of patients. We did that analysis
24 looking at 10 percent increments and were able to show

1 above the 20 percent level, that there was a significant
2 difference in outcome.

3 DR. WILLIAMS: I'm talking about between two
4 arms, that is, that your treatment has increased the number
5 of PSA responders and therefore the overall survival in
6 that arm has thereby been increased; rather than it being a
7 prognostic factor, that the PSA responders survive.

8 DR. SCHER: The only way we can do this on the
9 validation set would be with the cyproterone where there
10 was in fact a difference. Our data set does not represent
11 comparative outcomes, so we can't do that.

12 DR. WILLIAMS: But in the literature recently,
13 I believe there have been some trials where there has not
14 been a tracking between PSA and survival at ASCO, and I
15 don't have the specific --

16 DR. SCHER: The trial that you're referring to
17 refers to a completely different population of patients,
18 specifically those who have not received hormonal therapy
19 who were hormone naive, as it were, and there's conflicting
20 data in the literature as to the significance. I have not
21 seen a final analysis of that study which is the INT-2
22 comparison of orchiectomy plus placebo versus orchiectomy
23 plus flutamide.

24 This is a completely different population.

1 These patients are already hormonally suppressed. So, I
2 think you may see differences in that.

3 Again, I haven't seen the final publication of
4 that.

5 DR. SCHILSKY: One other question about the
6 safety profile. Could you just comment again? I think
7 this is in the submission, but I just don't remember the
8 figures. But can you comment again what percent of
9 patients in each arm of the trials began potassium
10 supplementation during the course of therapy?

11 DR. KREMER: Started potassium supplementation
12 or who were hypokalemic?

13 DR. SCHILSKY: Who started potassium
14 supplementation.

15 DR. KREMER: We had not originally broken that
16 out. We have gone back and done so, and so I can give you
17 our counts.

18 In LIA-INT-5, potassium supplements were
19 started during the course of trial by 17 patients on
20 liarozole, of whom 12 appeared to be taking diuretic either
21 prior or concomitantly. The figures for cyproterone
22 acetate are 9 patients with 7 concomitant or with prior
23 diuretic.

24 In LIA-USA-22, our figures show 15 patients on

1 liarozole who took potassium supplements, and 11 of those
2 were in association with diuretic use. For the prednisone
3 group, there were 10 patients who took such supplements and
4 7 of those were in association.

5 DR. SCHILSKY: I just want to be clear. Do
6 these numbers reflect patients who began potassium
7 supplementation while on therapy or patients who were
8 taking potassium supplementation while on therapy?

9 DR. KREMER: These are patients who have
10 potassium supplement indicated with a start date that is
11 consistent with during the course of the trial.

12 DR. SCHILSKY: I'm not really interested in
13 knowing about how many people had been taking potassium and
14 then continued it during the trial. What I'm trying to get
15 a handle on is is the hypokalemia that occurs from
16 liarozole significant enough that it requires people to
17 initiate potassium supplementation during their treatment.

18 DR. KREMER: Yes, sir, and my apology for not
19 being, I think, clearer with my previous answer. The
20 figures that we have are for patients who start taking
21 potassium supplement during the course of the trial. I do
22 not know what their serum potassium was necessarily at the
23 time they started taking the supplement.

24 DR. DUTCHER: Are they also starting the

1 diuretics during the study?

2 DR. KREMER: Some patients did, yes. That is
3 correct. Some patients were started on diuretic, and as I
4 noted, in some cases the diuretic use was concomitant with
5 the potassium supplement. As I say, I apologize. I do not
6 have serum levels of potassium at the time those were done.

7 DR. GELBER: Yes. You have two multi-center
8 trials that you presented and they used a sealed envelope
9 method for randomization. We saw prognostic factor
10 imbalances in the groups, surprising in a randomized trial.
11 Were there any steps that you took to assure that the
12 randomizations were conducted appropriately?

13 DR. KREMER: Dr. Ouyang, can you comment on our
14 random codes and the randomization of the trials?

15 DR. OUYANG: This is regarding the liarozole
16 USA-22 study where the baseline comparison seems to
17 indicate more differences.

18 Your question is regarding whether we take any
19 special steps to ensure the randomization at the starting
20 stage.

21 Yes, as Dr. Kremer mentioned earlier, the
22 randomization technique we used for those studies is based
23 on the sealed envelope and that's per center. For each
24 investigator, we have a separate randomization list. As a

1 result, the randomization is done at a site and then we
2 have reported after the randomization was carried out.

3 The randomization was carried out okay, but the
4 treatment assignment was not done correctly for 3 patients.
5 In our analysis, those patients were attributed to the
6 treatment group according to the drug they received. We
7 also included in our analysis to attribute those patients
8 to the randomization group they belonged to and the results
9 were consistent.

10 However, to get back to the question earlier,
11 there are some deviations apparently coming out from the
12 trial. The deviations are captured from the analysis and
13 we do not know how those things happened other than the
14 apparent treatment misassignment and also more centers --
15 one more patient in the prednisone group than the liarozole
16 group. So, that adds up. That's why there's a discrepancy
17 in numbers in the assignment.

18 DR. GELBER: So, there are some centers with
19 just 1 patient enrolled? Is that what you said?

20 DR. OUYANG: They end up with 1 more patient
21 more in the prednisone group than in the liarozole group.

22 DR. KREMER: The study was blocked by center.

23 DR. GELBER: How many centers were there in the
24 U.S. study?

1 DR. KREMER: Twenty.

2 DR. GELBER: And the international?

3 DR. KREMER: Dr. DePorre?

4 DR. GELBER: And one last question about that.

5 Did you see the prognostic factor imbalances across all of
6 the centers or did it seem to be attributed to just one or
7 a few?

8 DR. DePORRE: For the international study,
9 there were 54 centers all over the world. They were also
10 having the sealed envelope system. There was in the end a
11 balance, 160 versus 161.

12 DR. KREMER: And Dr. Ouyang, just with regard
13 to Dr. Gelber's last question whether the imbalances were
14 particularly concentrated in any center or were they
15 distributed.

16 DR. OUYANG: In the liarozole US-2, we didn't
17 really look into the per center prognostic factor
18 comparison. We did examine the liarozole INT-5 and I will
19 ask Tony Vangeneugden to comment on the findings there.

20 I will repeat the question just for the
21 audience, whether the prognostic factor imbalances were
22 observed in individual centers or not and whether there are
23 differential prognostic factor imbalances among centers.

24 MR. VANGENEUGDEN: In the international trial,

1 we have about 54 centers treating patients. So, it's
2 difficult to go into the centers separately, but we did go
3 into the countries. That's what we usually do in Europe,
4 instead of using centers, using countries. As you go to
5 the smaller countries, there is a tendency sometimes to
6 have an imbalance, but it's not a consistent trend.

7 DR. GELBER: Okay, thanks.

8 DR. KREMER: Dr. Gelber, did that adequately
9 answer your question?

10 DR. GELBER: Yes. The reason for the question
11 was the sealed envelope and the surprising imbalance. If
12 one hypothesizes that the sicker patients might be the ones
13 that one would argue should receive the new treatment, that
14 there is a possibility of a looking at the envelope. I was
15 wondering if you did some tests of the envelope or some
16 kind of evaluation. Some of the answers were given do
17 continue to raise concerns in my mind about whether that
18 might have happened.

19 DR. KREMER: I know the question you're asking
20 and I cannot definitively answer it. To our knowledge,
21 there was no peek.

22 DR. DUTCHER: Mr. Anderson?

23 MR. ANDERSON: Yes, thank you.

24 Dr. Kremer, I had one question on the adverse

1 effects that you talked about. You mentioned the
2 congestive heart failure as an adverse effect. Is that
3 unique to liarozole, or do people who use prednisone or CPA
4 ever have something like that to worry about, or is that
5 unique to this new drug that you're proposing, liarozole?

6 DR. KREMER: Certainly. Congestive heart
7 failure is not a unique event. I think let's put up the
8 slide that I had before and I'll comment on it. So, if I
9 can have the 4 carousel back, please, and if you can give
10 me slide 57.

11 The point at issue is that there is a somewhat
12 increase numerically in the number of incidences of
13 congestive heart failure in the liarozole arm versus the
14 number on CPA and on prednisone. This is not a unique
15 event, and there are certainly other drugs in which this is
16 distinctly known as a consequence.

17 In these patients, as I mentioned, the
18 occurrence of CHF was significantly linked with their
19 baseline hemoglobin and it was also significantly
20 associated with poorer performance status which I suppose
21 is not surprising, and the performance status, as we've
22 noted, was one of the imbalanced points.

23 We can't state whether this can have a
24 component from a sicker population or whether it is drug-

1 related. CHF occurs in the general population in this age
2 group and in the patient population in this age group. The
3 occurrence rates that have been reported in various series
4 are not very different from the rates that are present here
5 on the slide. So, I can't definitively answer that and our
6 position is simply that physicians ought to be cautioned
7 that this has been observed and they should look for the
8 signs of it.

9 MR. ANDERSON: But your slide does say that you
10 got a 7 percent rate with Liazal compared with 3 percent
11 with the other two.

12 DR. KREMER: That is correct.

13 MR. ANDERSON: So, it's doubled or better than
14 doubled.

15 DR. DUTCHER: Are there other questions from
16 members of the committee for the sponsor at this time?

17 (No response.)

18 DR. DUTCHER: All right, then we will take a
19 15-minute break and we'll be back here at 10:45.

20 (Recess.)

21 DR. DUTCHER: We're going to have the FDA
22 presentation and it will include Drs. Honig and Chen who
23 will present the clinical information and the statistical
24 information. Thank you.

1 DR. HONIG: Thank you.

2 This is the FDA analysis of NDA 20-794 for
3 liarozole. You've already heard the proposed indication
4 for this drug earlier, so I won't repeat that.

5 I would first like to acknowledge the entire
6 review team. It takes more than the one or two people that
7 you see making the FDA presentations to evaluate an
8 application.

9 As you've heard, the basis of this application
10 is composed of three randomized open-label clinical trials
11 with liarozole in patients who had failed hormone therapy
12 for prostate cancer.

13 USA-22 randomized patients to receive either
14 liarozole or prednisone, and 220 patients were included in
15 the final analysis.

16 In the international study, patients received
17 either liarozole or cyproterone acetate, and 321 patients
18 were evaluable in the analysis.

19 Finally, USA-26 was a dose-finding study in
20 which patients were randomized to receive one of three dose
21 levels of liarozole, and 135 patients were evaluable for
22 analysis.

23 In USA-22, the stated objectives in the
24 protocol originally were to determine efficacy first as

1 defined by survival, time to progression, and response
2 rates, and secondly, to determine efficacy as defined by
3 changes in pain, performance status, and quality of life.
4 The third objective was to evaluate the safety of this
5 compound.

6 Patients received either 300 milligrams po
7 b.i.d. or prednisone 10 milligrams po b.i.d. In the
8 patients who were randomized to receive liarozole, there
9 was first a run-in period where patients received 150
10 milligrams twice a day for 2 weeks before being escalated,
11 and this was a run-in period that was derived empirically
12 through earlier trials with this compound.

13 As we've heard from the discussion and the
14 previous presentation, there was no prospective
15 stratification in this study.

16 One significant amendment was made during the
17 course of the study and that is that the definitions for
18 response and progression were changed 10 months before the
19 end of the trial. As you saw earlier in the sponsor's
20 presentation, the original criteria involved fairly
21 standard criteria for looking at measurable disease in bony
22 lesions for both response and progression.

23 There was a clinical component to this as well.
24 Patients could also be considered to have progressive

1 disease for anemia or obstructive uropathy. There was no
2 criteria included in the original criteria for PSA
3 definitions of progression and response.

4 When the criteria were amended, the same
5 criteria for objective measurable disease in bone lesions
6 were maintained. The definition of clinical progression
7 was changed somewhat but was substantially the same.
8 Anemia and obstructive uropathy were removed as criteria
9 for progression and instead there were now put in place
10 criteria for a PSA-defined progression, as well as PSA-
11 defined response.

12 It's also important to note that most of the
13 investigators used the NPCP criteria throughout the course
14 of the study and that the amended criteria for response and
15 progression were applied retrospectively after the close of
16 the study to the patient population.

17 We've talked already about baseline prognostic
18 factors in this study, and I would point out that there
19 were factors that were statistically significant in favor
20 of prednisone. However, we need to consider whether a
21 factor is just statistically significant or whether it is
22 also clinically significantly different between the two
23 groups as well. In our analysis, the only clinically
24 significant difference was the performance status, and I

1 would like to use the FLIC baseline scores as an example
2 for that.

3 The FLIC is a 22-item instrument in which each
4 item is scored from 1 to 7 for a total possible score of
5 154. A higher score means a better functional status.

6 The baseline score for the prednisone group, as
7 you saw, was 118 compared with the baseline starting score
8 of 111 for liarozole, so an absolute difference of 7
9 points.

10 The applicant cited literature in the NDA that
11 suggested from published literature that an average
12 difference of .5 point per item was considered to be a
13 minimally clinically relevant difference. So, an absolute
14 difference of 7 points over this scoring system works out
15 to about .3 point per item so that I would argue that while
16 we should look at the fact that these scores are different,
17 whether they're clinically meaningful in terms of the way
18 the patients marked their evaluations is another matter.

19 Patients received their assigned medication
20 until there was evidence of progressive disease. In the
21 original protocol, the primary analysis was specified as a
22 survival analysis, which was presented in the study report.
23 However, in addition, an adjusted analysis, which you've
24 already seen the results of, was also presented and the

1 data were screened for 28 prognostic factors. The model
2 was then derived to select five of these factors, and then
3 the results were adjusted for this.

4 Dr. Gang Chen, as you've heard, from our
5 Biometrics Division will be presenting after me and he will
6 address this issue more in detail.

7 Finally, as was raised in the discussion
8 session, patients were analyzed by the actual therapy they
9 received rather than the randomized therapy which in part
10 accounts for the apparent discrepancy in the numbers of
11 patients on each treatment arm.

12 There were several issues that we identified in
13 the analysis, including the use of the post hoc adjustment
14 and the selection method for the factors. Again, this was
15 not specifically prospectively outlined in the original
16 trial, and Dr. Chen will deal with this further in his
17 talk.

18 Again, while endpoints were identified, these
19 endpoints were not fully identified in the protocol, in
20 part because some of the changes that occurred during the
21 trial and in part because again of lack of specificity.
22 I'll come back to PSA in a minute, but time to progression,
23 for example, was simply listed as time to progression
24 originally and then with the changes in the response and

1 progression criteria near the end of the study, there's an
2 issue with that being applied retrospectively to patients,
3 but again it introduced a new element of using the PSA to
4 define progression and response which then further
5 amplified the way you might measure time to progression, as
6 we've heard with the clinical PSA and radiographic
7 component.

8 The response data, as you might imagine, was
9 really not able to be interpreted. There were very few
10 patients with measurable disease, and again that's typical
11 of an advanced prostate cancer patient group where most
12 people have bony disease that's evaluable but not
13 measurable, and there were very few patients that had
14 measurable soft tissue lesions.

15 In addition, although there was a prescribed
16 schedule for follow-up testing, compliance with that
17 schedule was very poor and many patients did not actually
18 have repeat scans or have them on time.

19 Finally, an alternate method of bone scan
20 interpretation was used by the central radiologist which
21 makes it somewhat difficult to go back and look at
22 progression in terms of the bone scan findings.

23 I just wanted to point out that in terms of PSA
24 measurements, the original protocol simply measured the PSA

1 levels would be followed and analyzed but did not further
2 specify the methods of analysis. The amendment gave
3 specific criteria for determining a PSA response or
4 progression and then these are the PSA parameters that were
5 included and analyzed in the final study report.

6 I'd first like to talk a little bit about the
7 changes in quality of life before addressing the main
8 efficacy results. Quality of life was measured by a number
9 of different parameters, including the ones listed on this
10 slide.

11 The analgesic use was balanced between the two
12 treatment groups at baseline, including when the potency of
13 medication that was used for relief was evaluated. By
14 about the second week, there was a statistically
15 significantly better score for the prednisone patients, and
16 this significant difference in favor of prednisone
17 persisted at each time point until the end of the study.

18 The MPAC pain descriptor scale is one of four
19 scales that makes up the MPAC pain description. In
20 accordance with the published literature, they're each
21 published and described individually. There's no one
22 global packet that sums that up.

23 For the pain descriptor scale, the baseline
24 scores were comparable. Again, there was a significant

1 difference favoring prednisone that was evident by the end
2 of the study.

3 In the FLIC, the baseline differences were
4 statistically significant in favor of prednisone, although
5 I've already talked with you about the clinical meaning of
6 that. Again, from the graph that was shown during the
7 discussion session, it did persist. The liarozole patients
8 had a further decrement of a mean of 12.5 points from their
9 baseline score compared to a decrement of 5 points for the
10 prednisone group.

11 No difference in urinary symptoms was observed.

12 This slide summarizes the efficacy data and in
13 the first line here you can see the unadjusted survival
14 analysis. Patients treated with liarozole survived a
15 median of 11.7 months compared to 15.8 months for
16 prednisone. The hazard ratio was 1.48 and is statistically
17 significant in favor of prednisone.

18 The second column shows the adjusted analysis
19 that was presented by the applicant, and I'd like to point
20 out here that the hazard ratio still favors prednisone,
21 although it is no longer statistically significantly
22 different.

23 If one stratifies these results for performance
24 status, which was in balance between the two groups at

1 baseline, the hazard ratio of 1.39 and remains significant
2 in favor of prednisone.

3 And time to progression follows the same
4 general features. For this slide I used time to clinical
5 progression as an example.

6 In the international study, the stated
7 objectives of the protocol were to compare survival, time
8 to progression, quality of life, and treatment
9 tolerability, and to also look at the response rate in
10 patients that had measurable disease.

11 Again, patients were randomized to either 300
12 milligrams twice a day of liarozole preceded by the run-in
13 period versus cyproterone acetate, 100 milligrams po b.i.d.
14 The first 2 weeks of the trial were blinded, but at the end
15 of that time, the blind was broken in order to allow
16 liarozole patients to undergo dose escalation and the rest
17 of the study was open-label.

18 Patients were prospectively stratified by
19 performance status and the only amendment to the protocol
20 allowed prior use of cyproterone acetate for flare reaction
21 during their first-line hormonal therapy. It's not likely
22 that this significantly influenced the results of this
23 trial given the generally low response rate to second-line
24 therapy in this group.

1 The significant difference in the baseline
2 prognostic factors was the distribution of the pain score
3 at baseline favored cyproterone acetate.

4 Again, patients were treated until evidence of
5 progressive disease. The primary analysis was a survival
6 analysis. The original protocol noted that prognostic
7 factors would be evaluated if necessary, but no additional
8 details were given about the adjustments. And the study
9 report used the same adjusted analysis that we have already
10 seen from USA-22.

11 Similar issues were identified in the review of
12 this study, the use of post hoc adjustment, again the fact
13 that although PSA was measured and reported on, it was
14 identified simply originally as a PSA value. And again,
15 not surprisingly, there were too few patients with
16 measurable disease to be able to look at a response rate in
17 this study.

18 As I mentioned earlier, the PSA was simply
19 defined as a PSA in the protocol, and again the final study
20 report analyzed a number of parameters that had not been
21 prospectively identified.

22 In addition, one of the Australian centers
23 changed its PSA assay midway through the trial, something
24 that can certainly happen at any major hospital and is well

1 beyond the control of any of the investigators or the
2 sponsor. It was somewhat problematic because it occurred
3 part way through the study and, because of the change in
4 the assay technique, necessitated a correction factor of
5 1.25 be applied to any values obtained after this date in
6 order to make them comparable to values done before that
7 date and presumably to values done at other institutions.

8 It was difficult to tell from the literature
9 that the applicant sent me as to how that was derived. I
10 would assume it's probably an empirical kind of analysis
11 done internally.

12 However, this center accrued a large number of
13 patients, and 19 percent of the liarozole patients and 21
14 percent of the CPA patients were treated at this center.
15 Approximately a third of the patients on liarozole who had
16 PSA-defined complete responses occurred in patients from
17 this center, and their therapy brackets this change in
18 assay so they had some levels done before the change in
19 assay and then some levels done after that.

20 Similarly, about a third of the PSA-defined
21 partial responses occurred in patients from this center,
22 and again you can see that some of these responses span the
23 change in assay. Some were done afterwards, which again
24 just raises another question potentially about the

1 consistency of the PSA assays through this study.

2 In this study the quality of life, as measured
3 by pain and analgesic use by the FLIC and by the urologic
4 symptoms, was really not different between the two groups.
5 The sponsor noted that there was this small difference in
6 change from baseline that was not statistically
7 significant. The pain and analgesic use scale is scored
8 from 0 to 4 in whole integers, so I would agree that a
9 change less than 1 is probably not relevant.

10 This is the efficacy data from this study.
11 Again, the first column shows the unadjusted survival.
12 Patients taking liarozole had a median survival of 312 days
13 compared to 314 days for the cyproterone acetate. The
14 hazard ratio was close to 1 and was not statistically
15 significantly different.

16 The second column shows the adjustment from the
17 five-factor model derived from screening the 28 prognostic
18 factors. The hazard ratio is now decreased to .74 and
19 becomes statistically significantly different.

20 If one looks just at performance status, which
21 was balanced in the groups, again there's no difference,
22 and the same trends are visible in time to progression.

23 As you have already heard as well, a question
24 was raised by both the applicant and FDA about whether

1 possible antiandrogen withdrawal response might have
2 influenced the outcome in the trial, but in fact the
3 pattern of use was well distributed between the arms. The
4 sponsor did a number of repeat analyses that really did not
5 change any of the conclusions that we've seen.

6 I'd like to address very briefly some of the
7 discussion that we've had about the use of PSA as a
8 surrogate endpoint. I would first like to point out that
9 from Dr. Kremer's and Dr. Scher's presentations, it appears
10 that decreases in PSA are prognostic overall for outcome.
11 As Dr. Williams said earlier, we've known in oncology for a
12 long time responders in general do better than
13 nonresponders.

14 I think the question is whether a decrease in
15 PSA would be predictive of the clinical benefit of an
16 individual type of therapy. In other words, does liarozole
17 produce more PSA responders who then live longer than
18 patients who had PSA decreases that were induced by either
19 CPA or prednisone? Dr. Gang Chen has some data that will
20 address that in the next presentation.

21 I think that this is also an important point to
22 make, which is that we usually consider a surrogate
23 endpoint when we have just that, no other data and we're
24 trying to use a surrogate endpoint. Here we do have data

1 that was collected on survival and time to progression, and
2 in some sense that forms our gold standard no matter what
3 we may think or decide in the future about PSA.

4 Finally, I want to briefly discuss USA-26. The
5 objectives were to determine the relationship between
6 several doses of liarozole on the steady state serum levels
7 of this drug and changes in PSA in the same group of
8 patients and also to look at the safety.

9 Patients were randomized to receive either 75,
10 150, or 300 milligrams twice a day, and again if the
11 patients were randomized to 300 twice a day, they had the
12 same run-in period. It was not stratified, and the only
13 amendment changed the PSA entry level criteria somewhat.

14 There was no significant difference in the
15 baseline characteristics between the three groups. The
16 applicant noted in the NDA that there was an imbalance in
17 performance status in the subset of patients who had been
18 on study for at least 10 weeks that favored the higher
19 doses of medication.

20 Unlike the other two studies where patients
21 were treated until there was evidence of progressive
22 disease, this study mandated a 16-week trial period.
23 However, only 39 percent of the patients completed the
24 trial.

1 In the protocol, the primary analysis was
2 defined as an intent-to-treat analysis, and in the study
3 report, it was defined as the subset of patients who were
4 on study for at least 10 weeks. As you can see here, that
5 analysis excluded 41 percent of the population who had
6 dropped out before that time. And the primary endpoint
7 here was PSA response. There was no data collected on
8 survival or time to progression.

9 In general, I think one can say from this study
10 that the liarozole trough plasma levels were proportional
11 to dose and that higher doses appeared to have a greater
12 effect on the PSA levels, although this was nonsignificant.

13 When considering whether to approve a drug, of
14 course efficacy is considered, but an equally important
15 component of this is the safety of the drug. You've
16 already seen the general side effect profile of this drug.
17 I think another way of looking at the tolerability of this
18 is to look at both the compliance and the dropout rate to
19 see whether patients share the perception that it's a well
20 tolerated drug.

21 In this study you can see that on USA-22, a
22 third of the patients took less than 90 percent of the
23 prescribed dose, whereas compliance was nearly 100 percent
24 in the prednisone arm.

1 For INT-5, the numbers are fairly similar. 40
2 percent of the liarozole group took less than 90 percent of
3 the prescribed dose, where there was a very high rate of
4 compliance with the cyproterone acetate.

5 Finally, in USA-26, there is a significantly
6 higher compliance rate, somewhere between 97 to 99 percent,
7 depending on which individual arm you look at. While I
8 don't have a definite explanation for this, I would suspect
9 that it's related to the relatively short duration of
10 therapy for patients in this group. Remember again that 41
11 percent had already gone off study at the 10-week mark.

12 Another way to look at the tolerability is to
13 look at the dropout rate for adverse events. In USA-22, 30
14 percent of liarozole patients dropped out for adverse
15 events compared to 19 percent of the prednisone patients.

16 For INT-5, the numbers were 22 percent versus
17 13 percent if one looked at adverse events alone. There
18 was a group of patients who had a concomitant occurrence of
19 both an adverse event and progressive disease which
20 increased the numbers, although again you run into the
21 competing issue of progression.

22 In USA-26, the dropout rate was 20 percent
23 overall, but this slide breaks it down with the individual
24 incidence figures for the doses. I think again you can see

1 that the lowest dropout rate is associated with the 75
2 milligram po b.i.d. dose and that as you start to increase
3 into the 150 and 300 milligram dose ranges, you start to
4 get dropout figures that look similar to the other two
5 trials.

6 Again, there was a significant amount of nausea
7 and vomiting for liarozole in both of these trials, but as
8 you've already heard too, less than 2 percent of the
9 patients received any form of antiemetic therapy for this.

10 Most of the skin effects were noted as well.
11 Although again these would seem relatively mild compared to
12 what we see and tolerate with chemotherapy drugs, again it
13 suggests that they were distressing to the patients with
14 the dropout rate and lack of compliance or the need for
15 dose reductions, although again no prospective management
16 strategies were in place at the time these studies were
17 done.

18 The other thing that struck us as we reviewed
19 this application were the incidences of hypokalemia and
20 congestive heart failure. Those are summarized on this
21 slide. The first column looked in general at any potassium
22 value that was less than the lower limit of normal at an
23 institution, which is perhaps not a very good way of
24 looking at potassium.

1 This column, though, looks at potassium levels
2 that were less than 3.0, I think a value that most of us or
3 all of us would agree is clinically relevant in these
4 patients. 7 liarozole patients had significant hypokalemia
5 compared to 1 prednisone patient, and the numbers for INT-5
6 were 14 and 1.

7 This addresses the new use of potassium
8 supplements: patients that came into the trial not taking
9 potassium, but then a prescription for potassium was
10 written for them during the trial. Again, you can see that
11 the numbers are higher on both liarozole arms relative to
12 the comparators. All of these numbers were derived from
13 performing an access query of the databases and looking at
14 that.

15 I have to say, to address an earlier question,
16 that I looked a little bit at what the potassium levels
17 were that prompted this new use of potassium supplements.
18 These are not the patients that had these potassium values.
19 In other words, not all of the K less than 3 patients are
20 included in the new use of potassium supplements. They
21 were patients who started to drop their potassiums that had
22 ranges of 3.2, 3.3, 3.4 who were prescribed this medication
23 prophylactically by their physician.

24 The next two columns show the incidence of

1 peripheral edema effects and the new use of diuretics.

2 I'd like to just go directly to the last column
3 which looks at congestive heart failure in pulmonary edema
4 incidence. Again, these values were derived from a
5 database query. I believe that one or two of them I found
6 either looking through the narratives that were included
7 and at least one of them in a medication list. 10
8 liarozole patients compared with 3 prednisone patients had
9 congestive heart failure and 12 liarozole patients compared
10 to 4 CPA patients had congestive heart failure.

11 In summary, this slide shows you the unadjusted
12 analyses for survival. I think that when the adjusted
13 analysis has not been prospectively described in the
14 original protocol and that the adjustments have been done
15 retrospectively, that the purest and best analysis that we
16 can look at are the unadjusted results.

17 To remind you again, the unadjusted survival
18 analysis showed that prednisone was significantly superior
19 to liarozole, that cyproterone acetate and liarozole were
20 not significantly different, that the adjusted analyses
21 showed that prednisone and liarozole were not significantly
22 different, although the hazard ratio still favored
23 prednisone, and that liarozole was significantly better
24 than cyproterone acetate, a drug with uncertain benefit in

1 prostate cancer. Again, the adjusted analysis will be
2 further discussed by Dr. Chen.

3 This slide again reminds us that the adverse
4 event rate and the early dropout rates were higher for
5 liarozole than the comparators and that the compliance was
6 lower for liarozole than the comparators.

7 What I'd like to do now is introduce Dr. Gang
8 Chen from Biometrics, and at the conclusion of his
9 presentation, we'd be happy to address questions together.
10 Thank you.

11 DR. CHEN: Thank you.

12 The statistical review will focus on two major
13 issues. I also will focus on two comparator studies, the
14 liarozole USA-22 study and the liarozole international 5
15 study.

16 Before the discussion, I will briefly summarize
17 the sponsor's results, the sponsor's efficacy results for
18 the survival endpoint.

19 Based on unadjusted analysis, for the USA study
20 prednisone is a significantly better than liarozole, and
21 for the international study, there is no significant
22 difference between liarozole and the CPA. However, based
23 on the sponsor's adjusted analysis, the survival difference
24 becomes nonsignificant for the USA study and for the

1 international study, liarozole is better than CPA.

2 There are three major statistical issues
3 regarding the sponsor's adjusted analysis and landmark
4 analysis. The first one is whether the sponsor's covariate
5 selection is appropriate. The second issue is regarding
6 the robustness of the adjusted analysis. The third issue
7 is about the validity of the landmark analysis.

8 First, I will discuss issues of covariate
9 selection. Five factors were selected by the sponsor.
10 They are performance status, alkaline phosphatase, time
11 since prior chemotherapy, PSA, and hemoglobin. The
12 selection was via three screening phases: literature
13 screening, univariate screening, and multivariate
14 screening. The stability of selection was assessed using
15 bootstrap simulation. I would like to take a minute to
16 explain to you what bootstrap simulation is.

17 Bootstrap simulation is usually used to assess
18 the accuracy of a statistical procedure. For example, in
19 this study, bootstrap simulation was used to assess the
20 stability of selection.

21 Bootstrap simulation can be conducted in the
22 following way. The actual trial data is used as a
23 representative of the patient population. You repeatedly
24 draw samples from the trial data, as we would draw jelly

1 beans from a jar and replace beans of each draw. You draw
2 the same number of the beans as patients in your original
3 trial and do this a large number of times. For each
4 sample, the multivariate selection procedure is run. Then
5 one tabulates the proportion of times each factor selected
6 over this large number of samples.

7 In simple terms, the rationale for bootstrap
8 simulation is that resampling does with a computer what an
9 investigator would do in practice. If it were possible,
10 one would repeat the trial.

11 Let's take a look at percentages of the
12 selections for each baseline factor. Five factors were
13 selected most frequently. They are age, hemoglobin, time
14 since their prior chemotherapy, LDH, and PSA. Age was not
15 considered by the sponsor. However, this patient
16 population is very old, and I found that in this study lot,
17 age is significantly associated with patient performance
18 status and patient hemoglobin level. The relationship
19 between age and the survival was highly significant. Age
20 was also considered in Dr. Scher's study.

21 If adjusting for five factors selected most
22 frequently, the estimated hazard ratio for the treatment
23 effect is 1.58. It's a 95 percent confidence interval.
24 It's from 1.14 to 2.21. The result is significant with a p

1 value of .0065, favoring prednisone.

2 Similarly, based on the bootstrap assessment,
3 five factors were selected for the international study.
4 They are duration of response, hemoglobin, performance
5 status, age, and PSA. Among them, three factors were
6 selected most frequently. They are duration of response,
7 hemoglobin, and performance status. You can see the
8 percentages of the selection are over 90 percent.

9 If adjusting for the five factors selected most
10 frequently, the estimate hazard ratio for the treatment
11 effect is .77. The confidence interval is from .59 to
12 1.01. This is not significant, with a p value of .062,
13 favoring liarozole.

14 The selection we just discussed was based on a
15 pooled group, that is, all the trial patients. To avoid
16 the treatment confounding in selection, I also did the
17 covariate selection based on either the prednisone group or
18 the liarozole group. If using the prednisone group, only
19 one factor was identified, which is hemoglobin level.
20 However, if using the liarozole group, five factors were
21 identified. The result of the selection is similar to that
22 used in the pooled group. This indicates that due to
23 strong prednisone effect on survival, small baseline
24 imbalances had a minimal impact.

1 Let's look at the robustness of the adjusted
2 analysis. I will ignore the impact of age first. We have
3 adjusted the models on the vertical axis and hazard ratios
4 on the horizontal axis. 95 percent confidence intervals
5 for hazard ratio are presented using line segments with
6 ticks on them. You may see from this slide all the
7 confidence intervals of the hazard ratio are shifted to the
8 right and most of them are not overlapped with the line of
9 hazard ratio 1.

10 Model 1 is the sponsor's model. The estimated
11 hazard ratio is over 1.3 and its low confidence limit
12 slightly crosses the line of hazard ratio 1.

13 Since age was significantly associated with
14 survival and prognostic factors such as performance status
15 and the patient's hemoglobin level, so I just added age in
16 each selected models. Then you may see from this slide all
17 estimated hazard ratios are around 1.5, favoring
18 prednisone, and their confidence intervals are not
19 overlapped with the line of hazard ratio 1. This means the
20 adjustment treatment effects are significantly favoring
21 prednisone.

22 For the international study, the confidence
23 intervals for the adjusted analysis are shifted to the
24 left. However, all the confidence intervals are overlapped

1 with the line of hazard ratio 1 except for the sponsor's
2 one. This is the sponsor's model.

3 I would like to demonstrate the changes of
4 adjusted p values for a test of the treatment effect. You
5 may see from this slide all adjusted p values for the
6 international study are greater than .05, except for the
7 sponsor's one which is .039. However, based on the
8 bootstrap assessment of the sponsor's model, we got a p
9 value of .054 which indicates again the false positive rate
10 may be inflated.

11 In the next few slides, I will discuss issues
12 on the landmark analysis. The sponsor conducted a landmark
13 analysis to investigate the relationship between PSA
14 response and survival. However, landmark analysis may not
15 be valid for this study. The following are the issues.

16 The first issue is that pooling data for
17 landmark analysis is questionable because the basis for
18 pooling data is the assumption that there is no difference
19 between two treatment groups. However, as demonstrated
20 before, it's not true.

21 The second issue, if week 12 was selected as a
22 landmark, there were over 50 percent of patients who were
23 excluded. Let's take a look at who were excluded. Those
24 patients who had significantly poor baseline factors were

1 excluded. I examined the relationship between exclusion
2 and those five baseline factors which are adjusted by the
3 sponsor. All the p values were less than .05. And
4 liarozole patients had over twice the chance to be excluded
5 than prednisone patients. The p value is also significant.
6 It's .003.

7 Based on the above arguments, the
8 interpretation of the sponsor's conclusion is limited and
9 extrapolation of the results to the entire population is
10 problematic.

11 This slide actually addresses Dr. Johnson's
12 questions in part. The sponsor emphasized the survival
13 benefit of PSA responders only. However, a majority of
14 patients were PSA nonresponders. In this slide I will
15 demonstrate to you the prednisone benefit on both PSA
16 responders and the PSA nonresponders. The median survival
17 time for PSA nonresponders for those prednisone patients
18 was over 100 days longer than for those liarozole patients.
19 For those PSA responders, the median survival time for
20 prednisone patients was over 50 days longer than liarozole
21 patients, although they are not significant.

22 Before my conclusion, I'd like to share the
23 ICH/FDA guideline with you. It's stated in the guideline
24 that in some instances an adjustment for the influence of

1 covariates or for subgroup effects is an integral part of
2 the analysis plan and hence should be set out in the
3 protocol. When the potential value of an adjustment is in
4 doubt, it's often advisable to nominate the unadjusted
5 analysis as the one for primary attention, the adjusted
6 analysis being supportive.

7 My conclusions are efficacy conclusions of the
8 trials should be based on unadjusted analyses which is
9 fairly robust given the results of all adjusted analyses.
10 Both trials failed to demonstrate a benefit attributable to
11 liarozole for patients with advanced relapsed prostate
12 cancer.

13 Thank you.

14 DR. DUTCHER: Thank you very much.

15 Now, does the committee have questions for the
16 FDA reviewers?

17 DR. SCHILSKY: I have just a couple of
18 questions. I just wanted to get some clarification. I
19 guess it was in the U.S. trial that you said that the
20 amended response criteria were applied retrospectively.
21 So, since the amended criteria determined response
22 primarily based on PSA, then I would conclude that using
23 the retrospective application of the response criteria that
24 therefore not patients were evaluable for response because

1 not all patients had PSA levels?

2 DR. HONIG: That's right and there's a detailed
3 listing of which patients were inevaluable for which
4 particular PSA outcomes.

5 DR. SCHILSKY: And then related to that -- I
6 guess this can start to get fairly confusing because as I
7 could imagine this, the protocol had response criteria in
8 it using the original response criteria, and the
9 investigators who were following the protocol were treating
10 patients until the time of progressive disease as defined
11 by those original criteria. Yet, the analyses were
12 subsequently done using the revised criteria which would
13 have defined progression differently.

14 DR. HONIG: That's right.

15 DR. SCHILSKY: So, it seems to me that it's
16 impossible to determine anything with relationship to time
17 to progression because the people who are actually giving
18 the treatment were using different criteria for determining
19 progression and discontinuing treatment from the people who
20 were actually analyzing the data.

21 DR. HONIG: Yes. For the few people that had
22 objective measurable disease and were called on that basis,
23 they translated well, and for the patients who were called
24 a clinical progression translated well. But you're right.

1 Then there was this nebulous group who could either be
2 classified -- I don't mean to speak for the applicant, but
3 I think that's part of the reason that both clinical
4 progression, radiographic progression, and PSA progression
5 were looked at, and it wasn't one type of progression that
6 was analyzed per patient to try to look at that.

7 Radiographic progression was also difficult
8 because not everybody had bone scans done at the correct
9 point, but all the patients who had a bone scan done at the
10 12-week restaging all had new lesions on bone scan. But
11 there was a provision in the protocol to stay on study if
12 the investigator thought it was in the patient's best
13 interest, and from what I can glean, all of those patients
14 stayed on. So, radiographic progression is also
15 problematic.

16 DR. SCHILSKY: I had one question about the
17 USA-26 trial. We haven't discussed that one very much
18 because it wasn't a randomized trial, but I'm wondering if,
19 at least in your analysis, there is any evidence of a dose-
20 toxicity relationship. There were three dose levels in
21 that trial and it would be nice to know if the incidence of
22 the primary toxicities varied by dose.

23 DR. HONIG: Yes. I'm sorry I didn't bring that
24 slide with me, but if you broke down things like the

1 hypokalemia and congestive heart failure, they're very
2 small numbers as you might expect, but it does look like
3 the 75 milligram b.i.d. dose has the smallest number.
4 There's more on the 150 b.i.d. dose level. It's not clear
5 to me that 300 was necessarily more toxic than 150 because
6 of the small numbers.

7 DR. MARGOLIN: Yes. I have a couple of
8 questions also referring to the same two points that Dr.
9 Schilsky was asking about.

10 I don't recall from the original documents --
11 and I don't think it was presented today -- as to whether
12 time to treatment failure, which is sort of a more global
13 way of looking at why treatment fails, for example, coming
14 off because of intolerance as well as progressive disease
15 and other events, was looked at in these trials. It might
16 answer some of the difficulties with the definition of time
17 to progressive disease.

18 DR. HONIG: No, that wasn't looked at.

19 DR. MARGOLIN: And the other question was,
20 since the sponsor didn't present 26 and you provided
21 percentages, from what was given to us, it looked like the
22 26 trial had not completed its planned accrual of about 120
23 patients at the time of this submission. Is the data that
24 you presented complete based on all 120 or so?

1 DR. HONIG: Yes. There were 135 patients that
2 were entered and evaluable, so that was complete.

3 DR. GELBER: I had one question. You
4 questioned the validity of the landmark analysis. Can you
5 clarify for me what objective of the landmark analysis are
6 you questioning its validity?

7 DR. CHEN: The issues about landmark analysis
8 are -- actually this is based on only a subgroup of
9 patients, and those patients excluded are those patients
10 with poor prognostic factors. So, in this sense in terms
11 of this, I think the landmark analysis is questionable.

12 DR. GELBER: What would you be using a landmark
13 analysis to try to do, though? What's the objective of the
14 landmark analysis?

15 DR. CHEN: The objective of the landmark
16 analysis I think is to analyze like the survival difference
17 between the responders and the nonresponders. However,
18 like I discussed earlier, it's difficult to assess the
19 responders if the time is too short. However, if the time
20 is too long, then too many patients were excluded.

21 In general, I don't agree the landmark analysis
22 is a good analysis especially for a trial like this because
23 we don't know that group excluded are -- that group
24 excluded is different from the group you analyzed. So, any

1 conclusion is very difficult to interpret.

2 DR. GELBER: Yes, I will grant you that,
3 although it is a defined conditional analysis, that is,
4 based on the status at whatever landmark one selects, do
5 the patients who classify as responders versus
6 nonresponders subsequent to that point do differently?
7 Within that context I would consider the landmark to be
8 valid. If you want to draw different conclusions relating
9 to the entire treated population, then the landmark
10 analysis is not an analysis that you would want to do
11 because of that exclusion.

12 DR. CHEN: Yes. However, I think you need an
13 assumption. The assumption is that the patients who are
14 excluded should be similar to those patients left. Is
15 that --

16 DR. GELBER: No, that's not an assumption
17 that's made of a landmark.

18 DR. JOHNSON: Yes. I think this is again one
19 of those nuances that confuses clinicians a lot of times in
20 particular, Rich. If I understand this correctly, we can't
21 make any decisions about the effectiveness of the therapy
22 on the basis of the landmark analysis. Now, that seems
23 patently absurd when you make that statement after you say
24 that the responders live longer, but in fact it's a subset

1 analysis. It doesn't look the total database. I think
2 that's an important element that often gets missed in these
3 kinds of analyses and people walk away from meetings like
4 these and say, well, they just ignored a "effective drug."
5 You can't make that determination on a landmark analysis.

6 DR. GELBER: I absolutely agree with that.
7 It's not designed to do a treatment comparison in that
8 sense. Absolutely.

9 DR. DUTCHER: Dr. Gnecco.

10 DR. GNECCO: I see your point, Dr. Gelber, but
11 I think that the slide that's very telling is the one that
12 Dr. Chen had also giving you the other half of the picture
13 and that was the nonresponder piece of it. So, I think
14 that's a very important thing to look at.

15 DR. JOHNSON: So, it's a nonresponse analysis
16 is what you're saying.

17 DR. GNECCO: Right. You need to look at both
18 sides of the equation.

19 DR. DeLAP: If I recall the numbers, I think
20 that when the exclusions were made, at least in the USA
21 study, that patients that were kept in in the landmark
22 analysis were more likely patients on prednisone than on
23 liarozole. It's more likely that liarozole patients were
24 dropped because they didn't make it to the landmark.

1 DR. CHEN: That's right. The liarozole
2 patients had twice the chance to be excluded.

3 DR. JOHNSON: I've been asked to repeat the
4 point I was attempting to make and that is that basically
5 one cannot make a determination about the effectiveness of
6 the therapy using a landmark analysis as the basis for
7 making that determination. There are a lot of reasons why
8 that is, but the principal reason is, at least in my mind,
9 is it represents a subset analysis of the group of
10 patients. It's not the whole patient population.

11 DR. GELBER: Just to further clarify it, that
12 was the basis for my question.

13 DR. JOHNSON: Right.

14 DR. GELBER: The invalidity of the analysis is
15 for treatment comparison.

16 DR. JOHNSON: That's right.

17 DR. GELBER: But if you have a different
18 objective, then it's reasonable to look at it, but in this
19 case it absolutely does not support the benefit of one
20 treatment versus another.

21 DR. JOHNSON: It is truly ironic that a Georgia
22 boy would try to explain to somebody from Boston.

23 (Laughter.)

24 DR. JOHNSON: I am a statistician to boot, but

1 that is what I was trying to do.

2 DR. MARGOLIN: My question is to the sponsor.
3 Are we coming back to that?

4 DR. DUTCHER: Are there other questions for
5 FDA?

6 (No response.)

7 DR. DUTCHER: Dr. Margolin has a question for
8 the sponsor.

9 DR. MARGOLIN: I just have a question for Dr.
10 Bush to please clarify your proposed question 4 because I
11 believe you asked about, if we didn't approve this overall,
12 whether we would consider it for a selected group of
13 responders. I don't understand whether you're talking
14 about people who responded to their prior therapy or to
15 what group you are referring.

16 DR. BUSH: It was somewhat hard to hear your
17 question, but I think you were just asking what we were --
18 say your question again, please.

19 DR. MARGOLIN: Maybe you could reiterate your
20 proposed question 4, your added question to the committee.

21 DR. BUSH: Okay, can we get that up easily, or
22 can we get that up?

23 DR. MARGOLIN: We may not need a slide. You
24 may just be able to state it.

1 DR. BUSH: You want me to put it up, but you
2 had a question about it.

3 DR. MARGOLIN: No. It may be that I just
4 missed it the first time. If you could restate the
5 question that you proposed to ask.

6 DR. BUSH: I believe it was if no to question
7 3, which you do have that in your book, it would be is
8 there a subpopulation that could be identified for whom the
9 risk-benefit ratio is acceptable and therefore warrants
10 approval.

11 DR. MARGOLIN: Thank you.

12 DR. BUSH: That's all you needed, okay.

13 DR. DUTCHER: Are there questions from anyone
14 else on the committee for either FDA or for the sponsor?

15 (No response.)

16 DR. DUTCHER: So, this is now open for
17 discussion. We have our questions in front of us. The
18 proposed indication is treatment of advanced prostate
19 cancer in patients who relapse after first-line hormonal
20 therapy. There are tables presented with the design of the
21 studies showing the two primary trials: the U.S. study
22 which was a randomized trial between liarozole versus
23 prednisone with primary endpoints of survival and time to
24 progression; the international study with a comparison of

1 liarozole with cyproterone acetate; primary endpoints,
2 survival and time to progression. You can look at the data
3 in front of you.

4 The first question is, is trial US-22 an
5 adequate and well-controlled trial demonstrating the
6 efficacy of Liazal in patients with advanced prostate
7 cancer who relapsed after hormonal therapy?

8 Who would like to initiate a discussion of USA-
9 22?

10 DR. SCHILSKY: Well, I'll start. I would have
11 to answer that no. It seems fairly clear that this trial
12 in particular was poorly designed without appropriate
13 prospective stratification, and even if one goes through
14 the re-analysis of the data, that I think in my mind is a
15 very questionable thing to do, and stratifies by what I
16 believe to be the most important prognostic factor which is
17 performance status, the results still show that liarozole
18 is inferior to the control. So, I would have to say no to
19 this question.

20 DR. JOHNSON: I would just echo those comments.
21 I think the reason that I read earlier the request of the
22 FDA for validation of the efficacy of this drug was to
23 indicate that in none of the instances that were put
24 forward did this drug demonstrate efficacy. So, I would

1 agree with Dr. Schilsky. No.

2 DR. DUTCHER: Other discussion? Dr. Gelber?

3 DR. GELBER: I just want to raise a question
4 for the committee about the PSA response and whether the
5 clinical members of the committee could make some comment
6 about that in this trial. I'm just looking for some shred
7 of efficacy information as was requested by many of the
8 speakers we heard earlier today.

9 DR. KROOK: I guess as a clinician I will try
10 to answer that. Those of us in practice do follow the PSA
11 perhaps not as the only thing, which many patients do. I
12 think that the drug which is up has shown that it can drop
13 the PSA and there are people who have had improvement in
14 their clinical benefit. I suspect this can be
15 demonstrated.

16 However, if prednisone is the control, then it
17 is not as effective as others, and prednisone also showed
18 improvement. One can say that both drugs showed that there
19 were people who improved. I guess that's where the
20 discussion enters, and you can discuss what the control is.
21 We were here for the mitoxantrone/prednisone versus
22 prednisone, so maybe prednisone is the control. At least
23 that's my response as a clinician.

24 DR. SCHILSKY: I guess I would just add to

1 that. I think what I can conclude from this is that in
2 about 20 percent of patients who take liarozole, the PSA
3 goes down. Whether it goes down because of the liarozole,
4 I'm not so convinced.

5 I think it seems reasonable to conclude that
6 PSA response may be a prognostic factor for survival, but
7 whether it is actually associated with the treatment or not
8 is where I have a more difficult time drawing conclusions.

9 When you put the PSA data in the context of the
10 trial, I'm also very impressed by the analysis indicating
11 that even though it was not significant, there was a 4-
12 month better survival for patients taking prednisone even
13 among the non-PSA responders. So, again, it in my mind --
14 I don't know -- sort of uncouples the relationship between
15 PSA response and outcome.

16 DR. GELBER: One other question. Was there any
17 sense among the committee that prednisone was as good as it
18 turned out to be in this trial before we saw the results of
19 this trial for this condition? Is there any evidence that
20 would indicate prednisone might be the treatment of choice?

21 DR. KROOK: Prednisone is used commonly, and
22 oftentimes when we use it, we quit getting PSAs because
23 this is about the third or fourth line. At least myself, I
24 will sometimes quit looking and just see the patient and

1 not draw all these other laboratory tests at this time. I
2 do use the drug but at that point drawing tests becomes
3 less important than what the person feels like.

4 DR. JOHNSON: Yes. Let me remind everyone that
5 we did look at the drug mitoxantrone last year in
6 combination with prednisone and prednisone was the
7 comparative arm. That was based on preliminary work that
8 had been done by Dr. Tannick and his colleagues in Canada
9 demonstrating benefit in terms of palliation of symptoms,
10 not in terms of survival benefit, for patients who had
11 advanced prostate cancer. So, those data I think do exist
12 and have been validated subsequently in their randomized
13 trial which was published at the end of last year.

14 DR. DUTCHER: Are we ready to vote? All those
15 who feel that USA-22 is an adequate and well-controlled
16 trial demonstrating the efficacy of Liazol in patients with
17 advanced prostate cancer who relapsed after hormonal
18 therapy? All those who vote yes?

19 (No response.)

20 DR. DUTCHER: All those who vote no?

21 (A show of hands.)

22 DR. DUTCHER: Eleven. So, it was unanimous, a
23 vote of no.

24 The next question is regarding the

1 international trial. The data are presented in the table.
2 Is trial LIA-INT-5 an adequate and well-controlled trial
3 demonstrating the efficacy of Liazal in patients with
4 advanced prostate cancer who relapsed after hormonal
5 therapy?

6 Who would like to respond?

7 DR. JOHNSON: I think for a host of reasons
8 this is a bit more difficult to deal with because of the
9 adjusted analyses that took place by the sponsor. However,
10 I think the answer to this question is also no. I think as
11 was very nicely laid out by the FDA reviewers, when one
12 looks at the analysis in a variety of ways, one comes up
13 with a marginal statistical significance on just one type
14 of analysis. Every other analysis clearly demonstrates a
15 lack of statistical significance.

16 Even as a non-statistician but a clinician who
17 takes care of patients, including patients with prostate
18 cancer, it's very difficult for me to see a clinically
19 significant difference in these data. I'm willing to
20 accept the lack of the p value if I thought I saw something
21 of clinical relevance here, and I don't see that.

22 So, I think personally the answer to this
23 question is also no.

24 DR. SCHILSKY: Well, I would agree with that.

1 I actually don't find this particularly difficult to
2 grapple with. In my mind I'm very comfortable accepting
3 the unadjusted analyses for this trial. As the FDA pointed
4 out, the only significant imbalance between the two arms
5 was in the pain score, and I think that's a little bit
6 questionable as to how important a prognostic factor that
7 is.

8 So, this study was stratified by performance
9 status I believe prospectively and the unadjusted analysis
10 shows no advantage for liarozole compared to the control.
11 So, I would have to conclude on that basis that the answer
12 to this question has to be no.

13 DR. DUTCHER: Any other comments?

14 (No response.)

15 DR. DUTCHER: Okay, let's vote. Is this trial
16 an adequate and well-controlled trial demonstrating the
17 efficacy of Liazal in patients with advanced prostate
18 cancer who relapsed after hormonal therapy? All those who
19 feel that this has demonstrated the efficacy, please raise
20 your hand.

21 (No response.)

22 DR. DUTCHER: No one.

23 All those who do not feel this has demonstrated
24 efficacy, please vote. This is a vote of no.

1 (A show of hands.)

2 DR. DUTCHER: It's unanimous, 11 no.

3 And the third question, should Liazal be
4 approved for the treatment of patients with advanced
5 prostate cancer who relapse after hormonal therapy?

6 DR. JOHNSON: No.

7 DR. SCHILSKY: It's hard to disagree with Dr.
8 Johnson.

9 This is fairly obvious but when you have two
10 large-scale randomized clinical trials, neither one of
11 which shows a clear benefit for the new compound in the
12 primary endpoints, I don't see how it can be approved.

13 I would just also add that I'm not so convinced
14 about the safety profile of this drug. It seems to me that
15 there are some significant toxicities associated with it
16 which can be very problematic for patients. It does cause
17 nausea and vomiting. It does cause skin problems. While
18 it may be true that we can find ways of dealing with those,
19 it seems to me that from the patient's perspective, if they
20 not only have to start taking pills, but then have to start
21 taking anti-nausea pills and then have to start using skin
22 creams, it's not so clear that this is a wonderful new
23 therapy with respect to quality of life, particularly since
24 it's not even so clear that the drug makes them feel better

1 in its own right.

2 Then, of course, there's the whole question
3 lurking out there as to whether there is some increased
4 risk of congestive heart failure and significant
5 electrolyte imbalance. So, I think some more information
6 ultimately needs to be gathered with respect to the safety
7 profile of this drug.

8 DR. DUTCHER: Any other comments?

9 DR. MARGOLIN: I want to reiterate what Dr.
10 Schilsky just said because I think in clinical trials we
11 select patients for their ability to undergo the therapy.
12 Unfortunately, this disease occurs in elderly men who have
13 a lot of comorbidity and whereas those of us who are
14 aggressive oncologists may think of a little congestive
15 failure and hypokalemia and nausea as fairly minor
16 annoyances and minor risks, these are going to be very big
17 issues if the drug were to be used widely in the community
18 for patients in this age group.

19 DR. DUTCHER: Then we should vote. Should
20 Liazal be approved? All those who vote yes?

21 (No response.)

22 DR. DUTCHER: All those who vote no?

23 (A show of hands.)

24 DR. DUTCHER: It's unanimous, 11 votes of no.

1 Any further discussion? Yes?

2 DR. GIVEN: Can I address the committee on
3 question 4?

4 DR. DUTCHER: Do we want to address the
5 sponsor's request for a fourth question? No?

6 DR. JOHNSON: No. I don't think it warrants
7 addressing under the circumstances. I don't see how we can
8 make an analysis of any subset from the available data. I
9 think it would be inappropriate to do that.

10 DR. GIVEN: Could I --

11 DR. DUTCHER: Would you like to make a -- sure.

12 DR. GIVEN: I very much appreciate this
13 opportunity. I'm Bruce Given. I'm an M.D. I'm Vice
14 President at Janssen responsible for R&D, as well as sales
15 and marketing.

16 We requested having this fourth question added
17 because we suspected that things might go the way they have
18 today.

19 This is a really thorny problem, this drug. It
20 has been around now in trials for about seven years, and
21 obviously designing trials today, we would do things
22 differently with randomization. Hopefully we would wind up
23 with more balanced treatment groups and we'd have an easier
24 time trying to make sense of what has happened here.

1 Instead, we have a situation where we did have
2 more severe patients randomized to liarozole in the U.S.
3 trial and we believe probably also in the international
4 trial, although it was less clear cut.

5 As we heard today, there is still a good deal
6 of debate over just what the important prognostic factors
7 in prostate cancer are. There isn't even agreement amongst
8 this panel, between the FDA and the sponsors, et cetera.

9 But I think that if we take a step back and try
10 to take a look at what we've seen today, I think we've
11 clearly seen that prednisone is an active agent. It
12 produced PSA responses as determined by a greater than 50
13 percent decrease in about 25 percent of patients. Actually
14 if you look at PSA response as defined greater than a 10
15 percent drop, it's about 40 percent of patients or so with
16 prednisone. When they get that response, patients live for
17 about a year longer. So, the drug is important. If we've
18 done nothing but contributed that knowledge to oncologists
19 and to patients and families, I think that will be an
20 important point to make.

21 But what we've also seen I think is that if you
22 look at PSA -- and I know there are a lot of questions
23 about what's the value of looking at surrogates. But I
24 think it's actually pretty important for physicians and

1 patients because, after all, on a patient-by-patient and
2 physician-by-physician basis, you got to make decisions.
3 If a patient comes in with hormone-resistant prostate
4 cancer, there really are not options out there to be looked
5 at. There certainly are not broad options. What you'd
6 like to know is can you make some decisions and can you do
7 something.

8 The reality is if the decision is that a
9 patient wanted to try liarozole, the physician would know
10 that after about an 8-week period, about 20 percent of the
11 patients would have a decline in PSA of 50 percent or
12 greater and if that happened, that that patient, if they
13 stay on the drug, will live 9 to 10 months longer than if
14 it doesn't happen. Frankly, if it doesn't happen, the
15 physician can always make the decision at that point to try
16 to grasp at some other straw. As you heard from some
17 patients today, some portion of those patients -- and we
18 believe it's about 20 percent -- actually do get that
19 benefit from liarozole. It may very well be the last straw
20 that they can grab at.

21 Now I would like to talk about tolerability a
22 bit. The other thing that I wish I could turn back the
23 clock and change is what Dr. Schilsky referred to and that
24 is what we now know about how to prospectively deal with

1 the toxicity.

2 We now know and we've shown in trials and you
3 heard from clinicians today who have treated in one case
4 over 100 patients themselves that if you pay attention to
5 it and if you use antiemetics judiciously and do things
6 like avoid very drying soaps and the like, the dropout rate
7 can be managed in much the way you manage every day to keep
8 patients engaged with chemotherapy when they might
9 otherwise become a bit discouraged. We simply did not know
10 that during the conduct of these trials. We know it now.

11 With respect to the safety, again the same
12 prognostic factors that were more severe for the prostate
13 cancer were also prognostic indicators for CHF as well.
14 But we're willing to worry that liarozole maybe does
15 predispose to CHF and maybe does predispose to hypokalemia,
16 and we believe, as long as we caution physicians, warn them
17 to look for it, to treat it if they see it, that that's
18 something that can adequately be handled in labeling.

19 So, what I would encourage the committee to
20 consider is whether or not this product shouldn't be made
21 available as the last course, which is what it is, for the
22 end stage of prostate cancer with the guidance that it gets
23 tried for a short period of time, and if the PSA responds,
24 then the product should be continued and if the PSA does

1 not respond, something else should be tried. I think the
2 data shows that. I think the data actually shows that for
3 prednisone and cyproterone acetate as well and, if you ask
4 Dr. Scher, probably several other agents.

5 That's really all I wanted to say. So, thank
6 you very much.

7 DR. DUTCHER: Thank you.

8 Dr. Williams?

9 DR. WILLIAMS: I'd just like to respond. You
10 mentioned that this should be tried as a last resort, but I
11 believe that most of the data, if not all the data, are
12 from one failure of hormone therapy and they do have
13 another resort, which is prednisone. Is that correct?

14 DR. GIVEN: Yes. They had to have failed
15 hormone therapy. So, I would agree that in this day and
16 age prednisone is an option and an option that no longer
17 can be viewed as simply palliative.

18 As I said, we know from our data at least that
19 about 40 percent of patients will have some PSA response,
20 about 25 percent will have a 50 percent response.

21 The other thing we have to keep in mind with
22 prednisone is that some physicians are hesitant to use it
23 until it's truly the last resort because you can't get
24 patients off of it. Once you've suppressed their adrenals,

1 especially when they're in this state, you simply can't get
2 them away from it.

3 But, yes, realistically -- and I guess you've
4 approved mitoxantrone now too, but the options are still
5 very limited.

6 DR. WILLIAMS: I guess I make the point because
7 you're asking basically to base approval on a surrogate and
8 we do do that for accelerated approval, but in general
9 that's in the setting where there is no other option.

10 DR. GIVEN: Or very limited options. I think
11 accelerated approval is used quite commonly, for instance,
12 in AIDS where there are now many options. But it's really
13 an issue of do you need more, and I think the answer here
14 is there still are not enough options.

15 DR. JOHNSON: Well, I have actually several
16 comments to make. I think some of the comments that were
17 made were erroneous and they are based on, again, flawed
18 analyses.

19 So, I think the comment that the response
20 equals survival was not at all demonstrated in the data
21 that were presented to us. So, frankly it may be true that
22 that in fact is accurate ultimately, but that was not
23 proved based on the data we were shown. So, in response to
24 your first point, I think it's an opinion you have and I

1 understand why you hold that opinion, but you need to prove
2 that fact. Number one.

3 Number two, this is not the study that
4 demonstrated prednisone's efficacy. It has been
5 demonstrated and there are publications, some of which you
6 cited in your application, as far back as 1989 that are
7 published data that show this. So, this is not new.
8 You've not done a service to anyone by showing this because
9 it has been shown before.

10 Thirdly, the ultimate cynic would say that what
11 you've demonstrated is that Liazal actually is harmful to
12 people, that in fact in you study you didn't demonstrate
13 comparability, you demonstrated harm to the patient. You
14 shortened their survival. Now, that in fact is one way one
15 could analyze the data that you presented today. I don't
16 happen to think that's in fact correct, but that in fact is
17 one potential interpretation of the data that were shown.

18 Then lastly, as has been pointed out, the
19 toxicity profile in a group of patients that your own group
20 has pointed out has a short survival to engender 7 patients
21 -- even 1 patient -- with congestive heart failure in the
22 last days of their life I think is unacceptable. I don't
23 understand how that can be perceived as improving quality
24 of life.

1 Then lastly I would say none of your data --
2 none -- demonstrate any measure of improvement in quality
3 of life.

4 So, I would disagree with everything you just
5 said.

6 DR. DUTCHER: Well, I think we should stop.

7 (Laughter.)

8 DR. DUTCHER: We've voted. I think the data
9 have been presented as they exist at the present time and
10 we've had to make a judgment based on the data that was
11 presented. Should new information come forth in the
12 future, we will definitely be willing to reconsider and to
13 look at it again, but I think based on what we were given
14 to look at, the committee has voted with their hands and
15 that's certainly the conclusion that we've drawn today.

16 I thank everybody for spending a lot of time
17 and effort in looking at this very carefully and for the
18 sponsor, as well as the FDA, in presenting the information
19 that they analyzed.

20 With that, we're all going to lunch and we will
21 reconvene at 1:30 for an open discussion of the new FDA
22 guidelines proposed.

23 (Whereupon, at 12:07 p.m., the committee was
24 recessed, to reconvene at 1:30 p.m., this same day.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

AFTERNOON SESSION

(1:33 p.m.)

DR. DUTCHER: We're going to get started. The afternoon session of the meeting is a discussion of the draft guidance documents that have been circulated and Dr. DeLap is going to lead that discussion.

DR. DeLAP: Thank you, Jan.

As I suspect most of the people in this room know, there were two draft guidance documents released by the FDA in March of this year that pertain to the evidence required, according to our current thinking, to establish the effectiveness of a drug or biological product. One of these guidances was a general guidance that pertained across the broad spectrum of drugs and biological therapies for different kinds of human illnesses, and the second was a corollary that spoke specifically to cancer drugs and new cancer treatment uses.

What I'm going to do today -- and our time is a little short because we've lost a couple members of the

1 committee and we're going to lose more with the afternoon
2 flight schedules, so I'll try and move along fairly quickly
3 and we'll want to get the committee input and discussion on
4 several of the points as I go through.

5 I will be around, at least briefly, after the
6 committee discussion has concluded, so if there are people
7 in the audience that wish to address some comments to me,
8 I'd be delighted to hear that, but I'd like to get through
9 the discussion with the committee first so that people can
10 get to their planes.

11 Again, the first of the documents provides a
12 lot of interesting information about intent and background
13 for these guidances. So, I'll draw from the first document
14 for that information.

15 The second document provides examples of
16 evidence again that can be used to demonstrate
17 effectiveness of products used in cancer treatment. So,
18 I'll draw from the second document for that.

19 The purpose of these documents. These are not
20 really intended to be dramatic, new departures from our
21 current practices. They are really intended to be
22 documents that would elaborate and clarify what we think
23 our current practices are. I think it's very important
24 that we try and be as transparent as possible so people

1 know what we expect and again that's the spirit in which
2 these documents are offered.

3 So, the first guidance was Providing Clinical
4 Evidence of Effectiveness for Human Drug and Biological
5 Products. Again, this is a more general document.

6 This is available for people who go on the
7 Internet in the regulatory guidance section of the Center
8 for Drugs' web page. So, it's www.fda.gov/cder.

9 This document goes into some of the legal
10 background for standards of effectiveness. Of course, as I
11 think just about everyone in this room knows, the legal
12 standards that stated that effectiveness needed to be
13 demonstrated before a product could be marketed date back
14 to the 1962 amendments to the Federal Food, Drug, and
15 Cosmetic Act.

16 I've just taken a few of the words that are
17 found in the act and are cited in this draft guidance.
18 "Substantial evidence, consisting of adequate and well-
19 controlled investigations, including clinical
20 investigations, by experts qualified by scientific training
21 and experience to evaluate the effectiveness of the drug
22 involved, on the basis of which it could fairly and
23 responsibly be concluded by such experts that the drug will
24 have the effect it purports or is represented to have."

1 Again, this is drawn from the act, so this is a little bit
2 legalistic but it's a legal act passed by Congress.

3 These amendments have generally been
4 interpreted as requiring at least two adequate and well-
5 controlled studies, each convincing on its own, to
6 establish effectiveness. The act does not specifically say
7 two or more studies. It's a little bit murky to discern
8 the intent of Congress after the fact, but people who have
9 studied this, and on occasion taken it to court, have
10 determined that this is what the act means.

11 Now, as far as how the FDA has interpreted
12 that, that's what the next two bullets pertain to. So,
13 over the years, FDA has felt that you don't actually have
14 to precisely replicate a study for the finding to be
15 convincing, but you do need evidence at least from related
16 studies that speak to the same benefits of the drug
17 product. So, the term we've been using recently for that
18 is "substantiation" rather than "replication" to emphasize
19 again that what we're after is evidence that will show that
20 the drug effect is real and we're not after simple
21 replication of the result that you've already done once.

22 Again, the last bullet. In some cases FDA has
23 accepted a single study generally when it can be regarded
24 as self-substantiating or self-replicating I guess. This

1 has been in cases where there has been a single, well-
2 designed, typically very large multi-center study providing
3 highly reliable and statistically strong evidence of
4 important clinical benefit and to conduct a confirmatory
5 study would be problematic.

6 Perhaps the best examples of those are some of
7 the large international studies of interventions in
8 patients who've had a heart attack, for example. Some of
9 those studies are extremely large and they come to
10 statistically very strong conclusions. It would be very
11 difficult to replicate. But we have also used that on
12 occasion in the oncology area.

13 The act spoke to drug products. The agency has
14 taken the formal position that biological products fall
15 under similar expectations as far as the quality and nature
16 of the evidence that is provided to establish
17 effectiveness.

18 The scientific basis for the legal standard is
19 the perceived need for independent substantiation of
20 experimental results. A single clinical experimental
21 finding of effectiveness, unsupported by other data, has
22 not normally been considered adequate.

23 The importance of independent substantiation.
24 Several points are noted in the guidance document. There

1 is certainly a possibility of bias which can be either
2 undetected or conscious, and I don't mean to be pejorative
3 when I say conscious. It may simply be that the
4 investigator that's doing the study may strongly believe in
5 the results and may see things that others would not have
6 seen that aren't actually there.

7 Certainly a positive trial can occur by chance,
8 a false positive result.

9 Site- or investigator-specific factors that are
10 not recognized can produce results that turn out not to be
11 generalizable to the intended population. So, what works
12 at one clinic or hospital, even though it did genuinely
13 work at that clinic or hospital, may not just work in
14 another setting for factors that are unrecognized.

15 Again, finally and mercifully, extremely rarely
16 in the cancer area in our modern experience, it is possible
17 that apparent positive results could be fraudulent, again
18 if they're not replicated or substantiated.

19 Other sections of this general draft guidance
20 pertain to the quantity of evidence needed to support
21 effectiveness and to documentation of the quality of
22 evidence supporting an efficacy claim. Again, this is kind
23 of a general discussion and pertains to all areas, not
24 simply to cancer, and rather than going into this section

1 of the first draft guidance, I'm going to switch over the
2 other draft guidance which pertains specifically to cancer
3 and discuss some of the specifics from this document.

4 So, again the second document is FDA Approval
5 of New Cancer Treatment Uses for Marketed Drug and
6 Biological Products, and this is also available in the
7 regulatory guidance section on the Center for Drugs' web
8 page and can be downloaded and printed out. You can use
9 the Adobe acrobat reader, which is very popular for web
10 browsers.

11 So, this draft document, which I have a
12 shortened title for now, describes the quality and quantity
13 of data that may be adequate to add a new cancer treatment
14 use to product labeling. Something I want to note
15 specifically, the principles and standards that are
16 described in this document are also applicable to
17 establishing effectiveness of a new cancer treatment
18 product. We don't regard the requirements for establishing
19 a subsequent treatment use as being inherently less. We
20 think that the requirements are inherently the same for
21 establishing effectiveness. It's just that once you've got
22 some data that establishes effectiveness in one setting,
23 that may contribute towards making sure that you've got
24 effectiveness in later settings.

1 So, we don't feel that we're differentiating
2 between the initial NDA and subsequent supplements in terms
3 of the level of evidence provided. It's simply that the
4 priors, if you're a Bayesian statistician, can contribute
5 to your confidence in later results.

6 Then at the end I'm going to say a few words
7 about steps that the FDA is taking to foster continued
8 updating labeling for products used in cancer treatment.

9 So, factors affecting the quality of data are
10 required. Certainly what is already known about the
11 product in terms of effectiveness and other related uses
12 can be very helpful.

13 What is the new indication under study?
14 Advanced refractory cancer settings. There are certain
15 kinds of expectations for the nature of clinical data
16 required and the quantity. There are different
17 expectations for adjuvant or curative settings, and for
18 pediatric use in a setting where drug is already approved
19 for treatment of a similar condition in adults, we have a
20 specific pediatric rule that pertains to that.

21 In addition as we're looking at the quantity
22 and quality of data required to establish a new use of a
23 product, we have to consider the availability and
24 acceptability of other therapies for the condition in

1 question and we have to address the concern, have
2 sufficient numbers of patients been studied so that we know
3 enough about the drug to support approval of the new
4 indication?

5 So, now I've got about five examples and I'd
6 like to invite the committee to consider these examples and
7 discuss and comment and give us a little feedback. Again,
8 this is all drawn directly from the documents. So, if you
9 have the document, you can see these things but they can
10 all do with a little more discussion and elaboration and
11 I'll be interested in hearing what the committee thinks
12 about these things. So, again, it is a draft guidance. It
13 isn't final yet, so it may still be modified and I'll
14 appreciate comments.

15 So, for a product with established safety and
16 effectiveness in a given type of cancer, a single adequate
17 and well-controlled multi-center study may support labeling
18 of the product for use in another biologically similar
19 cancer. The example given here is aerodigestive squamous
20 carcinomas.

21 So, the questions that arise from that in my
22 mind at least that I'd like to hear what the committee
23 would say: For example, if you have drug X that has been
24 approved for a squamous set of neck cancers, does the

1 knowledge that the drug is effective in that condition give
2 you sufficient assurance that it's likely to be effective
3 in, say, squamous esophageal cancer or squamous lung
4 cancer, and other upper aerodigestive cancers that you
5 would feel one additional study would be enough to buttress
6 that claim?

7 DR. DUTCHER: Arlene?

8 DR. FORASTIERE: Well, I would say no because I
9 think that you can look at some instances where that's not
10 the case. Say you look at the combination of cisplatinum
11 and 5-FU. It has activity in head and neck cancer that's
12 about double what it is in esophageal cancer. It's not an
13 active regimen that's used for lung cancer. So, in
14 squamous cancer of the lung, you wouldn't use
15 cisplatinum/5-FU. So, I think there are differences in
16 tumor types even though they may be of the same histologic
17 type and related upper aerodigestive-wise.

18 Another example is adenocarcinoma of the distal
19 esophagus. The taxanes were shown to be quite active in
20 that group, but when they were studied in gastric cancer,
21 not a lot of activity. So, I think that that would not be
22 an appropriate leap to make.

23 On the other hand, I think if you have a
24 product that has a track record and has been in use with

1 established safety and effectiveness, I'm not sure that
2 this idea of a biologically similar cancer -- that you need
3 another criteria. It would seem to me that if you had a
4 single adequate, well-controlled multi-center study, that
5 that in my mind would support labeling for use in another
6 solid tumor, say. I would take out that "biologically
7 similar."

8 So, I think you need to establish efficacy for
9 each tumor type, but the issue to my mind is if you've got
10 some history to that drug, people know how to use it, the
11 side effect profile is well-known, that if have what you
12 say, a well-controlled, multi-center study, in my mind that
13 means a fair number of patients, that if you saw activity
14 that the panel and the FDA felt was real and was
15 supportable, then I think I'd be satisfied with that. I
16 don't know how other people feel.

17 DR. DeLAP: I'm not quite sure I grasped
18 exactly the distinction here. So, what you're saying is
19 you think that it's treacherous to think that we know more
20 than we do about --

21 DR. FORASTIERE: I wouldn't extrapolate from
22 one cancer type to another for response data. Whatever is
23 felt to be required or necessary to establish efficacy
24 should be done for each individual tumor type, and this

1 biologically similar cancer I think is erroneous to think
2 that you have biologically similar cancers because they
3 share the upper aerodigestive tract or the lower GI tract
4 and therefore response rates are going to be the same
5 because we know that isn't the case.

6 DR. SWAIN: Can I just make a comment? I think
7 what you're saying is basically if the drug has already
8 been approved, you just need one study. So, you are going
9 to look at efficacy in the one study. So, I don't see why
10 there would be any problem with it personally, just having
11 one well-controlled, large study.

12 DR. DeLAP: One other thing. The other comment
13 I would make about the thinking here is I don't think it's
14 our intent to say that there is a one-to-one concordance in
15 terms of what works in head and neck cancers and what works
16 in esophageal cancers. It's just that, again, it creates
17 an expectation that if you've seen activity in the one
18 setting, that it's more likely that your probability going
19 in is increased that it's going to be active in the other
20 setting. So, perhaps that information is an important
21 addition in the background as you're considering the use of
22 the new indication, but certainly not that head and neck
23 cancer activity, for example, implies that it is definitely
24 going to be active in another setting.

1 DR. SCHILSKY: Bob, I think one of the problems
2 you have with this language is that the term "biologically
3 similar" is a real moving target because it's not clear if
4 that term refers to similar histologies. Would it refer to
5 all EBV-related malignancies? Would it refer to all tumors
6 with mutant P53? As the molecular biology of cancer is
7 revealed more and more, it's likely that our definition of
8 biologically similar is going to change from whatever it is
9 now to something different in the future.

10 So, I would tend to agree with the comments
11 that have already been made that I think that language
12 might be fine if you just dropped out the term
13 "biologically similar" because I'm not exactly sure how
14 relevant it is. If there's a drug that's approved for a
15 solid tumor and has a well-known toxicity profile and there
16 comes along another adequate and well-controlled multi-
17 center study in another tumor type, I think that might be
18 sufficient for approval just based on that one study
19 without having to suppose that the cancers are somehow
20 biologically similar.

21 DR. MARGOLIN: I guess I would ask you to go
22 back, if you haven't already solved this problem, to the
23 other concern here that affects multiple ones of these
24 marketed products questions which is the adequacy of a

1 single, even multi-center trial at all if it's phase II. I
2 think we see time after time, those of us particularly who
3 work in cooperative groups and who go to these meetings,
4 the importance of patient factors and selection bias in
5 influencing the outcomes of studies which can show the same
6 drug to be active at a 20, 30, 40, 50 percent response rate
7 versus sometimes 0 or 2 percent. I think there are a lot
8 of drugs out there that are being used without really
9 rigorous demonstration of their activity. So, I think that
10 arguing for either more than one large multi-center trial
11 or a phase III trial of some sort would really be crucial
12 in all of these regards.

13 DR. DUTCHER: Well, I think actually we saw the
14 converse of this yesterday when we were discussing Taxol in
15 Kaposi's sarcoma where they had safety data at a specific
16 dose in solid tumors with a totally different toxicity
17 spectrum than what was presented in KS which is a different
18 patient -- that argues against. That's not biologically
19 similar, but it argues that even in certain disease
20 entities, you're going to have some problems with
21 particularly the safety perhaps as well.

22 So, I think each tumor type is going to require
23 -- it could support perhaps the toxicity profile, but if
24 you have a special situation where the disease and the

1 underlying problems impact on the toxicity, for example,
2 patients that have COPD that get a pulmonary toxic drug
3 that in non-COPDers is not toxic, those things have to be
4 taken into account.

5 So, I agree with getting rid of the
6 "biologically similar" and I guess the question becomes the
7 single study.

8 Dr. Krook?

9 DR. KROOK: I was simply going to say the same
10 thing. I think it makes it easier for you, Bob, if you
11 drop out "biologically similar." Then it makes it an
12 easier statement to deal with.

13 DR. JUSTICE: We still have the legal
14 consideration of the plural, adequate and well-controlled
15 studies, that we have to deal with and this is one way of
16 dealing with that. So, if we're just considering that we
17 have one study, we're not following the law strictly.

18 DR. SWAIN: But the other issue is that you
19 could give an accelerated approval if you were really
20 concerned about toxicity like some of us were about the
21 drug yesterday. If you really had one randomized phase III
22 study that really showed toxicity and you were happy with,
23 you might not need to do that and you'd have the data in
24 another tumor type.

1 DR. DeLAP: I'm speaking for others since I've
2 been at the agency only relatively recently, but I think
3 the agency over the years has been very reluctant to back
4 off from the substantiation or replication concept and has
5 been fairly assertive about the need for the
6 substantiation.

7 However, what has happened in recent years has
8 been what I would call finer and finer slicing of
9 indications such that when a drug was approved in past
10 years for cancer treatment -- and I'm talking many years
11 ago now -- typically there would be a whole laundry list of
12 different kinds of cancer where it had been tested and
13 found to have some activity.

14 More recently we've been very precise about
15 saying, well, which cancer is it and which stage is it and
16 exactly what setting can it be used in.

17 So, I guess I would interpret some of the
18 comments that I've heard as you're saying that, well, once
19 you know that the drug does have effectiveness in some kind
20 of solid tumor treatment, again that does provide you with
21 some level of assurance that it is active and it provides
22 some substantiation. So, that's I think how I would try
23 and reconcile the comments with regulations.

24 Any other comments on this one?

1 DR. GELBER: Yes, just one other comment. It
2 seems to me then that this can be turned around, that is,
3 if you've got evidence in one tumor type, then this is
4 saying you can accept a single adequate, well-controlled
5 study and look to that other tumor type evidence to provide
6 the substantiation. That's what I'm understanding the
7 intent of this to be, to get you over the legal hurdle of
8 the guidelines. The requirement for substantiation can
9 come from evidence in a different tumor type than the one
10 for which this second approval is being sought.

11 DR. DeLAP: I would say I kind of emphasized
12 the legal hurdle aspect, but I also don't want to lose the
13 scientific expectation aspect, that you need to have enough
14 information so that people sitting around the table here,
15 when the drug product comes up for review, you can say,
16 well, I truly believe that there has been enough evidence
17 advanced for this product and this indication that I'm
18 comfortable with it being labeled for that.

19 DR. GELBER: Not to belabor it too much but an
20 operative word here is "may" support. That's at least the
21 way I read this change. So, it allows some latitude but an
22 opportunity to use one very well-controlled study in a
23 setting where there's already experience with an agent and
24 evidence to show efficacy in another tumor type.

1 DR. DUTCHER: It would be also helpful, for
2 example, if the primary data was extremely compelling, to
3 not have to go back to the drawing board and do another
4 study, but in fact have a substantiation from a different
5 study.

6 DR. FORASTIERE: Well, I think it gets back to
7 the idea also of what is well-controlled, and if you've got
8 a phase III study, of course it's going to be controlled.
9 But when you're talking about a single phase II study, it's
10 either got to have adequate numbers of patients so that
11 those confidence intervals are not gigantic or a smaller
12 number of patients but with a high response rate and a
13 narrow confidence interval from that standpoint.

14 So, really it can't be black and white. It's
15 got to be interpretable for the specific situation, and
16 again I would think that the data that you can get from
17 another tumor type really relates primarily to safety but
18 not to efficacy. I really think that one should not make
19 that leap and use that data on the fact that it's
20 efficacious for ovarian cancer, that then it's going to
21 work in breast cancer or some other tumor type. I really
22 think you have to stand by what the agency feels is
23 necessary to show efficacy.

24 DR. DeLAP: I think part of the genesis of the

1 words "biologically similar" was that we were trying to
2 exclude certain kinds of data along the lines of what
3 you're saying I think. Certainly if you had a drug that
4 was a good leukemia drug, for example, and you were going
5 to start using it to treat colon cancer, they're really
6 such different diseases and such different kinds of drugs
7 that may work in those diseases that it's hard to say that
8 you could use experience causing complete remissions in
9 leukemia as a backdrop against which you'd require less
10 data or a single study in colon cancer.

11 DR. MARGOLIN: Another clarification question
12 would be that it sounds like you're generally talking about
13 non-accelerated mechanisms of approval for new uses for
14 marketed products, that when the data are supportive
15 enough, the use of a single adequate and well-controlled
16 trial will also rely on the sort of corroborative surrogacy
17 endpoints established in the original approval rather than
18 in these phase II settings where you cannot demonstrate
19 survival benefit since it's not a comparator trial and the
20 clinical benefit would be not always built into that study,
21 such as quality of life, et cetera, but may simply be
22 objective partial and complete responses and their
23 durability. Is that correct?

24 DR. DeLAP: So, you're talking about the

1 distinction between the regular approval and accelerated
2 approval and the nature of the new evidence that you have
3 that this could apply to the accelerated approval concept
4 as well.

5 DR. MARGOLIN: That you wouldn't be quite as
6 exigent in these cases that you wouldn't require
7 demonstration of survival benefit or clinical benefit,
8 which are the standards for the full approvals, in these
9 new uses of marketed drugs which are going to be approved
10 for full approval rather than by an accelerated pathway.

11 DR. DeLAP: Well, I think our perception again
12 is that the standards are basically the same for new uses
13 as for initial uses of a new product. So, I think we would
14 still stick to our requirement for things like survival
15 benefits or other tangible patient benefits for full
16 approval, and if all that was available was response rate
17 without compelling evidence of patient benefits, we would
18 probably still use accelerated approval in that setting.
19 But the concepts could be the same. It's just that the
20 kind of approval depends on the kind of data that you have.

21 DR. SCHILSKY: Bob, let me just ask one other
22 thing which is sort of definitional again. If the term
23 "biologically similar" were left in there or if some other
24 term were substituted -- I was thinking based on these

1 comments that in fact oftentimes what we might be
2 interested in is to see whether a study for a new tumor
3 type has been done in a similar patient population to
4 previous studies for which the drug is already approved.

5 But if there's a definitional term in there,
6 would that imply that the sponsor would have to provide
7 documentation that they're meeting the definition? So, if
8 you talked about biologically similar, if that were left in
9 there, would you expect the sponsor to then start off a
10 presentation by providing documentation that the disease
11 for which they are proposing an indication is in fact
12 biologically similar? Then you begin to get into a little
13 bit of the eye of the beholder problem. So, it's tricky.

14 DR. DeLAP: Yes, it is vague I think. I'm glad
15 we're having this discussion. You can talk about
16 epithelial cancers as being biologically similar. You can
17 talk about all solid tumors versus hematologic
18 malignancies. So, there is a lot of eye of the beholder
19 here.

20 My sense is we're talking around the same
21 issue. It's just that I'm having trouble at least finding
22 the words to express exactly how it is. In some settings
23 you can say, well, we've got this activity in another
24 cancer and that really makes us expect that it's likely to

1 be active also in this condition.

2 But I would not imagine that that would be
3 something that would become a routine part of an ODAC
4 presentation, that the first thing would be to say, well,
5 we really think that colorectal cancer is just like
6 esophageal and this is the reason why.

7 But perhaps something along the lines of, well,
8 the drugs that are used to treat this cancer generally
9 overlap substantially, not identical with perhaps, but they
10 overlap substantially with the drugs that are used to treat
11 that cancer and something to link the cancers together more
12 closely than, say, solid tumors and leukemia, which again I
13 think is kind of a great divide.

14 So, I think that we should go back and think
15 more about those words "biologically similar" and what they
16 mean and how to characterize better the discussion that
17 we've had here.

18 DR. FORASTIERE: I really think it's bad
19 terminology and I think it's just going to be very
20 confusing and I think it can lead to some false
21 assumptions.

22 I was just reading in the draft here because
23 you have a longer sentence about this. I really think that
24 this should not be in there where you say another

1 biologically similar form of cancer that is known to have a
2 generally similar pattern of responsiveness to chemotherapy
3 may support labeling for that additional form of cancer.
4 It's just too many "mays" and too many "generally similar."
5 I think the whole idea is bad, to tell you the truth.

6 DR. DeLAP: Okay. So, you think we should
7 eliminate this one?

8 DR. FORASTIERE: Well, I think this whole
9 concept of extrapolating from one tumor type to another is
10 a bad idea, and I think that you can take safety data, but
11 I do not think you should be saying, well, it's got
12 efficacy in X cancer that's nearby anatomically and so
13 maybe it's got activity. I would get rid of that whole
14 notion.

15 DR. MARGOLIN: I think there are probably more
16 exceptions to the concept in terms of what we know about
17 cancer than there are cases that fit. Since you
18 individualize so many other things about what you ask
19 sponsors to do for documentation of efficacy and so many
20 other variations, to use this as some kind of a unifying
21 factor probably doesn't make a lot of sense.

22 DR. DUTCHER: You're trying to get around the
23 issue of having to designate two pivotal studies I think.
24 Maybe the other source of information -- I think safety

1 data you could get from other tumor types, but most of the
2 things that I can think of that would go in for a second
3 indication have more than one trial done. They may not be
4 as rigorous as what would be considered a pivotal trial,
5 but there may be a lot of supportive data that could be
6 utilized to support the efficacy portion and then use some
7 of the data that were approval studies for other diseases
8 as the safety data.

9 But I agree with what's been said, that I don't
10 think you can use tissue type to define efficacy in the
11 different diseases. I think Kim is right. There are far
12 more exceptions than there are real data.

13 I understand the dilemma. I think you could
14 write that down and then we could see the data and just
15 say, well, that doesn't work, and it would just put people
16 in a bad spot.

17 DR. WILLIAMS: One problem is that the first
18 line I think is sufficient, as noted earlier, that if you
19 do have a large, single, adequate and well-controlled
20 multi-center study, that could be adequate and it
21 substantiates itself. So, in some way there's a problem
22 with this because it could be adequate from the first line.

23 DR. DeLAP: Well, that's certainly true if you
24 have a large enough multi-center study, then it can be

1 self-substantiating, and certainly we've taken that view in
2 the past and could again.

3 I think that the rationale behind the multi-
4 center words there was simply to express that we still have
5 concerns about data from a single or one or two centers as
6 being sufficiently generalizable to other centers. So, it
7 is worthwhile, even in a single experiment, to have data
8 from more than one center.

9 Well, if there's no further discussion on this
10 one --

11 DR. SCHILSKY: Can I just say one other thing?
12 I think we're all grappling with sort of what the intent
13 here is, and it seems to me that if an application came in
14 in a particular tumor type and the patient population being
15 studied was well-defined and if the applicant could say,
16 look, this patient population has the following
17 characteristics that are very much in common with a patient
18 population for which the drug is already approved and those
19 characteristics may vary across diseases, maybe that's
20 getting closer to what the goal is, to be able to define
21 common characteristics across populations of patients so
22 that you could have some confidence that a new study
23 actually bears a relationship to a population in which the
24 drug is already approved. Now, that doesn't necessarily

1 mean that they're biologically similar cancers.

2 Is that more sort of along the lines of what
3 you're trying to get to?

4 DR. DeLAP: I think that's a good expression of
5 what we're trying to accomplish here. Again, perhaps we
6 haven't captured it, but if there's a way to capture it --
7 again, I don't want to get to the point of having so many
8 ifs and wherefores and maybes that it's meaningless, but if
9 there's a way to capture it, I'd like to do that.

10 DR. MARGOLIN: I think that will be for the
11 days when we start defining tumors by the expression of
12 certain biological parameters that are known to correlate.
13 For example, if you had another disease that was
14 characterized by a 1517 chromosome translocation and you
15 called it 1517 disease, in addition to APL, you could
16 approve ATRA for those patients even though the tumor
17 histology is different. We're not there yet.

18 DR. FORASTIERE: I think the emphasis should be
19 on how compelling the data is in the single study not on
20 similarity of the study to another population or another
21 cohort that has been studied in a different tumor type.

22 So, I think the emphasis is in the wrong place
23 here, and I think you should think more about defining the
24 fact that a single trial in an already approved drug for a

1 different tumor type that is really compelling would be
2 acceptable as opposed to trying to box it into some kind of
3 category that would match something else that has
4 previously been done because again, when I look at your
5 second paragraph here, you say that this might be
6 acceptable in patients with another type of advanced,
7 refractory solid tumor with a response rate endpoint and
8 enrollment of sufficient patients, blah, blah, that that
9 may be sufficient. Here you're talking about, okay, let's
10 look at more characteristics of the population rather than
11 any kind of biologic similarity, to use that phrase.

12 Again, I think that the emphasis is on the
13 wrong part of this, and the emphasis really has to be on
14 how compelling the data is that's being presented in this
15 single trial knowing that there's already established
16 safety data out there.

17 DR. DeLAP: So, you would say something along
18 the lines of that a single compelling, adequate and well-
19 controlled multi-center study could support labeling of
20 product for use in another cancer and leave out the
21 "biologically similar"?

22 DR. FORASTIERE: Well, something like that.
23 The wording would have to be thought through, but something
24 that really puts the emphasis on --

1 DR. DeLAP: On the new data.

2 DR. FORASTIERE: On the new data.

3 And you may want to include something there
4 that says something to the effect that the safety of the
5 dose and schedule being studied for this new indication is
6 also similar to what had been studied before. To me those
7 are the two elements: one, that there's established safety
8 data for that dose and schedule that's being requested in
9 this new tumor type, and two, that the data that they're
10 showing you is really compelling.

11 DR. JUSTICE: I'd just like to emphasize
12 something that Dr. Dutcher said before because we're really
13 discussing this in the abstract and the statement itself is
14 a bit vague. If we're talking about a single adequate and
15 well-controlled multi-center trial, are we talking about a
16 randomized phase III trial or are we talking about a
17 randomized phase II or a nonrandomized study?

18 Assuming that we're talking about a randomized
19 phase III trial, we don't usually initiate that trial
20 without some phase II data that it's worth studying the
21 drug in that disease. So, I think in actual practice we
22 often use a phase II trial to support a phase III trial.
23 It may not be the same endpoint, and I think that's really
24 the key. For example, if the phase III trial shows a

1 survival benefit and the applicant wants a claim for
2 survival benefit, you can't strictly use the phase II trial
3 to show that, but you might have something else like
4 objective response rates that are high enough to suggest
5 that it's supportive. To me that's the key.

6 DR. DeLAP: Okay. Perhaps I'll move along then
7 to the next one, and this is where I think Dr. Forastiere
8 was reading down. It's slightly different than the one we
9 just looked at. It says that for a product with
10 established safety and effectiveness in a given type of
11 cancer in advanced, refractory stages, a single adequate
12 and well-controlled multi-center study with a response rate
13 endpoint and enrollment to characterize the response rate
14 adequately may support labeling for the new use.

15 Again, I don't view that as probably being a
16 whole lot different than our current practices.

17 DR. SCHILSKY: I think that's just what we did
18 yesterday for Taxol and KS. It would be consistent with
19 what you have on the slide.

20 DR. MARGOLIN: Maybe I'm misinterpreting this
21 but this actually sounds like you're using data from one
22 cancer to approve it in a different cancer.

23 DR. DeLAP: It speaks to solid tumors, but it
24 doesn't differentiate among different kinds of cancer.

1 That's right.

2 DR. MARGOLIN: I think this is an even more
3 extreme example of what we just decided we --

4 DR. DeLAP: Our perception has generally been
5 that the risk of bringing in new therapies for advanced,
6 refractory cancers is fairly small in the sense that the
7 cancer is so terrible that the risks of a new treatment can
8 be tolerable even if they're fairly serious, and even if
9 the benefit is fairly small, the product can be brought
10 forth. So, in the public health sense, I think the risk of
11 bringing forth a new therapy for an advanced, refractory
12 cancer is less than, say, bringing forth a new adjuvant
13 treatment for breast cancer where the potential for
14 disaster, shall we say, is much higher.

15 You're right. It's more extreme in the sense
16 that it's just saying any kind of solid tumor can be used
17 to support any other kind of solid tumor, but the kind of
18 indication that it speaks to is an indication where we feel
19 that it can be appropriate to take more risks in terms of
20 the kinds of products that we approve.

21 Does it make sense?

22 DR. DUTCHER: I don't think that paragraph says
23 what's here, though. I think what this is saying is that a
24 single well-controlled multi-center trial is sufficient if

1 there are data from other trials in solid tumors. This is
2 saying if there's a single trial, then it supports labeling
3 for use in another disease? Is that what you're saying?

4 DR. DeLAP: Obviously I don't have the full
5 document in front of me, but I think this captures the
6 intent of what's in the document in the sense that the
7 additional data can be from other trials in other tumor
8 types. I think that's what it says in the document. It
9 doesn't say other trials in the same tumor type.

10 DR. DUTCHER: May be sufficient to support in
11 terms of? I think it's still the same thing. In terms of
12 safety more than efficacy. Right?

13 DR. FORASTIERE: Well, I agree. I don't like
14 this either. I think I feel the same way about this as I
15 did the previous.

16 DR. MARGOLIN: It asks more questions than it
17 answers.

18 DR. WILLIAMS: Bob, I think there's one point
19 that is not clear here and that is what kind of approval.
20 I think we've had this discussion. The document just says
21 approval and doesn't say what type. It implies to me that
22 it's going to allow full approval, and I'm not sure that's
23 how we interpret this. I wonder if you could clarify your
24 interpretation.

1 DR. DeLAP: Yes. It's difficult to capture
2 everything on these slides that are some of the subtleties
3 of the document.

4 If you look in the footnotes of the document, I
5 think it says that the kind of approval depends on the kind
6 of data that you have. Again, if it's a surrogate
7 endpoint, then that's the kind of data that gets you
8 accelerated approval.

9 DR. DUTCHER: I think it gets back to what Bob
10 Justice was saying too, though. If you have a single
11 adequate, well-controlled multi-center trial, there's going
12 to be a lot of preliminary data based on phase II. My
13 interpretation of the intent would be or the comfort level
14 that we would have is if you have this well-controlled
15 trial that fits the approval and you have phase II data
16 showing that there's efficacy and you have other studies
17 that show that there's safety, then yes, one trial would be
18 sufficient.

19 DR. DeLAP: So, does it get back to that
20 compelling question?

21 DR. DUTCHER: It goes back to that compelling
22 primary data in terms of response, perhaps even long-term
23 data that people have, say, if something gets dredged up
24 after 10 years, but it has been being used anyway and then

1 they do this multi-center trial that says, yes, it's true.
2 Then you get the other data, and then you have safety data.
3 That's easy. The question is what's the minimum.

4 DR. MARGOLIN: To me it just brings to mind
5 drugs that are not specific anticancer agents for which
6 this might make perfect sense but may not be at all what
7 you have in mind, for example, the use of strontium 89 or
8 aredia for the various bone indications that are currently
9 I believe tumor-specific but perhaps there are certain
10 biological features of the tumors for which they are
11 approved that they share with other tumor types which would
12 make this type of an approach quite appropriate.

13 DR. DeLAP: Well, I think a lot the comments
14 are like the comments we had on the last slide, so if there
15 are no other comments that people feel are necessary at
16 this time, I'll just go to the next.

17 For a product with established safety and
18 effectiveness in a given type of cancer in advanced,
19 refractory stages, a single adequate and well-controlled
20 multi-center study may support labeling of the product for
21 use in an earlier stage of the same form of cancer.

22 The thing to note here is that it's quite
23 possible or likely even that the labeling for the advanced,
24 refractory stages may have been based on an expanded phase

1 II experience, of course. If we just choose, say, breast
2 cancer as an example, if you have phase II expanded
3 experience that has gotten you labeling for advanced,
4 refractory breast cancer and then you want to come in with
5 a front-line chemotherapy for metastatic breast cancer,
6 this would suggest that a single adequate and well-
7 controlled multi-center study might be sufficient for that.

8 The nature of the study would almost certainly
9 be different. It would be a randomized controlled trial as
10 opposed to an expanded phase II experience.

11 Are people comfortable with that after I've
12 expanded on that?

13 DR. FORASTIERE: Yes. This to me makes a lot
14 of sense.

15 DR. SCHILSKY: I think this is probably fine
16 although how much earlier stage are you considering? For
17 example, if you have a drug that's approved for advanced,
18 refractory colorectal cancer -- say, 5-FU refractory -- and
19 there was then a single well-controlled trial demonstrating
20 its efficacy in the adjuvant setting, in a sense you could
21 argue you're going earlier by a few stages, and would you
22 be satisfied with a single trial under those circumstances?

23 DR. DeLAP: Well, what do other people think
24 about that question?

1 DR. SCHILSKY: I think I probably would so long
2 as the new trial was in fact a very well-constructed,
3 presumably randomized trial.

4 DR. FORASTIERE: Yes, I would agree with that.
5 Maybe you want to just specify that it has to be a phase
6 III type of study, again because yesterday we got into this
7 issue of historical controls. So, you might want to be
8 more specific in terms of the nature of the well-controlled
9 trial, but I agree with what Rich just said.

10 DR. SWAIN: I'd just like to reiterate that too
11 because in breast cancer, for example, sometimes we do have
12 differing results in the adjuvant setting. So, I'm a
13 little bit uncomfortable with just taking one study.
14 Certainly it would have to be very rigorously defined phase
15 III large studies with enough patient numbers to make sure
16 that you really have a true result. But I think it would
17 probably be okay. I'm just a little uncomfortable with it.

18 DR. MARGOLIN: I agree with Sandy and, in fact,
19 would probably go a little bit further. I think we all
20 know of good examples of very well-controlled trials in the
21 adjuvant setting that point in completely opposite
22 directions from each other for reasons that can't be
23 explained by trivial factors.

24 So, I think perhaps the study design would be

1 important if you were going to consider approving a drug
2 that was active in advanced disease for the adjuvant
3 setting based on one well-controlled adjuvant trial. You
4 might ask for a trial that demonstrated the drug was
5 superior to rather than equivalent to the standard adjuvant
6 therapy, and if it was only equivalent, number one, you'd
7 have to have a much larger trial, and number two, you might
8 have to demonstrate either less toxicity or have another
9 corroborative trial with the same direction of outcome.

10 DR. KROOK: The other thing, Bob, is safety
11 becomes a different issue when it's more earlier stage.
12 Safety issues are different in advanced versus adjuvant. I
13 think it comes down to how do you define a single adequate
14 and well-controlled -- that's the question you ask every
15 time we look at a drug. Is this an adequate and well-
16 controlled multi-center trial? That's a question you ask
17 us almost every time.

18 DR. SCHILSKY: Actually there's an important
19 corollary to Jim's comment which has to do with the
20 duration of follow-up to obtain adequate safety data
21 because, for example, a drug might be approved in a
22 refractory disease setting where the average life
23 expectancy of the patient population might be six months,
24 and if you put that same drug in the adjuvant setting where

1 patients might be at risk for developing toxicity for
2 years, you might not have an adequate safety database from
3 the refractory disease setting because patients never got
4 followed out long enough.

5 DR. GELBER: One comment is that we find that
6 each specific situation we look at, the kind of definition
7 of what we consider to be adequate and well-controlled will
8 change. So, the assumption, therefore, in being able to
9 accept single study evidence is that the highest standard
10 be applied to what we consider adequate and well-controlled
11 for that particular setting. With that caveat, I would say
12 this is a reasonable guideline to allow the acceptance. It
13 may support; that is, a single trial may support.

14 But then the definition of adequate and well-
15 controlled really has to be specific for that particular
16 indication that's being requested for all the comments that
17 we've heard. Long enough follow-up, second tumor
18 possibilities, things like that need to be considered in
19 one setting where the data are not available in another.

20 I think it's reasonable to say a single study
21 may support. We should not be required to have two studies
22 when in fact the committee feels that adequate and well-
23 controlled has been handled with one. So, in that sense, I
24 think I could accept this recommendation.

1 DR. KROOK: The more I look at it, the more
2 comfortable I become with it.

3 DR. DeLAP: Well, the other comment I would
4 make is that our current practice of involving advisory
5 committee members in each of our discussions with companies
6 will continue. So, this is not something that we would
7 plan to implement in ways that would not be familiar to you
8 or totally different from your views certainly.

9 DR. SWAIN: I think in the endpoints, again
10 just to emphasize, really the risk-benefit ratio has to be
11 there. The toxicity could be a lot more. I could think of
12 a couple of examples that we might not approve it. Even
13 though it may look equivalent with large numbers, but
14 toxicity may be higher.

15 DR. DeLAP: Okay. Shall I proceed then?

16 This is a synopsis of the so-called pediatric
17 rule, and it's something that I at least have had a little
18 difficulty in figuring out exactly how we can appropriately
19 apply it in the cancer setting simply because I'm not sure
20 what it means to say the same type of cancer in children
21 and adults. My impression is that there are very few such
22 instances and perhaps not any that we can really be fully
23 confident about. Even a disease that occurs both in
24 children and adults, acute lymphoblastic leukemia, it still

1 may behave somewhat differently, and I'm not sure that
2 having evidence in adults is -- if we're treating the same
3 disease in children, I think it may be different.

4 Again, it comes back to some of the
5 conversation we've had about translocations and whatnot. I
6 would be willing to bet that when we learn more about these
7 illnesses, we'll find out that in fact a lot of these
8 illnesses that are anatomically or microscopically similar
9 in children and adults may have different mutations and
10 different underlying biology. Of course, we know a lot of
11 them do have different biology now.

12 But the pediatric rule does say that if you
13 have a disease that seems to be similar in children and
14 adults, then you really simply need to study the drug in
15 children sufficient to establish the kinetics and the safe
16 dose, and you really don't have to reestablish efficacy.

17 Again, I don't know about the applicability of
18 this in our disease categories.

19 Any comments on this area?

20 DR. KROOK: Well, safety becomes a bigger
21 issue. It's the longer term.

22 DR. DUTCHER: Actually I'm trying to think. We
23 haven't had that many drugs recently, but when Judith Ochs
24 was on the committee, she kept asking to see the data in

1 children before she could vote, and there was very little
2 in terms of the pharmacology and the efficacy data.

3 But I certainly can think of some drugs that
4 have very, very diverse outcomes in children versus adults.
5 So, the data may be limited, but it better be compelling.

6 DR. MARGOLIN: And the safety data are
7 particularly important because the spectra of what's
8 acceptable in the different age groups is widely, widely
9 different. Even if the drugs act the same way and cause
10 the same toxicities, you may see them through a very
11 different microscope in children versus adults.

12 DR. DeLAP: Well, the other plug that I would
13 put in, since Dr. Ochs isn't here, is that we really do
14 need to see more data on children wherever it's appropriate
15 and feasible to do so. Again, in terms of public health,
16 although a lot of cancers in children are treated much more
17 successfully than cancers in adults, there's still a very
18 compelling public health need, and we certainly would bend
19 over backwards to work with sponsors who choose to try and
20 develop their drugs for children.

21 DR. GELBER: Is part of the motivation for the
22 pediatric rule that in fact there are relatively few cases,
23 luckily, fortunately, and so to demand a full-scale
24 efficacy evaluation would be impractical?

1 DR. DeLAP: I wasn't around at the time the
2 rule was drafted, so I'm not sure what all the motivations
3 were. But I think that the issue that the pediatric rule
4 was trying to respond to is that there are many drugs that
5 are used in children which have not been adequately studied
6 in children. I think a lot of it is outside the cancer
7 area. Most, perhaps nearly all of it, is outside the
8 cancer area.

9 DR. KROOK: Rich, the other thing in children
10 that happens is that there's a much larger percentage,
11 although they're smaller, that go on to clinical trials. I
12 think that's true. That was one of Judy's things.
13 Children with cancer -- there have got to be 40 or 50
14 percent of them go on clinical trials.

15 DR. DeLAP: I just thought I left a little
16 ambiguity there when I was saying most or all of this was
17 outside the cancer area. The motivation for the pediatric
18 rule probably came from outside the cancer area.

19 DR. KROOK: So, I think within cancer, the kids
20 have been looked at in many ways -- toxicity -- better than
21 we adults have.

22 DR. MARGOLIN: This would be then an even
23 greater example -- this is actually good news -- since
24 there are a smaller number of pediatric malignancies and

1 probably a more limited cache of drugs that are used, of
2 where the safety data from treatment of a different
3 malignancy with the same drug can be imported for use in
4 these approvals.

5 DR. DeLAP: For a product used to ameliorate
6 adverse effects of cancer treatments, there may be concern
7 that the product could also diminish treatment
8 effectiveness. If such a product has established
9 effectiveness in reducing adverse effects of a palliative
10 treatment of one type of cancer without substantially
11 diminishing treatment effectiveness, a single adequate and
12 well-controlled multi-center study may support labeling of
13 the product for use to reduce adverse effects in all
14 similar palliative settings.

15 What's held back here is the notion that if you
16 intend to use such an agent in a curative setting or where
17 there's major benefit from treatment, one has to study the
18 drug explicitly in that setting.

19 But what is suggested here is that if you have
20 a drug that, for example, reduces the side effects of
21 chemotherapy for advanced non-small cell lung cancer and
22 you also study it, say, in advanced colorectal cancer and
23 it also seems to work there, then you might be able to get
24 that drug approved for all settings where the same adverse

1 experience is encountered.

2 An example would be a drug that, say,
3 ameliorates bone marrow toxicity of agents, particularly,
4 say, cyclophosphamide. So, you've got cyclophosphamide
5 plus drug X. You have less toxicity. If you study that in
6 two palliative settings where cyclophosphamide is used,
7 then we could simply say this drug ameliorates side effects
8 of cyclophosphamide used in any palliative indication.

9 DR. SCHILSKY: I think this sounds pretty
10 reasonable. The only thing that I would want to think
11 about further is oftentimes the approval of a drug that
12 ameliorates side effects is related in some way to the dose
13 and schedule of the drug whose side effects are being
14 ameliorated. It's conceivable to me that such a cytotoxic
15 compound might be used in different dose and schedule in
16 different disease types.

17 So, the fact that a drug ameliorates the side
18 effects in one disease setting with one dose and schedule
19 might not necessarily be the case that it would similarly
20 ameliorate side effects with a different dose and schedule
21 of the drug. That's what I would think would require a
22 little bit more thought.

23 DR. DeLAP: No other comments? I think I did
24 try and put the most controversial ones first.

1 This is fairly straightforward I think. If you
2 have a product that has established safety and
3 effectiveness in a given type of cancer and approval of a
4 new dosing regimen or use in a new combination regimen is
5 sought, then a single adequate and well-controlled study
6 can support inclusion of the new treatment regimen and
7 product labeling.

8 It seems fairly obvious to me.

9 DR. DUTCHER: In the same disease?

10 DR. DeLAP: Yes. It's just to change the
11 dosing administration details.

12 Those were the selected issues from the
13 document. There are a couple of other points in the
14 document, but I think they are less contentious and
15 comments would be welcomed of course.

16 The document goes on to describe data sources
17 to some degree and simply says that FDA has to be able to
18 confirm the major study findings of course. Examples of
19 data sources that may be required. The usual preference,
20 of course, is that we have full study reports that include
21 complete statistical analyses and individual patient data.

22 The document does go into some detail about
23 options for other ways of providing us with the data that
24 may also be acceptable. On occasion we have taken action

1 or at least considered taking action based solely on
2 literature reports with fairly minimal additional data, but
3 we've almost always had at least study protocols and
4 details on what happened to individual patients of
5 interest.

6 I don't really need any discussion on that
7 point, but if someone has a comment, I'd be delighted to
8 hear it.

9 Then finally, the document describes some
10 initiatives that we are interested in taking at FDA to try
11 and maintain updated labeling for products. Of course, as
12 again most people here know, maintaining updated labeling
13 for a product's use in cancer treatment is a big problem,
14 and there is extensive use of products for indications for
15 which they're not labeled. A lot of that use certainly can
16 be appropriate, but we would prefer to have all of the
17 appropriate information in the label, all of the
18 information that's available about the appropriate
19 established clinical uses that are based on data.

20 So, these are again initiatives that we intend
21 to take to try and improve labeling particularly for older
22 products. Surveys of the community regarding potential new
23 cancer treatment uses of approved agents, regular reviews
24 of product labeling by our staff, encouraging sponsors to

1 submit data where we see potential new applications for a
2 product.

3 If there is a product that lacks an interested
4 commercial sponsor, then we'll have to try and explore what
5 other mechanisms might be available to maintain updated
6 labeling. Of course, this becomes a problem with many
7 older products that have gone generic and for which the
8 commercial sponsor has little incentive to expend very much
9 resource.

10 Then finally, we'll track our efforts to
11 maintain this updated labeling.

12 Those are all the comments that I had, and I'd
13 welcome any other comments that the committee may have or
14 other thoughts.

15 DR. SCHILSKY: With respect to the initiatives,
16 I'm just wondering what your thoughts are about the first
17 one you had on the slide, surveys of the community. I
18 guess I wonder whether in a sense that's really a cost
19 effective way to find out information about potential new
20 uses. It seems that it's likely that if there's something
21 out there that is looking particularly promising, that it's
22 going to end up getting presented at a meeting somewhere
23 along the way and probably isn't going to be hidden. It
24 strikes me it might require a fair amount of effort to

1 survey the community, and I'm not sure that what you're
2 going to get back is going to provide you incrementally
3 more information than what you would get from the other
4 usual sources of information gathering that we have
5 available.

6 DR. KROOK: I think it depends upon how you
7 define community. You could define community as ASCO,
8 whatever else, and I guess, as I see you've put it up, is
9 the FDA going to make an effort to look at some of the
10 national meetings or the publications and use that as
11 community because that's where most of us get our
12 information and talking from the actual community, the
13 nonacademic places. What's in the labeling becomes
14 important economically because a lot of my colleagues -- we
15 haven't had a problem where I am -- have had insurance
16 companies and others deny when it's not in the labeling.

17 So, when I look at community, I think if the
18 FDA makes an effort to watch the peer journals, if that's
19 community, the presentations and then add to this from
20 there. So, I guess you have to define that.

21 I think going out and polling people what
22 they're doing, you'll have a huge waste basket you can't
23 interpret. There are people out there who use strange
24 things, I'll tell you, and they should be denied.

1 DR. DeLAP: Well, I'm sure it's probably more
2 attractive to our staff as well to be going out to the
3 cooperative group meetings and participating and learning
4 and bringing things back.

5 DR. KROOK: That's what I'm saying and kind of
6 bring it back and take a proactive --

7 DR. DeLAP: Right.

8 DR. KROOK: -- not waiting for a sponsor to
9 bring it and say, okay, let's put this in our labeling. If
10 I look at this, you may be willing to go out or somebody
11 may be willing to look at this and bring it back and say,
12 hey, maybe we should add this and not wait for the sponsor
13 to initiate it.

14 DR. DeLAP: Well, I think we do have some more
15 ability to be proactive at this point, thanks to the PDUFA
16 act. We have several more staff than we have had at times
17 in the past, and so there are actually people that could be
18 available to participate in meetings. And we are trying to
19 do more of that actually. We see that as part of our job.

20 DR. KROOK: I have not really had problems, but
21 I've listened at state societies as our colleagues have
22 gone the way of looking to see what is approved. The FDA
23 or actually what's in the PDR is looked at at one, but
24 they've really gone to use other sources to try to document

1 this to third-party carriers. I think that my impression
2 is that what goes into the book or otherwise rarely gets
3 used because we, as FDA, are behind what's elsewhere, and
4 there are some other groups that have taken that initiative
5 when they're looking for this. That's getting into the
6 economics of cancer, but that's important.

7 DR. GELBER: I had one other question. This is
8 perhaps not really very politic, being that we're sitting
9 inside the beltway. But when I joined the committee four
10 years ago, there was a tendency to discount data from
11 international trials and I've had a sense that that has
12 been changing over the time. I'm wondering if there's any
13 discussion in the guidelines about more modern approaches
14 to using data from international trials.

15 DR. DeLAP: I don't think that we have an
16 explicit discussion of that in either of these draft
17 guidances. I would think that the reason for that might be
18 that we don't really distinguish at this point in time. We
19 think good data are good data, wherever they may come from.
20 People do have responsibilities for conducting their trials
21 in accordance with local regulations and the Declaration of
22 Helsinki and those kinds of things, and we expect that.
23 But aside from that, if it's good data, it's not so much of
24 an issue where it comes from.

1 We have kind of a trust-but-verify approach, of
2 course, and we do have to be able to still verify.

3 DR. KROOK: On international trials, you still
4 have to look at the data or the case reports or the actual
5 chart. That's harder to do. Am I right?

6 DR. DeLAP: Certainly we look at case records
7 and patient listings, data, and we have auditors who will
8 go and visit investigators in Italy or South Africa, or
9 whatever.

10 DR. KROOK: Is it harder to get that
11 information on international trials?

12 DR. DeLAP: There can be sites or investigators
13 in the U.S. where it can be very difficult to get
14 information.

15 (Laughter.)

16 DR. DeLAP: Well, thanks very much. It was a
17 very helpful discussion.

18 DR. DUTCHER: Thank you.

19 Are we done? Anything else? I think we're
20 adjourned. Thank you, all.

21 (Whereupon, at 2:46 p.m., the committee was
22 adjourned.)

23

24

1

2

3

4