

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR
REPRODUCTIVE HEALTH DRUGS

Volume II

Wednesday, January 24, 2007

8:30 a.m.

5630 Fishers Lane
Room 1066
Rockville, Maryland

Paper Mill Reporting
atoigo1@verizon.net
(301) 495-5831

SHEET 2 PAGE 2
PARTICIPANTS

Charles Lockwood, M.D., Acting Chair
Teresa Watkins, PharmD, Executive Secretary

Committee Members:

Maria Bustillo, M.D.
Ronald Gibbs, M.D.
Daniel Gillen, Ph.D.
Julia V. Johnson, M.D.
James R. Scott, M.D.
Jonathan Tobert, Industry Representative
Lorraine J. Tulman, D.N.Sc., Consumer Representative
O. Lenaine Westney, M.D.

Temporary Voting Members:

Abbey Berenson, M.D.
Paul Blumenthal, M.D.
Eve Espey, M.D., MPH
Melissa Gilliam, M.D.
Paula J. Adams Hillard, M.D.
Johanna Perlmutter, M.D.
Herbert Peterson, M.D.
Diana Petitti, Ph.D.
Bruce Stadel, M.D., MPH
James Trussell, M.D.
Elizabeth Shanklin-Selby, Patient Representative

FDA Staff:

Scott Monroe, M.D.
Lisa Soule, M.D.
Shelley Slaughter, M.D.
Phill Price, M.D.
Gerald Willet, M.D.

PAGE 3
C O N T E N T S

Call to Order and Introductions,
Charles Lockwood, M.D. 4

Conflict of Interest Statement,
Teresa Watkins, PharmD. 7

Welcome and Comments
Shelley R. Slaughter, M.D., Ph.D. 10

Topic 4 - Cycle Control Discussion 14

Topic 5 - Extended Dosing Regimens,
Gerald Willet, M.D. 30

Open Public Hearing:

Kirsten Moore,
Reproductive Health Technologies Project 49

Amy Allina,
National Women=s Health Network 54

Beth Jordan,
Association of Reproductive
Health Professionals 60

Anita Nelson,
American College of Obstetrics
and Gynecology 65

Kelly Blanchard,
Ibis Reproductive Health 70

Topic 6 - Phase 4 Commitments
Diana Petitti, M.D. 79

Topic 7 - Labeling
Lisa Soule, M.D. 192

PAGE 4
P R O C E E D I N G S

1
2 Call to Order and Introductions
3 DR. LOCKWOOD: I would like to call the
4 meeting to order and just remind everyone that we
5 are going to have a slight change in the agenda in
6 that we need to complete our discussion of cycle
7 control and then, following that, we will move on
8 to extended dosing regimens. But we want to very
9 briefly have the committee re-introduce themselves
10 and then we will have the conflict of interest
11 statement and get going.
12 DR. WATKINS: Let's start with Dr. Tobert.
13 DR. TOBERT: I am Jonathan Tobert. I am
14 the industry representative, formerly from Merck
15 and now I have my own consulting firm.
16 DR. JOHNSON: I am Julia Johnson. I am a
17 member of the advisory committee and I am a
18 Professor at the University of Vermont.
19 DR. STADEL: Bruce Stadel, retired FDA
20 medical officer, here as a consultant to the FDA.
21 DR. HILLARD: Paula Hillard, Professor of
22 OB/GYN and Pediatrics at the University of

PAGE 5

1 Cincinnati.
2 DR. PERLMUTTER: Johanna Perlmutter,
3 obstetrician/gynecologist at Beth Israel Hospital,
4 in Boston, one of the Harvard teaching hospitals,
5 and I am here as a guest.
6 MS. SHANKLIN-SELBY: My name is Liz
7 Shanklin-Selby and I am a patient rep.
8 DR. GILLEN: Daniel Gillen, Department of
9 Statistics, University of California.
10 DR. BLUMENTHAL: Paul Blumenthal, Professor
11 of Obstetrics and Gynecology, Stanford University,
12 consultant to the committee.
13 DR. TRUSSELL: James Trussell, Professor of
14 Economics and Public Affairs at Princeton
15 University.
16 DR. WATKINS: Teresa Watkins, the
17 designated federal official for this committee.
18 DR. LOCKWOOD: Charles Lockwood, chair of
19 the committee and Professor of OB/GYN, Yale
20 University.
21 DR. WESTNEY: Lenaine Westney, committee
22 member, Associate Professor, University of Texas

1 Health Science Center, Division of Urology.
 2 DR. ESPEY: Eve Espey, Associate Professor,
 3 OB/GYN at the University of New Mexico.
 4 DR. PETERSON: Bert Peterson, Professor of
 5 Maternal Child Health and OB/GYN at the University
 6 of North Carolina, Chapel Hill, consultant.
 7 DR. BERENSON: Abbey Berenson, Professor of
 8 Obstetrics and Gynecology, University of Texas
 9 Medical Branch in Galveston.
 10 MS. TULMAN: Lorraine Tulman, University of
 11 Pennsylvania School of Nursing, advisory committee
 12 member and consumer rep.
 13 DR. SCOTT: Jim Scott, Professor, OB/GYN,
 14 University of Utah.
 15 DR. BUSTILLO: Maria Bustillo, member of
 16 the committee and reproductive endocrinologist at
 17 the South Florida Institute for Reproductive
 18 Medicine.
 19 DR. MONROE: I am Scott Monroe, the Acting
 20 Director of the Division of Reproductive and
 21 Urologic Products.
 22 DR. SOULE: Lisa Soule, Clinical Team

1 Leader, Division of Reproductive and Urologic
 2 Products.
 3 DR. SLAUGHTER: Shelley Slaughter, Medical
 4 Officer, Team Leader in the Division of
 5 Reproductive and Urologic Products.
 6 DR. WILLET: Gerald Willet, Medical Officer
 7 in the Reproductive Division.
 8 DR. WATKINS: We have a few other committee
 9 members who have not yet arrived but will be
 10 joining us a little bit later on, Dr. Petitti, Dr.
 11 Gilliam and Dr. Gibbs. I think that is everyone.
 12 I will go ahead and read the conflict of interest
 13 statement for those who were not in attendance
 14 yesterday.
 15 Conflict of Interest Statement
 16 The Food and Drug Administration is
 17 convening today's meeting of the Reproductive
 18 Health Drugs Advisory Committee under the authority
 19 of the Federal Advisory Committee Act of 1972. The
 20 committee will discuss current issues that
 21 influence the consideration for approval of oral
 22 and non-oral; i.e., transdermal and intravaginal

1 hormonal contraceptive drug products. Issues for
 2 discussion will include clinical-trial design,
 3 expectation for efficacy and safety outcomes and
 4 measures of acceptability of the product to the
 5 user, including cycle control. This topic is a
 6 particular matter of general applicability.
 7 Unlike issues in which a particular firm's
 8 product is discussed, the topic of today's meeting
 9 may affect all hormonal contraceptive drug products
 10 currently on the market and in development, with
 11 the exception of implantable and injectable hormone
 12 products and their sponsors.
 13 The participants have been screened for
 14 potential financial conflicts of interest with
 15 respect to the products and firms that could be
 16 affected by today's discussion. In accordance with
 17 18 USC 208(b)(3) full waivers have been granted to
 18 the following participants, Dr. Melissa Gilliam,
 19 Paula Adams Hillard and Johanna Perlmutter.
 20 Waiver documents are available at the
 21 FDA's docket website. Specific instructions as to
 22 how to access the website are available outside

1 today's meeting room at the FDA information table.
 2 In addition, copies of all the waivers can be
 3 obtained by submitting a written request to the
 4 agency's Freedom of Information Office, Room 12A-30
 5 of the Parklawn Building.
 6 FDA acknowledges that there may be
 7 potential conflicts of interest but, because of the
 8 general nature of the discussions before the
 9 committee, these potential conflicts are mitigated.
 10 Further, with respect to FDA's invited
 11 industry representative, we would like to disclose
 12 that Dr. Jonathan Tobert is participating in this
 13 meeting as a non-voting industry representative
 14 acting on behalf of regulated industry. Dr.
 15 Tobert's role on this committee will represent
 16 industry's interests in general and not any one
 17 particular company. Dr. Tobert owns Tobert Medical
 18 Consulting and is a retired employee of Merck.
 19 In the event that the discussions involve
 20 any other products or firms not already on the
 21 agenda for which an FDA participant has a financial
 22 interest, the participants are aware of the need to

1 exclude themselves from such involvement and their
2 exclusion will be noted for the record.

3 In the interest of fairness, FDA
4 encourages all other participants to advise the
5 committee of the financial relationships that they
6 may have with any firm upon whose product they may
7 wish to comment. Thank you.

8 Welcome and Comments

9 DR. SLAUGHTER: Once again, good morning.

10 [Slide]

11 I am Dr. Shelley Slaughter. I am one of
12 the medical officer team leaders in the Division of
13 Reproductive and Urologic Drug Products.

14 As Dr. Monroe did yesterday, I would like
15 to thank you very much for your participation in
16 this meeting. We really will take to heart and
17 have further discussions on all the information
18 that you have provided to us. So, once again, we
19 would like to thank you for your participation.

20 As you heard in Dr. Monroe's remarks
21 yesterday, this is a general meeting on hormonal
22 contraceptive products, including the oral,

1 satisfies the requirements of the applicable
2 statutes and regulations.

3 In addition to the information included in
4 the clinical-trial guidance for a product seeking
5 approval, we further are asking to hear from you on
6 the types of formal evaluations to be done to
7 assess safety, risk management and effectiveness
8 once a product is approved for marketing.

9 [Slide]

10 This slide is going to be modified
11 somewhat. As you heard, we will continue this
12 morning with the discussion on cycle control. Then
13 we will discuss extended dosing regimens,
14 post-approval Phase 4 commitments for further
15 investigation of those serious safety issues,
16 uncommon serious safety issues as well, as I said,
17 the possible role for Phase 4 in investigating
18 effectiveness and risk management. Then we will
19 have discussion of the role and impact of labeling
20 for communications of clinical-trial findings to
21 include product efficacy, risk and other potential
22 benefits. As yesterday, we will lead off with

1 transdermal and intravaginal routes of
2 administration, and we will not discuss other
3 routes of administration such as the injectables.

4 [Slide]

5 Our goal in having this meeting is
6 ultimately to seek advice from the advisory
7 committee on issues to be covered in a
8 clinical-trial guidance document for industry that
9 will lead to drug product approval. I would like
10 to say a little bit about a guidance document
11 because we kind of went back and forth between
12 words such as "required" and "recommended." A
13 guidance document is not a regulation. It is not a
14 statute.

15 To further clarify that, I would like to
16 read a little bit to you from the introduction to
17 these documents that says that the guidance
18 represents the Food and Drug Administration's
19 current thinking on a topic. It does not create or
20 confer any rights for or on any person, and does
21 not operate to bind the FDA or the public. And, an
22 alternative approach may be used if such approach

1 presentations and then go into discussion by the
2 panel members.

3 This agenda will probably not be followed
4 exactly as indicated here but by way of
5 introduction I did want to let you know what we
6 will be going over. Following these opening
7 remarks, Dr. Willet, from the Division, will give a
8 presentation on extended dosing regimens. We will
9 then proceed on to the open public hearing where
10 individuals who have previously identified their
11 wishes to speak at this meeting will be recognized.

12 That will be followed by lunch and then
13 Dr. Petitti's presentation on Phase 4 commitments
14 and the discussion. Then we will have a
15 presentation by Dr. Soule on new physician labeling
16 to introduce the discussion on the role and impact
17 of labeling. We will have a break following that
18 and then we will come back to an overall committee
19 discussion and summary. In that discussion we will
20 probably be asking for some clarifications of some
21 of the issues that were discussed yesterday.

22 Thank you very much and I will turn the

1 meeting back over to Dr. Lockwood for the
2 discussion on cycle control.

3 Topic 4 - Cycle Control Discussion

4 DR. LOCKWOOD: Thank you. The discussion
5 ended yesterday afternoon with a presentation and
6 then some questions that focused primarily around
7 the issue of creating a standard method of
8 assessing both scheduled and unscheduled bleeding
9 associated with hormone contraception, particularly
10 with the criteria that Dr. Mischell et al. had
11 suggested in their publication and moving away from
12 the WHO Belsey criteria for reasons that were
13 articulated yesterday.

14 So, the first question to the committee is
15 do the members of the advisory committee agree with
16 recommendations for standardization of data
17 collection and analysis of bleeding in combined
18 hormone contraceptive trials proposed in the
19 article by Mischell et al.

20 DR. TRUSSELL: Yes.

21 DR. LOCKWOOD: Dr. Johnson?

22 DR. JOHNSON: I absolutely support the idea

1 of having standards for reporting data, and
2 especially analysis of bleeding. I agree that that
3 has definitely been missing and both physicians and
4 patients need to know what we mean when we say
5 bleeding and spotting.

6 Having said that, is there any other
7 preexisting standard that would conflict with the
8 Mischell recommendations? I know the WHO had put
9 some work into defining menorrhagia, defining
10 abnormal bleeding. I mean, does ACOG have any
11 other standards? I would just hate for there to be
12 more than one standard. If this is the one and
13 only standard for use of contraceptives, then I
14 would say that I would fully support it.

15 DR. LOCKWOOD: There have been efforts made
16 not only by WHO but actually by other committees
17 and consortia that have attempted to create a
18 better nomenclature for describing abnormal uterine
19 bleeding in general but not necessarily related to
20 contraception.

21 Actually, I think this is an outstanding
22 set of proposals. I think it moves away from

1 mixing metaphors with both physiologic and
2 pharmacological processes. And, I like the idea of
3 dividing between scheduled and unscheduled
4 bleeding. The statisticians will correct me, but I
5 think it will lead to more meaningful statistics
6 that actually are more representative of what is
7 actually occurring in the endometrium and the woman
8 experiencing the bleeding.

9 DR. BUSTILLO: I would just like to put a
10 plea in for getting that information not just for
11 the first cycle but over time perhaps because I
12 think it is a very important clinical piece of
13 information for both the physician and the patient.
14 You know, if 20 percent of the patients bleed on
15 cycle 1, am I still going to bleed on cycle 2 and
16 cycle 3? And, maybe perhaps we should think about
17 some sort of interval at which that should be
18 reported in the clinical trials.

19 DR. LOCKWOOD: I think that Dr. Trussell
20 talked about the concept of sort of two types of
21 analysis, an analysis of the whole group, which
22 would be important to define sort of the intensity

1 of unscheduled bleeding that occurs in the initial
2 cycles, but also that a subset of patients need to
3 be followed for at least a year. We talked about
4 the pros and cons of that approach but I think it
5 realistically is the only way to approach it. I
6 think the FDA got that message, hopefully, loud and
7 clear yesterday. Other comments?

8 [No response]

9 So, it is clearly the consensus of the
10 group to accept the Mischell et al. criteria.

11 The second question is how should the
12 Division assess the impact of unscheduled bleeding
13 on product acceptability? That is a little bit
14 harder question.

15 DR. SOULE: Can you clarify what that
16 question exactly means?

17 DR. LOCKWOOD: Well, I can give you my
18 assessment but I would defer to the group to come
19 up with their own. I think what we are getting at
20 with this concept is should there be comparability
21 between agents in terms of the degree to which they
22 induce unscheduled bleeding and the degree to which

1 that affects compliance and, therefore, the
2 ultimate efficacy of the agent? Up till now this
3 has not been-Band correct me if I am wrong, this
4 has not been used in decisions made about approving
5 agents. Correct?

6 DR. MONROE: It has certainly been a
7 consideration. We consider all the data we get and
8 exactly how it would fit in may vary from
9 circumstance to circumstance. So, I think what we
10 are asking here is are we just going to sort of do
11 it numerically? Like, you could count numbers of
12 days? Are we going to try to associate dropout
13 rates for bleeding reasons as one way of assessing
14 it? Do you folks perhaps advocate trying to
15 address this with a more sophisticated PRO
16 validated instrument because just numbers of days
17 may or may not be a factor in discontinuation? It
18 is those kinds of concepts that we are asking you
19 to perhaps explore and give us your thoughts about.

20 DR. LOCKWOOD: Dr. Hillard?

21 DR. HILLARD: I think that standardization
22 is incredibly important and agreeing on the

1 Mischell et al. guidelines and reporting is a
2 beginning step. I think having better instruments
3 to assess patient satisfaction and such is
4 important, but in many ways I think the decisions
5 are ultimately up to clinicians and to patients so
6 if given appropriate information, a woman would
7 decide is this acceptable or not.

8 We had many adolescents in my practice
9 who, when given appropriate information about
10 Norplant, for example, when they were told you will
11 have unpredictable and unscheduled bleeding chose
12 to use the product and were very satisfied with it.
13 We had good continuation rates. We had very
14 satisfied patients when they were given that
15 information up front. If they were told, or had
16 they been told that the bleeding might be
17 unpredictable, that is a different statement from
18 the statement that the bleeding will be
19 unpredictable and unscheduled.

20 So, if patients are given that information
21 I think they will make the decisions. So, my bias
22 would be not to cut off at any given cutoff up

1 front, but to just present the information in a
2 standardized form and allow clinicians and women to
3 decide.

4 DR. TRUSSELL: Certainly that was the
5 spirit with which we developed these
6 recommendations. What we thought is that it would
7 be helpful to clinicians in knowing what the truth
8 was so you compare pills and, in counseling women,
9 that is the primary reason for the standardization
10 of the data collection and analysis.

11 DR. LOCKWOOD: I think one of the things
12 that has become clear in assessing abnormal
13 bleeding associated with Depo Provera and other
14 long-term progestin contraceptives is that in the
15 case of progestin-only contraceptives it is the
16 primary reason for discontinuing contraception.
17 The lower the dose of estrogen in a combined
18 hormonal contraceptive, likely the more dominant
19 the progestational effects on the endometrium and,
20 therefore, the greater the amount of bleeding, and
21 that is roughly borne out by the literature. It
22 is not great literature because this has not been

1 an area that has been rigorously studied, nor does
2 it lend itself necessarily to that kind of rigor.
3 But it likely will be a bigger problem as lower
4 dose formulations predominate people's usage. Now
5 18 percent of hormonal oral contraceptives are low
6 dose, very low dose, 20 mcg or lower.

7 So, it likely will become a bigger issue
8 and I think it ought to become a more important
9 part of the process of evaluating these agents.
10 But it is also vitally important to understand the
11 patient population that you are observing. There
12 are tremendous cultural differences. The
13 acceptability of long-term progestin-only
14 contraceptive varies across the planet literally,
15 and what abnormal bleeding means to one group of
16 women can be entirely different in another group.
17 So, I think that that suggests that it is critical
18 to obtain not only careful empirical-Bif we can say
19 that it can be empirically obtained data about the
20 pattern of bleeding and the amount of unscheduled
21 bleeding. But it is almost more important to
22 assess the patient's response to that abnormal

1 bleeding.

2 I think these PRO instruments are the only
 3 way to do that and scales are the only way to do
 4 that because it will be very important information
 5 to know that, although agent A has perhaps a
 6 slightly different pattern of unscheduled bleeding,
 7 acceptance is much greater. So, I would strongly
 8 support the use of these PRO instruments in
 9 assessing bleeding.

10 I think I also agree absolutelyB-being a
 11 good libertarian-Bwith Paula about "caveat emptor"
 12 and people ought to be made aware of the bleeding
 13 patterns, but it ought not to be considered
 14 strongly in the approval process. Dr. Blumenthal?

15 DR. BLUMENTHAL: I think I agree with
 16 everything you just said. As Melissa said
 17 yesterday, as the approval process of any drug
 18 moves forward it is important to get as much
 19 information as we can up front and that serves
 20 everyone's interests. It serves industry's
 21 interests. It serves our interests as providers.
 22 And it serves the interests of the patients so when

1 a product is approved it is a great opportunity to
 2 know as much as possible about acceptability and
 3 interpretation of numerical and objective findings
 4 from the patient's perspective.

5 I think, again, as we begin to discuss
 6 extended cycle regimens, being able to separate, as
 7 you said before, the physiologic from pharmacologic
 8 issues with respect to bleeding, these much more
 9 standardized instruments are going to be very
 10 important as we move into extended cycle regimens
 11 where there is no real physiologic process.

12 DR. SCOTT: I was just thinking about what
 13 Dr. Johnson said about this classification. I
 14 support a standardized classification too. I just
 15 wondered did you want this to be standardized
 16 definitions for non-contraceptive studies too?
 17 Because it has such important implications even for
 18 medical student tests, board exams and everything
 19 else with the definition of menorrhagia and
 20 metrorrhagia, and so on. You could actually apply
 21 it to other things, fibroids and everything else.

22 DR. LOCKWOOD: I think it was not meant for

1 that purpose. In fact, I think it was very clearly
 2 defined around hormonal exposure and non-exposure.

3 But we are working on a new system of classifying
 4 abnormal uterine bleeding that is pathophysiologic,
 5 unrelated to pharmacological intervention. We are
 6 still waiting for that publication of the
 7 International Consensus Committee that was formed
 8 that is attempting to produce a more logical
 9 approach to definitions of abnormal uterine
 10 bleeding, and also to unify the world because the
 11 United States has one set of criteria, Europe
 12 another, Asia yet a third. But this is not meant
 13 for that purpose.

14 DR. TRUSSELL: In fact, these
 15 recommendations are limited solely to combined
 16 hormonal contraceptive products. They would not
 17 apply to progestin-only products because there is
 18 no such thing as a cycle. I mean, if you have an
 19 84-day regimen, then that is an 84-day cycle. If
 20 you are on Depo Provera there is no such thing, or,
 21 if you are on continuous progestin-only pills,
 22 there is no notion of a cycle.

1 DR. LOCKWOOD: There is no hormone-free
 2 period with long-term progestin-only
 3 contraceptives. Now, the question would come up
 4 with continuous use of combined oral
 5 contraceptives. Could you apply it in that
 6 context, Dr. Trussell?

7 DR. TRUSSELL: No, not if they are intended
 8 to be taken every day forever.

9 DR. LOCKWOOD: That would mean that if
 10 manufacturers are proposing that as the method of
 11 use, they would probably have to come up with their
 12 own system, which would probably be a very simple
 13 system of just quantifying overall amounts of
 14 bleeding. Abbey?

15 DR. BERENSON: I thought the progestin-only
 16 birth control pill that we give in lactating women
 17 would be considered in these recommendations. Is
 18 that not true?

19 DR. TRUSSELL: No, absolutely not because
 20 there is no such notion of scheduled bleeding.

21 DR. MONROE: Well, the concept of the
 22 scales Dr. Trussell can better address than us

1 because he was a member of the committee that
2 devised them. I would imagine though, taking the
3 concepts and modifying them and adjusting them so
4 that they could be used for various extended dosing
5 regimens could be done to foster some kind of
6 linkage so that an individual using an extended
7 dosing regimen, certainly, would at least be
8 cognizant of what she might expect in terms of
9 bleeding patterns.

10 So, I think we have heard the message that
11 there should be some standardization. I think what
12 Dr. Trussell and some of his colleagues have
13 proposed seems to be very appropriate certainly for
14 a cyclic pill. I guess the traditional 28 days
15 cyclic pill could probably serve as the foundation
16 to apply it to other types of products, whether it
17 be a progestin only, and I won't mention names, or
18 an extended cyclic pill. I mean, the key is B-and
19 we have a later question on labeling B-how important
20 does the committee feel that information about
21 bleeding, both expected and unexpected is and
22 should that be in labeling? For most of the cyclic

1 pills it isn't in the labeling for the traditional
2 21/7s as I recall. We have added such information
3 to the variance of that because there is a lot more
4 consideration.

5 It is also the committee's feeling that
6 some information about this should presumably be
7 included in labeling where possible for the more
8 traditional 28-day cyclic pill? That isn't our
9 question but it is sort of an offshoot of what you
10 have just--

11 DR. LOCKWOOD: I am going to take the
12 chairman's prerogative to start this line of
13 inquiry, but I feel very strongly that it should
14 be B-very strongly that it should be --because I
15 think ultimately as doses drop this is going to be
16 a bigger and bigger issue, and it may actually help
17 define which agent providers and patients opt for.
18 Dr. Perlmutter and Dr. Johnson?

19 DR. PERLMUTTER: I would like to play
20 devil's advocate a little bit. I believe that
21 bleeding should definitely be in the labeling but I
22 am not sure that the FDA should be involved in

1 whether or not they should approve something
2 according to the bleeding.

3 DR. TRUSSELL: Everybody agrees.

4 DR. PERLMUTTER: Oh, okay.

5 DR. JOHNSON: Yes, I was going to ask Dr.
6 Trussell if he would be willing to now do a new
7 article looking at continuous forms of
8 contraception, be that estrogen and progestin or
9 progestin alone, because I do think that a standard
10 definition of bleeding, leaving out the cyclic part
11 of it, would also be very useful in terms of both
12 information to patients and how they are labeled.

13 So, I would ask your group to get together again.

14 DR. LOCKWOOD: Traditionally with long-term
15 progestin-only contraceptives it has been a
16 numerical, very simple thing, the total number of
17 days bleeding, spotting and so forth.

18 DR. JOHNSON: Although the definition of
19 bleeding and spotting probably needs to be
20 standardized, assuming that that can be the same,
21 and that the tracking of bleeding needs to be
22 appropriately done. So, you are right, it would be

1 simpler than with cyclic medications.

2 DR. LOCKWOOD: I think that is a pretty
3 clear statement of consensus, labeling, yes; part
4 of the approval process, no; using PRO instruments,
5 yes.

6 What objective measures beyond hemoglobin
7 and hematocrit, if any and including those, should
8 be employed to assess significant changes in
9 hematological status associated with abnormal or
10 scheduled and unscheduled bleeding? I gave away my
11 bias there. Right?

12 Is it the consensus of the group that--

13 DR. PETITTI: There are no symptoms or
14 signs which reliably predict the hemoglobin or
15 hematocrit level and, therefore, I don't think we
16 should try to go there.

17 DR. LOCKWOOD: Yes. In fact, I think quite
18 the opposite is true. These agents are associated
19 with less bleeding, less anemia. There may be
20 unscheduled bleeding but total volume of blood loss
21 is less than it is with even natural cycles. This
22 is often used as a treatment for abnormal uterine

1 bleeding. I don't think that this ought to be part
2 of the assessment in any way, shape or form and I
3 think that is the consensus of the group.

4 We are now going to move to a presentation
5 on extended dosing regimens by Dr. Willet.

6 Topic 5 - Extended Dosing Regimens

7 DR. WILLET: Good morning.

8 [Slide]

9 My name is Gerry Willet. I am one of the
10 medical officers in the Reproductive Division. In
11 this presentation I will provide a very brief
12 overview of extended dosing regimens for
13 combination oral contraceptives that have been
14 approved by the agency.

15 [Slide]

16 The traditional dosing regimen for
17 combination oral contraceptives has been the 21
18 days on/7 days off regimen. This regimen is shown
19 in the top bar with the 21 days of hormonally
20 active tablets, followed by 7 days of placebo
21 tablets. Within the last 10 years new combination
22 oral contraceptive products have been approved in

1 which the 7-day placebo period has been partially
2 altered.

3 One of these products, which is
4 demonstrated in the middle bar, maintains 2 days of
5 placebo but is followed by 5 days of tablets
6 containing 10 mcg of ethinyl estradiol. Two other
7 products have adopted a 24-day on/4 days off
8 approach to dosing, and this is shown in the lower
9 bar where you have 3 days of full combination dose
10 followed by 4 days of placebo tablets.

11 Two other approved products utilize a
12 dosing regimen where the active combined product is
13 given for 84 days. These products are also called
14 extended-cycle oral combination contraceptives.

15 One of these 84-day active products is followed by
16 7 days of placebo, and this is illustrated in the
17 middle bar. The other 84-day active product is
18 followed by 7 days of 10 mcg of ethinyl estradiol.

19 [Slide]

20 Compared to the traditional 21/7 products,
21 the division has used similar safety and efficacy
22 criteria when evaluating combination oral

1 contraceptive products that have incorporated
2 additional days of hormone exposure. The daily
3 dosage for these products is usually comparable to
4 or less than existing products. However, because
5 additional days of exposure are added, the monthly
6 exposure for these products may be greater.

7 [Slide]

8 This table highlights the benefits and
9 drawbacks in regard to cycle control for the 84-day
10 active regimens. The major proposed benefit for
11 this dosing regimen was that it would result in
12 decreased scheduled bleeding frequency and also
13 decreased overall bleeding. The clinical trials
14 did show a decrease in the scheduled bleeding
15 episodes; however, there was an increase in
16 unscheduled bleeding and spotting episodes, which
17 are demonstrated in the drawbacks column.

18 Another potential drawback is that the
19 missed period signal of an early pregnancy could be
20 also altered in this regimen, and the product was
21 labeled with this in mind. Of course, we have
22 known for a long period of time that even with the

1 21/7 products we can get patients who have no
2 period at all and then we have to counsel them in
3 regard to this.

4 [Slide]

5 If you peruse the medical literature you
6 may find a number of theoretical benefits that have
7 been proposed in regard to extended dosing
8 regimens. This includes both the products that
9 alter the 7-day placebo window and also the longer
10 extended use products. These theoretical benefits
11 include symptomatic improvement of premenstrual
12 dysphoric disorder or PMDD, premenstrual syndrome
13 or PMS, dysmenorrhea, certain types of menstrual
14 migraines without aura, and epilepsy management,
15 among others.

16 The only benefit, however, to reach the
17 level of an FDA approval, and that is a secondary
18 indication for women seeking contraception, is
19 through clinical trials for PMDD.

20 This concludes my brief overview. I will
21 turn it back to Dr. Lockwood and the committee.

22 DR. LOCKWOOD: Thank you. So, this poses

1 two sets of questions. The first is if the
2 modified or extended dosing regimen does not expose
3 a woman to a greater daily or monthly quantity of
4 either hormonal component of an approved and
5 marketed otherwise identical product, does a
6 sponsor need to meet any criteria other than the
7 criteria for efficacy and safety required for a
8 traditional 21/7 product? I think pretty clearly
9 the consensus of the group is no.

10 The second question is if the modified or
11 extended dosing regimen exposes a woman to a
12 greater daily or monthly quantity of either
13 hormonal component of an approved and marketed
14 otherwise identical product, what are the
15 additional criteria that a sponsor needs to meet to
16 support approval for marketing?

17 So, the presumption here would be that
18 because of the added 7-day period there is, in
19 aggregate, an increase in exposure to
20 pharmacological levels of ovarian steroids.

21 DR. PETITTI: We are talking about
22 marketing approval and we haven't gotten into

1 postmarketing requirements or recommendations, or
2 whatever, and I do think that we have been quite
3 unable to predict unanticipated adverse effects of
4 changes which seem minor in hormonal contraception,
5 and the role for finding those sorts of things is
6 postmarketing surveillance.

7 I think when we get to the postmarketing
8 surveillance section we should keep in mind that
9 any major change in the way in which we administer
10 hormonal contraception has the potential to do
11 things that we do not expect and that there are no
12 tests that can predict the unpredictable.

13 DR. TRUSSELL: I certainly agree with what
14 Diana said but it is possible that 23a can be true
15 and 24 can be true, in which case the overriding
16 factor ought to be 23a; that is, it gets a total
17 monthly amount greater than one approved product
18 but the same or less than another approved product.

19 DR. LOCKWOOD: Dr. Tobert?

20 DR. TOBERT: Well, one way to address this
21 issue would be analogous to the way the FDA has
22 already addressed it with regard to the Evra patch

1 where plasma levels, as I understand it--I think
2 the estrogen component or maybe both were higher
3 than expected and the FDA put in some warning
4 language. So, that would be another way to do
5 that.

6 DR. STADEL: If the daily dose is in the
7 same range as the other things, I would just speak
8 in support of what Dr. Petitti said about the
9 impossibility in premarketing to detect a safety
10 difference. I will go further and say that, based
11 on some previous work I have done on safety things,
12 I could argue it either way in theory, that it
13 could actually be safer to have the continuous
14 suppression. I won't go into the details because
15 it is a purely theoretical argument. The main
16 point is that you can't possibly sort out safety
17 issues before marketing.

18 DR. LOCKWOOD: Dr. Berenson?

19 DR. BERENSON: I would like to speak
20 against the idea of using a warning label, if it
21 has not been proven to be more dangerous, just
22 because the dose is higher because of these black

1 box warnings that have been coming out. Many
2 physicians will no longer use a contraceptive that
3 has a black box warning at all more because of the
4 legal climate of our society, and those that do use
5 it feel often that the patient has to sign a
6 separate consent form for it. So, to put a black
7 box warning on things because the dosage is higher
8 over a month, when it is not higher over a day and
9 there is no proven adverse effect, is probably way
10 too cautious.

11 DR. LOCKWOOD: I don't think you were
12 saying black box, just adding the data.

13 DR. TOBERT: I have the label here. There
14 is a warning but it is not in a black box. You are
15 right, black boxes are pretty significant. I
16 wouldn't suggest that but plenty of products have
17 warnings.

18 DR. LOCKWOOD: Right. There are now new
19 labeling requirements and they do have a section on
20 precautions and warnings, and so forth. But that
21 is different than a black box. A black box is
22 reserved for things that have clear health risks.

1 DR. ESPEY: But the precedent for the black
2 box is the one that is on the Ortho Evra label that
3 I think basically describes this very situation.
4 Where, you know, higher than expected levels of
5 estrogen were found, a black box warning was put on
6 before there was really any proven clinical effect
7 from it. It has had a very chilling effect on
8 clinicians.

9 DR. TOBERT: I don't know, FDA can say if
10 there is a black box. Maybe I don't have the
11 latest version of the label here but I don't see a
12 black box. I see warning language.

13 DR. ESPEY: On Evra?

14 DR. TOBERT: Yes.

15 DR. BERENSON: I thought Evra has it and
16 there was no controlled study before it went on,
17 and DMPA has it and, again, the data did not prove
18 that the warning would lead to long-term adverse
19 effects. I administer Board examinations to young
20 gynecologists and I can tell you that it stops them
21 cold from prescribing these agents.

22 DR. LOCKWOOD: I just want to give a little

1 admonition to everybody to try to avoid using brand
2 names and stay more generic and general.

3 DR. PETITTI: I would like to speak very
4 strongly in support of what Dr. Berenson said. I
5 believe that we do not know what the mechanism is
6 for the increase in the risk of any of the vascular
7 events; that we are deluding ourselves that we can
8 relate measured hormone levels to an increase,
9 decrease or no change in the risk of venous
10 thromboembolism or any of the other vascular events
11 most prominently, by the way, stroke; and that we
12 should not imply that by putting in a warning about
13 an alleged mechanism that is not established as a
14 mechanism on the label.

15 If, indeed, we knew that the monthly dose
16 of estrogen was linked with venous thromboembolism,
17 then I think it would justify putting something on
18 the label but we don't know that, and we should
19 take empiric postmarketing endpoint data when we
20 are implying differences in risk of vascular
21 disease.

22 DR. LOCKWOOD: I think there is consensus

1 on that by the group, pretty clearly. Dr.
2 Blumenthal?

3 DR. BLUMENTHAL: I have perhaps one
4 question and one comment. My first question may be
5 to the agency. As part of an application, say,
6 either Phase 1 or Phase 2, we would ordinarily have
7 data on blood levels of a drug under consideration.
8 True?

9 DR. MONROE: Well, you wouldn't have blood
10 levels if you hadn't done some human studies so,
11 before you would begin your human studies,
12 presumably you would have filed an IND. From some
13 of those very early studies, unless they were done
14 outside the U.S., you would not know what the
15 exposures in people would be because that is the
16 whole purpose of the IND, that you can get it
17 first. Now, during the clinical development
18 program and certainly prior to drug approval the
19 sponsor would submit pharmacokinetic data that
20 would discuss blood levels, and so forth.

21 DR. BLUMENTHAL: Right. So, as part of
22 that application process then, given pretty

1 long-term experience now with products with
2 different drug levels resulting in different
3 pharmacokinetics, and so forth, I would think that
4 we would start to be able to see or even start to
5 predict what kinds of studies in the postmarketing
6 phase we would need to do based on some inkling of
7 what the blood levels are going to translate into.

8 This would also alleviate the need to
9 start thinking about warning labels because of just
10 blood levels as opposed to where you would be able
11 to then say, okay, we have these blood levels and
12 maybe there is a slightly higher dose with a
13 certain product. Those are the products for which
14 we are going to be on guard in terms of thinking in
15 advance of postmarketing studies.

16 DR. LOCKWOOD: The next question, in
17 reviewing extended regimens how should the division
18 balance a decrease in scheduled bleeding against an
19 increase in unscheduled bleeding?

20 DR. ESPEY: Well, they don't need to. They
21 just need to give the information.

22 DR. LOCKWOOD: Exactly. What cycle length

1 should be used when analyzing cycle control in
 2 extended cycle products? In theory there may be no
 3 cycle. It is going to be used in continuous
 4 fashion. If the sponsor provides you with a
 5 specific duration and then requires some
 6 hormone-free interval, then that ought to be the
 7 interval. Is that the consensus of the group?
 8 Great!

9 DR. BLUMENTHAL: Is there more of an agenda
 10 to the question?

11 DR. MONROE: Yes, a little bit more.

12 [Laughter]

13 And, again, it was in an effort to be
 14 helpful to the prescriber and the consumer. So, I
 15 think, when we think in terms of a traditional
 16 monthly cycle, it is fairly easy to conceptualize
 17 things, and so forth. But then when you are
 18 talking about longer intervals.

19 And let's say it is an 84/7 or a
 20 continuous, to just put those numbers in, then you
 21 have to start doing all this mental sort of
 22 arithmetic if you are trying to go back and relate

1 it to a more traditional pill. We wondered if you
 2 had any guidance or if everybody can instantly do
 3 the mental mathematics and sort of do it.

4 So, it was put out to try to get your
 5 thoughts, again, as the individuals who are talking
 6 to your patients because you raised the issue that
 7 acceptability is, I thinkB-if I heard you
 8 rightB-better if somebody is informed and then they
 9 make the decision to go ahead when they understand
 10 what is going to happen than when they are
 11 surprised.

12 So, that is really what the agenda of that
 13 question was because, again, I don't think your
 14 average person thinks in terms of numbers of
 15 anticipated bleeding days over a year, the numbers
 16 of withdrawals, and so on. So, it was trying to
 17 probe from you folks what you think might be
 18 helpful. Should one take a yearly product, for
 19 instance, and try to go back and normalize it for
 20 28 days or 30, or just put it in, or what? That is
 21 really what we are asking of you.

22 DR. LOCKWOOD: I think I will get things

1 started by saying that you still have comparability
 2 because you can look at unscheduled bleeding with
 3 both regimens so you can even compare two different
 4 regimens and say that, over the course of a year
 5 this particular product, which is a traditional
 6 cyclical product, 21/7, produces an average of 12
 7 days of unscheduled bleeding, whereas this extended
 8 dose regimen produces 15.

9 However, and, the labeling can obviously
 10 define this, it has no scheduled bleeding or there
 11 is only one scheduled bleeding episode, whereas the
 12 other product has that.

13 I think the consensus of this group is
 14 that this sort of information is important. It is
 15 important for counseling but it ought to be in
 16 labeling. Any other comments? Dr. Stadel and then
 17 Dr. Johnson?

18 DR. STADEL: I think I may be restating a
 19 little bit what has been said but it seems to me
 20 that this is an area where active comparator is
 21 great because you get a lot of data on both, and
 22 that interpreting the new in relation to the old is

1 one analysis that would be needed if this is the
 2 bleeding cycle with the comparator that is unknown
 3 and one has a new product, and then say what is the
 4 bleeding experience of people on the new product as
 5 compared to the bleeding and cycling with the known
 6 product. That, it seems to me, is one analysis
 7 that is important.

8 DR. JOHNSON: I look around the committee
 9 and I see some lack of understanding, and I think
 10 that is because we are used to using continuous
 11 forms of hormonal contraceptives. We are used to
 12 progestin-only pills and injectable progestins.

13 I think when we communicate that to our
 14 patients we already do communicate it. Now, having
 15 effective labeling and information for patients so
 16 they can expect unpredictable bleeding, I think
 17 that is very important but I think the cycle length
 18 is somewhat of a misnomer. Yes, it is different
 19 from what you would expect with 21/7 but, just
 20 communicating what is expected of the bleeding.
 21 Once we get more information from each of these
 22 products and what bleeding is to be expected, then

1 we can communicate that to our patients.
2 DR. LOCKWOOD: I think we have covered all
3 this. Any residual questions that you folks might
4 have?

5 DR. ESPEY: Can I just say that, as a
6 clinician, I think the important thing is I don't
7 usually talk to patients in terms of number of
8 days. It is sort of qualitative. So, the two
9 important things are, you know, qualitatively how
10 much bleeding can they expect and what happens over
11 time. I think those are the two main issues for
12 patient counseling.

13 DR. BLUMENTHAL: One other quick
14 comment--Sorry, Mr. Chairman.

15 DR. LOCKWOOD: Go ahead.

16 DR. BLUMENTHAL: I am sort of curious about
17 the extent to which, or the detail to which, the
18 agency wants to present this information in a label
19 or in an insert because the print is already small.
20 I don't know if we are talking about contraception
21 for women that are a little older because it gets
22 even smaller.

1 [Laughter]
2 But I am wondering. You know, we have
3 talked about presenting information in the label on
4 cycle control. But now we are talking about
5 interpretation in the insert of what these data
6 mean in terms of, say, getting away from the cycle
7 and just talking about how many days you are going
8 to bleed on an average calendar month because
9 extended regimens allow us to just talk about
10 calendar months instead of sort of cycles.

11 I am just wondering whether that would
12 become overwrought for a label. Then you have all
13 kinds of different ways that people interpret this
14 in terms of qualitative features or quantitative
15 features and I am thinking that it might get a
16 little involved for the FDA to provide these
17 interpretations of the data in the label.

18 DR. MONROE: Well, I don't think it is our
19 job to interpret in the way I think you are
20 conveying it. I think it is certainly our job to
21 communicate to you. We will obviously have to work
22 on this. When Dr. Soule talks to you, you are

1 going to see that there is going to be a drastic
2 change in the format of labels. If you are not
3 already familiar, hopefully, you will look upon
4 this as a change for the better so maybe this will
5 answer some of your questions.

6 DR. LOCKWOOD: I think clearly quantitative
7 data present in the label is interpreted by the
8 physician in a comparative fashion and conveyed to
9 the patient in a qualitative way. I mean, I think
10 that slide that we saw with the arrow and the
11 different things, that is actually how you
12 communicate to patients. They are not interested
13 in--well, you know, this agent has 16.4 unscheduled
14 bleeding days versus the 14.4 there." But I think
15 the information is valid. It is useful
16 particularly as the clinician begins in his or her
17 mind to compare different agents. Paula?

18 DR. HILLARD: I would just throw out the
19 thought that all of us around the table who are
20 clinicians are very used to playing this role for
21 our patients and helping them to interpret,
22 presenting the information, but also helping them

1 to interpret and sort out what it means for them.
2 Just throwing out a thought toward the future is
3 that there is a lot of discussion about whether or
4 not combination oral contraceptives should be
5 available over-the-counter, which is another issue
6 for the FDA for the future. Thus, if we are
7 thinking about that as a possibility, perhaps this
8 issue of what is included in labeling, we might
9 view little differently.

10 DR. LOCKWOOD: Well, we will cross that
11 bridge when we get to it.

12 DR. WATKINS: At this time we will take an
13 unscheduled 15-minute break, from 9:30 to 9:45. In
14 the meantime, would all of the pre-registered open
15 public hearing speakers please move to the
16 designated open public hearing section so that when
17 we come back we can proceed accordingly? Thanks.

18 [Brief recess]

19 Open Public Hearing

20 DR. LOCKWOOD: If everyone will take their
21 seats, we are going to begin the open public
22 hearing session. Each speaker will have ten

1 minutes and no more. I believe we do have a method
2 for turning off the microphone at that point.

3 Teresa will call the folks.

4 DR. WATKINS: The first person is Kirsten
5 Moore.

6 MS. MOORE: Good morning. We want to thank
7 the scientific staff at the agency for pulling this
8 advisory committee together and having this
9 important discussion.

10 My name is Kirsten Moore and I am
11 President of the Reproductive Health Technologies
12 Project, a national non-profit advocacy
13 organization. Our mission is to advance the
14 ability of every woman to achieve full reproductive
15 freedom with access to the safest, most effective,
16 appropriate and acceptable technologies for
17 ensuring her health and controlling her fertility.

18 RHTP does not accept any money from pharmaceutical
19 companies or device manufacturers.

20 We believe each contraceptive method,
21 indeed any reproductive health technology, requires
22 careful analysis of its safety, effectiveness,

1 acceptability, appropriateness and ethical aspects
2 and that these will vary from person to person and
3 from community to community. To better reflect
4 this variability, we urge the FDA and sponsors to
5 use a more dynamic model in clinical-trial design
6 and labeling.

7 While we recognize that clinical trials
8 are by nature artificial environments, we are
9 concerned that these environments contribute to
10 poorly informed expectations among women and their
11 healthcare providers about the safety and efficacy
12 in today's world of any particular contraceptive
13 method. When expectations don't match up to
14 reality women are more likely to discontinue their
15 contraceptive use, perhaps exposing themselves to
16 an unintended pregnancy.

17 The Guttmacher Institute estimates that
18 more than 16 million women are today using some
19 method of hormonal contraception. It defies logic
20 to think this number includes only women who are
21 younger than 35, non-smoking or have a BMI of less
22 than 35. For this reason, we urge entry criteria

1 to be expanded to reflect the general population.
2 Similarly, we would urge the agency to consider
3 asking for Phase 3 data from a range of service
4 delivery settings, reminiscent of the clinical
5 trials that were run here, in the U.S. for methyl
6 priston medical abortion, to see whether there is
7 anything that could be learned about counseling or
8 follow-up care that might affect efficacy or more
9 timely recognition and treatment of
10 contraindications.

11 As noted in the briefing document, any
12 woman using contraception weighs a range of factors
13 in finding the best method for her contraceptive
14 needs. A woman may consider a method that is less
15 effective and has an acceptable risk if it causes
16 her fewer side effects or in some other way matches
17 with her lifestyle, for example a method that can
18 be used episodically. For this reason, we urge the
19 FDA not to set a lower limit of efficacy for
20 contraceptive methods.

21 In short, the more clinical-trial designs
22 can mimic real-world use, the more confidence the

1 public in general and women in particular can have
2 in their contraceptive method and in the FDA's
3 recommendations. Whether the FDA and sponsors
4 agree to criteria for new trial designs, we
5 strongly urge that the limits of our information be
6 more accurately reflected on current labels.

7 If women have been excluded from a trial
8 of a particular method, that should be stated
9 explicitly in the product's label. If conclusions
10 about safety or efficacy are drawn from other
11 trials, that should also be stated explicitly.

12 We would also like to see labeling or
13 FDA-approved patient information better reflect the
14 dynamic nature of contraceptive use and provide
15 women with more and better information about what
16 she might expect from a particular method if she is
17 starting contraceptive use, if she is switching, if
18 she misses a pill or injection, if she has
19 spotting, or when she stops using a particular
20 method. Such information can help contribute to
21 more realistic expectations of hormonal
22 contraception and increase a woman's reproductive

1 autonomy.

2 I would just like to say that I know a
3 number of comments were made yesterday about the
4 importance of contraceptive research and that is
5 beyond the scope of the FDA's mission, and that
6 maybe our friends over at NICHD would be able to
7 pick up that slack. I am sure people in this room
8 are aware that the funding at NICHD is going in the
9 wrong direction in order to support that research.

10 So, as an advocate of a national advocacy
11 organization, we would really like to see a greater
12 increase not just of trials of new methods, but
13 really real-world service delivery innovations,
14 patient expectations, education, counseling models
15 so that we can improve women's reproductive
16 autonomy. Thank you very much for your time.

17 DR. WATKINS: Our next presenter is Amy
18 Allina.

19 MS. ALLINA: Good morning. I am Amy
20 Allina, from the National Women's Health Network,
21 which is also a national advocacy organization and
22 our mission is to improve the health of all women

1 by influencing policy and supporting informed
2 consumer decision-making.

3 The Network was founded more than 30 years
4 ago in the days of the first generation high-dose
5 birth control pills, and we were founded by Alice
6 Wolfson who disrupted congressional hearings to ask
7 why no one was telling women about the risks of
8 these pills, and by Barbara Seamon who wrote the
9 doctor's case against the pill, and by Belita Cowan
10 who worked with both Alice and Barbara to organize
11 a sit-in actually outside the doors of a closed
12 meeting of this committee's predecessor, the
13 Advisory Committee on Fertility Drugs, where risks
14 of pills were being discussed. So, I feel like I
15 need to start by saying I am very glad to be inside
16 the room instead of sitting outside in the hall.

17 [Laughter]

18 Also, to say that there have been a lot of
19 improvements since then and, you know, we have
20 lower-dose pills that are substantially safer than
21 the first generation, and we have patient package
22 inserts with the pills which, while they could

1 certainly stand to be improved in many ways, do
2 give women information that previously was withheld
3 from them.

4 We also have new ways to use hormones that
5 don't require daily pill taking, and that has been
6 responsive to something that women have asked for
7 and said is important to them and, even more
8 recently, some long-acting methods that are still
9 under women's control, which is another really good
10 innovation that is responsive to what women have
11 been asking for.

12 As I sort of said humorously to start, the
13 public cannot only hear the FDA discuss these
14 issues the way you all have been doing over the
15 last day or so, but can also give input as we are
16 doing now. So, those are all really important
17 advances I think, and I am going to give you some
18 input on the questions that you have been
19 discussing from the perspective of a consumer
20 advocacy organization.

21 With respect to clinical-trial design, we
22 do agree with the committee's sort of basic

1 sentiment that entry criteria for clinical trials
2 need to be more reflective of the real world and,
3 certainly, removing exclusions that aren't
4 exclusions from use makes sense, particularly with
5 respect to BMI. Women 20-29 are almost 3 pounds
6 heavier than we were in 1960; women 40-49 are
7 almost 25 pounds heavier. The mean BMI for adult
8 women is now more than 28.

9 We have some indications that this has
10 real implications for safety and efficacy of oral
11 contraceptive use by those heavier women. There
12 was a 2005 study of women using OCs that showed
13 risk of pregnancy being 60 percent higher for women
14 with a BMI more than 27.3 and more than 70 percent
15 higher for women with a BMI over 32.2. There are
16 also some safety concerns. Just this month there
17 was a European study released that showed that
18 women with a BMI of more than 30 had 5 times the
19 risk of blood clots. So, this is a pretty critical
20 health concern for women when it comes to OCs.

21 With respect to study-participant
22 satisfaction, we do support the inclusion of

1 validated patient-reported outcomes in labeling.
 2 We would particularly like to see this address
 3 something that I don't think has come up yet in
 4 your discussion, which is the effect of OCs on
 5 libido. Studies have been back and forth about
 6 this and it is a recurring complaint from some
 7 women. So, if we could get more information about
 8 it, I think that would be very, very helpful.

9 With respect to the contraceptive efficacy
 10 and risk/benefit assessment discussion, like most
 11 of you, we are comfortable with seeing the
 12 pregnancy rate creep up a little bit if it is a
 13 product that offers a proven benefit, either in
 14 decreased health risks or some other quality that
 15 women value like user control or something else.
 16 But we do have to have data so that women can make
 17 informed decisions. They need to be able to weigh
 18 the relative merits of these products in the
 19 context of their lives and that can't be done
 20 without data.

21 On cycle control, I guess all I wanted to
 22 say there is that we agree with you on that as well

1 on the need for standardization. We like very much
 2 the suggestions that were made in Dr. Trussell's
 3 presentation and the Mischell paper and think, like
 4 some of you said, that it is also needed for
 5 extended dosing so that we can better understand
 6 what role that is playing in women's
 7 discontinuation and also just so that women can
 8 compare what is known about different methods and
 9 have a better understanding of what to expect.

10 On Phase 4 commitments, and this is the
 11 last thing I am going to be commenting on, I wanted
 12 to say that we do believe that for new doses, for
 13 new chemicals, for new mechanisms of delivery
 14 post-approval observational studies should be
 15 standard. All these products have some risks for
 16 some women and we aren't going to be able to
 17 quantify most of those in a Phase 3 trial.

18 Then we are left with the FDA's AERS
 19 system which is passive and incomplete and probably
 20 would be generous to call it inadequate. We have
 21 seen not just clinician's confidence but women's
 22 confidence in products may be shaken by information

1 that catches us by surprise. We were just talking
 2 about what happened with the patch and I think that
 3 is unnecessary.

4 So, when we can't get the information we
 5 need in a Phase 3 trial we need to get ahead of the
 6 problem and if we can do that we can avoid what Dr.
 7 Gilliam was talking about yesterday in terms of
 8 some of the some of those boom-and-bust cycles. I
 9 think standardizing some Phase 4 studies that will
 10 look at the problems so that, if and when problems
 11 do arise, we will be in a position to respond with
 12 good information. That is going to be to the
 13 benefit of both clinicians and women and, I would
 14 argue, also to manufacturers. Thank you.

15 DR. WATKINS: Our next presenter is Susan
 16 Wysocki. Has she arrived? No? Then we will go
 17 forward with Beth Jordan.

18 DR. JORDAN: Good morning. My name is Dr.
 19 Beth Jordan. I am an internist and medical
 20 director of the Association of Reproductive Health
 21 Professionals. ARHP was founded in 1963 and is an
 22 international professional association whose

1 members include physicians, advanced practice
 2 clinicians, researchers, educators and advocates,
 3 all with expertise in reproductive health research
 4 or practice.

5 On behalf ARHP and its 11,000 core
 6 members, I am pleased to provide some comments
 7 before the Food and Drug Administration's Advisory
 8 Committee for Reproductive Health Drugs. For
 9 purposes of disclosure, ARHP is a 501(c)(3)
 10 non-profit organization and is supported by
 11 unrestricted educational grants from many
 12 foundations and companies. We also receive
 13 individual donations from those interested in
 14 supporting evidence-based research and education.
 15 Relevant to this committee meeting, ARHP has
 16 current unrestricted educational grants from Ortho
 17 Women's Health and Urology and Wyeth
 18 Pharmaceuticals.

19 ARHP is a leading source of education and
 20 information on reproductive health issues, and is
 21 accredited by the Accreditation Council for
 22 Continuing Medical Education, ACCME, to provide

1 continuing medical education and health care
2 providers through a variety of educational
3 programs, meetings, and publications. ARHP
4 advocates for evidence-based research and supports
5 the availability of a wide range of safe, effective
6 and appropriately used contraception for women.

7 ARHP is pleased that the FDA is reviewing
8 the manner in which hormonal contraceptive efficacy
9 is measured. ARHP's mission is to provide the
10 highest quality evidence-based reproductive health
11 information to healthcare providers and patients.

12 Choosing when and if to become a parent is
13 one of the most important issues that women face.
14 Patients and healthcare providers alike depend on
15 researchers and regulatory governmental agencies to
16 use the best available science when making
17 determinations of contraceptive effectiveness. For
18 providers to accurately discuss the true risk of
19 pregnancy with their patients who use contraceptive
20 methods, those discussions should be predicated on
21 the highest caliber research.

22 Given that many respected researchers and

1 practitioners in the reproductive health field
2 widely criticize the Pearl Index for being a flawed
3 methodological tool, ARHP encourages the FDA to use
4 the life-table methods as a standard for
5 determining pregnancy rates at specific intervals
6 of time. Not only is this method more accurate
7 but, unlike studies using the Pearl Index, one can
8 reliably make useful comparisons of various methods
9 from different studies.

10 ARHP advocates for the availability of as
11 many safe, effective contraceptive methods as
12 possible to women in the U.S. This includes lower
13 dose options, as well as options for continuous and
14 extended use, which may be beneficial to some women
15 for lifestyle and/or medical reasons.

16 Many women experience fewer side effects
17 on some of the newer, lower dose pills. While
18 these lower dose pills may be found to be somewhat
19 less effective than higher dose pills, the
20 real-world significance of such a proposed
21 difference is not clear and might well be
22 insignificant in women's lives if these methods

1 offer them less side effects and, consequently,
2 improved contraceptive adherence.

3 Every woman is unique and measures of
4 acceptability will vary amongst users. Side
5 effects which are problematic to some women may not
6 be for other women. Culture, history, lifestyle
7 and perception all play a role. For example,
8 unscheduled bleeding while on hormonal
9 contraception may be the number one problem to some
10 women, while others may find mild breakthrough
11 bleeding insignificant compared to other side
12 effects from higher estrogenic compounds.

13 Information regarding product safety,
14 efficacy and effectiveness needs to be accurately
15 and clearly conveyed to consumers both through
16 product packaging and by the healthcare provider.
17 Risks and benefits associated with different
18 contraceptive products should be discussed between
19 a patient and her healthcare provider, enabling the
20 patient to make informed decisions about her
21 reproductive health care.

22 ARHP is very concerned about the way in

1 which providers and package inserts convey risks
2 and side effects to patients and we advocate for
3 clear, simple product packaging. If there are
4 post-approval concerns, ARHP advocates for rigorous
5 follow-up, including postmarket surveillance, in
6 order to gather additional product safety
7 information. Thank you.

8 DR. WATKINS: Our next speaker is Anita
9 Nelson.

10 DR. NELSON: Good morning. My name is
11 Anita Nelson. I am a fellow of the American
12 College of Obstetricians and Gynecologists, a
13 national medical organization representing more
14 than 51,000 members who provide healthcare for
15 women. I am appearing here today on behalf of the
16 College to present its concerns and suggestions
17 regarding the approval process for hormonal
18 contraception. In this role, I am an unpaid
19 volunteer.

20 I will be following my prepared comments,
21 but want to suggest that ACOG looks forward to
22 later commenting on the formal recommendations of

1 this committee. I am a Professor of Obstetrics and
2 Gynecology at the David Gathon School of Medicine
3 at UCLA, and I am also a member of the speaker's
4 bureau, a consultant, and have done research on
5 just about every major method of birth control that
6 has come out in the last ten years.

7 The College thanks the FDA for holding
8 this advisory committee meeting and for the
9 opportunity to speak on this issue. The overall
10 message that I wish to deliver to you is that
11 safety and efficacy should be the only basis for
12 product approval. Although product superiority
13 should not be required, the College has concerns
14 about the approval of less effective low-dose
15 combined hormonal contraceptives.

16 Because of pharmaceutical companies'
17 innovations, today's women have more options than
18 ever for contraception. Finding a contraceptive
19 method that they can use effectively is crucial to
20 women as approximately half of all unintended
21 pregnancies occur among women who are using
22 contraception. The College applauds the

1 higher perfect use failure rates translate into
2 higher pregnancy rates in typical use by the
3 average American woman? The problem may not rest
4 with the dose of hormones in the active pills, but
5 perhaps in the number of inactive pills in each
6 cycle.

7 Additional studies are vital to answer
8 this question and we eagerly await these data. If
9 these new regimens are less forgiving of the
10 skipped pills that so frequently occur, it is vital
11 that labeling clearly inform physicians and women.

12 We know that lower-dose extended cycles
13 are growing in popularity among American women and
14 we need to know more about the risk of unscheduled
15 bleeding and spotting with these regimens. In the
16 traditional 21/7 packages unscheduled bleeding and
17 spotting is the leading cause of discontinuation.

18 So, it is important for us to understand
19 this phenomenon well so we can counsel our patients
20 appropriately. Clinical trials should be required
21 to use standard definitions of spotting and
22 bleeding that reflect the days in an appropriate

1 manufacturers for giving American women options and
2 wants to emphasize the need for these methods to be
3 not only safe but also effective in typical use.

4 Oral contraceptives continue to be the
5 most popular method of reversible contraception in
6 the United States. The women who choose birth
7 control pills do so overwhelmingly for one
8 reason—to prevent pregnancy. The other benefits
9 of the pill such as cycle management and reduction
10 of ovarian and endometrial cancer are important but
11 they pale in importance against pregnancy
12 prevention. As physicians, we have counseled our
13 patients that hormonal contraception is a highly
14 effective method of birth control when used
15 correctly and consistently. But in the push for
16 ever lower hormone levels to increase the safety of
17 hormonal contraception are we reaching a point
18 where these contraceptives may be less effective?

19 This may be the case and could be a reason
20 for concern. Many newer oral contraceptives have
21 higher perfect-use failure rates than the 30-35 mcg
22 pills that have been our gold standard. Will these

1 length cycle. Labeling should also identify the
2 mean, median and range of days of both scheduled
3 and unscheduled bleeding and spotting.

4 Now, I have mentioned several points that
5 should be added to the labeling of hormonal
6 contraceptives. Labeling, however, should not be
7 the sole way that clinicians and patients receive
8 important information about these new regimens.
9 The full labeling now is more than 40 pages long
10 and busy clinicians may simply fail to read all of
11 it. Other methods, perhaps including "dear doctor"
12 letters, should be explored by the FDA and by
13 industry.

14 Finally, the College urges that clinical
15 trials be designed to study the efficacy and the
16 safety of hormonal contraception for all women who
17 use them. Not all women who rely on these
18 contraceptives are 20 years old and weigh 110
19 pounds. We need data on all women who use
20 contraceptives, the 16-year olds and the 45-year
21 olds. Importantly, we need to know about the
22 safety and the efficacy of use in women who are

1 overweight or obese, a considerable portion of
2 American women. Clinical trials should include a
3 spectrum of women representative of U.S. women
4 using contraception, with power sufficient to
5 determine efficacy, safety and side effect profiles
6 in these different subgroups.

7 In conclusion, real-world efficacy and
8 safety are vital. The information American women
9 and their physicians are provided about the safety
10 and efficacy of each formulation must be applicable
11 to all potential users and must accurately reflect
12 in a standardized fashion the more common side
13 effects, especially bleeding episodes. The
14 College's 51,000 members stand ready to help our
15 patients make these important decisions, and both
16 physicians and patients need accurate data on
17 safety and effectiveness to do so. Thank you very
18 much.

19 DR. WATKINS: Our next speaker is Kelly
20 Blanchard.

21 DR. BLANCHARD: Good morning. I have the
22 pleasure to be here with the committee. Thanks to

1 everyone who worked to organize it.

2 My name is Kelly Blanchard. I am the
3 President of Ibis Reproductive Health. Ibis'
4 mission is to improve women's reproductive health
5 choices and autonomy worldwide. At Ibis we conduct
6 clinical and social science research, as well as
7 policy research, and our aim is to help support
8 reproductive healthcare and policies that are
9 informed by the best evidence.

10 What I would like to do today is to very
11 quickly share the results of some work that my
12 colleagues and I at Ibis have done which compares
13 the labeling of current contraceptive methods to
14 the best current evidence. I think you have in
15 front of you the longer paper that this is based on
16 but I would like to just give a few highlights and
17 then talk about what we might recommend based on
18 what we found.

19 The paper itself does address a number of
20 contraceptive methods. I am just going to speak to
21 the parts about oral contraceptives here. Here are
22 three of the major differences we found when you

1 look at the evidence and look at the label.

2 Labels recommend a physical examination
3 prior to provision of oral contraceptives. We know
4 from evidence that women themselves may be able to
5 self-screen for contraindications and that exams
6 may be a significant barrier to use for some women,
7 particularly specific subpopulations of women. Of
8 course, we know that many providers have looked at
9 this evidence and now don't require a physical
10 examination. But I think the question here is what
11 is reflected in the label and what that might do to
12 physicians who may not be currently aware of or
13 keeping track of the best, most recent evidence.

14 The second point here is about
15 breast-feeding women. The label says that
16 breast-feeding women should not use COCs, but the
17 data on COCs and lactation are conflicting. They
18 are not well done. Many guidelines that exist
19 state that if a woman has established milk flow and
20 is otherwise healthy that COC use is fine. Again,
21 that is not reflected in the labeling and may be a
22 significant barrier for women who are postpartum

1 and are looking for a method, and they are limited
2 from using COCs because of what is inaccurate
3 information in the labeling.

4 Finally guidelines about when to start
5 your COCs, most labels say the first Sunday or day
6 one of your menstrual cycle. This is somewhat
7 complicated and confusing, and a growing body of
8 data shows that you can probably successfully start
9 your method on almost any day, the quick-start
10 method, but more and more data is coming out about
11 this. Again, it probably limits a number of women
12 from actually using this method. They may not come
13 back at this point. It may be confusing, etc.

14 Just by way of background, I think a
15 number of speakers have referred to the data the
16 Guttmacher Institute has found. The way this data
17 shows is that after a decrease over time in the
18 number of women not using contraception, in 2002 we
19 have seen an increase in the number of women not
20 using contraception. So, we, at Ibis, are
21 particularly concerned about what might be behind
22 this increase in women not using contraception.

1 Again, we think part of this, and of course not
2 all, is related to what is potentially in the label
3 and the barriers that that puts in women's way and
4 in physicians' way as well.

5 We know a lot of data from all over the
6 world has shown that women overestimate the danger
7 of using hormonal methods. There are a lot of
8 myths about hormonal methods, the need to take
9 breaks and things like that. Although the labeling
10 of oral contraceptives might not be the main way
11 that people get that information, it certainly is a
12 way that a lot of people get information and
13 ideally should reflect, again, the best evidence so
14 that we can provide people with the correct data
15 and they can sort of make informed decisions about
16 whether or not to use these methods.

17 The other thing shown on this slide that
18 is striking is the difference between poor women
19 and women who are not poor, which is an important
20 consideration. Minority women are also not well
21 represented in trials and have higher rates of
22 non-use of contraception. In terms of looking

1 forward about whom to include in clinical trials,
2 these may be key groups to really try and make sure
3 they are joining the trials and we have more
4 information on particular issues around
5 acceptability, or other things that may change
6 their opinion of different methods and why they
7 would or would not use them.

8 Just one final point, here are some
9 recommendations related to trials and labeling,
10 again, as I just said, including a more diverse
11 population in clinical trials; including racial and
12 ethnic makeup, as well as women of lower
13 socioeconomic status; and also potentially
14 including--getting back to the question which I
15 think was discussed here about exclusions and what
16 that reflects about exclusions in the
17 label--potentially including moderate risk women,
18 obese women, smokers age 30-34, women with a family
19 history of thrombosis and breast-feeding women
20 because, based on the current data, these women may
21 be completely appropriate candidates for COCs,
22 particularly if they are not interested in using

1 other types of methods.

2 The data we have, really, on risks for
3 these women, as we discussed yesterday, it is very
4 hard to have large enough trials to really look at
5 these rare events, but we may be excluding a number
6 of women who could successfully use these methods.

7 We also need to take into account what is
8 on this slide, the question of what we are
9 comparing to because many of these women in these
10 moderate-risk groups would be at even higher risk
11 of an adverse event if they were to become
12 pregnant. So, again, in terms of labeling, I think
13 this is an important thing that is not in the
14 public consciousness around choosing contraceptives
15 or hormonal methods, which is what are these same
16 risks during a state of pregnancy and I think we
17 could do better about sharing that information.

18 Also in terms of comparisons, it would
19 also be useful I think, and I am not sure how this
20 would ever be done in a label, but to compare
21 hormonal contraceptive methods to other drugs that
22 women are likely to use regularly. Again, I think

1 this idea that hormonal methods are so
2 dangerous--many might agree that they are safer
3 than many drugs that people access over-the-counter
4 on a daily basis and, yet, it is almost impossible
5 to easily find information that compares those
6 types of risks.

7 I think this is the final slide. In
8 summary, hormonal contraceptive labeling should
9 incorporate recent evidence as well as the recent
10 studies have shown that graphical representation of
11 some of the facets of the label might really help
12 with comprehension. It should incorporate
13 up-to-date and evidence-based information. And,
14 the process for amending labeling should be changed
15 to allow for rapid inclusion of new compelling
16 data.

17 I think it is interesting, based on a
18 review of FDA procedures, that it is very easy to
19 make a label more restrictive and much more
20 difficult to make a label more permissive. I think
21 that speaks to sort of the general tenor of
22 labeling and what labeling does, which is often to

1 scare people about things that are very unlikely to
2 happen to them.

3 Obviously, there are a lot of reasons for
4 that and the label fulfills a number of different
5 functions for a number of different people. I
6 think our interest in thinking about public health
7 and access to contraception would be to try and
8 figure out ways to make that less the purpose and
9 focus more on people getting accurate up-to-date
10 information.

11 In my last minute, I just wanted to echo a
12 comment made earlier about the evidence for
13 over-the-counter use. I realize that this may not
14 exactly be the right forum for this, but I just
15 wanted to say that it does seem that oral
16 contraceptives fulfill all of the criteria for
17 over-the-counter access, particularly in comparison
18 to other over-the-counter drugs. They are much
19 safer in many instances. Women can self-screen for
20 contraindications. And, we would look forward to
21 either this committee's recommendation or another
22 forum to discuss what the next steps might be, or

1 what process would need to happen to make that a
2 reality.

3 Thank you.

4 DR. WATKINS: I will make one last call for
5 Susan Wysocki. Has she arrived? No? Then, we
6 will go ahead and have Dr. Petitti present.

7 Topic 6 - Phase 4 Commitments

8 DR. PETITTI: Well, I actually greatly
9 appreciate the opportunity to speak at this
10 meeting, and think that this is a meeting which has
11 the opportunity to make major changes going
12 forward. Perhaps the meeting is 20 years or so
13 overdue.

14 [Slide]

15 I am going to talk a little bit about our
16 potential for improving the way that we gather
17 information on safety and effectiveness after
18 marketing approval.

19 [Slide]

20 I put this slide on here to remind me that
21 I have a new position as the Adjunct Professor in
22 the Keck School of Medicine. We don't have to say

1 the first initials as they do at UCLA, which is
2 also in Southern California.

3 [Slide]

4 Right now, as we all know, hormonal
5 contraceptives are approved based on information
6 from a very small number of women. The populations
7 are not, in fact, currently designed to be
8 representative of the women who will use
9 contraceptives in real life. In fact, little is
10 known about the effectiveness of use of hormonal
11 contraception outside the realm of the women who
12 participate in clinical trials.

13 Since the overwhelmingly most common
14 reason for women to use oral contraceptives is to
15 prevent pregnancy, there really is an opportunity
16 to improve our information about the effectiveness
17 of hormonal contraceptives in the postmarketing
18 arena.

19 I am going to divide my talk into--talk
20 about the safety and about the effectiveness and
21 what the potential might be for gathering better
22 information in the postmarketing arena.

1 [Slide]

2 We actually know a lot about what we can
3 expect about the safety of hormonal contraceptives
4 in the postmarketing arena, much more than for
5 other drugs which are new entities. We know what
6 to look for. We know pretty much what not to look
7 for.

8 Indeed, vascular events are the most
9 important major adverse event caused by combined
10 estrogen/progestin contraceptives. I mention
11 specifically so that we don't forget that there is
12 ischemic stroke in addition to venous
13 thromboembolism and myocardial infarction. Venous
14 thromboembolism is the event with the highest
15 relative risk in most oral contraceptive users but
16 ischemic stroke is the one that has the most
17 devastating consequences.

18 [Slide]

19 There are some very specific issues in the
20 study of the safety of hormonal contraceptives
21 which make it impossible to study them premarketing
22 and difficult even to study postmarketing. The

1 main thing is that they are rare. They are rare
2 but not uncommon. In other words, they are the
3 kind of event which simply is not ignored within
4 the context of this risk/benefit ratio but is not
5 so common that it would mean that you wouldn't want
6 to use them in healthy women.

7 The other very important factor about the
8 safety of oral contraceptives in terms of vascular
9 events is that there are proven interactions or, I
10 prefer to say, effect modifiers. These include
11 hypertension predominantly for stroke; obesity
12 predominantly for venous thromboembolism; and
13 cigarette smoking predominantly for myocardial
14 infarction.

15 [Slide]

16 I want to make sure that everyone
17 understands this slide and, hopefully, believes
18 this slide, which is that we do not understand the
19 pathophysiology of vascular events caused by
20 combined estrogen/progestin hormonal
21 contraceptives. We, thus, cannot predict ahead of
22 time whether or in what direction a change will

1 of an interested researcher deciding to conduct a
2 study in response to a report of an adverse event
3 or based on their own observations.

4 [Slide]

5 In my opinion, the continuation of this
6 unsystematic approach really invites trouble
7 related to false alarms based on faulty data.
8 Waiting for alarms also invites panic in response,
9 and alarms, whether ultimately false or true,
10 undermine the public's confidence in the regulatory
11 system and in the industry. I think that the
12 pattern has been, in introducing new hormonal
13 contraceptives, to not plan for formal
14 postmarketing studies in hopes that nothing will
15 happen and, in fact, something will happen and what
16 will happen will be something that may or may not
17 be a true alarm, may be a false alarm but causes
18 panic.

19 If, indeed, we know ahead of time that
20 most of these preparations and formulations will
21 continue to be associated with some vascular
22 events, those vascular events, if the astute

1 affect these events.

2 The inability to predict extends to
3 changes in estrogen dose, estrogen type, progestin
4 dose, progestin type, route of administration,
5 cumulative dose, maximum dose, etc., etc., etc.
6 There are no hematologic parameters. There are no
7 intermediate endpoints that can be used to predict
8 whether a change in a given contraceptive will or
9 will not, and in what direction it will, affect
10 these vascular events.

11 [Slide]

12 Now I am going to talk about Phase 4,
13 which currently I think should be described as
14 surveillance where surveillance consists of a
15 collection of unsystematic activities. First of
16 all, there is formal surveillance assessing
17 spontaneous reports of adverse events to the FDA.

18 There is surveillance which consists of
19 the astute clinician who might report an adverse
20 event, either anecdotally to his colleagues or her
21 colleagues, to the FDA or in the form of a case
22 report. Then, there is surveillance which consists

1 clinician decides, will result in a flurry of
2 reports of, let's say, every stroke that they saw
3 in the first ten patients, or all the first ten
4 strokes in the patients on a new contraceptive are
5 reported to the FDA, giving the appearance that
6 there is a problem greater than in other
7 preparations or formulations that are being newly
8 initiated by women in the same risk category.

9 [Slide]

10 So, I believe that we really need to move
11 on. I want to make a point that in the study of
12 effectiveness the old products are as poorly
13 studied as the new products in terms of
14 postmarketing surveillance, and we already know
15 that there are population trends that probably are
16 affective use-effectiveness both in old and in new
17 products that we know very little about.

18 [Slide]

19 Now, my recommendation here is that we
20 begin to plan for more Phase 4 studies. Notice
21 that I said Phase 4 studies, not Phase 4 trials,
22 because I think there are a variety of studies that

1 can be useful in the postmarketing arena that are
2 not currently conducted and that actually do a
3 disservice to the amount of time and energy that
4 goes into premarketing development and approval
5 both for the public and ultimately, I think, for
6 the industry.

7 [Slide]

8 Now, I am going to talk about the design
9 of Phase 4 studies of safety first and I am going
10 to put up the classical designs that people mention
11 when talking about Phase 4 studies of drug safety.
12 We talk about experimental studies, case-control
13 studies and cohort studies. And, I am going to
14 spend a fair amount of time talking about designs
15 which use the case-control methodology nested
16 within a cohort.

17 [Slide]

18 Phase 4 RCTs are actually--you know, I am
19 having a hard time recalling where anyone would
20 require a large-scale Phase 4 randomized trial in
21 order to study safety whether against a placebo or
22 an active comparison group.

1 It would be extremely costly if it were
2 large enough to address the issue of vascular event
3 differences, and it would be difficult to choose a
4 single appropriate comparator. The likelihood
5 would be that you would have to look at the new
6 product compared with some range of products, which
7 doesn't make sense in our RCT setting. And, I
8 really actually think that there is no such thing
9 as a simple randomized trial.

10 [Slide]

11 So, I am going to limit myself in talking
12 about recommended designs to consideration of
13 case-control, cohort and case-control nested within
14 a cohort studies.

15 [Slide]

16 In reality, the typical case-control study
17 design as a stand-alone design is used most often
18 to study exposures that occurred in the distant
19 past for which exposure information is not
20 available, or cannot be reliably retrieved from
21 records or computer-stored data. So, there really
22 is not much of a role of a case-control study in

1 surveillance outside of being nested within a
2 cohort defined by exposure based on computer.

3 [Slide]

4 Now, the main disadvantage of--I'm not
5 going to say this--of the classical case-control
6 study is recall bias. Increasingly, case-control
7 studies which involve direct interview of patients
8 are subject to very low response rates. It is
9 actually quite depressing in the epidemiology field
10 to see response rates in typical case-control
11 studies fall from 90 percent 20 years ago to the
12 60s today, and this problem is particularly acute
13 among women of reproductive age who tend to have a
14 lot of things going on in their lives. You are
15 better off doing a study in the elderly now than in
16 anyone of reproductive age.

17 [Slide]

18 I believe that we have an enormous
19 ability, which was not present 10 years ago and
20 certainly not 20 or 30 years ago, in the United
21 States, to use computer-stored information on drug
22 exposure to combine the best features of cohort and

1 case-control designs.

2 A number of HMOs, a number of
3 pharmaceutical clearing houses have assembled
4 databases and have managed to overcome some of the
5 concerns that were present about the quality of
6 that data and ability to actually access patients
7 and women to do direct interviews compared with the
8 past. There has been truly, over the last 10
9 years, a major change in the quality of this data,
10 in the quantity of this data, and in the ability to
11 utilize this data for studies of drug safety.

12 [Slide]

13 When I talk about cohort studies I am
14 going to briefly consider the possibility that one
15 might want to go out and mount some giant safety
16 study with all these products coming on the market.
17 There is the possibility, maybe we ought to go out
18 and do another Royal College of General
19 Practitioners study or another Martin Vessey study,
20 or maybe another Walnut Creek study where you go
21 out and you enroll 50,000 women who are users of
22 hormonal contraception and you follow them forever

1 to find out what these new products are really
2 about in terms of safety and effectiveness. But I
3 am going to actually reject that design and go
4 right on to computer-stored studies that use
5 computer-stored data.

6 [Slide]

7 The problem with the sort of Vessey-like
8 study is that the advantages are that you get
9 comprehensive information about both the things you
10 anticipate and things you don't anticipate. By
11 enrolling women, by interviewing them at the start
12 of your follow-up, you get excellent information on
13 confounders.

14 You have the ability to include diverse
15 populations because you can specifically organize
16 the study in certain communities. However, it is
17 costly. The time to results is long and the power
18 for rare events remains quite low. You still have
19 ability to recruit numbers on the order of 50,000
20 or 40,000 or 30,000 or 20,000 and probably not the
21 hundreds of thousands of women that you need in
22 order to address the safety issues that are of

1 registry are true events. This is a big concern in
2 studies of vascular disease where you really need
3 to make sure, first of all, that the event is a
4 first event. That is very, very important. And,
5 you have to confirm that it is a first event and,
6 second of all, you have to be able to determine
7 that what you called a venous thromboembolism is
8 truly a venous thromboembolism.

9 This is a big problem with oral
10 contraceptives because there is a tendency to
11 perhaps over-diagnose vascular events in women
12 using oral contraceptives. In computer-stored data
13 the patients are generally very poorly
14 characterized. No matter what someone tells you,
15 they are not going to have reliable information on
16 body mass index and on race/ethnicity in a
17 computer-stored database. And, I say that no
18 matter what they tell you, they really don't have
19 it because it is so hard to collect.

20 Information on other kinds of confounders
21 is really not present—family history, personal
22 history, past history, again the BMI, cigarette

1 concern for oral contraceptives.

2 [Slide]

3 So, I am going to go on to talk about
4 various designs that use computer-stored data and
5 talk about using computer-stored data only,
6 computers supplemented by medical records, and then
7 computers supplemented by medical records with some
8 amount of direct interview of women who have events
9 compared with women who don't have events, chosen
10 in a random fashion. That is the nested
11 case-control design.

12 [Slide]

13 There is a tendency of drug-surveillance
14 studies to want to rely only on computer-stored
15 data, and we see a lot of studies now of drug
16 safety which are computer-stored data only. The
17 advantages, of course, are that it is relatively
18 inexpensive. You can get information fairly
19 quickly.

20 However, for a study of vascular disease
21 it is actually not possible to confirm that the
22 events that are listed as events in some diagnostic

1 smoking. And it is actually difficult to study
2 effectiveness with the computer-stored data because
3 abortions, even among insured populations, are
4 often not covered events. Even when they are
5 covered events, women have a tendency to want to go
6 outside the system in order to get their abortion
7 and, therefore, they are not reliably recorded in
8 the system. We have had a number of people, when I
9 worked at Kaiser, come to us, wanting to study
10 effectiveness using our computer-stored data and
11 the answer was we can't do it because it is not
12 reliably recorded.

13 [Slide]

14 Supplementing computer-stored data with
15 physician records has a number of advantages and
16 the thing that it does. It allows you to determine
17 whether or not the events are confirmed events;
18 whether they are new events. You can obtain some
19 information about confounders from medical records,
20 not necessarily complete. In fact, that is the
21 major disadvantage. When you are looking at effect
22 modification and you need information on effect

1 modifiers or confounders, you have the lack or
2 inconsistent availability of information on these.

3 There is actually uncertain accuracy of
4 information recorded in medical records about
5 confounders and, in fact, modifiers. And, paper
6 medical records and talking to the physician are no
7 better than the computer data in terms of studying
8 effectiveness because many women, again, who have a
9 failure on a contraceptive go outside that
10 physician in order to, for example, have a
11 termination.

12 [Slide]

13 So, I am going to say that I think the
14 best design for postmarketing studies of the safety
15 of hormonal contraceptives is a combination of
16 computer-stored data with physician records, with
17 direct patient contact where the direct patient
18 contact occurs on a sampling basis, where all the
19 confirmed cases are interviewed directly to
20 ascertain information about confounders, and that a
21 random sample of controls, which would be women
22 also using some form of hormonal contraception who

1 did not have an event in the same interval, because
2 I think the issue is comparative safety and not
3 whether or not this particular contraceptive has an
4 increased risk of some venous event.

5 So, I would argue for a computer study
6 where you take all of the women who have a
7 prescription for the new contraceptive and everyone
8 else who is given hormonal contraception during the
9 same interval, where you use sampling techniques to
10 characterize the starting patterns of the controls
11 and the cases.

12 You probably have a ratio--I haven't done
13 the design parameters, but you probably have a
14 ratio of ten controls to one case given the rarity
15 of these events. This has the potential to
16 characterize patients well, including patients who
17 did and didn't have an event.

18 You get excellent information on both
19 confounders and effect modifiers. You get accurate
20 and complete information, or at least comparable
21 information between the group that you are
22 interested in, which is the new contraceptive

1 group, and the old contraceptive, hormonal
2 contraceptive group.

3 It is relatively expensive but not, in my
4 opinion, prohibitively expensive compared with
5 having your drug go down the tubes because people
6 believe that it is worse than the alternative. The
7 time to information is long, mainly because the
8 time to sufficient numbers of events may be long.
9 And, it is subject to response bias. Again, I
10 mention that getting women of reproductive age to
11 participate in research is an increasingly
12 difficult task.

13 [Slide]

14 I am going to talk a little bit about
15 specifics of sources. I think that many studies
16 have been done based on the GCRP database. I think
17 that in that database they have limited ability to
18 do direct contact. There are a number of I think
19 privacy issues with regard to the use of the data
20 that actually make it not as good a source of data
21 for this kind of study in the United States as some
22 of the large databases that are being assembled by

1 some of the large health plans.

2 You could have one health plan or you
3 could use a variety. a consortium, of health plans,
4 perhaps a group of health plans or sources of
5 computer-stored data including Medicaid data that
6 organize themselves to be responsive to carry out a
7 variety of safety studies going forward, not just
8 safety studies of hormonal contraception, and I
9 think these are being organized as we speak.

10 [Slide]

11 I am just going to say that when you do
12 use a single source of data, if you have a single
13 source of data, it is often much more efficient.
14 You don't have to go through 15 IRBs. You have
15 great ability to trust the study planners
16 assertions. I have been involved in a number of
17 collaborative studies where one or more of the
18 entities participating in the study did not
19 actually have in their computer the data that they
20 said they had in their computer, or were not able
21 to access in the ways that they described the
22 records needed to conduct a study. So, I mention

1 that based on my own personal experience over the
2 three decades that I have been working in this
3 field.

4 Another disadvantage of using a single
5 source or using a limited source of data for
6 computer data is that there often can be a very
7 restricted set of contraceptives, hormonal
8 contraceptives, in the comparison group because of
9 limitations on the formulary.

10 If you go across a variety of sources,
11 then it is likely that you have a greater mix of
12 comparison hormonal contraceptives and I think we
13 can't simply say, I have a group of patients who
14 have been exposed to the new hormonal contraception
15 and my rate of venous thromboembolism is
16 22/100,000, and the literature says that the rate
17 of venous thromboembolism in hormonal contraceptive
18 users is 44/100,000, therefore, my product is
19 equally safe or even safer. I think that that kind
20 of data is not useful at all. It is highly
21 misleading. You have to have a comparison group of
22 hormonal contraceptive users who are

1 contemporaneous.

2 [Slide]

3 So, again, I would recommend that the
4 ideal postmarketing study of safety, designed to
5 look at the vascular events that have been found in
6 users of hormonal contraceptives, would be a
7 computer-based prospective cohort study where the
8 new product would be combined with all old
9 products. I put in newly initiated but I want to
10 take that out. I thought about it on the airplane.
11 I think you should just take all-comers and then
12 post-stratify after doing informative sampling.

13 The data should come, in my opinion, from
14 multiple sources in order to increase diversity of
15 the population of users and to assure a
16 representative mix of hormonal contraceptives.
17 And, I believe we need to have confirmation of the
18 cases using records and experts, working with
19 specified criteria, who have been blinded to the
20 use.

21 [Slide]

22 I think we need to collect information on

1 confounders and potential effect modifiers by
2 direct patient contactB-and I got into the real
3 nitty-gritty here--using a nested or probably a
4 case-cohort design with unmatched controls so you
5 don't end up with a lot of restrictions in the
6 analysis phase with substantial over-sampling of
7 the controls. And, the nesting or the cohort
8 design decreases cost and the lack of matching and
9 the over-sampling make it possible to
10 post-stratify.

11 [Slide]

12 Then, I think that there are a number of
13 advantages. You can't use this design to study
14 effectiveness. I don't think you can because you
15 have restricted the cases in the controls to people
16 who are either failures or non-failures on the
17 method.

18 [Slide]

19 I think that it is going to be difficult
20 to mount a study that will definitivelyB-when I say
21 definitively, meaning that you have a really narrow
22 confidence interval on some relative risk of

1 1.0--of a new product compared to another because
2 the rarity of the events and the power of any
3 feasible study design is low. But I think you can
4 prespecify a certain range for which you are
5 willing to accept equivalence, much like what we
6 have in the clinical-trial design.

7 I think that if it is hard to study
8 whether or outcome there are differences overall,
9 the ability to determine whether or not there are
10 differences in the interactions is even more
11 limited, or even to study whether there are
12 interactions. If you look at the papers that show
13 interactions of hormonal contraception with these
14 various factors, they are based on very small
15 numbers, extremely small numbers with high relative
16 risks but very wide confidence intervals.

17 I would say that the main reason for using
18 hormonal contraception is pregnancy information. I
19 believe that as we conduct studies of safety we
20 should use this method of computer-stored data to
21 gather more information about the comparative
22 effects on effectiveness.

1 [Slide]
 2 I think you can design a study of safety
 3 that would use the same population to look at
 4 effectiveness and use-effectiveness because you
 5 need much smaller numbers. The events of pregnancy
 6 are measured on a scale of 100 not a scale of
 7 100,000 and you can imagine some kinds of sampling
 8 in order to accomplish this.
 9 You need a cohort design with regular
 10 patient contact because, again, of the limitations
 11 of computer records to ascertain pregnancies
 12 reliably. You also I think have to think about
 13 response rates. People who fail or have a problem
 14 on a product are actually not as willing to talk to
 15 you as people who perhaps have had a wonderful
 16 outcome with that product. So, I think response
 17 rates have to be kept. The same, if you have a
 18 comparative trial that might not be a big issue.
 19 And, that is all I had to say.
 20 DR. LOCKWOOD: Thank you. Questions about
 21 the presentation? Yes, Dr. Scott?
 22 DR. SCOTT: Great talk, Diana, particularly

1 in pointing out the pitfalls of database studies.
 2 There certainly are and they can be biased, and I
 3 think they have to be validated, like you said,
 4 with physician records or with patient interviews
 5 because it depends on who puts in the data and a
 6 lot of things that go to the accuracy.
 7 What I wondered about is that follow-up
 8 studies for safety have been very haphazard with
 9 everything, every drug. I don't mean just
 10 contraception but with devices, with drugs and so
 11 on. A good example is the MOD database which the
 12 FDA has. That is strictly voluntary for devices,
 13 and so on. So, you never know the denominator.
 14 All you know are the events or the numerator. The
 15 only way that I could see that you could do this
 16 systematically is that in some way either the
 17 companies or somebody has to be required to do it.
 18 In other words, if it is just left up to say,
 19 well, if somebody is maybe going to do the study we
 20 may never-ever get the data. Do you have any
 21 suggestions this morning?
 22 DR. PETITTI: Well, I think that the

1 problem of not having good postmarketing data about
 2 safety is not limited to the hormonal contraceptive
 3 arena.
 4 DR. SCOTT: Right.
 5 DR. PETITTI: I think what we can say about
 6 hormonal contraceptives and what makes it easier to
 7 want to do a postmarketing study, both for the
 8 sponsors and for the FDA, is that we can reliably
 9 anticipate what kinds of safety events will be of
 10 interest, and we can reliably anticipate that we
 11 will have spontaneous reports of the worst things
 12 that happen--you know, the first set of the worst
 13 things that happen.
 14 I think from the sponsors point of view,
 15 they should recognize that this is going to have a
 16 huge impact on their product and on the public's
 17 acceptance of their product. And, we know how to
 18 do these studies. We know what to look for and we
 19 know what the consequences are of not doing this
 20 kind of study.
 21 So, I think we can, perhaps as a
 22 committee, make some recommendations to the FDA

1 that they should more strongly encourage the
 2 conduct of this kind of postmarketing study, offer
 3 some acceptable designs. I think ultimately the
 4 incentives have to be for the product developer to
 5 avoid the catastrophes that we have seen for some
 6 of the other newly marketed products.
 7 DR. SCOTT: So, your recommendation is that
 8 the product developer do the studies? The FDA says
 9 they won't requireB-
 10 DR. PETITTI: Well, I don't think NIH
 11 shouldB-well, NIH is not a source. If it is not
 12 the industry, they won't be done.
 13 DR. SCOTT: That is right. No, I don't
 14 think they will.
 15 DR. PETITTI: Yes, I mean, they are sending
 16 in an R01 application to do a study that I
 17 described is a waste of paper.
 18 DR. LOCKWOOD: Dr. Gilliam?
 19 DR. GILLIAM: Actually, you just touched on
 20 my point, which is that of funding. I think the
 21 current system makes it a complete disincentive for
 22 a company to do this type of study. Instead, many

1 studies are more likely to deal with issues of
2 additional indications that might help to improve
3 the marketability of a drug. So, I wanted to get
4 your thoughts on funding and why people would do
5 this and other potential sources.

6 DR. PETITTI: Right now there is no public
7 source of funding that is likely to be worth the
8 effort. NICHD, as we heard, which is the logical
9 source of funding, simply is under-funded to do
10 this kind of study. There are other priorities
11 that I think reasonably take precedence over
12 determining whether or not a new product is as good
13 as old products. That proof should be in the
14 interest of the company.

15 DR. LOCKWOOD: Dr. Stadel?

16 DR. STADEL: Thank you. I would like to
17 offer a couple of comments. I have done a lot of
18 Phase 4 work in my time at FDA and want to reflect
19 on a couple of things.

20 One is the question I think it is really a
21 beautiful description and coverage of the issues,
22 and the approach Dr. Petitti talks about for being

1 able to look at an issue if it comes up, would be
2 great. The question is who supports the standing
3 framework. There is generally, at least in my
4 experience, a product-specific Phase 4 commitment
5 that usually required some sort of signal, either
6 pre-approval or post-approval; that is, there was
7 an issue that negotiated a Phase 4 approach that
8 was product specific.

9 Now, one could argue that any new
10 contraceptive needs this kind of thing. But it is
11 not quite the same. There is usually a tangible
12 safety issue, that is something a little different
13 about this product that either came up in the Phase
14 3 testing or that emerged from the alarm system,
15 which does produce a lot of problems but it won't
16 go away.

17 So, I have seen one kind of issue that was
18 product specific where we identified the issue. We
19 entertained a company proposal. We used
20 epidemiologic review within the agency. There is a
21 group and they can draw on outside reviewers also.
22 Then, ultimately, the division negotiated with the

1 company for a product-specific Phase 4 study.

2 I think it is most unfortunate that there
3 are not funds available to establish an ongoing
4 cohort and nested case-control resource because I
5 think actually the cost of that these days,
6 relative to the cost of setting up a cohort study
7 years ago, is actually quite modest. That is the
8 per unit cost. And I hope some day that will
9 become available. But I think there is a question
10 of how much one would ask an individual company to
11 support that. I think there is a difficult issue
12 here and I don't know any way to get away from
13 that.

14 A couple of other minor comments, I do
15 think case-control studies have been useful for
16 effects of current use. That is, the early studies
17 of vascular disease were really effects of current
18 use, although it has been heavily used for the
19 effects of long-term past use in issues like breast
20 cancer. It also is useful for current use. That
21 is just a minor comment.

22 I think there may be sometimes roles for

1 large simple trials. Again, it would depend on the
2 issue. We talked a lot about comparative efficacy
3 evaluation and the problems of sample size if the
4 pregnancy is the outcome. I have seen in one
5 caseB-I don't know the details of it, but I have
6 seen that a large simple, open-label trial measures
7 both the drug and how it is marketed, and there is
8 actually some value to measuring how something is
9 sold in addition to what is sold.

10 So, an argument could be made that someone
11 might propose a large simple, open-label trial to
12 say we want to look at how we sell our product
13 relative to how other products are out there the
14 bottom line of effectiveness considering both the
15 chemical and how we deliver it. So, I wouldn't
16 rule that out but it is really not my main point.
17 I think my main point has to do with the issue of
18 product specific versus general surveillance.

19 Thank you.

20 DR. LOCKWOOD: I am going to get to you,
21 Dr. Tobert, in a second. I think that this raises
22 a very important issue, which is that we really

1 would be, I think, setting up an unfair barrier
 2 potentially to the access to new hormonal
 3 contraceptive agents and creating a sort of an
 4 unfair additional set of costs on new sponsors, or
 5 sponsors bringing new agents, when we haven't had
 6 this potential regulatory burden applied to
 7 previous agents. We are discussing it precisely in
 8 the context that relatively safer agents are being
 9 offered, presumed safer because they have lower
 10 levels of estrogen, that have at least reasonably
 11 comparable efficacy. I think it is very clear that
 12 very low-dose contraceptives, if used
 13 appropriately, even reasonably appropriately, have
 14 comparable efficacy. I think the issues obviously
 15 with BMI, missed pills and so forth.

16 So, is it fair to recommend that there be
 17 obligatory Phase 4 commitments to obtain safety
 18 data when there is no reason a priori to suspect
 19 that a given agent would, in fact, incur a higher
 20 risk? So, this is sort of a philosophical question
 21 more than a technical one. Does it create an
 22 unfair burden? And, what circumstances might there

1 be where it would seem to be the appropriate thing
 2 to do?

3 Now, having said all that, it seems to me
 4 that some manufacturers would want to do it in
 5 order to provide additional indications; that they
 6 have completed a very carefully done Phase 4 trial
 7 along the lines that have been described, that,
 8 clearly, this agent is associated with lower rates
 9 of venous thrombotic events compared to another
 10 and, therefore, we are going to go back and ask for
 11 this as an additional indication to be used in
 12 settings where there may be an increased risk of
 13 venous thrombotic events.

14 So, I would like the committee to think
 15 about that and to comment on it. It will lead into
 16 the set of questions that we are going to have to
 17 deal with in a minute. Dr. Tobert?

18 DR. TOBERT: Yes, I thought it was a very
 19 nice presentation, Dr. Petitti, but I think maybe
 20 you are a little quick to dismiss the randomized,
 21 controlled trials. I think you did so on the basis
 22 of cost and practicability more than any scientific

1 reason. But I do think there may under certain
 2 circumstances be a place. I mean, after all, all
 3 epidemiological studies, no matter how well done,
 4 are always subject bias and confounding. It can be
 5 minimized but it can never be totally eliminated,
 6 and there have been many cases where randomized
 7 trials have produced results that are completely
 8 different from what the epidemiology suggested, and
 9 we are all aware of those.

10 So, I do think—and this takes up Dr.
 11 Lockwood's point—that if a sponsor wishes to claim
 12 that their product, whether it be a very low
 13 estrogen product or something else, has a lower
 14 risk of VTE or other vascular events, in order to
 15 be able to make that statement in the labeling,
 16 that they should be required to do a randomized,
 17 controlled trial.

18 I think if you have a good reason that
 19 your product is safer it would be ethical to do in
 20 high-risk women, older, more obese, and so on. I
 21 think it would be practical because most of these
 22 events occur fairly quickly. And, I just think.

1 unless you have very big hazard ratios, that any
 2 epidemiological study is not that reliable. If it
 3 has a hazard ratio of 5 times, fine. But more
 4 typically you get 1.3, 1.4 and so on and personally
 5 I always find that is hard to interpret.

6 I would further make the point that the
 7 most visible drug withdrawn in recent years has
 8 been Vioxx and that did not come about primarily
 9 through epidemiological research; it came about
 10 through a randomized, controlled trial.

11 DR. PETITTI: I think when I was thinking
 12 of Phase 4 that I was not including studies that
 13 might be done in order to claim an indication. I
 14 think that any company or product that claims that
 15 their hormonal method of contraception decreases or
 16 is safer in terms of venous thromboembolism would
 17 have to have a design that proved that, and you
 18 would not permit that claim in the absence of
 19 empiric data of high quality that proved that claim
 20 and, clearly, the randomized trial would be the
 21 best way to do it, although I believe that we
 22 should be open to the possibility that a well done

1 non-randomized study would permit that claim.
 2 But I don't think that the primary way to
 3 determine whether or not the product is sort of the
 4 same as everything that is already there is a
 5 randomized trial. So, I just wanted to make sure
 6 that we understand the difference between Phase 4
 7 studies done for a new indication versus Phase 4
 8 studies in order to evaluate safety.

9 DR. LOCKWOOD: Diana can respond to each of
 10 the questions if it is specifically addressed to
 11 her. Dr. Peterson, Berenson and Stadel?

12 DR. PETERSON: I think this discussion is
 13 really getting at the heart of some important
 14 issues. One starts with the background that Diana
 15 mentioned yesterday, that we know more about the
 16 safety of oral contraceptives than any other drug
 17 in the pharmacopeia. So, even though we still have
 18 some important questions, we are starting with an
 19 enormous body of evidence about the safety of oral
 20 contraceptives.

21 The question then about new products that
 22 we focused on in the last little bit is this issue

1 of uncommon but serious adverse health effects and
 2 the extent to which there is a collective
 3 responsibility to identify those, whoever's
 4 responsibility it ultimately turns out to be, and
 5 whether or not we can afford it. I think the
 6 outline of the methodology is right on target.
 7 These are clearly going to be expensive studies.
 8 There are ways to try and make them less expensive
 9 but they are still going to be expensive and
 10 ultimately somebody is going to have to decide do
 11 those studies get done.

12 The other issue that really I think
 13 permeated all the discussion yesterday and came
 14 back up again today in the public comment is this
 15 issue of the primary purpose of the oral
 16 contraceptive to prevent pregnancy.

17 Then the question comes up is there
 18 responsibility collectively to determine, within
 19 relative precision, how effective the products are?
 20 If we accept that there is a collective
 21 responsibility to do that, then we say, well, is it
 22 for the whole group or, as has been suggested a

1 number of times, is it for key subgroups including
 2 women who are obese, whatever?

3 So, if we say, well, there is that
 4 collective responsibility at least for the entire
 5 group of women who might be using thisB-let's stop
 6 short of subgroups for now because that complicates
 7 it-Bthen have we achieved that in our strategy to
 8 date? I would suggest not in terms of what we
 9 decided yesterday with premarket approval because
 10 James tried to help us with a point about the delta
 11 to say, well, if we accept a relatively wide delta,
 12 then we potentially don't answer Dr. Monroe's
 13 question yesterday.

14 Dr. Monroe said, well, we are looking at
 15 these new products relative not to non-use of
 16 contraception but to a class of highly effective
 17 steroid hormonal contraceptives. If that is the
 18 benchmark, then we say, well, it is one or two
 19 percent pregnancy rates.

20 Then we would have to say, well, with the
 21 comparative active control trials that we
 22 recommended for premarket approval, if there is a

1 delta that says, well, you know, it might be 4
 2 percent, 5 percent but we will accept that for
 3 premarket approval. But there is some sort of duty
 4 owed later on to get a more precise estimate.

5 The problem comes with a slippery slope
 6 where, if you get a product that, let's say, is 20
 7 mcg with a different formulation and it is 4 or 5
 8 percent, the delta accepting that, and then you get
 9 a 10 mcg product and you have another delta of 4 or
 10 5. Then you can get to 9 or 10 percent and you are
 11 on a slippery slope that at some point falls out of
 12 a reasonable person's range of is it still in that
 13 class of highly effective steroid hormonal
 14 contraceptives.

15 So, based on everything that was said
 16 yesterday and today, it seems like there is a fair
 17 consensus that there is a collective responsibility
 18 to consumers and providers to develop a reasonably
 19 precise estimate of effectiveness at a minimum for
 20 the general population and ideally for subgroups.

21 So, I think that we are going to have to
 22 wrestle with this issue of uncommon but serious

1 adverse health effects, and whether anybody can
2 afford to pay for those, who should it be and how
3 does it get done versus the effectiveness issue. I
4 think there is a general sense that there is a
5 responsibility and that we ought to have a strategy
6 for how that responsibility is executed.

7 DR. LOCKWOOD: Yes. I mean, it is sort of
8 ironic that, in fact, there is almost less need for
9 safety studies and more need for Phase 4 efficacy
10 studies with newer lower-dose formulations. It is
11 like 10, 20 years out of sync.

12 But one simple expedient might be to say
13 that, in the approval trial, we have accepted a
14 much wider interval for non-inferiority, for
15 example, and when it crosses a threshold that we
16 are uncomfortable with, and we need to define what
17 that is, that might be a trigger to warrant a Phase
18 4 efficacy study in the real world and we will be
19 able to then document with much more precision
20 where in that interval reality is. Dr. Berenson?

21 DR. BERENSON: I would like to comment on
22 the statement that the NIH does not fund these

1 types of studies. They have funded--NICHD is
2 funding these types of studies, although they
3 should be funded at a much greater degree. They
4 are currently funding four R01s examining the
5 relationship between injectable contraception and
6 bone density. That is where all the data on this
7 has come from.

8 The cost of these studies is very high. I
9 am the PI of one of them. We have spent over three
10 million dollars during a five-year study that
11 followed 700 women initially, of which 350 stayed
12 in the trial for two years. That is a very high
13 follow-up rate compared to the general population's
14 use of contraception.

15 If you start generalizing it to all
16 women--and of course we tried to recruit all women
17 but the types of people that respond to clinical
18 trials often are not reflective of the general
19 population and stay in at a higher level--you are
20 going to have a much lower follow-up rate. We have
21 had problems with disconnected phones and frequent
22 moving.

1 Now people have cell phones. They use
2 these temporary cards. They are only good for a
3 month and then their cell phone number changes.
4 So, even though you think people are more
5 accessible now, they are actually becoming more
6 difficult to contact.

7 I don't know if it will be an advisable
8 idea to place this burden on the manufacturer.
9 Again, I get concerned as to whether or not they
10 will consider it worth their while to market these
11 drugs at all if the burden is so high that they can
12 no longer make a profit on it.

13 With regards to the computer-records
14 research, that is certainly an excellent idea.
15 There are many ideas you put up there that give us
16 food for thought. But women that fill a
17 prescription at the pharmacy for their
18 contraception cannot be contacted blindly later on
19 by a researcher unless she has consented to this in
20 advance in most institutions.

21 At Kaiser, where they are your own
22 patients, you can probably do this but I,

1 personally, wouldn't want to receive a call from
2 someone I have never met to tell me that they found
3 out from the pharmacy what kind of contraceptive I
4 received and they have some questions about whether
5 or not I received an abortion, or took my pills, or
6 other such personal questions.

7 Without that patient contact, it is very
8 difficult to know if the patient ever took the
9 medication. All you know is that she filled it at
10 the pharmacy, and I agree, you can't get the
11 pregnancy rates.

12 So, you really cannot get good data on
13 this without prospective, controlled studies. One
14 of the things this committee could do is make a
15 strong statement that there need to be more of
16 these studies funded by the NIH. There is
17 certainly a number of applications that come to
18 them trying to do this.

19 DR. LOCKWOOD: Just to put things in
20 perspective, the NICHD, which theoretically funds
21 all research for pediatric, women's health, women's
22 reproductive health, obstetrics, gynecology, etc.,

1 representing about 80 percent of the population,
2 70, 68 percent of the population, receives less
3 than 5 percent of the NIH budget and its relative
4 proportion of the NIH budget has continued to
5 shrink over the past 20 years. You know, the thing
6 speaks for itself. Dr. Stadel?

7 DR. STADEL: I would like to comment
8 briefly on the potential for randomized trials for
9 safety issues in Phase 4. I think the standard of
10 evidence that has generally been accepted in my
11 experience is that, for efficacy, an experiment is
12 required, a trial. For safety issues decisions are
13 made on observational data. And, I think that is a
14 very important distinction between what is required
15 to market an intervention in terms of the public
16 interest versus what the level of evidence is
17 needed to make a safety decision.

18 Historically, the decisions about dosage
19 in oral contraceptives and cardiovascular disease
20 were made on the basis of observational data and we
21 are where we are on the basis of observational
22 data. So, I think it is very important that while

1 someone wanted to do a randomized trial on a safety
2 issue, which would be fine with me, that that not
3 become the standard of evidence unless you do a
4 randomized trial. I think that is a very important
5 issue that needs to be kept in mind. Thank you.

6 DR. LOCKWOOD: I think it defies
7 imagination, the cost of a study, a randomized
8 clinical trial, to look at the preferential
9 occurrence of venous thromboembolic events with two
10 different agents. It would be the NICHD's budget
11 for example.

12 We are going to move on to the specific
13 questions now. We are asked to address what
14 designs should be considered for Phase 4 studies of
15 hormonal contraceptives and what are the strengths
16 and limitations of each type of design. We
17 certainly heard quite a bit about this. What are
18 the most important cost/benefit considerations and
19 limitations of each design, for example, a more
20 rigorous design but a delay in obtaining outcome
21 data, cost and so forth?

22 Diana, do you want to make a sweeping

1 summary statement?

2 DR. PETITTI: I think we have to make some
3 statement separately for effectiveness, for safety
4 and for new indications. For a new indication, I
5 believe that a claim that their oral contraceptive
6 is safer in terms of venous thromboembolism as a
7 labeling claim should be subject to the same
8 standards as any other labeling claim. It could
9 include, for oral contraceptives, very well done
10 observational studies and RCT. The RCT has the
11 advantages of being more definitive but it is
12 incredibly costly, and the problem with an
13 observational study is that, no matter what you do,
14 you have residual concern that you haven't actually
15 measured what you want.

16 For use-effectiveness postmarketing, I
17 think these should be prospective studies involving
18 representative populations with active follow-up
19 involving direct contact.

20 For postmarketing studies to look
21 generally at. is this risk of venous
22 thromboembolism bigger or smaller than a bread box,

1 which is what I think we do with most of our safety
2 studies of venous thromboembolism, I believe that
3 the design I laid out is feasible in settings other
4 than Kaiser Permanente and that that is the ideal
5 design.

6 I do think that we should not presume that
7 we can judge what every IRB in the country would
8 say about contacting women in order to gather this
9 information because sometimes you can go directly
10 through the physician. You go from the pharmacy to
11 the prescribing physician to the patient. So, I
12 think we need to leave open and identify the ideal
13 design. I believe that database studies in the
14 absence of direct patient contact have the
15 potential to be highly misleading and should be
16 discouraged.

17 DR. LOCKWOOD: I don't think anyone would
18 dispute that, and I think there is consensus in the
19 group regarding the first specific statement that,
20 for a new indication of safety, a randomized
21 clinical trial probably is not doable, but a very
22 carefully done, well-constructed observational

1 study is appropriate.

2 For effectiveness, a description of a
3 prospective sort of observational trial to really
4 clarify where, in that interval, efficacy rests-BI
5 think there is consensus on that one as well.

6 The third point, in terms of sort of
7 bread-basket safety, unless the statisticians want
8 to get into a discussion about the specifics you
9 have laid out, I think the philosophical question
10 we need to wrestle with is should that be
11 obligatory. Dr. Johnson is going to tell us.

12 DR. JOHNSON: Actually, I was going to ask
13 that question because if we make this that you can
14 look at this or you can't look at this, then the
15 other question is how is it funded. I can't quite
16 imagine that a pharmaceutical company would want to
17 pay for something that is going to be very costly
18 that may make their product look worse. So, are we
19 going to be able to really get this?

20 I mean, I would really like to see
21 long-term data on VTE risk or any thrombosis risk,
22 be it arterial or venous, in patients using

1 So, even though we are talking about rare
2 events and we are talking about relatively small
3 risk differences, the public health impact can be
4 quite large. Although I wouldn't go to the
5 standpoint of saying that we should be doing Phase
6 4 randomized trials because I think they are, you
7 know in our wildest dreams, somewhat inconceivable
8 logistically, I do think that fairly well organized
9 surveillance systems for safety that are actually
10 proactive in maintaining cohorts in an
11 observational fashion, even given all of the
12 drawbacks that we know about and potential
13 unadjustment for confounders and things that are
14 going to occur in observational studies, it is
15 still definitely something that is called for in
16 such a widespread use intervention.

17 DR. TOBERT: I actually would just like to
18 comment on this cost issue. I mean, these trials,
19 these large randomized trials, if they are done
20 using the large, simple trial concept where you
21 eliminate the collection of all data but the most
22 essential, they can actually be done quite cost

1 contraceptives because there clearly would be an
2 advantage of one that had lower risk, and to select
3 populations especially. But how are we going to
4 get there? Can we require this of the
5 pharmaceutical company? If not, then how are we
6 going to have good information on the safety of new
7 products?

8 DR. LOCKWOOD: Dr. Gillen, Tobert, Scott
9 and Stadel.

10 DR. GILLEN: First, I just wanted to say
11 that I think Diana's points are excellent and I
12 really appreciate the design strategy of the nested
13 case control and the cohort study to kind of
14 achieve a couple of goals.

15 I really wanted to speak to Dr. Lockwood's
16 first statement, and I think the point is very well
17 taken. You know, is this really an undue burden
18 given that we are talking about a relatively safe
19 group of interventions here. I think the flip side
20 of that though is that our concern is public health
21 in general and we are talking about also a
22 widespread use of interventions here.

1 effectively. Very large trials that I have been
2 involved in recently have been costing on the order
3 of about \$1,000 per patient per year. I mean, that
4 is not so huge.

5 But I do think that if you are trying to
6 show or refute a hazard ratio that may only be 0.7
7 or 1.3, which I think is what you would expect if
8 you have, say, a low estrogen pill, I think you
9 cannot reliably determine that except through
10 randomized trials. That is not to say there is
11 never a place for observational studies. I just
12 think that where the effect is not big, then the
13 results are not sufficiently reliable.

14 DR. LOCKWOOD: Dr. Scott?

15 DR. SCOTT: Yes, I wanted to get back to
16 what Bert said about collective responsibility to
17 collect these data. I still am not quite sure who
18 would do it, or how, or who would pay for it. Is
19 there a way, for example, with any prospective,
20 randomized trials that at least those data could be
21 followed long-term and collected in a database and
22 at least be saved? Or is there a possibility that

1 even some of the organizations or societies that
2 have an interest in women's health could help with
3 these studies too?

4 But I don't see any motivation for a
5 company to do it, and I don't see any funding any
6 place to do it. So, from a very practical
7 standpoint, even though we all agree that this
8 would be desirable, how can it be accomplished?

9 DR. LOCKWOOD: Dr. Stadel?

10 DR. STADEL: Well, historically the
11 collective issues have been addressed with public
12 funding. I was involved in doing that for many
13 years with the NICHD and I understand the budgetary
14 problems. But I think there is still an issue here
15 of what is a public responsibility and what is a
16 private responsibility. Big studies on oral
17 contraceptives and risk of breast and ovarian
18 cancer were set up with government funds, big
19 studies of cardiovascular disease, prospective
20 studies in the U.S., the U.K. and so forth. Those
21 were collective responsibilities.

22 There are a couple of other comments I

1 would like to offer on what is going on here. I
2 don't see how, if I were back in the FDA, I could
3 justify trying to require a company to do a Phase 4
4 study to show that their product was safer. If
5 they wanted to, that would be fine but I would not
6 attempt to justify asking them to do it.

7 I do think Dr. Tobert raises a good point
8 about associations that are less than twofold and
9 the experience in observational studies as being
10 mixed with weak associations. There is also an
11 important legal distinction about association that
12 is twofold or larger as opposed to smaller. So,
13 there is an area there where some things may not be
14 completely resolvable. You may never get complete
15 resolution about whether the risk of VTE is 20-30
16 percent different between one OC formulation and
17 another. I really doubt that those are resolvable
18 issues because I would want to comment that one big
19 difference between trial and observation is that
20 the trial is an intervention and it sometimes
21 changes the outcome.

22 I have dealt with some safety issues where

1 findings in randomized trials and findings in
2 observational studies were different and ultimately
3 an explanation was found. One that comes to mind
4 is bisphosphonate and GI bleeding where there was
5 no association in the trials where women were very
6 carefully taught to stand up take the
7 bisphosphonate correctly. Findings did occur in
8 Phase 4 and they were not due to bias, they were
9 due to differences in how the drug was used. So,
10 there is a different role at times but it is an
11 important role because a safety finding is still a
12 question of how well can people use something
13 safely and not just in a trial.

14 DR. LOCKWOOD: Dr. Gilliam?

15 DR. GILLIAM: I wanted to respond to the
16 comment about what is the most important and
17 appropriate point to get efficacy data as well as
18 effectiveness data. While I think much of that
19 will come from Phase 3 and Phase 4, in the
20 particular case of women with higher BMIs I think
21 it is really important to start moving that forward
22 so that we have dosing and efficacy studies in

1 Phase 2 in obese women. I think that would be the
2 most efficient, probably needing to use surrogate
3 endpoints looking at PK levels. But I think that
4 would be the most efficient way to study those
5 particular women and not wait till later phases of
6 testing.

7 DR. LOCKWOOD: I hope that you got the
8 sense of the committee in our discussions yesterday
9 that not only do we recommend or suggest that there
10 be much more broad entry criteria for future trials
11 for approval, but that there be analyses of women
12 with high BMI using surrogates-Bovulation and so
13 forth-Bto sort of buttress the indication trials
14 and also to give us a little better sense of
15 whether this would work in the extremes of BMI. I
16 think we nailed that yesterday.

17 DR. TRUSSELL: I dissent from that. I do
18 not believe in surrogate endpoints, certainly not
19 ovulation for a contraceptive because there are
20 many other mechanisms of action.

21 DR. LOCKWOOD: No consensus on that.

22 DR. GILLIAM: No, I am talking about dosing

1 studies in Phase 2 trials for BMI so we have some
2 sense in obese women how these work so that they
3 are included in that phase of the study, and not
4 necessarily excluded from Phase 3.

5 DR. LOCKWOOD: Dr. Monroe?

6 DR. MONROE: Yes, I have been writing a
7 long list of questions and comments. Let's talk a
8 little bit about Phase 4 studies in general. I
9 have heard a lot of comments where it appears that
10 certainly the majority of the people feel that
11 there is no incentive for the sponsor or the
12 company to do them. And, that is not always the
13 case. As a matter of fact, we have requested or
14 recommended to some sponsors that they do that and
15 they have agreed to do that. So, the precedent has
16 already been set where we have asked sponsors to do
17 Phase 4 studies and they have agreed to do that of
18 a large nature and not gone into the designs.

19 What our ability is to coerce or
20 demandB-that is a different thing but it is a
21 negotiation process, and if it looks like it is a
22 win for everybody, it is a doable entity and

1 sponsors will do it. It depends really on a
2 particular product that one is reviewing.

3 If it has a de novo progestin, as an
4 example and, as Dr. Petitti said, we don't
5 necessarily know whether this progestin is going to
6 be similar or very different, or whatever,
7 sometimes the company recognizes that it is in
8 everybody's best interest to have those kinds of
9 data.

10 As Dr. Petitti also said, sometimes we
11 know that bad things are going to happen and if
12 they happen shortly after the release of a product
13 this could have a big impact on the acceptability,
14 and so on. So, sometimes it is advantageous to
15 have such data in hand, to have such studies in
16 place so that you can address these concerns.

17 So, the issue of fundability I don't think
18 is an insurmountable obstacle, and it is actually
19 why we didn't ask you the question should they be
20 done because we have certain feelings that in
21 certain cases we think that they have clearly a
22 place.

1 So, you notice there wasn't such a
2 question because there have been instances where we
3 thought it would be useful. Then, there have been
4 other instances where, because of certain things
5 that have occurred post-approval, one has to go
6 into the kinds of databases that, again, Dr.
7 Petitti has made reference to, and sometimes it is
8 nice to have this all prepared ahead of time.

9 So, again, there are incentives for the
10 sponsor, the company actually to want to do this
11 because there are merits. So, in those cases
12 funding is clear. So, that is just sort of a
13 general comment.

14 I don't know whether you want to break the
15 train of thought or not, but it goes back to
16 something Dr. Peterson said about the concept
17 really of looking at effectiveness or efficacy in
18 Phase 4, which is not something I think we have
19 traditionally done. At least from the agency's
20 point of view we have focused more on safety. You
21 raised a couple of concepts. May I explore those
22 now or would you prefer to defer those because they

1 don't relate to the feasibility so much?

2 DR. LOCKWOOD: No, please.

3 DR. MONROE: you had mentioned that perhaps
4 approval could be based, again if one is using this
5 concept of this non-inferiority design-Band,
6 correct me if I misrepresent anything you
7 said-Bwith a certain wide interval. Then, having
8 met whatever this comfort level, this comfort
9 interval, is, go and do a Phase 4 study perhaps to
10 more precisely define it. I think I am capturing
11 your thoughts correctly.

12 In regard to that, I would just like to
13 ask you two questions. You said as long as it is
14 within this level of comfort we have for this
15 comparative studyB-do you have a level of comfort
16 that you would like to at least share with me or
17 the other members of the committee or are you going
18 to leave that up to the agency to decide what its
19 level of comfort is?

20 I would actually like to get some feel
21 from you about what your level of comfort would be.
22 I know what mine is but it may not be the same as

1 that of my three colleagues to the left or my
2 colleagues to the right. I can ask them any day,
3 but I would like to hear your levels and perhaps
4 anyone else who would like to share that with us.

5 Then, as a follow-up on that, depending on
6 what the Phase 4 study shows, and we won't get into
7 design issues because that is another level of
8 complexity, would you then be comfortable just
9 defining in the label whatever that is so that the
10 healthcare provider and the consumer knows what it
11 is? Or, what if it comes out near the level you
12 had discomfort with because once a drug is approved
13 it is very hard to do anything with it? So, I
14 would just like to carry those thoughts through and
15 maybe we could get some feedback either now or if
16 you would like to table that for this afternoon
17 because it may be somewhat aside.

18 DR. LOCKWOOD: No, I think this is an
19 opportune time. And, why don't we discuss it. We
20 will talk about the difference between our comfort
21 with point estimates versus interval estimates.

22 DR. PETERSON: It is a key issue and, you

1 know, there was actually a question yesterday from
2 a committee member about the graphical
3 representation of failure rates, which is intended
4 to improve communication to consumers. Then the
5 question was, well, how do you decide how many
6 categories to have? How do you decide typical and
7 perfect? I think the bottom line to that is trying
8 to decide what is meaningful.

9 A group of highly motivated, highly
10 informed people debated that and I think it is
11 going to be difficult to achieve consensus about
12 what is truly meaningful because we worked, as
13 James said, for months and had a lot of debate
14 about whether to have four strata-Bwell, that is
15 defining what is meaningful. If you put everything
16 in that same category you are saying, well, what is
17 meaningful is the difference between that first row
18 and the second row, and the second row versus the
19 third row. So, I think that that is one of two
20 very difficult tasks, is to decide what is
21 meaningful.

22 You said yesterday, when you were talking

1 about the body of information we have about the
2 class of drugs, that we are probably at 98 or 99
3 percent and would it be a meaningful difference if
4 a lower-dose pill was at 95 percent. And, I think
5 that is the question you are asking us and I think,
6 you know, each of us will have our sense about
7 that.

8 The other point that we are discussing is
9 the truth about that meaningful difference as best
10 we can estimate it from a measure or a sample.
11 That then gets into this issue of how confident we
12 are that we have hit the mark in making that
13 assessment.

14 Those two are inter-related because if you
15 say, well, a meaningful difference is somewhere
16 between 1 percent and 10 percent but within that
17 range it is okay, then you can design a study that
18 gives you a reasonable level of certainty that it
19 is within that range. If that is fine, then that
20 is fine. It actually simplifies a lot of things.

21 But if you say there is a meaningful
22 difference between this class of steroid hormone

1 contraceptives that is in the 1-3, 1-4 percent
2 range but if it is in the 7-10 percent range that
3 is really meaningfully different, then that needs
4 to be clear and explicit and then the studies need
5 to be designed to give you the ability to
6 distinguish between those two meaningfully
7 different groups.

8 So, it is not the answer to the question
9 but it is what a lot of people have been struggling
10 with in trying to communicate this concept of
11 effectiveness with the WHO consensus guidelines.

12 DR. LOCKWOOD: Do you want a number or are
13 you satisfied with that general response? I feel
14 like one of those talking-head hosts.

15 DR. MONROE: It would certainly be helpful
16 to see if what you have just conveyed is sort of
17 the opinion of all your peers here because this is
18 a difficult concept we, within the division, have.
19 Just like you said, you have had many discussions,
20 we have had many discussions. And, if you could
21 give us sort of a sense of where this
22 meaningfulness kicks into place and also, again,

1 help us a little bit more because a lot of the
2 things we are talking about are just point
3 estimates.

4 We know that these point estimates have
5 degrees of uncertainty associated with them based
6 on the 95 percent confidence intervals. Are we
7 talking about the whole range? Are we talking
8 about the point estimate? Because in the best
9 circumstances, based on the confidence interval we
10 are probably going to add another percent or
11 another 1.5 percent depending upon the sample size
12 of the trials, and so on.

13 I know these are perhaps difficult
14 questions. They are philosophical questions in a
15 way because they don't have real simple answers,
16 but anything you could do to help enlighten us as
17 to what you folks think about this concept would be
18 very helpful to us.

19 DR. LOCKWOOD: We are going to put
20 ourselves on the spot and we are going to answer
21 question 15a, which is, is there a pregnancy rate
22 that would be unacceptably high? We are going to

1 define that both in terms of point estimates and
2 intervals, and then we are going to modify whatever
3 statement we want to make and we are going to go
4 around the table to do that. Dr. Johnson?

5 DR. JOHNSON: You want just a hard number,
6 a percent? Correct?

7 DR. LOCKWOOD: A point estimate deviation.
8 Assume 1 for benchmark Pearl Index since we have
9 to have a simple way of doing this, and then a
10 confidence interval beyond which you would be
11 uncomfortable having the agent approved, and a
12 second threshold where you would like to see
13 confirmatory Phase 4 efficacy quantification
14 studies.

15 DR. JOHNSON: Say the second part again.

16 DR. LOCKWOOD: So, it is sort of three
17 questions, point estimate beyond which you are
18 uncomfortable having an agent approved; two, an
19 upper bound beyond which you are uncomfortable
20 having an agent approved; and, three, an upper
21 bound where you really would insist on a Phase 4
22 study to further quantify the exact interval.

1 DR. KAMMERMAN: Lisa Kammerman. I just
2 wanted to give a little context from a
3 statistician's viewpoint that maybe would help.
4 Historically, the division has looked at the point
5 estimate of the Pearl and compared that to
6 "historical" values of the Pearl, 1.5, 2.0. So,
7 part of this question is asking do we want to
8 compare point estimates and what is the limit--if
9 we choose a point estimate, what is the choice of
10 that point estimate? So, that is really where the
11 point estimates come in.

12 But I also want to add that we are also
13 interested in that delta. How much is that
14 non-inferiority margin going to be? But I also
15 want to submit that I think we have already been
16 using the delta a little bit without thinking of it
17 that way because when we get the Pearl Index we
18 have a confidence interval around it.

19 So, even though the point estimate I know
20 for a recent trial was around 2, the confidence
21 interval went up to 6. So, with 95 percent
22 confidence we can say that the true Pearl Index

1 could have been as low or high, depending on your
2 perspective, as 6. So, in some sense, we have
3 already been using a non-inferiority margin of 4.

4 So, if we look at a difference between a
5 new treatment and an active control and we come up
6 with a difference, say, of 2 and now we form a
7 confidence interval, we are willing to say that we
8 are 95 percent confident--this is an example, that
9 the new product perhaps could be, with 95 percent
10 confidence, 4 points or 2 points worse than the
11 known product. So, that is a little bit about this
12 Pearl Index and deltas. I hope that helps.

13 DR. LOCKWOOD: Okay, we are going to do it
14 my way, but now you have some numbers dancing in
15 your head that will help you a little bit. Dr.
16 Johnson?

17 DR. JOHNSON: I am actually comfortable
18 with what you are currently using. I know it is
19 sort of cheap of me just to grab that but, I mean,
20 to have the number be 2 percent--I was going to say
21 2-3 but that is hedging a bit, and have it be, I
22 was going to say, within a confidence interval with

1 the upper level being 5, but it sounds like you are
2 already at 6. I mean, I think accepting that we
3 are willing to approve or willing to have you
4 approve a contraceptive that has a little bit lower
5 effectiveness is reasonable as long as patients are
6 well informed. I would think that any confidence
7 interval that exceeds 5 or 6 certainly needs to
8 have a Phase 4 trial.

9 DR. LOCKWOOD: You get one number so 2, 5
10 and 5 or 2, 6 and 6?

11 DR. JOHNSON: I was going to say 2, 5 and 5
12 so I will stick with that.

13 DR. LOCKWOOD: Okay. Dr. Stadel?

14 DR. STADEL: I decline to answer with a
15 number. I feel this is something that is more
16 appropriate for opinion of those who are closer to
17 the clinical fire than I am. I would only say that
18 I--I talked earlier about thinking that--I have
19 heard people express the view that failure rates
20 substantially higher than I might personally be
21 comfortable with are acceptable to them.

22 I would really say I defer judgment here

1 to people--this is an issue where I really want to
2 know what women who are using the products think.
3 The possibility of categories of products that are
4 labeled according to how firm their evidence is
5 about effectiveness I think might be appropriate.
6 But ultimately I think this is a decision that lies
7 within the agency after they consider all of the
8 information and, hopefully, the input from women
9 about what they want to buy.

10 Thank you.

11 DR. LOCKWOOD: We have been asked to
12 provide numerical guidance. I don't think we can
13 be more blunt about this. So, you can abstain, and
14 that is perfectly acceptable, or give me the
15 numbers. Dr. Petitti?

16 DR. PETITTI: Well, I am going to directly
17 answer the question here which presumes a
18 historically controlled trial, and use the numbers
19 that were given by the statistician and say that,
20 for historically controlled, trials 2, 6, 6. Now,
21 I am also going to give you a delta for an active
22 trial. My delta for an active trial is 3.

1 DR. LOCKWOOD: So, 3, 3?

2 DR. PETITTI: No, you only have to give the
3 delta. Right? You only have to give the delta,
4 which is the amount of difference compared with the
5 standard product you are willing to accept in order
6 to approve the product. Actually, I should be
7 consistent. My delta should be 4.

8 DR. LOCKWOOD: Tell me the difference
9 between the delta and the upper bound--

10 DR. PETITTI: The delta is--you tell him!

11 DR. GILLEN: The delta corresponds to the
12 upper bound of the confidence interval for the
13 difference that you are talking about. That is
14 what you are rejecting.

15 DR. MONROE: Dr. Lockwood, I hate to
16 interrupt but I just want to get one bit of
17 clarification. When you have points and upper
18 bounds, obviously they are inter-related but
19 independent. So, if you are doing a large study,
20 you are going to presumably have a smaller delta
21 because here it is a simple event, it either occurs
22 or doesn't occur. So, again, and we will ask Dr.

1 Gillen and our statistician or anyone else who
2 wants to comment on that, let's say in actuality
3 you wind up with a point estimate of 4 but your
4 delta is still under your 5.

5 I know I am making this very complicated
6 because in the real world that it going to happen a
7 lot. I think a lot of our trials will be like
8 that. In, say, Dr. Johnson's presentation, do you
9 have to meet them all or as long as you meet one of
10 the two? I would just like that bit of
11 clarification.

12 DR. LOCKWOOD: I hope everybody understood
13 the way I worded this. I want the calculation of a
14 point estimate, based on the Pearl Index, beyond
15 what you will be uncomfortable approving the agent,
16 and the upper 95th percentile of that estimate
17 beyond which you would be uncomfortable approving
18 the agent, and the upper limit that you would want
19 an additional study to confirm in a more precise
20 way in a Phase 4 setting what the actual number is
21 likely to be.

22 DR. MONROE: Do you understand the question

1 because I think in many trials we don't have a gap
2 of 4 units from the point estimate and the upper
3 bound. We may in a very small trial but I think in
4 the larger trials it is usually tighter than that.
5 Then Dr. Slaughter will ask a question right after
6 Dr. Gillen answers.

7 DR. LOCKWOOD: I think the reason that it
8 is wider is that we are assuming, based on
9 yesterday's discussions, that this is going to be a
10 far more inclusive trial with a far more
11 heterogeneous population which assumes higher rates
12 of non-compliance, potential actual user method
13 failure because of a variety of circumstances that
14 are not normally present in currently conducted
15 trials.

16 DR. MONROE: That is not going to affect
17 the variance, I don't believe, because you either
18 are pregnant or not. It is not like a continuous
19 endpoint where you have a very broad scale. So,
20 again, would you clarify that for everybody,
21 please?

22 DR. GILLEN: Yes. I think that, for a

1 second, we have to get away from the point
2 estimates and think about variability here for a
3 second. We have to put ourselves into a decision
4 theoretic point of view. The question is what is
5 the hypothesis that we are rejecting when we do one
6 of these non-inferiority trials.

7 Let me just finish quickly because there
8 is a lot of confusion about what a point estimate
9 relates to a confidence interval and how we are
10 thinking about this.

11 From the non-inferiority perspective, let
12 me just say that the hypothesis that we are
13 rejecting is that we are no worse than delta away
14 from the active control. Okay? That corresponds
15 to the upper bound of the confidence interval.
16 That is what is defining that delta. So, you are
17 thinking about worse-case scenarios. What are the
18 hypotheses that you are ruling out versus the
19 active control?

20 Point estimates always correspond to
21 variability. So, if we are just quoting point
22 estimates, everybody is missing the variability

1 part of this. We need to be thinking decision
2 theoretics, what are we ruling out in terms of
3 hypotheses and alternatives.

4 If you are going back to the historical
5 control trial what you are assuming is that you
6 have a benchmark so that you have a point estimate
7 now for some sort of comparison group which has
8 zero variability. Okay? So, that is what we have
9 to be thinking.

10 So that is why we are also considering the
11 impact of the sample size here because we now have
12 two variable estimates that we are comparing. What
13 do we gain for that? Well, we gain the
14 comparability between the groups because we know
15 that there is not zero variability in the
16 historical control benchmark that we are making.
17 We also know that we don't necessarily have
18 comparability with respect to all the other
19 covariates.

20 So, I am hoping I am making that clear.
21 When we are thinking about point estimates we
22 really need to be thinking about the hypothesis

1 that we are rejecting. That is what we have to be
2 considering here, and what is the worst-case
3 scenario.

4 DR. MONROE: So, we really have two
5 questions because I would like to know, again going
6 back to the way we have been looking at drugs in
7 the past where there have been non-active controls.
8 We have a point and we have an upper bound and
9 that upper bound relative to the point estimate is
10 really driven just by sample size, and that is the
11 way another guidance is written here, the EMEA.
12 They said that they want the upper bound within
13 roughly one percent of the point estimate and that
14 is really a sample size. You have to accumulate
15 enough events to define that.

16 So, I just want everyone to understand.
17 So, there is an uncertainty. Again a relative
18 point estimate. The upper bound helps to define
19 it. That is in the historical controls. Then we
20 have the comparator to the active control and there
21 is another degree of uncertainty, and I am going to
22 defer to Dr. Slaughter who is going to clarify this

1 concept that I can't.

2 DR. SLAUGHTER: Well, I just wanted to make
3 sure that we weren't confusing the two,
4 particularly when we are talking about active
5 control trials where we are talking about a 95
6 percent confidence interval around the difference
7 between the drug of interest and the comparator.
8 What we are interested in is the delta, how much
9 worse can the drug of interest be than the
10 comparator and what is the acceptability, what is
11 that delta. That is what we are interested in
12 getting in terms of a comparative trial.

13 Further, if I might, in this discussion I
14 would like to talk about what Dr. Peterson termed
15 the slippery slope, or as we have been internally
16 discussing it as drift in efficacy, when we talk
17 about this delta and the confidence interval. In
18 other words, the next drug compares to the drug
19 that was worse and it gets worse and worse, and
20 what is the acceptability and trigger there?

21 DR. LOCKWOOD: Well, that may well
22 represent another question so let's just continue.

1 You don't have to give your point estimate. You
2 can just give the delta and assume, if you want to
3 do both historical and active, but I think active
4 control trials is what we are really interested in.

5 DR. PETITTI: Yes, I wanted to clarify that
6 to be consistent with what the FDA has been
7 doing--appears to have been doing--my delta is 4.

8 DR. MONROE: Dr. Petitti, I don't think
9 that we can say that the FDA has been doing that.
10 That was a particular example so we can't construe
11 that and generalize it.

12 DR. PETITTI: I think a delta of 4 is a
13 reasonable amount of lesser efficacy to accept in a
14 comparative trial.

15 DR. LOCKWOOD: Just to be very specific--

16 DR. TRUSSELL: She has answered it. It is
17 4.

18 DR. LOCKWOOD: But I want to understand
19 what we are talking about because some of us are
20 obstetricians, not statisticians. If the benchmark
21 that you are comparing it to has a point estimate
22 of 2, 2 pregnancies per 100 pregnant women years,

1 you would accept 6 as the upper 90--okay. Let's go
2 on. Dr. Gilliam?

3 DR. GILLIAM: I am fine with those numbers,
4 2, 4 and 6. I think it is reasonable with very
5 similar products.

6 DR. LOCKWOOD: I am assuming you would use
7 the same number to mandate, indicate or suggest or
8 recommend, or whatever language you want to use, a
9 Phase 4 efficacy assessment? Or, would that be a
10 different number?

11 DR. GILLIAM: No, that is fine. The only
12 thing I want to add is that these are guidelines so
13 that if a company could argue that the numbers
14 become too outrageous for the study to be feasible,
15 I would want the company to be able to say, or the
16 sponsor could have an opportunity to say whether
17 they need a different type of study design or a
18 different number that they thought was reasonable
19 based on potential user compliance issues.

20 DR. LOCKWOOD: And I am sure that is
21 assumed in the negotiations that go on during the
22 approval process. Dr. Hillard?

1 DR. HILLARD: I am going to abstain from
2 giving a number. I need to say that I am looking
3 at all of this and thinking about this in the
4 context of not feeling comfortable about
5 comparability and generalizability and
6 applicability of the numbers that we are looking at
7 so far. We have talked about the fact that we
8 don't know about women of weight.

9 We don't know about younger women. So, I
10 think that to say a priori that we know what we are
11 comparing is problematic as far as I am concerned.
12 I am also looking at the fact that we have a
13 typical user failure rate of 8 percent and,
14 therefore, we are accepting that for our current
15 pills as well. So, I am not going to give you a
16 number but I am very concerned about comparing
17 apples and oranges.

18 DR. LOCKWOOD: Again, we are not asking
19 people to violate their conscience but we have been
20 asked--

21 DR. MONROE: I think that is an acceptable
22 option. I am just going to say that somebody

1 should not be more or less coerced into coming up
2 with a number if you don't have a basis either. I
3 think that should be the last option, I can't give
4 you a number.

5 DR. LOCKWOOD: Dr. Perlmutter?

6 DR. PERLMUTTER: I am also not going to
7 give you a number. I feel very strongly that this
8 is going to be an issue where, if the pregnancy
9 rate is high, the manufacturer is not going to sell
10 his product unless he can, in fact, show that there
11 are side effects that are so beneficial that the
12 patients are going to love this product.

13 So, it is very difficult for me to give
14 you a number on that because if you tell me that
15 your pregnancy rate is 10 but you are going to cure
16 ovarian cancer, I am going to look at that product
17 very seriously. So, it is very difficult for me to
18 give you a number.

19 DR. LOCKWOOD: It depends on the
20 indication.

21 DR. TRUSSELL: It just depends.

22 DR. LOCKWOOD: Dr. Shanklin?

1 MS. SHANKLIN-SELBY: I am also abstaining.
2 I don't feel qualified or comfortable enough with
3 statistics to give a number.

4 DR. LOCKWOOD: Dr. Gillen?

5 DR. GILLEN: It is absolutely impossible
6 for me to give you a margin for inferiority without
7 knowing what the variability in the active control
8 is. I cannot do it. If you told me that the
9 active control came up with a Pearl Index of 7 and
10 my threshold was 4, then I am willing to accept 11
11 at that point. I can't do that. And, you have
12 just told me that we ran confidence intervals from
13 1 to 6. It is completely impossible.

14 So, first I would go back to historical
15 meta-analyses and first try to clear them up and
16 clean them up as best I can, try and quantify the
17 variability across the active control that I am
18 going to choose, determine what the variability in
19 that active control is, take the lower confidence
20 limit of what that is, the worse-case scenario, and
21 then I would start defining my delta off of that
22 because that is really going to put a bound on what

1 I am willing to accept for a new treatment efficacy
2 and what is coming up. There is no possible way
3 that I could just give you a flat number.

4 On top of that, it is going to go with
5 what was just mentioned, what are the safety
6 profiles of this; what are the new potential
7 benefits. If nothing else is going to benefit me
8 why would I accept anything lower for an efficacy?

9 I mean, it is very much case dependent and
10 it is absolutely, for me, impossible to tell you
11 what a margin is without actually knowing and
12 quantifying what an active control point estimate
13 and variability is.

14 DR. LOCKWOOD: So, you can see why we
15 avoided answering this question yesterday--

16 [Laughter]

17 I want everybody to venture a comment
18 because I think it is very useful, but I think the
19 context is important. You have heard different
20 discussions, statistical arguments, and so forth,
21 but also arguments about exactly what the agent is
22 going to be used for. You know, is the indication

1 so exciting and interesting and great, or its
2 potential utility clinically, that we would accept
3 a failure rate of 20 percent? It might be if it
4 really did cure ovarian cancer. So, we are going
5 to do the best we can with your charge but it is a
6 tough charge. Dr. Blumenthal?

7 DR. BLUMENTHAL: First of all, I was hoping
8 I would be the first person to abstain.

9 [Laughter]

10 But that thunder has been stolen! The
11 second thing I wanted to say was we are having
12 enough trouble in the room dealing with delta,
13 non-inferiority, upper bound of the confidence
14 limit--I can't wait to see that translated into a
15 label. That rests with the agency.

16 So, I am just going to say again that
17 there is room--I think Dr. Stadel mentioned that
18 yesterday that there is still room for an
19 open-label efficacy trial where X number of people
20 take a drug, X number of people get pregnant. You
21 know, it is a pretty simpl* outcome issue and you
22 get a number which a lot of people are going to

1 understand. It may not be a perfect number but
2 there is no perfect number. So, I think there is
3 still room for that.

4 Getting more to the point of my
5 abstention, I don't think that a number is
6 particularly meaningful or productive and, in fact,
7 in some cases it can be counter-productive. If the
8 agency now hangs its hat on a number and an
9 application is made for a drug which really has few
10 side effects, has a terrific safety profile from
11 everything that we can determine, but happens to be
12 relatively less effective than other drugs about
13 which we know, that is a counseling issue. We
14 heard a comment before in the public session that
15 we need more options. that you can't have really
16 enough options.

17 Abbey made the comment yesterday that we
18 have diaphragms on the market. We recognize,
19 certainly, that they are less effective than
20 hormonal contraceptives and, yet, they are
21 approved. So, as I think I mentioned before when
22 Johanna made her comment, the number just depends.

1 It depends on the characteristics of the agent and
2 it depends on what the characteristics are relative
3 to other agents. Does that mean there has to be a
4 comparator in order to get it approved? I don't
5 think so.

6 That could be useful and it could be
7 useful especially if you don't have enough of one
8 type of person in a trial. So, let's say, as we
9 have discussed, we want certain subgroups
10 represented in an application if an application is
11 made even on the basis of an open-label trial and
12 certain subgroups are not adequately represented.
13 You could have an approval, but with a
14 recommendation that Phase 4 studies be conducted to
15 flesh out some of those missing subgroups and sort
16 of complete the database.

17 I do think that it might be more
18 meaningful to start talking about classes of
19 contraceptives. I think that was also brought up a
20 few minutes ago. That takes the onus off a number
21 and puts us in ranges. Yes, there could be a
22 slippery slope and you could conceivably have some

1 hormonal contraceptives that are in the same class
2 with barriers because, hopefully, barriers are
3 going to get better. So, I think the concept of
4 classes of hormonal contraceptives based on range
5 of effectiveness might be much more useful.

6 DR. LOCKWOOD: We will try to keep these
7 responses to about a minute. Dr. Gibbs?

8 DR. GIBBS: This has really been fun!
9 [Laughter]

10 I can't remember the last time I was on a
11 committee when so many people squirmed and the
12 leader of the committee was pressing everyone so
13 hard! I am also going to take more than a minute
14 because I have been quiet all morning.

15 We know how these estimates can be
16 manipulated. If we arbitrarily set a limit, then
17 we are going to get what we don't want. We are
18 going to get highly selected data. In general, I
19 am opposed to paternalism or, in this case,
20 maternalism. I am also generally opposed to
21 arbitrariness. What I am in favor of is disclosure
22 and labeling.

1 Now for the number, being opposed to
2 paternalism and arbitrariness I do it in my job
3 every day, just as you do. We have one convention
4 that has stood the test of time and we use 95
5 percent to say that something is meaningful or
6 significant. So, I would say I would accept any
7 value in effectiveness as long as the upper bound
8 of that is 95 percent for a contraceptive to be
9 considered highly effective. That picks up on
10 Paul's idea that, yes, we have contraceptives that
11 are approved but really some are highly effective
12 and some are less effective.

13 DR. LOCKWOOD: And that is in an active
14 control.

15 DR. GIBBS: Yes.

16 DR. LOCKWOOD: Dr. Trussell?

17 DR. TRUSSELL: I will make two points. One
18 is about the delta. The delta has nothing to do
19 with statistics, other than plugging it into a
20 formula. What the delta means is what you, as a
21 clinician, think is--you are clinically indifferent
22 about. You are indifferent that something in the

1 range of delta is not clinically meaningful or
2 important to you.

3 Then it becomes clear that you can judge
4 delta only in relationship to what you think, for
5 example the historical truth is, or what you think
6 the active comparator should be. Let's say that is
7 30 mcg pills, 30-35 mcg pills. In my heart of
8 hearts I do not believe that any reasonable
9 clinical trial will produce a result, if you pool
10 them all together, that is above 2 even if you
11 include all the kinds of candidates in the trial
12 that we have excluded before.

13 But, in my heart of hearts, not being a
14 clinician, I do not believe that I am clinically
15 indifferent between 2 percent and 6 percent as a
16 failure rate. I don't think that those are the
17 same. I wouldn't recommend that anybody use the
18 product that has a 6 percent failure rate when
19 there is a 2 percent failure rate product, assuming
20 that the other benefits or risks of the product
21 were the same. So, I personally wouldn't go above
22 a delta of 2 even though I am not a clinician.

1 The second point is that I would be
2 willing to trade off, as many have said, efficacy
3 for something else. One particular thing I would
4 be willing to trade it off against, which the FDA
5 does not consider but I would consider to be highly
6 important, is cost. If there were a pill known to
7 have a 10 percent failure rate that cost a dollar a
8 cycle, put it on the market.

9 DR. LOCKWOOD: Dr. Westney?

10 DR. WESTNEY: I will also not feel guilty
11 in abstaining, primarily because I do not prescribe
12 this class of drugs and I had never heard of a
13 Pearl Index before preparing for this meeting and I
14 am now finding out that this descriptor is on the
15 verge of becoming obsolete and generated in groups
16 that do not reflect the populations, rather, the
17 patients that we are trying to utilize the drug and
18 get data on.

19 DR. LOCKWOOD: You are forgiven! Dr.
20 Espey?

21 DR. ESPEY: Well, despite the fact that the
22 statistics are a little over my head I am going to

1 agree with Dr. Gibbs, which I think actually is
2 similar to what Dr. Trussell just said. You know,
3 95 percent would be my cutoff.

4 A couple of things just qualitatively, and
5 I think this may be sort of a moot point because
6 really what we know about most oral contraceptives
7 is that they are highly effective. So, it is hard
8 to imagine a drug getting to the point of going
9 through Phase 3 trials that has a 10 percent
10 failure rate, however you look at it. So, I think
11 just taking a step backward, most of the drugs that
12 are going to come to be looked at in this kind of
13 critical fashion, my guess is they are going to be
14 in that highly effective category.

15 The other thing is I think it was really
16 helpful this morning to get the public commentary
17 from the women's advocacy groups, that it is not
18 just the clinicians or us that feel that it is
19 reasonable to have a tradeoff between efficacy and
20 side effects but these advocacy groups feel the
21 same way. So, I think that does allow us to look a
22 little bit downward in terms of what we feel would

1 be an appropriate limit for efficacy.

2 DR. LOCKWOOD: Dr. Peterson?

3 DR. PETERSON: I think there are two issues
4 that we have touched on that make it difficult for
5 people to come up with a number. One is the human
6 issue. Bruce talked about the consumer
7 perspective. He abstained, trying to guess what
8 the consumer perspective would be. James talked
9 about the provider perspective. The problem is
10 that we can each speak to our own perspective as
11 providers but we really don't know what providers
12 nationally might think because those data aren't
13 available. Likewise, we don't know what consumers
14 nationally might see.

15 So, we can take James' point, and he said
16 a number of things that I would have said. If it
17 is 2 percent and we pick a delta of 4 and, let's
18 say from my perspective, there would be a
19 meaningful difference between 2 and 6. Then, that
20 is my perspective.

21 I think while our perspectives will differ
22 potentially, the guiding principle is that people

1 deserve to be informed about that difference,
2 whatever the acceptability of that difference seems
3 to be one that is not being contested.

4 So, I think that gets us then to the
5 statistical realm. First was the human realm and
6 providers and consumers and what is meaningful.
7 Then, the second is how to determine whether or not
8 we have obtained the truth about those measures.
9 That is the issue of precision.

10 So, I think there are these two elements
11 that we have to decide. I don't know that we can
12 come up with something that is a specific number
13 that would really represent what people believe to
14 be meaningful, but it is probably in that range
15 where 2 percent is okay because that is what we
16 believed with use as indicated. One or 2 percent
17 is a failure rate that people have been talking
18 about. That raises this issue of Slaughter's
19 slippery slope and the comparator because that is
20 really what we have been talking about with respect
21 to the 50 mcg and the 30-35 mcg. We think it might
22 be true for the 20s but that is less well

1 they were not sexually active, or perhaps even had
2 their tubes tied. We have people after
3 sterilization that want some birth control pills
4 for other reasons.

5 A second issue; so, you would want to be
6 able to give those people a very low-dose pill and
7 advise them that it is not as efficacious for birth
8 control.

9 Then, you also have special populations
10 that we haven't addressed very much. For example,
11 you may have a patient with migraine headaches that
12 can't take higher-dose pills but she doesn't have
13 aura, so she wants a lower-dose pill.

14 The other issue that is complicated is
15 that we are talking about perfect use here, I am
16 assuming, and if typical use goes up because side
17 effects go down, then this is going to be stronger
18 than any data on perfect use for most of your
19 patients.

20 So, I don't really know how to answer this
21 question, except to say if I have a patient that I
22 am certain is going to take those pills every day

1 documented, particularly among subgroups.
2 So, the issue of 1-2 percent, saying if it
3 is a meaningful difference, probably it is going to
4 be somewhere around 5 percent and 10 percent that
5 you are going to get consensus aboutB-yes, that is
6 different. So, I am not sure that we can help much
7 between the 5 and 10 percent range but that is
8 probably where you get 90 percent of the group of
9 people say, yes, that is different.

10 Then I think the issue is the statistical
11 issue and saying, you know, how confident are we
12 that we have assessed that meaningful difference
13 that most people would probably agree is there.

14 DR. LOCKWOOD: Dr. Berenson?

15 DR. BERENSON: I think I know why we
16 avoided this yesterday. It is a pretty complicated
17 issue. The first one, as people said, is what will
18 the pill be taken for. If you are dealing with one
19 of the other indications that we prescribe pills
20 for, dysmenorrhea or acne, then efficacy may not
21 even be an issue if you are certain that that
22 patient did not need it for birth control because

1 and she can use any birth control pill on the
2 market, I want to give her the one that works the
3 best, that doesn't have more side effects than any
4 other. So, most important is just to have the
5 data. If you want to know what doesn't matter, I
6 would agree with about 5 percent and there I am
7 probably going to tell her they are pretty equally
8 effective. Over that there are definitely going to
9 be some warnings.

10 DR. LOCKWOOD: Dr. Tulman?

11 DR. TULMAN: I thought we weren't going to
12 answer this yesterday so we have to answer it
13 today. We have gone through several iterations.
14 We originally started with the Pearl and then,
15 after discussion, sort of said, well, we are not
16 going to really keep the Pearl because it maybe has
17 its own statistical problems.

18 Then we talked about the theoretical
19 effectiveness versus actual effectiveness and we
20 decided that we really need to think more in terms
21 of actual effectiveness rather than theoretical or
22 best-use effectiveness. Now we seem to be going

1 back to best-use effectiveness, which doesn't exist
2 in the real world.

3 Then we talked about safety of the pill in
4 terms of life-threatening effects and how do we
5 check for that, and it has to be postmarketing
6 because we need huge samples to perhaps really try
7 to nail it down in terms of the life-threatening
8 side effects.

9 So, if we have a new drug coming to
10 market, it would seem that, unless the new drug, in
11 terms of theoretical effectiveness using the Pearl,
12 which we are not going to use, is at least around a
13 2, it would seem that it wouldn't capture any
14 market share unless it has some other handle such
15 that it is better for acne or it is better for some
16 other side effect, makes you look like Cindy
17 Crawford or something, something that they could
18 really market as a handle.

19 So, it seems that we are dealing with
20 numbers that are hard to put a precise estimate on
21 because if the new product is not as good as what
22 is there it would seem that clinicians aren't going

1 to prescribe it unless there is something else
2 about it, and that something else has to be perhaps
3 shown in Phase 3 but it may not be able to be shown
4 until Phase 4 anyhow in terms of being truly safer
5 for the serious side effects. Therefore, I am
6 going to decline to put any numbers around
7 anything. That was a long-winded answer to say
8 that.

9 DR. LOCKWOOD: Dr. Scott?

10 DR. SCOTT: Charlie, I am going to answer
11 but I am going to try to do it in a way that just a
12 simple document and patients can understand. I
13 think to really answer this it requires a survey of
14 women. We already heard this morning that there
15 are many reasons that women might want a pill that
16 is less effective. There are plenty of other
17 methods that are less effective than whatever this
18 pill will be.

19 So, I wouldn't even put a limit on it, I
20 don't think, as long as it is disclosed and the
21 information is easily available to docs and to
22 patients in a way that they can understand, simple

1 and understandB-if you take this new pill your
2 chance of a pregnancy in 1 year in 100 women is 4
3 percent. If you take the other one, your chance of
4 pregnancy in 1 year is 2 percent. I don't like the
5 Pearl Index either, but I am just saying I don't
6 think there is any reason for a limit if there are
7 benefits from the new pill. That is the way I look
8 at it.

9 DR. LOCKWOOD: Dr. Bustillo?

10 DR. BUSTILLO: I keep asking myself why do
11 we need more oral contraceptive combinations. I am
12 an infertility doctor--

13 [Laughter]

14 I use the birth control pill for
15 completely different indications. My patients are
16 suppressing their cycles before we do IVF, etc.,
17 and my favorite pill is the 1/35. So, there you go.
18 So, I would have a really hard time as a woman, if
19 I were in the reproductive age range, tolerating
20 something that would have a 5-time failure rate
21 unless, as has been mentioned, you had a
22 significant reasonB-you know, if I don't tolerate

1 the 20s because my breast pain is so bad I am
2 willing to take a 5-time pregnancy rate and take
3 the 10 because now my breasts don't hurt.

4 So, that is the way I would look at it.
5 But I am worried about the slippery slope because
6 what are we trying to do, we are trying to prevent
7 pregnancy. So. I mean, we have to perhaps
8 tolerate some things to accomplish something else.

9 So, every day I say why do we need another pill?
10 Why do we need this? Why do we need that? And, I
11 am using generic 1/35s for endometriosis
12 prevention, period, because maybe it works better
13 because it might suppress gonadotropins better and
14 that is what I want to do with my patients.

15 Anyway, I don't know what the number is
16 but I think that for me more than 5 times the
17 pregnancy rate would be outrageous unless you had
18 significant reason to want to prescribe that.

19 DR. LOCKWOOD: I will vote and then we will
20 do that. This is a mock vote, I guess we could
21 call it. I am going to give the answer that
22 everybody I think gave, which is it depends. I

1 think Dr. Trussell is right. If this is an
2 ordinary contraceptive that doesn't make you look
3 like Cindy Crawford, that doesn't cure ovarian
4 cancer, that doesn't have a number of other
5 potentially useful, beneficial side effects,
6 positive externalities, I think 2 is a very
7 reasonable number.

8 But if you tell me that it is going to do
9 all these other things, that it is likely to do it,
10 there is biological plausibility for that argument
11 or there is a frank indication for those other
12 potential positive effects, then I am not sure what
13 the limit is. Caveat emptor would be in the
14 labeling and people make their own decisions.

15 DR. MONROE: May I ask you for just one
16 clarification and then I am going to let you off
17 the hook here? When you give this number 2, the
18 number we work with is from a clinical-trial
19 environment, are you talking about the actual use
20 or the perfect use in the clinical trial? I ask
21 both you and Dr. Trussell to just clarify that for
22 us.

1 DR. LOCKWOOD: Yes, I will answer. I am
2 talking about in the context of that clinical
3 trial. It is not perfect use because we have
4 potentially broadened the entry criteria, but it is
5 certainly not typical use in the real world. It is
6 going to be probably much closer to--I don't think
7 it will be substantially different than the current
8 results from trials that are being done, but it
9 will, hopefully, be more reflective of the
10 population. So, it is not quite perfect use and it
11 is not quite typical use.

12 DR. TRUSSELL: It is typical use in the
13 clinical trial, all pregnancies, all exposure.

14 DR. LOCKWOOD: Dr. Gillen.

15 DR. GILLEN: I would just like to suggest
16 an algorithm potentially for the FDA to go about
17 thinking about this problem, to kind of take it
18 step by step. If you are going to design an active
19 control trial, the first thing that you must do is
20 define what the active control is. It is nearly
21 impossible to find what a delta is without knowing
22 what the comparative is.

1 So, we first need to think about what will
2 be the active control and we have talked about
3 issues with that. Will it be time and variant?
4 Will it be something that changes as time
5 progresses? Will you get into problems if it is
6 changing as time progresses because people are
7 worried about the ramping up of thresholds as
8 things go along. So, that needs to be considered
9 there. It should also be based upon what is most
10 popular in terms of use; what is the standard of
11 care right now when you are defining the active
12 control.

13 The next thing that I would do is then I
14 would think about what the point estimate for that
15 active control is, and what is my confidence in
16 that point estimate through the trials that have
17 been done in the past and the postmarketing
18 surveillance that is being done, etc.

19 From there, I would come back and I would
20 say, okay, now what would I be willing to accept
21 for a one-year failure rate on a new therapy? If
22 my clinical threshold for that were 95 percent, for

1 example, I would not go less than 95 percent. Then
2 I would go back now to my active control and say,
3 well, what could the point estimates for my active
4 control be and that then defines my delta.

5 My worst-case scenario is what is the 95
6 percent confidence interval for the past point
7 estimates on that active control? If it turned out
8 that they were 94 I am only willing to accept a 1
9 percent difference at that point for the difference
10 in those two. I don't want to be any worse because
11 it certainly could come out to be that you only
12 have a difference of 1 percent and, you know, you
13 are falling below your threshold.

14 So, I think that Dr. Trussell made a very
15 good point in saying that this is a clinical
16 measure that you are defining, but first you have
17 to set the stage and say what the frame of
18 reference is here. And, the frame of reference
19 becomes what that active control is and then what
20 you are clinically willing to accept relative to
21 what active control is.

22 DR. LOCKWOOD: Does either Diana or Dr.

1 Trussell want to respond to that?
 2 DR. PETITTI: I only want to withdraw maybe
 3 my 4. But I think I was working from what I call a
 4 prior, which is an implicit prior based on what I
 5 heard from the people from the FDA, and maybe it is
 6 not true, which is that in contraceptive trials
 7 there is this benchmark of 2 which has no
 8 variability, and that, in the past, or that there
 9 is a 95 percent confidence interval within trials
 10 of the size that the FDA has required in the past
 11 that would accept up to--the upper bound of those
 12 confidence intervals are 6.

13 So, I just wanted to explain why I was
 14 able to come to a number based on the fact that I
 15 think we have prior information that allows us to
 16 choose a 95 percent confidence. But it is very
 17 clear from what we have heard that if the pill is
 18 no better, has no benefits over anything else, one
 19 could make an argument for accepting no difference,
 20 a delta of zero. The point is why do we need yet
 21 another contraceptive if, in fact, it doesn't have
 22 offsetting benefits?

1 DR. LOCKWOOD: Dr. Berenson?

2 DR. BERENSON: I may be missing something
 3 here, but I thought that yesterday we looked at
 4 data that over half of women missed at least one
 5 pill in a cycle and about 20 percent missed at
 6 least three in a row. So, from there we have gone
 7 to stating that the pills have to be 98 percent
 8 effective which means that they still have to work
 9 most of the time if you are missing three pills in
 10 a row, which is not how I counsel my patients. So,
 11 I thought that the 98 percent we were talking about
 12 was perfect use or near perfect use.

13 DR. LOCKWOOD: Maybe, again, we are
 14 confusing typical use, real world which may be up
 15 to 8 percent failure rates, which are fine, versus
 16 a clinical trial where you have many, many levels
 17 of control and encouragements of compliance, and so
 18 forth, even in the context of now broadening the
 19 entry criteria. Dr. Trussell, do you want to
 20 comment on that?

21 DR. TRUSSELL: No, I was talking solely
 22 about clinical trials and I gave my answer for what

1 I thought the comparator should be, which is 30-35
 2 mcg pills, and I gave my guess about what I thought
 3 the failure rate would be in one year in an
 4 expanded population of users, which is 2 or less,
 5 and my delta would be no more than 2.

6 DR. LOCKWOOD: I think we are talking about
 7 two different things, but I think we have now given
 8 the FDA quite a bit of personal opinion, thoughts,
 9 ranges, numbers.

10 DR. MONROE: Yes, you have made it clear
 11 that it is not real clear.

12 DR. LOCKWOOD: It depends! In accounting,
 13 as accountants love to say, it depends!

14 I just want to summarize briefly what I
 15 think our consensus responses are to the questions
 16 raised by Phase 4 commitments. I think we have
 17 provided, by endorsing Dr. Petitti's presentation,
 18 the different design possibilities that can be
 19 employed whether looking at safety or potentially
 20 efficacy in Phase 4 trials, with the thought that
 21 there may be some settings where a randomized
 22 clinical trial might be in order.

1 In terms of Phase 4 commitments generally
 2 confined to obtaining information related to
 3 safety, I think we have said that, in fact, we can
 4 also look at real-world actual-use product
 5 effectiveness, and provided some insights into how
 6 that information could be obtained.

7 The last question which we didn't address
 8 is, in addition to thrombotic and thromboembolic
 9 risk, are there other safety issues that should be
 10 addressed within long-term or large Phase 4
 11 studies? I want to spend the last five minutes
 12 talking about that specifically.

13 DR. BUSTILLO: I think of concern to women
 14 always is breast cancer. I had a phone call from a
 15 reporter before I came here to ask me about
 16 continuous-use birth control pills and is that
 17 going to cause a greater incidence of breast
 18 cancer. So, I think that.

19 I think also the benefits ought to be
 20 looked at, you know, incidence of endometriosis
 21 perhaps, dysmenorrhea, whatever. I know the more
 22 you add the more costly, but I think some things

1 like ovarian cancer, endometrial cancer, breast
2 cancer ought to be looked at.

3 DR. LOCKWOOD: I mean, you would have to
4 design such a trial that you paid them enough to
5 continue for a year and then observe the amount of
6 bleeding they did. I think that, in general,
7 though, when you look at their endometria, they
8 become progressively more atrophic and there is
9 literally less surface area to bleed.

10 Talking about breast cancer, this has
11 obviously been a highly contentious and somewhat
12 controversial topic but, through prodigious
13 statistical efforts assessing long-term outcomes in
14 over 100,000 patients, the consensus is that there
15 is a very minimal but potentially positive effect.
16 It probably is beyond the scale of a safety trial
17 to address that outcome which is, in fact, probably
18 substantially rarer than venous thrombotic events.
19 But I don't know if anybody else has--

20 DR. BUSTILLO: But I think the comment is
21 that, if you are administering it differently--you
22 know, it depends on what you believe. If you are

1 going to go to a 364-day pill, or whatever, then is
2 that the same as what we use now?

3 DR. LOCKWOOD: I think the same arguments
4 can be made about stroke and MI as well.

5 DR. BUSTILLO: Sure, absolutely.

6 DR. LOCKWOOD: Dr. Gibbs?

7 DR. GIBBS: I just wanted to add to Maria's
8 list of benefits protection from pelvic
9 inflammatory disease, and then consider the whole
10 issue of sexually transmitted diseases in general.

11 DR. LOCKWOOD: Dr. Stadel?

12 DR. STADEL: In this context, there had
13 been a question earlier about non-contraceptive
14 benefits, there is a whole range of
15 non-contraceptive benefits and harms in the
16 literature. Many of them go back to pills that are
17 higher dose than currently. And, if this sort of
18 information really does need to be considered in
19 the light of what is currently used--I think for
20 example the protection against benign breast
21 disease was pretty convincing, when I reviewed the
22 literature, that that was the function of higher

1 progestin doses that are currently used. So, there
2 is a whole area here. I just want to provide a
3 general caution that applies to all of the issues
4 of non-contraceptive effects as well as, of course,
5 efficacy.

6 Many of them do appear to be dose related
7 and it took the literature ten years at least to
8 catch up because the studies require a large-scale
9 population exposure before the study can be done.
10 So, I just want to make that clear.

11 Thank you.

12 DR. LOCKWOOD: Is there consensus beyond
13 thromboembolic disease for safety outcomes? I
14 don't sense that there is a consensus. These are
15 certainly worth studying and, hopefully, people
16 will but is there consensus that that really ought
17 to be high priority for the FDA to encourage? No?
18 No consensus? Yes, consensus? I don't think so.
19 Then, let us break for lunch.

20 DR. WATKINS: We will reconvene at 1:30
21 and, committee members, your lunch arrangements are
22 the same as yesterday.

1 [Whereupon, at 12:25 p.m., the proceedings
2 were recessed for lunch, to reconvene at 1:35 p.m.]

1 A F T E R N O O N P R O C E E D I N G S
 2 DR. LOCKWOOD: I am going to take the
 3 chair's prerogative and summarize our conversations
 4 regarding a number of discussions that were had. I
 5 think it is fair to state that the consensus of
 6 this committee was to encourage the FDA, as they
 7 approach the assessment of sponsor applications, to
 8 have great flexibility in terms of accepting an
 9 efficacy rate, such that we don't create artificial
 10 restraints to entry of new potentially efficacious
 11 and safer products and, at the same time, that
 12 there isn't creep of failure rates.
 13 There was no consensus on a number. There
 14 was no consensus on the upper confidence interval.
 15 There was certainly no consensus on a point
 16 estimate. I think what there was consensus on was
 17 the concept that it depends. It depends on what
 18 the agent is being proposed, what the indications
 19 are, and what the potential biologically plausible
 20 benefits might be to that agent.
 21 So, you heard upper confidence intervals
 22 of 6 and you heard upper confidence intervals of 3,

1 in my case. But it depended on the context and not
 2 on any absolute arbitrary number. I think that
 3 each drug has to be weighed in terms of its risk
 4 and benefits and there will be tradeoffs that will,
 5 by necessity, have to be made in terms of safety
 6 and efficacy.
 7 So, this committee I think is very clearly
 8 stating that we don't want to be tied down to a
 9 specific interval. We are quite tolerant of fairly
 10 large intervals if there are potential benefits and
 11 additional positive externalities and side effects
 12 that might accrue a given agent. And, we don't
 13 want an arbitrary number to be ascribed. We don't
 14 want an arbitrary number to be ascribed--we
 15 certainly don't want it to appear to industry or to
 16 the lay public that from now on no agent will be
 17 approved unless it has a point index of less than 2
 18 and an interval of 3, or something like that. Just
 19 the opposite flexibility. We want to encourage
 20 the development of new agents that will provide a
 21 greater menu of opportunities for treating patients
 22 in an individualistic manner.

1 With that comment, we are going to move on
 2 to the next section, and then we will come back
 3 later. So, Dr. Soule will discuss labeling.
 4 Topic 7 - Labeling
 5 DR. SOULE: Good afternoon, everybody.
 6 [Slide]
 7 I am Lisa Soule. In preparation for our
 8 discussion of labeling I would like to describe
 9 briefly the FDA's new labeling initiative, known as
 10 "The Physician Labeling Rule."
 11 [Slide]
 12 The general content of labeling is
 13 specified in the Code of Federal Regulations. As
 14 you see here, labeling must contain a summary of
 15 essential scientific information for the safe and
 16 effective use of the drug. It must be informative
 17 and accurate, and neither promotional in tone nor
 18 false or misleading. It must be based, to the
 19 extent possible, on data derived from human
 20 experience. No implied claims or suggestions of
 21 drug use may be made if there is inadequate
 22 evidence of safety or lack of substantial evidence

1 of effectiveness. Finally, labeling should be
 2 updated when new information becomes available that
 3 could cause the labeling to become inaccurate,
 4 false or misleading.
 5 [Slide]
 6 Research was done recently through the use
 7 of physician surveys, focus groups and public
 8 comments to evaluate the utility of labeling to
 9 prescribers. The findings show that healthcare
 10 providers use labeling primarily to find a specific
 11 item of information or to answer a specific
 12 question. In other words, very few of you are
 13 sitting down and reading the 40-page label end to
 14 end.
 15 Also, healthcare providers found the
 16 existing format difficult to use, especially when
 17 trying to find a particular piece of information.
 18 They wanted easy access to certain labeling
 19 sections that they find more useful or more
 20 important. And, they would use labeling more often
 21 if it included a short, as in a maximum half-page
 22 length, synopsis of the information found in the

1 full label. The Physician Labeling Rule was
2 enacted to address these needs, in January, 2006,
3 and will apply to all applications submitted
4 subsequent to June 30th, 2006.

5 [Slide]

6 Among the novel aspects of the new
7 labeling are a section for patient counseling
8 information, which is to help healthcare providers
9 advise patients about important uses, limitations
10 and risks of the medication. In addition, the new
11 label encourages reporting of adverse events by
12 providing contact information right on the label.
13 It identifies and dates recent major changes in
14 some of the major areas of the label, including the
15 boxed warning, indications, contraindications,
16 warnings and precautions. And, it adds the date of
17 the initial U.S. approval.

18 [Slide]

19 The basic structure of the new labeling
20 now includes an initial half-page summary of the
21 most important and most frequently referenced
22 information in the label. This is known as the

1 highlights section. The label also includes for
2 the first time a table of contents. As labeling is
3 increasingly available in electronic form, for
4 example on the National Library of Medicine
5 website, the new labeling format will allow
6 hyper-linking of text. So, for example, from the
7 summary information that you might read in the
8 highlights section, you can jump right over to the
9 full prescribing information to get additional
10 information. The labeling also reorganizes
11 information such that frequently referenced
12 information is moved forward in the label while
13 safety information remains consolidated.

14 The highlights section contains brief
15 summaries about the following sections; the boxed
16 warning, indications and usage, dosage and
17 administration, dosage forms and strengths,
18 contraindications, warnings and precautions,
19 adverse reactions, drug interactions and use in
20 specific populations.

21 The additional information found
22 specifically in the highlights section includes the

1 U.S. approval date, the recent major changes made
2 to the label, the adverse reaction reporting
3 contact information, patient counseling information
4 and any labeling revision dates.

5 [Slide]

6 Following the highlights, there is now a
7 table of contents. Again, in electronic format
8 this will then hyper-link into the specific
9 sections. As you see, now the label actually
10 starts with the indications for the drug, which
11 formerly you had to get to the latter half of the
12 label to find.

13 [Slide]

14 Other format changes include consolidation
15 of the warnings and precautions sections. New
16 sections that were formerly subheadings under
17 precautions are now stand-alone sections.
18 Specifically, these are drug interactions, use in
19 specific populations and patient counseling
20 information. Formerly optional sections are now
21 required, specifically a clinical studies section
22 and a section on nonclinical toxicology.

1 [Slide]

2 In addition to the Physician's Labeling
3 Rule, guidances for industry have been issued
4 concerning the clinical studies and adverse
5 reactions section of the label, and a draft
6 guidance has been issued concerning the warnings
7 and precautions, contraindications and boxed
8 warning sections.

9 For contraindications, items should be
10 listed there if the risk from use clearly outweighs
11 any possible therapeutic benefit. In
12 contraindications this is intended to include known
13 hazards only, not theoretical risks. Currently,
14 hormonal contraceptive labels list about 15
15 contraindications to use.

16 To be in a boxed warning, an adverse
17 reaction should be so serious that it must be
18 considered in assessing the risks and benefits of
19 using a drug, or it could be an adverse reaction
20 that can be prevented or reduced in frequency or
21 severity by appropriate use of the drug.

22 Currently, the only boxed warning that we have on

1 hormonal contraceptives concerns smoking and the
2 increased risk of cardiovascular events.

3 Then, there is another, slightly lower
4 hierarchy of warnings we call bolded warnings.
5 These are warnings emphasized with bold type but
6 not placed at the front of the label as a boxed
7 warning is. For example, warnings of the risk of
8 hyperkalemia with certain progestins, or a warning
9 that the product doesn't protect against sexually
10 transmitted infections.

11 [Slide]

12 Under the new guidance on the adverse
13 reactions section, an adverse reaction is an event
14 reasonably associated with use of the drug, meaning
15 that there is some basis to believe in a causal
16 relationship. This section should identify the
17 most important adverse reactions, and this might be
18 on the basis of frequency, such as adverse
19 reactions that occur in at least 10 percent of the
20 population or that occur at twice the rate you
21 might see in a placebo group, or it might be
22 adverse reactions leading to intervention, such as

1 discontinuation or dose changes for the drug.

2 The goal of this section is to avoid
3 laundry lists, which we sometimes see now, where
4 you have lots of low frequency adverse reactions
5 that may have no plausible relationship with the
6 drug. Currently, our hormonal contraceptive labels
7 list both class adverse reactions as well as those
8 noted in trials for the specific drug being
9 labeled.

10 [Slide]

11 The adverse reactions section is also
12 intended to be updated as new information is
13 obtained. Some of these sources of information
14 could be controlled trials or epidemiologic studies
15 done after marketing approval, as well as analyses
16 of postmarketing adverse event reports.

17 [Slide]

18 A newly required section is the clinical
19 studies section. Although we have typically
20 included these in our labels, this requirement is
21 new to the Physician Labeling Rule. Among the
22 features that should be described in this section

1 are aspects of the design that may have
2 implications as to how well a study's findings will
3 generalize to the target population of users such
4 as duration of exposure, population demographics
5 and methods used in the trial that may have
6 facilitated compliance.

7 [Slide]

8 The Physician Labeling Rule initiative
9 offers new opportunities to provide more
10 informative labeling such as new patient counseling
11 information section, newly required clinical
12 studies section that may help in translation into
13 the real world, and new rules for the safety
14 sections that may help focus on the most relevant
15 safety issues and allow for timely updating.

16 In summary, we believe this will offer us
17 new opportunities to create labeling that will
18 better serve patients and prescribers. Given the
19 new format in which we will need to develop
20 labeling, we look forward to discussing with you
21 how patient and prescriber needs can best be
22 addressed.

1 DR. LOCKWOOD: Thank you. Any comments on
2 the presentation?

3 DR. SCOTT: Do you have many guidelines for
4 that synopsis, which is what everybody is going to
5 read?

6 DR. SOULE: The highlights section?

7 DR. SCOTT: I think you said at the
8 beginningB-is it going to be a standardized sort of
9 synopsis or abstract?

10 DR. SOULE: Yes.

11 DR. SCOTT: So, you have to include certain
12 things in it?

13 DR. SOULE: Right. Let's see if I can get
14 back to the slides.

15 DR. SCOTT: Maybe I missed it.

16 DR. SOULE: I will get that slide up again
17 and show you. There are some detailed
18 specifications, one of which being that it cannot
19 exceed half a page in length so we really are
20 trying to keep it focused and short enough.

21 DR. SCOTT: But what I am getting at is do
22 you have to--

1 DR. SOULE: Is this the kind of information
2 you are looking for as far as what would actually
3 be contained in it?

4 DR. SCOTT: Yes, does it have the efficacy
5 and major side effects? I guess it does.

6 DR. SOULE: Yes, this section of the label
7 would not contain, like, a full clinical studies
8 description for example. There certainly will be
9 flexibility in how we populate these areas but
10 these are the major areas that would be included.

11 DR. SCOTT: I am just wondering whether it
12 would be like sort of a structured abstract where
13 they have to include this, this and this.

14 DR. SOULE: Some of the items are
15 structured. Certainly anything with a boxed
16 warning, or at least a very concise summary of the
17 boxed warning needs to be included there.
18 Indications and usage is, again, summarized but it
19 is going to be more or less verbatim what the
20 indication is for the drug. If there is no
21 information on use in specific populations, for
22 example, that might not be something that you would

1 find in a given label.

2 DR. TRUSSELL: Where does the information
3 on effectiveness go?

4 DR. SOULE: Typically, we have had that
5 more confined to the clinical studies section in
6 terms of actually quoting pregnancy rates, and
7 things like that.

8 DR. SCOTT: When you list all of these
9 things, how long will that one be?

10 DR. SOULE: The full label?

11 DR. SCOTT: This new format? When you
12 start listing all the studies, and so on, and
13 references, and so on, how long will that be?

14 DR. SOULE: Well, given that we already do
15 have to discussion of clinical studies in our
16 labeling--you know, for some drugs that may be a
17 really new requirement. For us, in our division,
18 that really is not something that we haven't
19 already been doing. So, I don't think that will
20 necessarily expand the length of it. If we look at
21 some of the other options, I think some of the
22 consolidation of safety information will reduce

1 some of the length of the label.

2 DR. SCOTT: This is all hard copy, I guess.
3 Is that what you are saying? That will go out to
4 the doc?

5 DR. SOULE: Right, we are talking about the
6 package insert first of all but, again, much of
7 this is also available in electronic format too.

8 DR. SCOTT: That is why I was wondering
9 have you considered certain things that may be
10 investigators or somebody might be interested in,
11 like the reference and so on, just to put them in
12 electronic format.

13 DR. SOULE: Well, the intention is that all
14 labeling will eventually be available on the
15 National Library of Medicine website.

16 DR. SCOTT: But clinicians don't use that.
17 You know, they use what comes across their desk,
18 and so on, but investigators do. But I am just
19 trying to say, you know, is there a concise way to
20 get this across to docs and patients? I don't know
21 how long this will be.

22 DR. SOULE: Well, I mean, we are now within

1 the eligibility period so basically the next oral
2 contraceptive or hormonal contraceptive application
3 submitted will fall under this new labeling rubric.

4 So, I think it is something that you will be
5 seeing in the relatively near future.

6 DR. LOCKWOOD: Dr. Gibbs, Johnson and
7 Peterson.

8 DR. GIBBS: Well, this is a most welcome
9 change. Congratulations for bringing it to this
10 point. I have a couple of thoughts about the
11 patient counseling information. I could see that
12 this could be either aimed at the provider by
13 saying these are the points you should cover, or it
14 should be aimed at the patient by saying these are
15 the points that are very important to you. I think
16 we would facilitate the counseling if, in a
17 standardized fashion, these points would be aimed
18 at the patient.

19 DR. SOULE: Yes, one of the things I didn't
20 mention here, but the intention also is that at the
21 end of this label, if there is an approved patient
22 packaging insert, which most of our labels do have,

1 that would also be appended to the label so that
2 would also be available.

3 DR. GIBBS: But the patient information
4 forms, at least that I read for the medications I
5 take, they are pretty convoluted also and really
6 making it a user-friendly document for someone with
7 whatever level of education you target would be a
8 great service.

9 DR. SOULE: Yes, that certainly is an
10 effort that we do continue to make.

11 DR. JOHNSON: Just to better understand,
12 the addition of bolded warningsB-you are right; the
13 only boxed warnings on hormonal contraceptives are
14 smoking and cardiovascular risk. But the bolded
15 warnings came up earlier today in discussion and I
16 am wondering, first, where those will go and,
17 secondly, how those are determined to go into the
18 labeling. How is that decision made? Because I
19 think that was unclear to providers in how that
20 decision was made regarding the product we
21 discussed or that came up earlier.

22 DR. SOULE: We have a lot of interaction

1 with our Drug Safety Office and very often,
2 particularly with postmarketing reports that might
3 indicate need for some additional labeling,
4 decisions to be made with those folks. It is
5 basically a review decision after reviewing
6 whatever new data we may have as to the level of a
7 warning that is needed.

8 DR. JOHNSON: So, new data is required.
9 So, in this circumstance new data was acquired and,
10 therefore, greater risk was assumed although
11 greater risk has not been shown? I am just
12 wondering how you got to the bolding of this
13 warning without data showing an increased risk.

14 DR. SOULE: I should clarify that. There
15 can be a couple of different scenarios. Companies
16 can propose, of their own initiative, to add safety
17 information to the label. In that case, they
18 typically just inform us of the change they are
19 planning to make. It is not something that
20 necessarily needs prior approval from the agency.
21 I don't know if Dr. Monroe wants to address the
22 specifics of this situation.

1 DR. MONROE: Well, I will just mention we
2 do have some generics, as you have mentioned, in
3 terms of smoking and its relationship to
4 cardiovascular risk. Then, in certain products
5 there are perhaps bolded warnings. But we are not
6 really supposed to be talking about specific
7 products today and what may or may not have led to
8 a labeling of a specific product.

9 If we are just talking about concept and
10 what would do it then it is as Dr. Soule has said;
11 it has to become a judgment call based on the
12 perceived health. In other words, we do a warning.
13 A warning can be put in there also as sort of
14 preemptively in the sense that if we believe that
15 certain prescribing-Band, Lisa, you can correct me
16 hereB-it should affect your prescribing or not and
17 you can perhaps avoid a certain problem, like with
18 smoking. That could be one of the bases. Another
19 basis would certainly be what has been observed
20 either in a clinical trial or what may have been
21 reported from post-approval adverse events.

22 So, there are a number of conditions that

1 trigger it but, again, as to whether it gets bolded
2 or not has to be ultimately a judgment call that is
3 made by a group of individuals who make their best
4 assessment of it.

5 DR. JOHNSON: The bolded clearly is
6 important because that gets a lot of physician
7 concern. I guess I just want clarification on how
8 that was decided, not in this specific case but how
9 it could be decided in any case. Is it always
10 based on scientific evidence?

11 DR. MONROE: I am going to be bailed out
12 here. Go right ahead, Dr. Shames.

13 DR. SHAMES: I am Dan Shames. I was the
14 previous director and now I am in the office that
15 oversees reproductive and some of the other
16 divisions. What I have heard here about this issue
17 is that, of course, physicians are concerned that
18 we might be over-reacting or putting out material
19 there before we absolutely have very strong
20 evidence that there is a real safety risk. There
21 may be, say, pharmacokinetic data or other data, or
22 other signals.

1 You have to understand the entire context
 2 of what is going on here. We are in an environment
 3 where there is great concern among the public, and
 4 public officials, and all sorts of groups that we
 5 have not been as transparent as we should have been
 6 perhaps with information that we have and it takes
 7 too long for us to publish our deliberations, etc.,
 8 etc.

9 So, when we get information that we think
 10 some people might think is important related to
 11 safety we may feel that that is important for us to
 12 get out there even though we may not have the exact
 13 precise clinical implications of that.

14 Now, I know that it is a problem for some
 15 groups. For other groups it is what we should be
 16 doing. I mean, there are people who think that
 17 when we publish certain information, as you have
 18 referred to, perhaps the drug should be removed
 19 from the market. There are other people, like you,
 20 that perhaps say, well, we should be doing large
 21 epi. studies, or whatever, to find out the precise
 22 information before we publish that. So, we are

1 constantly in tension about these things.

2 I think what is happening, which it
 3 appears the general public wants, is more
 4 transparency, in a sense putting some of the
 5 burden, in terms of risk management, more perhaps
 6 on the physicians and the patients than, you know,
 7 just being more paternalistic and having it all
 8 within the FDA and we finally decide, well, this
 9 drug is no good, or something like that, which may
 10 be too late in the view of some people and if we
 11 had published the information sooner and they had
 12 known about it, that would be better. So, these
 13 are some of the large kind of public-policy issues
 14 that go into some of these decisions.

15 DR. JOHNSON: I guess my main question is,
 16 is there a standard for using this type of warning
 17 and is there a standard that the FDA uses? I would
 18 really be concerned if it was politically based in
 19 any way.

20 DR. SHAMES: The general standard is do we
 21 think that for some people this might alter the way
 22 they prescribe or use the drug. For some people

1 some of this information does affect the way they
 2 prescribe or use the drug.

3 Now, there are various guidances that are
 4 associated with this new labeling rule. There are
 5 three of them. They are on the FDA website. They
 6 precisely lay out when there should be a warning.
 7 It is much more spelled out now than it used to be.
 8 So, you can actually go and get these guidances on
 9 the FDA website and it will spell out preciselyB-as
 10 precisely as we can, under what circumstances there
 11 will be warnings and precautions, etc., etc.

12 DR. JOHNSON: Could you tell us briefly
 13 what those guidelines are?

14 DR. ESPEY: I have it right here.

15 DR. SHAMES: I don't know the guidance
 16 verbatim right now but I think generally it has to
 17 do with changing prescribing patterns. I think, in
 18 the case of a contraindication, we may have to have
 19 some more robust scientific basis for it, something
 20 like that.

21 DR. SOULE: Yes, as I mentioned,
 22 contraindications are intended to be based on

1 known, documented hazards, not theoretical risks.
 2 As far as the detail, it is a lengthy document. As
 3 far as the rest, I also can't cite you specific
 4 points but the document is available on the
 5 website, as Dr. Shames indicated.

6 DR. JOHNSON: And that is fairly new?

7 DR. SOULE: That was issued within about
 8 the last nine months or a year.

9 DR. JOHNSON: So, from now on any warnings
 10 are going to meet one of those three criteria?

11 DR. SOULE: There are probably more than
 12 three criteria listed in the guidance, but yes.

13 DR. JOHNSON: So, they are going to meet
 14 one of those criteria and the decision will finally
 15 be made by FDA whether or not it meets those
 16 criteria, needs to be added to the labeling.

17 DR. SHAMES: Clearly, there are going to be
 18 situations that might be of concern to certain
 19 people that we are never going to know the precise
 20 answer. So, we probably might tend to give out
 21 this information more aggressively than perhaps we
 22 did before because I think that is what people are

1 asking us to do. But there are a lot of questions
2 that we have had here that will never be answered.

3 Some of the things we have been talking about
4 here, they just never will have an answer. So, we
5 have to give the best information that we have.

6 I mean, we don't give out every bit of
7 trivial information. For example, I think we are
8 eliminating the laundry list, which we used to have
9 this whole list of every possible complication that
10 you have. So, this new labeling is an attempt to
11 standardize what we have done before, which
12 probably was less standard than it should have
13 been.

14 DR. ESPEY: I actually have the language,
15 just a sentence from the black box warning that
16 appears on the FDA website. It says black box
17 warnings are meant to provide physicians with
18 important insights as to how to prescribe a drug
19 that may be associated with serious side effects in
20 a way that maximizes benefits and minimizes its
21 risks.

22 I just share the same concerns. I know we

1 There are also legal implications. Black box
2 labeling and warnings are used all the time by
3 trial lawyers to try to impugn care provided by
4 physicians. No field has suffered more egregiously
5 by frivolous lawsuits than have OB/GYN.

6 So, you know, these do have implications
7 and I know you are aware of all of them. We are
8 particularly sensitive to them because we are in
9 the firing range of these folks. So, I think what
10 you are hearing from the committee is that the
11 greatest caution should be applied in deciding when
12 such warnings merit being placed. Dr. Peterson?

13 DR. PETERSON: I just wanted to follow-up
14 on James' question about effectiveness and Ron's
15 point about using this for counseling and Charlie's
16 point earlier about caveat emptor. To what extent
17 will the new labeling be used to try to communicate
18 effectiveness relative to the discussion that we
19 were having before lunch? What you said, it
20 sounded like, was that that information would be
21 communicated through the description of the
22 clinical studies.

1 are not supposed to talk about specifics but the
2 black box warnings that are on the hormonal
3 contraceptives I am not sure meet even this very
4 qualitative criteria, and I know I am not alone in
5 wondering what exactly motivated those black box
6 warnings.

7 I think it is one thing to be transparent
8 for the public, but it is another, in a country
9 where we have a 50 percent unintended pregnancy
10 rate, to consider the huge public health impact
11 that a black box warning will have. Just the
12 simple fact of bolding it can have such an effect
13 on prescribing that my concern is that we are not
14 actually highlighting a potentially serious side
15 effect that really has no basis in scientific
16 evidence.

17 DR. LOCKWOOD: Again, there are ethical
18 levels of deciding between our obligations and your
19 obligations to do what is right and providing
20 autonomy to the provider and the patient to make
21 their own decisions. Obviously, there are unspoken
22 political effects. We live in a political world.

1 DR. SOULE: Well, that is typically where
2 we have delineated the results of particular
3 studies, but I agree that the patient counseling
4 section is also a natural way that we would provide
5 perhaps more patient-friendly information about
6 this. I think, when we turn to the questions for
7 discussion about labeling, those are some of the
8 specific issues we would like to hear from you
9 about.

10 DR. LOCKWOOD: Dr. Blumenthal, you raised
11 your hand; you gave some signal?

12 DR. BLUMENTHAL: No, I didn't want to bid
13 on that painting!

14 DR. LOCKWOOD: Any other questions?

15 MS. SHANKLIN-SELBY: You did say you were
16 redoing the product insert that the patient would
17 be receiving?

18 DR. SOULE: No, that is not covered under
19 the Physician Labeling Rule. This applies to the
20 package insert essentially for healthcare
21 providers, but the rule does mandate that if a
22 patient packaging insert exists-Bnot all drugs have

1 them, most of our drugs do--

2 MS. SHANKLIN-SELBY: I mean, you are
3 talking about patient counseling--and that
4 information doesn't always come through. I mean, I
5 find it has been kind of hit or miss as far as
6 different physicians how much information I am
7 given. Then you read the product insert and, I
8 mean, I understand it if I can see it. I mean, I
9 have to use my glasses and a magnifying glass to
10 read it.

11 But I am thinking of somebody who isn't
12 familiar with a lot of the terminology. They are
13 going to be looking at that and they are going to
14 draw a blank. And, a lot of times doctors do not
15 always tell you what you need to know. I mean, it
16 is kind of up to the patient to ask a lot of
17 questions and that doesn't always happen.

18 DR. SOULE: Yes, and I think that is one of
19 the intentions of really highlighting this patient
20 counseling section, to put in a concise place for
21 physicians and healthcare providers to see here is
22 kind of a highlight of the things that you do want

1 to make sure your patient is aware of. Then, as we
2 write the patient packaging inserts we do also make
3 an effort to make them more readable, to have them
4 at a lower reading level perhaps than the package
5 insert might be.

6 MS. SHANKLIN-SELBY: I have to go on line
7 to get a lot of the information that I want. I
8 don't necessarily get it from the doctor or even
9 the pharmacist. I mean, they are also supposed to
10 be counseling, aren't they? Sometimes I will get a
11 nice little brochure from the pharmacist but that
12 is kind of stapled in there and by the time you
13 have gotten your prescription you have torn
14 everything apart. I don't think the communication
15 has been particularly great from physician or
16 pharmacist to patient.

17 DR. LOCKWOOD: Dr. Scott?

18 DR. SCOTT: Yes, this is little bit of a
19 peripheral issue but just to clarify for myself,
20 the FDA doesn't approve pre-advertising ads, either
21 direct-to-consumer or in journals, or anything
22 else. Is that true? Or is it just if something is

1 brought up that isn't true in the ad? Exactly how
2 does that work?

3 DR. SOULE: There are very few
4 situations--maybe Dr. Monroe wants to address
5 specifics, but very few situations where that is a
6 required thing. Some companies do voluntarily
7 provide and request pre-airing reviews.

8 DR. SCOTT: So, if there is an error in the
9 ad that comes to your attention, the only way is if
10 somebody complains about it?

11 DR. MONROE: Well, it is complicated and I
12 don't want to be quoted because there is a separate
13 group at the agency that does that. It is not a
14 review division. There is a group that scrutinizes
15 all advertising. In some circumstances, ads have
16 to be pre-approved for certain drugs that fall into
17 certain categories; for others they are approved
18 concurrently.

19 I don't think any of us that are here
20 right now want to give you an answer because we
21 might not give you the right answer. We certainly
22 could find that out for you and refer you to

1 whoever does that. But, again, there are different
2 criteria for different types of drugs.

3 DR. LOCKWOOD: Let's move to the questions.
4 The first question is can labeling information be
5 made more useful for counseling patients to better
6 inform patients about the likely effectiveness,
7 safety, and other acceptability considerations, for
8 example, that reduction in scheduled bleeding or
9 unscheduled bleeding may be offset by an increase
10 in unscheduled bleeding, whatever bleeding.

11 [Several member committee reply "yes"]

12 Yes? I think that is the consensus of the
13 group.

14 Would such information likely reduce
15 discontinuation rates and improve actual product
16 effectiveness?

17 [Several committee members reply "maybe"]

18 DR. BUSTILLO: I think if the physician
19 says it to the patient. I am not sure that just
20 putting it in an insert is going to help you.

21 DR. LOCKWOOD: Right.

22 DR. JOHNSON: I was going to bring up a

1 topic. I think that Elizabeth made a good point
 2 that it would be nice to get information that the
 3 FDA put together for physicians for counseling, but
 4 also that can be handed to patients that is
 5 readable and usable because most of what we get,
 6 unfortunately, comes from the company that produces
 7 this product so there is inherent concern on
 8 patients' part of bias. So, it would be nice to
 9 have a piece of information that we can actually
 10 hand to patients.

11 Actually, most of the materials that come
 12 from pharmaceutical companiesB-I mean, they are
 13 done for marketing reasons and to be able to hand
 14 people a sheet that would be useful I think would
 15 be very helpful, and that is not so difficult to
 16 read. Is it reasonable to ask the FDA to do that,
 17 as well as to help with physician counseling?

18 DR. SOULE: I don't know if you are
 19 familiar with the patient package inserts that we
 20 do currently put out. Those are reviewed, again,
 21 by several different divisions within FDA. I guess
 22 what I am hearing you say is you feel that those

1 are not sufficiently accessible to patients.

2 DR. JOHNSON: They come with the product?

3 DR. SOULE: Yes.

4 DR. JOHNSON: It would be useful to have
 5 them available separate from the product, in
 6 advance of the product. Do you agree? On line?

7 MS. SHANKLIN-SELBY: Yes.

8 DR. MONROE: I believe that for
 9 contraceptives we have a brief and a detailed
 10 patient package insert that applies to all the
 11 contraceptive products. I believe those are on
 12 companies' websites. Usually when you go to a
 13 company's website they have information for a
 14 patient and information for a consumer. I believe
 15 that particular document does get posted verbatim
 16 now.

17 Admittedly, there is lots of other
 18 advertising probably as well but we can only
 19 control certain things, and we can control the
 20 physician labeling and the companion part of
 21 labeling that is designated to go to the consumer.

22 Both of those are a document that is

1 created both with the agency and the company. But
 2 for all oral contraceptives, they are relatively
 3 standardized, with the specific information as it
 4 relates to that particular product. So, they do
 5 contain a lot of what we call class labeling. So,
 6 unless there is a specific distinguishing
 7 characteristic which a product has demonstrated
 8 either in its favor or disadvantage there is great
 9 consistency amongst the products.

10 Obviously, how one would take a user
 11 product that is not an oral product, that has
 12 different dosing directions and so on, but for the
 13 most part we try to be consistent and we try not to
 14 make any one more advantageous than the other,
 15 unless there are true data that would support that.

16 Similarly, in terms of putting a warning
 17 in, we again try toB-I think everything we put in
 18 the label is based on data. The level of data and
 19 whether you agree with the interpretation of the
 20 data I think is maybe more of an issue, and the
 21 clinical significance of the interpretation of the
 22 data, but when we introduce warnings, particularly

1 of a bolded nature, it is based on information that
 2 we believe clearly justifies whatever the wording
 3 says.

4 DR. GILLIAM: I do know there is the study
 5 that shows that counseling about bleeding
 6 expectations with progestin-only methods does
 7 improve compliance, but I think there are serious
 8 limitations to our knowledge about the relationship
 9 between counseling and the greater public health
 10 issue of unintended pregnancies. So, I would be
 11 careful about answering 30b with a yes.

12 DR. LOCKWOOD: Dr. Hillard.

13 DR. HILLARD: With regard to class
 14 labeling, I think there are concerns about class
 15 labeling with contraceptives because the class
 16 labeling applies to both estrogen/progestin methods
 17 as well as progestin-only methods. Some of the
 18 information that is contained within class labeling
 19 based on evidence does not apply, at least to the
 20 same extent, with progestin-only methods.

21 So, I do raise a question that is of
 22 serious concern to clinicians and my colleagues in

1 other disciplines who get out the PDR and read
2 about progestin-only methods, and look at
3 contraindications that apply to estrogen-containing
4 methods.

5 DR. LOCKWOOD: That is actually one of my
6 pet peeves as well. Dr. Berenson?

7 DR. BERENSON: It seems that this committee
8 has been pretty consistent on feeling that we need
9 better studies on effectiveness. So, it seems that
10 those same criteria should be used before warning
11 boxes or bold-faced lettering is used on the
12 product labeling as well. that we need good
13 studies, not just anecdotal reports.

14 DR. LOCKWOOD: There is a tension that has
15 to exist between protecting the public and ensuring
16 that there is the greatest variety and
17 acceptability of contraceptive agents available. I
18 think that we, as a committee, probably can't
19 provide a whole lot of insight into what the
20 threshold is for black box warnings because there
21 are just so many potential threats to the public
22 health. I mean, it may be that we discover a

1 certain progestin, if used in combination with
2 Tylenol, creates cyanide-Byou know, I am making
3 this up! Well, you don't need a large randomized
4 clinical trial to warn people that if you take more
5 than three Tylenol while you are on this agent you
6 are going to die.

7 So, I think they hear the message. It
8 shouldn't be trivial. But I don't think we can
9 require randomized clinical trials, or even very
10 good observational trials, if the public health is
11 imperiled by some sudden new information that comes
12 to their attention. Dr. Blumenthal?

13 DR. BLUMENTHAL: Yes, I think, in looking
14 at 30a and 30b and even to a certain extent jumping
15 ahead to 31, and looking back at some of the
16 literature that we were provided as background
17 materials one of the things that decreases
18 continuation is uncertainty or insecurity about how
19 well it is going to work.

20 We have had some discussion before about
21 putting effectiveness data in the label. I think
22 that it should be displayed clearly in a manner

1 that both patients and clinicians can understand.
2 Whether that means you just put a number or,
3 perhaps even more advisedly, put a table in there
4 that shows this method and sort of "you are here."

5 [Laughter]

6 You know, you are here in the range of all
7 the other methods and options, or even going back
8 to the WHO principle, if you had these
9 classifications and you said, okay, here is the
10 range of methods and, again, "you are here," this
11 method is here on this continuum, I think that
12 would be very helpful for people to put in
13 perspective. Whether it is in the counseling
14 section, and I think it should be in the
15 highlights, myself. I think that information
16 should be in the highlights. And, I would like to
17 know if that is the plan.

18 DR. SOULE: Yes, and I should just clarify
19 that the patient counseling section is one of the
20 highlight sections that will be in shortened
21 version, but yes. So, I don't know that we would
22 be able to put a whole graphic table in highlights.

1 Probably space would preclude that but there might
2 be some way of conveying some sort of textual
3 message of that nature.

4 DR. LOCKWOOD: Let's tackle 31, which is
5 the thorniest question. Should product labeling be
6 modified to include pregnancy rates or safety data,
7 such that there is, for specific subgroups when
8 available? Universal consensus.

9 DR. TOBERT: I think it is very
10 important--in general I am supportive of that, but
11 I think it is very important that subgroups be
12 predefined. It is only too easy to do data
13 dredging and pick out particular subgroups which
14 look bad or good, and that can be very misleading.

15 DR. GILLEN: Effectively, ditto. I mean,
16 the thing that I am worried about there is just
17 misinterpretation of the subgroup results where you
18 are just kind of--if you have a priori specified
19 some of these subgroups and you have good
20 biological mechanism as to why things should be
21 presented, then fine. But otherwise, you know, you
22 could go through your data and find the green-eyed

1 person born on the third Tuesday of the month that
2 suffers some adverse event.

3 DR. TOBERT: Just to follow-up, and you
4 probably agree with this, I think there should be
5 formal heterogeneity testing as opposed to just
6 pulling out a number which looks bigger or, you
7 know, is more adverse than the others.

8 DR. LOCKWOOD: The point to be made is yes,
9 I think, but that subgroup analysis should have a
10 biologically plausible rationale. Studies should
11 be well conducted, adequately powered, and so
12 forth, and it shouldn't be, you know, the result of
13 the thirtieth subanalysis of some relatively small
14 cohort. Dr. Berenson?

15 DR. BERENSON: And you have to know what
16 you are comparing it to because we have talked
17 about that we are using historical controls and
18 that many of these studies did not use broad range
19 of subjects. So, if we look at some subgroup, it
20 may look markedly different than what we are used
21 to seeing but is really not that different from if
22 you looked at a wide population of a drug on the

1 market.

2 DR. LOCKWOOD: I think we are talking about
3 active controls here.

4 DR. KAMMERMAN: I just want to point out
5 that rulesB-I always get rules and regulations
6 mixed up but somewhere, in one of those, companies
7 and the FDA are required to look at subgroups to
8 find by ethnicity, gender and age. Obviously,
9 gender wouldn't be an issue here. And, there is a
10 guidance on what to include in the clinical trials
11 section of labeling and it discusses those
12 particular subgroups.

13 What would be helpful to me is if you
14 could provide some other subgroups that might be of
15 interest, for example, defined by BMI subgroups or
16 some others. So, if you could identify some of
17 those, that would be helpful.

18 DR. LOCKWOOD: I think we would all agree
19 with BMI, certainly BMI greater than 30, and you
20 could have some flexibility as to the exact cutoff.
21 But I think that, clearly we would. I would add
22 first-degree relative with a history of venous

1 thromboembolism.

2 DR. SCOTT: But, Charlie, there are a lot
3 of controversial or possible
4 contraindications-Bdiabetics and certain diseases
5 like lupus, and so on. I don't know if you are
6 talking about including all those sorts of
7 subgroups too or not. DR. LOCKWOOD: I think
8 that there is actually some debate about diabetes.
9 There is some debate about all those categories.
10 Lupus now looks like they should be on oral
11 contraceptives. But I don't think there is much
12 debate about the risks with a first-degree
13 relative.

14 Your mother and sister had pulmonary
15 emboli, should you be on an estrogen-containing
16 contraceptive? I would say unless you know that
17 she doesn't have an inherent thrombophilia the
18 answer is absolutely no. Even if she doesn't have
19 an inherent thrombophilia and she weighs, you know,
20 300 lbs. and is 4'11" the answer is still no.

21 But ultimately that is the doctor's
22 decision and there may be certain circumstances

1 where I would say yes to that. But I think the
2 data where there are really robust odds ratios of
3 risk, hazard risk, whatever statistical parameter
4 you want to employ, where there is overwhelming
5 evidence of added risk, and that is what we are
6 talking about here, then I think it probably should
7 go on the label but not for diabetes and lupus and
8 things where, first of all, it doesn't look like
9 there is any risk and, second of all, there is a
10 lot of controversy. Dr. Stadel?

11 DR. STADEL: There has been a lot of
12 controversy over the years about the question of
13 subgroups in cancer and oral contraceptives. I
14 would just reflect that there needs to be
15 replicability of findings between independently
16 conducted studies and a good appraisal and
17 consensus development of findings before one moves
18 forward with subgroups on some of these topics.
19 Thanks.

20 DR. LOCKWOOD: We would all agree with
21 that.

22 Next question, how can labeling best

1 communicate how to manage a situation where a
2 patient misses pills? Oh, okay. I am out of date.
3 How do we communicate the risk of an unplanned
4 pregnancy in the days or weeks immediately
5 following discontinuation of a product? So, after
6 the treatment period ends.

7 DR. TRUSSELL: I think it should be clearly
8 stated that if you stop using the product you are
9 at high risk of pregnancy.

10 DR. JOHNSON: It is interesting because
11 that is really what patients want to know. As soon
12 as you stop them, your risk goes up and it goes up
13 whenever you are off of them. So, I think maybe
14 making that statement, where it is clear to
15 patients, that they don't cause infertility and
16 when you stop them your chance of pregnancy is
17 high.

18 DR. LOCKWOOD: Consensus? Consensus.
19 Okay.

20 Now, how can labeling best communicate how
21 to manage a situation where a patient misses pills?

22 DR. TRUSSELL: This is an area that the

1 World Health Organization just spent an immense
2 amount of time on and have issued guidelines in
3 selected practice recommendations. Rather than
4 reinvent the wheel, I would suggest that the FDA
5 adopt them. It might be stated by some that these
6 are too complicated but there is empirical evidence
7 from studies about whether women understand them in
8 the U.K. that led the faculty of Family Planning
9 and Reproductive Health in the United Kingdom to
10 adopt these regulations, and I do not believe that
11 women in the United States are any less capable of
12 understanding them than would be women in the
13 United States [sic].

14 DR. LOCKWOOD: So, is that agreed?

15 DR. BLUMENTHAL: I don't think we should
16 spend a lot of time reinventing what WHO has spent
17 a lot of time, with a very similar group of people,
18 to consider and improve.

19 DR. LOCKWOOD: Last question, should
20 potential secondary, non-contraceptive benefits of
21 hormonal contraceptives be discussed in labeling?
22 Dr. Stadel and then Dr. Petitti.

1 DR. STADEL: I am concerned about the word
2 "potential." I would say one would need to limit
3 to things that are well established--this is
4 usually in the observational literature--but well
5 established, replicated from numerous studies, and
6 if it is put in the label that it includes
7 consideration of the dosing changes over the years
8 that I mentioned earlier. But potential, no.
9 There are lots of potential things and we need
10 things that are fairly well established.

11 DR. PETITTI: I want to expand the
12 discussion and my comment to question 31. I do
13 think in this era of evidence-based medicine that
14 the FDA should become more transparent and explicit
15 in the standards that it uses for weighing evidence
16 and for including things on the labeling. I do
17 think that one could argue that there are methods
18 available and approaches that would permit one to
19 be very consistent in the decision about whether
20 something is listed on the label as a
21 contraindication, an established safety risk or an
22 established benefit. This could be criteria that

1 could apply across a variety of products.
2 I do think that the contraceptive label
3 includes a lot of sort of ancient and archaic
4 anecdote both about the non-contraceptive benefits,
5 which may or may not apply to the newer products,
6 and have been kind of memorialized in this static
7 document that becomes class labeling, and never
8 changes and that a similar thing has probably
9 happened even in the area of the safety issues
10 where some of the prescribing patterns of the early
11 use of the pill have clearly contributed to the
12 very high risks of vascular disease that were seen
13 in women in that era. For example, putting women
14 who had hypertension on treatment, on very
15 high-dose pills, is almost certainly one of the
16 reasons why stroke was such a huge problem in the
17 early products.

18 So, this is a plea to the agency. Maybe
19 this could be the first case. The standards of
20 evidence for contraindication subgroups defined as
21 having higher risks and non-contraceptive benefits
22 should be explicit standards of evidence.

1 DR. LOCKWOOD: And what standard of
2 evidence would you apply it in? Level 1, level 2a,
3 level 2b? A U.S. public health rating?

4 DR. PETITTI: I don't think it matters
5 which rating system one uses to evaluate evidence
6 as long as it is explicit and transparent, and that
7 is based on a systematic review of the evidence and
8 some kind of expert opinion. I don't care which
9 one you use but use one and tell us what it is.

10 DR. LOCKWOOD: Dr. Peterson?

11 DR. PETERSON: Along those lines, one step
12 further, one is going to have to decide with a body
13 of evidence--once those are clear and explicit,
14 which mostly addresses the non-contraceptive
15 effects for the higher-dose preparations is that
16 how do you handle the absence of evidence? So you
17 can make the assumption that, because these are
18 well demonstrated by these predetermined criteria
19 for the higher dose preparations, that they would
20 likely apply in the absence of evidence to the
21 newer formulations.

22 The other approach is to say that you

1 assume that they don't apply unless there is
2 evidence with the newer preparations, and the
3 decision there is critical because there is an
4 absence of evidence for the most part about the
5 non-contraceptive benefits, prevention of ovarian
6 and endometrial cancer. I mean 30-35 are studies
7 but for 20s there is far less evidence to support
8 that.

9 So, the question would be do you give that
10 claim, or do you give that benefit presumptively,
11 or do you say that we have to have the same
12 standard of evidence as if it were a fresh, new
13 thing?

14 The other is the issue of evidence of
15 absence of an effect, which we have for example for
16 protection against ovarian cyst. So, there is now
17 some evidence that certain preparations do not
18 protect. So, it creates a whole new set of
19 challenges to do that.

20 DR. BLUMENTHAL: I actually have two
21 questions for the agency which relate our question
22 number 34. That is, let's say that a product is

1 put on the market and no studies are available
2 concerning secondary non-contraceptive benefits.
3 Then studies appear which demonstrate such
4 benefits. Does the company have to apply for a
5 label change, even if they are not asking for an
6 indication but just to change the label so that
7 this can be mentioned in whatever section is deemed
8 appropriate? So, how does a label change come
9 about in this setting?

10 The other question I really want to ask
11 because something was mentioned in one of the talks
12 earlier is with respect to start of the
13 contraceptive. The Sunday start of the first-day
14 start or a quick start, is that submitted to the
15 agency by industry and you either accept or modify?
16 Or, can the agency insert information about best
17 evidence relating to the start of any 28-day cycle
18 combined contraceptive?

19 DR. SOULE: I am going to take the second
20 one first because I think that is a little easier.
21 Typically, the sponsor initially proposes labeling
22 to us and we then work and negotiate with them as

1 to what is acceptable.

2 DR. LOCKWOOD: So, and I don't know if this
3 is actually reported, but would you say that this
4 very low-dose oral contraceptive has an efficacy of
5 blank based on quick starts as opposed to Sunday
6 starts? Because there is some evidence of
7 differential efficacy. Do you get to that level of
8 detail?

9 DR. SOULE: I am going to turn to some of
10 my colleagues who have done more reviews of these
11 than I have. I am not aware of making those
12 distinctions.

13 DR. MONROE: Well, generally the label
14 ideally should reflect the way the clinical trials
15 were done. If you have explored quick starts and
16 the agency has accepted that as the protocol, then
17 presumably our label should reflect what the
18 clinical trial actually showed. I don't think we
19 have any labels that talk about quick starts
20 because I don't think anyone has proposed that to
21 us, other than certain investigators that have been
22 doing those studies.

1 There is some thought that if a study has
2 been conducted only with, let's say, day-one
3 starts, should we also allow the concept of Sunday
4 starts? I think that has been sort of sufficiently
5 well established that we have allowed that kind of
6 exchange, perhaps in the absence of data, but the
7 concept of quick start would be very different.
8 Obviously, there would be different issues that
9 might come up and you would have to provide the
10 data to show that it worked as claimed, and, if you
11 provided those data, it would certainly be reviewed
12 and considered.

13 DR. LOCKWOOD: Dr. Berenson?

14 DR. BERENSON: This is a follow-up on Dr.
15 Peterson's question. If you do put
16 non-contraceptive benefits of a mono-contraceptive
17 on a certain pill-Blet's just say dysmenorrhea, and
18 one particular pill was labeled for
19 dysmenorrhea--what do you do about other similar
20 pills? Do they apply independently, each
21 manufacturer? Must they do their own trials?

22 DR. SOULE: Yes, we have typically asked

1 for a secondary indication like that to be
2 supported with clinical-trial evidence.

3 DR. BERENSON: By each manufacturer?

4 DR. MONROE: Well, that is in class
5 labeling right now. I mean, there is this generic
6 statement going back to the old--I mean, there are
7 about six items, though I don't recall the exact
8 number. You raise a good point. As we move down
9 to lower doses, should those be included in that
10 label because the benefits, which, I think, are
11 based on a large extent on epidemiologic type
12 data--and you folks know that better than
13 I--probably there aren't a body of data that say
14 the lower-dose ones convey the same benefit. Those
15 are some things that we are independently
16 considering, whether that whole section should
17 remain, should not remain. That is all I am
18 saying.

19 So, it is something that we are looking at
20 right now. But if you wanted a specific claim that
21 distinguished your product from another product,
22 then you obviously would have to demonstrate it

1 with your product. So, there are very few things
2 that we have awarded to drugs, other than some
3 things that really come to us today from historical
4 precedent in labeling, and some of this certainly
5 needs to be readdressed. We call it class label,
6 but based on data from different doses. That is
7 what we are trying to say.

8 DR. KAMMERMAN: I always wanted to be in
9 show business and I just lost my chance, but as a
10 statistical reviewer and as a statistician I would
11 have to answer no to number 34. The emphasis is on
12 the word "potential." Anything that is in labeling
13 can be considered a claim and can be used in
14 advertising. To establish a claim the level of
15 evidence is what we have discussed, usually two
16 adequate and well-controlled studies with
17 comparator where the effect can be attributed to
18 the product under study.

19 So, if this happens, a potential
20 claim--I am not sure what that really meant--but if
21 as a result of some secondary analysis the company
22 happens to discover, or we happen to discover some

1 important effect, potential effect on acne, for
2 example, then we would still require some
3 additional studies. That finding would just be
4 considered exploratory and would not be appropriate
5 for labeling.

6 DR. LOCKWOOD: Let me just understand
7 something. First of all, I think we all agree
8 "potential" should be deleted from that question.
9 So, we are talking about bona fide, documented,
10 well-controlled trials, etc. Can you incorporate
11 into the labeling evidence of secondary benefit
12 that is based on outstanding data but hasn't gone
13 through the rigor of a specific sponsored trial for
14 that indication?

15 DR. MONROE: Other than the category of
16 items which have been there as part of class
17 labeling, we don't have any other benefits listed
18 and for getting another benefit or claim, as Dr.
19 Kammerman has just stated to you, sponsors have had
20 to conduct adequate and well-controlled trials to
21 get them. So, products come out usually as
22 secondary indications. So, we do have some

1 products with acne claims and one with a PMDD
2 claim, and those are all based on well-conducted
3 clinical trials.

4 So, I would really interpret that final
5 question perhaps really as more related to what Dr.
6 Peterson brought up. Should we continue to carry
7 those benefits that go back to class labeling which
8 were based on epidemiologic data with higher doses,
9 and do they translate to the present lower doses
10 and even if we get down with lower doses or not?
11 That is really I think what we were trying to get
12 from you by giving you that particular question.

13 DR. LOCKWOOD: No, until proven, and when
14 it becomes sort of a new class indication, that low
15 ethinyl-estradiol-containing contraceptives reduce
16 the risk of ovarian cancer and this has been shown
17 in, you know, 15 well-controlled observational
18 studies, and so forth. Dr. Espey?

19 DR. ESPEY: This getting back to what Diana
20 was talking about. Does the FDA have any plans to
21 change the process or the mechanism by which the
22 label is changed? I am reviewing right now a paper

1 on an intrauterine device that shall remain
2 nameless that recently underwent a package label
3 change. It was updated from the prior label, which
4 was 20 years old, and I think what is described in
5 this paper is this incredibly cumbersome,
6 expensive, difficult process in updating the label,
7 clearly based on, you know, good quality evidence.
8 I think Diana was referring to a lot of the same
9 sort of anecdote, and that sort of thing, in the
10 pill label. Will companies have just a major
11 disincentive to update those labels because of the
12 process?

13 DR. MONROE: Well, actually a while back,
14 and it is a work in progress, the division did
15 circulate a draft labeling change. Now, it is not
16 based on the new format; it was based on the old
17 format for labeling for hormonal contraceptive
18 products. That did circulate. It did go out for
19 public comment.

20 Now, probably most of you at the table are
21 not aware of how this works. So, for a product
22 that we have a class-label component for, as well

1 as an individual, and we make a change it usually
2 goes out to a large audience, and it is published I
3 believe in the Federal Register. So, the public is
4 actually asked to comment on it, and it was
5 circulated. Comments have come back and we are in
6 the process of reviewing the public comments as to
7 what areas they liked in the change, what areas
8 they didn't like in the change and why.

9 So, there are different degrees of
10 complexity of changing it. If it is product
11 specific that doesn't have a large class component,
12 that is one degree of complexity. But to change a
13 label that has a broad class component, it is
14 usually a complex process and it is not done
15 usually just by the division. We circulate it out
16 for public comment.

17 So, once that label is circulated--based
18 on sort of lack of recognition on most of the
19 faces, I guess none of you are really aware or had
20 really commented upon it. But that is the process.
21 So, all of this is codified in different ways, and
22 they aren't things that we just do arbitrarily. A

1 lot of thought and effort does go into these
2 things, although you may not always recognize that
3 by our final product.

4 DR. LOCKWOOD: Dr. Tobert?

5 DR. TOBERT: I think there was an earlier
6 comment to the effect that you needed better
7 evidence for benefit and for harm. In general I
8 would agree with that, with one exception. I think
9 if the class labeling part of the label is going to
10 be modified it certainly wouldn't be fair to retain
11 the labeling about the small increase in breast
12 cancer within five years or ten years, whatever it
13 is, but discard the beneficial effects on carcinoma
14 of the ovary and of the endometrium. There should
15 be symmetry.

16 DR. LOCKWOOD: I think that is an important
17 point. I mean, if you are going to delete the
18 potential benefit for ovarian cancer that is
19 ascribed to the higher dose agents, then do you
20 also delete the evidence that there might be an
21 incredibly small increased risk of breast cancer
22 also attributable to the higher agent? I think the

1 answer is yes if there is no evidence.
 2 DR. TRUSSELL: I would favor not
 3 eliminating it altogether because there is evidence
 4 of some sort so it is a potential of a different
 5 degree. What I would favor doing is saying that
 6 studies at higher-dose formulations, and you can
 7 list what formulations they are, have shown these
 8 benefits. Whether these benefits would apply to
 9 lower-dose formulations is not known. That is
 10 providing some information but it is not making a
 11 claim.

12 DR. LOCKWOOD: Dr. Blumenthal?

13 DR. BLUMENTHAL: Well, first of all, I am
 14 not sure whether you misunderstood the question
 15 that was being asked us a minute ago or whether I
 16 misunderstood your response, but I think with
 17 respect to changes in the label there are two
 18 components.

19 One is a change in the components of the
 20 label, so, what kinds of things are specified in
 21 the label along the lines of what Lisa told us in
 22 terms of the new type of label. So, the process

1 for changing the components of the label appeared
 2 to me to be what you were talking about a minute
 3 ago.

4 But I think some of the questions coming
 5 from the committee have been relating to what is
 6 the process for changing the information that is
 7 provided in a label, if that information should
 8 change for a specific product. There is a
 9 difference and I don't know what the process is and
 10 that is what I was asking before as well.

11 Similarly, Abbey had asked, let's say you
 12 had a product that did demonstrate an effect for a
 13 certain secondary benefit and there were a number
 14 of other products with identical formulations on
 15 the market, would the benefit that might now be
 16 inserted in the label accrue to those other
 17 identical formulations as well?

18 DR. LOCKWOOD: Yes, does it become class
 19 labeling? Do you change the class?

20 DR. SHAMES: Can I answer that? I think
 21 there is, I guess, some misinformation. Generally
 22 speaking, the company owns the label. We cannot

1 dictate generally what is in the label. There are
 2 certain times where we have more power to get our
 3 views on the label than others. Therefore, the
 4 process to change a label generally comes from the
 5 company for specific individual information.

6 So, they send in what they want in the
 7 label and, if it requires evidence, then they also
 8 send in the evidence. We review that and then we
 9 come to some kind of accommodation about what it
 10 should be. If we really don't like it at all we
 11 won't approve it. But, you know, generally we have
 12 to come to some kind of agreement because that is
 13 what the current regulations are.

14 DR. LOCKWOOD: But I think we are talking
 15 about two different things. I think that Abbey and
 16 Dr. Blumenthal were talking about changing the
 17 class labeling description, not the specific drugs.

18 DR. SHAMES: In terms of class labeling, we
 19 would have toB-see, in this case, you are talking
 20 about high dose versus lower dose, which might be
 21 more complicated.

22 DR. LOCKWOOD: For example, we have just

1 confirmed the lower dose.

2 DR. SHAMES: Let me just tell you some of
 3 the problems. The marketing is dictated by the
 4 label. Okay? I am just telling you some of these
 5 things that we deal with. So, whatever we change
 6 from one sponsor to another sponsor within a class
 7 might, in the view of some people, disadvantage
 8 their marketing which, you say, well, who cares
 9 about that?

10 Well, the thing is that then we have to
 11 convince the particular person or the particular
 12 company why we are taking this benefit out of their
 13 label and we have to have certain reasons for it.
 14 But we are looking into issuing new, as we did,
 15 labeling guidances and we can move to change it.
 16 But that kind of thing, dealing with class
 17 labeling, is a fairly cumbersome, difficult process
 18 when you are dealing with many sponsors. So, it is
 19 not as easy as it might appear just to go ahead and
 20 remove it. We don't have completely that
 21 authority.

22 DR. LOCKWOOD: Dr. Espey?

1 DR. ESPEY: Maybe I wasn't clear but my
2 question referred to either a change in class
3 labeling or a change in individual labeling, but
4 let's just say the individual labeling like the IUD
5 label change. I think a lot of those changes apply
6 to both IUDs that are currently on the market but
7 only one of the companies actually applied to get
8 the label updated. But I was referring--I mean, we
9 keep talking about how important it is to keep
10 labels up to date but my understanding is that it
11 is a very cumbersome and expensive process that a
12 lot of companies would not invest in, even if the
13 label changes are important and the old information
14 on the label is really outdated, just because of
15 the process.

16 DR. SHAMES: If you are talking about
17 change, I would have to know specifically, not
18 specifically with this product, but what kind of
19 thing. If they are changing some important
20 efficacy or safety information that requires data,
21 they submit the data and we have generally about
22 six months to look at this and decide whether it

1 should go in.

2 If you are saying we don't need to look at
3 the data, we are not going to do that. I mean, in
4 terms of cumbersome, they do have to accumulate
5 data. If they want to make some kind of claim or
6 change the claim, they have to present data, which
7 may be in their view cumbersome.

8 The other thing is it is specific to the
9 product. If they want to change the indication of
10 one, as Scott has said, or say for an IUD, they
11 were making some special claim, it wouldn't apply
12 to all IUDs generally. It would apply to the one
13 that was done in the trials. So, I don't know if
14 that answers the question.

15 DR. LOCKWOOD: Dr. Petitti?

16 DR. PETITTI: This specific example might
17 help. There is an oral contraceptive product where
18 studies were conducted to show that use of the
19 product, compared with the placebo, decreased the
20 likelihood of acne. In fact, oral contraceptives
21 are not labeled--the product labeling for combined
22 oral contraceptives does not include a decrease in

1 acne as one of the secondary non-contraceptive
2 benefits. However, a careful review of the
3 evidence, which is substantial, would suggest that
4 acne is a class benefit.

5 Now, as I understand it, the company that
6 applied for a secondary indication of acne
7 prevention or treatment could put that on their
8 drug-specific label and that would not affect the
9 labeling, either the class labeling or the product
10 labeling, of any other contraceptive, including a
11 contraceptive that had an identical pharmacological
12 formulation.

13 So, the match between evidence of the
14 non-contraceptive benefits and class labeling is
15 not very good, nor is the match between the safety
16 data in subgroups very good for the products that
17 we currently are using because most of it derives
18 from the era of higher-dose pills and more women in
19 whom there were interacting effects.

20 DR. LOCKWOOD: Dr. Tobert?

21 DR. TOBERT: Yes, responding to Dr.

22 Petitti, I think there is always a balance that has

1 to be struck between how much class labeling you
2 allow when it implies benefit because you don't
3 want to discourage new applications from actually
4 doing good studies. For example, in the
5 cardiovascular field, even though several statins
6 have demonstrated a reduction in cardiovascular
7 events, the FDA wouldn't, or hasn't hitherto
8 allowed that as class labeling simply because it
9 would destroy the incentive for anybody to do any
10 more studies like that.

11 So, I think there is a tradeoff. Which
12 side of the line acne falls on, I don't know but I
13 certainly think if somebody wants to claim a
14 reduction in, say, menstrual migraine they should
15 do the trials and then they will get the
16 indication.

17 DR. LOCKWOOD: Dr. Berenson?

18 DR. BERENSON: Correct me if I am wrong,
19 but they could not have put class labeling in that
20 particular instance because that was a patented
21 formulation, and any company that was going after a
22 new label would only do it for one that was under

1 patent because to do otherwise would not make sense
2 from a marketing perspective.

3 DR. LOCKWOOD: Any other questions,
4 comments?

5 DR. BLUMENTHAL: Is this like any new
6 business?

7 DR. LOCKWOOD: Any new business, exactly.
8 Dr. Blumenthal?

9 DR. BLUMENTHAL: Yesterday when we were
10 discussing a lot of the study-design issues, and
11 mostly methodologic issues, one of the things we
12 didn't touch on, and it is certainly the purview of
13 the discussion today to really discuss it in any
14 detail, but one of the things we didn't discuss was
15 what kinds of clinical data are required for the
16 approval of a contraceptive in terms of the kinds
17 of tests that a subject might have to undergo in
18 order to be in the trial.

19 For example, a lot of things that we do
20 and have been accustomed to doing clinically have
21 no real merit in practice and probably don't have
22 any merit in the approval of a contraceptive

1 device. Some of those kinds of barriers--those
2 barriers to practice and they might be barriers to
3 get into a clinical trial--have been studied by
4 WHO, and also promulgated by USAID. So, at some
5 point I think that the agency should review the
6 kinds of clinical data it requires of industry or
7 of an applicant for getting a contraceptive
8 approved because some of the things we are asked to
9 do as clinicians in a trial don't make any sense,
10 and often unnecessarily exclude women from trials.

11 DR. MONROE: Would you elaborate
12 specifically since you do have some things in mind,
13 to make sure we understand what you are referring
14 to?

15 DR. BLUMENTHAL: Well, the first one that
16 comes to mind is the PAP smear. You know, these
17 are contraceptives and while we can all recognize
18 the value of cervical cancer screening at some
19 point in a woman's life, if you were to have 16- or
20 18-year olds start to enter contraceptive trials,
21 the caveat that you must have a normal PAP smear in
22 order to get in a trial has no clinical meaning in

1 terms of a risk of cervical cancer, and no meaning
2 in terms of the state of her cervix.

3 So to exclude them--and, in addition, as a
4 result of being in a trial, they could then be
5 exposed to all kinds of subsequent confirmatory
6 testing, which is probably unindicated and has its
7 own side effects. That is just one example. So,
8 looking at the kinds of tests that one requires of
9 industry to provide as part of an application is
10 probably indicated now.

11 DR. LOCKWOOD: Does the FDA have any other
12 questions they want us to consider? Thoughts?
13 Comments? Dr. Slaughter?

14 DR. SLAUGHTER: I can do it after the break
15 if you would like.

16 DR. LOCKWOOD: No, no, there is no break.
17 Adjournment is coming up. Right?

18 DR. SLAUGHTER: I am probably going to beat
19 this ad nauseam but I want to go back to your
20 summary of 15 where you summarized to say that you
21 weren't recommending a cutoff but, pretty much, you
22 want to leave it to judgment relative to the

1 scenario for potential benefits, discuss the
2 efficacy, whatever value you apply to a delta to be
3 relative to potential benefits that the drug may
4 have.

5 I am just wondering if you really meant
6 the word "potential" or if we are really talking
7 about something supported by evidence. If so, what
8 level of evidence are we talking about?

9 DR. LOCKWOOD: I think I can speak for the
10 group. I think we very much meant potential, that
11 there could then be Phase 4 studies that confirmed,
12 with excellent data, the potential benefit that
13 drove the decision, and they could then seek a new
14 indication. I think it would be unfair and, in
15 fact, impossible for some of these indications that
16 will be generated to be doable in Phase 3 studies.

17 I will restate this. The committee
18 refused to be pinned down to a specific upper
19 confidence interval for efficacy because there are
20 so many variables that ought to be taken into
21 account when assessing a new sponsored agent, and
22 so many potential side benefits which have

1 biological plausibility that we want the greatest
2 possible latitude shown in demonstrable efficacy.

3 We gave you some very broad ranges of
4 intervals that you can consider. But the key
5 caveat is the word "depends." It depends on why
6 the new agent is being brought to you. So, we want
7 to encourage the availability of the broadest
8 possible array of contraceptive options to women,
9 and that means that ultimately the final decision
10 on the suitability of an agent ought to be left to
11 the doctor and the woman on the basis of the
12 available data. If anybody else wants to modify
13 that, feel free. Dr. Gillen?

14 DR. GILLEN: I would just like to add one
15 thing. So, when deciding upon this non-inferiority
16 margin or an acceptable non-inferiority margin,
17 this is clearly a clinically subjective decision
18 that one is making.

19 So, the question was, you know, you have
20 this potential benefit so how low do you lower the
21 bar effectively relative to what is out there?
22 Well, personally, if it is not an established

1 benefit that I know. I am going to be much less
2 willing to lower that bar for efficacy if I haven't
3 actually established that this thing actually truly
4 has some other benefit on a secondary endpoint.
5 Because I can't know that. I can't possibly know
6 that. So, why would I be willing to give up
7 something on efficacy if I haven't actually
8 established a benefit in some other secondary
9 endpoint?

10 So, that is something else that needs to
11 be taken into consideration each time these
12 different non-inferiority margins are going to be
13 set.

14 DR. LOCKWOOD: Dr. Trussell, do you want to
15 make a comment?

16 DR. TRUSSELL: I thought that is what you
17 said.

18 DR. LOCKWOOD: Yes, Dr. Peterson?

19 DR. PETERSON: I think that when we start
20 getting into the realm of theory we can start
21 arguing things a bunch of different ways. We could
22 argue, for example, that a 10 mcg pill might not be

1 more safe; it might be less safe in terms of adding
2 back for bone or something. So, if we start
3 changing our thinking based on what might be, it
4 creates a whole cascade of things that probably
5 aren't good.

6 DR. LOCKWOOD: Dr. Stadel?

7 DR. STADEL: I think if the agency chooses
8 to follow the direction of the committee with
9 regard to comparative trials, the reality is it is
10 going to have to ask the company to propose what is
11 feasible and to negotiate that, and then you are
12 going to have to look at that data and what you can
13 actually get and consider it, perhaps along with
14 data on other effects.

15 I was imprecise in using the word
16 surrogate outcome for ovulation suppression. It is
17 not a surrogate for pregnancy. I think it probably
18 has some value for combined oral contraceptives.
19 But I did want to make that correction. But you
20 are going to have to look at what can be done. I
21 mean, I don't think you can come up with no
22 information in advance and set a limit.

1 DR. LOCKWOOD: Dr. Gillen provided a very
2 nice framework for how to approach that from a
3 statistical standpoint by analyzing literature,
4 conducting meta-analyses, determining the
5 approximate confidence intervals and then
6 establishing a priori what the sponsor intends to
7 prove in terms of fitting within that interval. I
8 just summarized the very articulate statistical
9 argument in an obstetrician's fashion.

10 At any rate, if there are no other
11 comments, I move to adjourn.

12 DR. WATKINS: Thank you, all, for coming.
13 I know this is a very difficult topic and you did a
14 wonderful job.

15 [Whereupon, at 3:03 p.m. the proceedings
16 were adjourned.]