

1 information, if we look -- I think Tom
2 presented some of that. Certainly, some of it
3 is in the FDA review, some of it's in the
4 sponsor briefing package. You know, I've been
5 looking at sort of this zero to two and a half
6 hours, and then zero to twenty-four hours, and
7 assuming that at the end of the twenty-four
8 hours, what you're seeing in the placebo group
9 is a function of largely spontaneous events,
10 plus the percentage of patients who got
11 cardioverted. And then looking in the drug
12 group, zero to two and a half hours, a lot of
13 the drug effects, and then after to twenty-
14 four hours, some of that now includes the
15 cardioversion.

16 So you're right, we don't have the
17 direct evidence that randomization would
18 provide, but I do think you get a sense that
19 yes, there are some things that are occurring
20 in the placebo patients, and I think these
21 guys have nicely shown us how it breaks up in
22 the different time points.

1 DR. LINCOFF: I agree, and I don't
2 want to be -- I'm not perseverating, and I
3 don't want to belabor the point. The reason
4 I bring this up, or belabor it, if that's what
5 I'm doing, is because I think because we're
6 talking about at the end of the day, sort of
7 the same outcome, that the efficacy is
8 important, but I think the overwhelming issue
9 here is the safety, because if we're looking
10 at this as an alternative way of getting to
11 the same place, that avoids unpleasant, but we
12 can't really prove a morbid procedure, then it
13 better be safe in doing so.

14 DR. HARRINGTON: And that's what
15 our FDA reviewer asked us. Right? He says he
16 agrees that it works, he puts in big letters,
17 but is the tradeoff worth it?

18 CHAIR HIATT: I think that's a good
19 point to maybe pause for a second, and take a
20 ten minute break.

21 (Whereupon, the proceedings went
22 off the record at 2:31:09 p.m., and went back

1 on the record at 2:45:08 p.m.)

2 CHAIR HIATT: I think we're going
3 to begin with -- the sponsor would like to
4 just make a comment on dose, and then I think,
5 once that's done we'll turn to the questions.

6
7 So please go ahead. Dr. Straub,
8 did you want to -- we'll wait just a second
9 here.

10 (Pause.)

11 Would you like to say anything?

12 DR. STRAUB: No.

13 CHAIR HIATT: All right. I think
14 we'll then transition into the questions.
15 Maybe I can assume that the Committee has read
16 the first two paragraphs of the --

17 (Laughter.)

18 DR. STOCKBRIDGE: They look fairly
19 familiar, I think.

20 (Laughter.)

21 CHAIR HIATT: So if you forgive me,
22 I won't read that. Let's go to question

1 number 1. If you feel that you can answer
2 these -- some of these questions rather
3 quickly, please do so. If you feel that you
4 need to discuss them at length, please do
5 that.

6 First question, what clinical
7 benefits were demonstrated in the development
8 program for tedisamil? For which of them are
9 there beneficial and meaningful trends?
10 Reduction in thromboembolic events, reduction
11 in hemorrhagic events, reduction in
12 hospitalizations, reduced symptoms, avoidance
13 of cardioversion. Let's go through those one
14 at a time.

15 Thromboembolic events -- I think
16 the data show a slight numeric excess on
17 treatment. Anyone like to disagree with that
18 assessment? Please.

19 DR. LINCOFF: The numbers are
20 small, but a lot of these "thromboembolic
21 events" are thrombotic events, such as
22 pulmonary embolus. I mean, it's initially

1 thrombotic. In other words, they're not --
2 it's not a reasonable mechanism that that
3 would come from an atrial thrombus.

4 CHAIR HIATT: Right.

5 DR. LINCOFF: A myocardial
6 infarction -- I mean, how many -- very rare
7 myocardial infarction is truly embolic. It
8 happens, but realistically it's relatively
9 rare. So although they are thromboembolic, I
10 think to try to put a mechanism behind
11 especially these events occurring seven days
12 out, it's an interesting observation that
13 there's a slight numeric excess. But that I
14 would be very cautious about.

15 CHAIR HIATT: I really wouldn't
16 want to overinterpret it. But there are
17 certain things that you would expect from a
18 mechanism of action, and certain things might
19 be unexpected with drugs. And so I'm just
20 making note of that.

21 Anyone else like to interpret this
22 first part of the question? No?

1 DR. HARRINGTON: No. I mean, I --
2 you know, when you said go one at a time, I
3 think we can jump all the way down to
4 avoidance of electrical cardioversion. But
5 I'm happy to go one at a time.

6 CHAIR HIATT: Well, so was there a
7 reduction in hemorrhagic events? I don't
8 think so.

9 DR. HARRINGTON: We didn't see any
10 data on that.

11 CHAIR HIATT: There isn't any data.
12 Hospitalization?

13 DR. HARRINGTON: No data.

14 CHAIR HIATT: Did you all capture
15 length of stay? Do we know that this therapy
16 might have shortened a hospitalization
17 duration? Don't have any idea?

18 DR. RACZKOWSKI: We did not capture
19 that information.

20 CHAIR HIATT: Okay. Reduction in
21 symptoms attributable to atrial fibrillation.
22 This is actually a little harder, because they

1 didn't capture symptoms. What do you all
2 think?

3 DR. HARRINGTON: You said not to
4 bring it up from yesterday, but I will anyway,
5 that I thought Dr. Pritchett made a pretty
6 compelling case that symptoms track the
7 resolution of AFib. He cited not just
8 yesterday's data, but long experience in
9 research in this area. So I'll be willing to
10 say that it's likely that symptoms were
11 reduced.

12 DR. STOCKBRIDGE: Yes, that's
13 question 2.

14 DR. HARRINGTON: Okay. That's my
15 fantasy question.

16 DR. STOCKBRIDGE: That's right.
17 (Laughter.)

18 CHAIR HIATT: The word in italics
19 is "demonstrated."

20 DR. HARRINGTON: There was no
21 demonstration. Fair enough.

22 DR. STOCKBRIDGE: Avoidance of the

1 surrogate for --

2 CHAIR HIATT: Yes. Surrogate for
3 a surrogate. Avoidance of cardioversion.

4 DR. HARRINGTON: Yes. I mean, I
5 thought Tom's chart there at the end was very
6 helpful to try to quantify that -- what you
7 were -- you know, what you were getting.

8 CHAIR HIATT: Did you think the
9 number of cardioversion events avoided was a
10 significant number?

11 DR. HARRINGTON: I guess if you're
12 the one patient that didn't have it, but --

13 DR. LINCOFF: In part, though, the
14 protocol discourages it until after -- well,
15 actually not for cardioversion. It seemed the
16 low rates of cardioversion were lower than we
17 had seen in some other data, but they may have
18 been part of the protocol. So I think that's
19 hard to say.

20 CHAIR HIATT: Okay. Well --

21 DR. MASSIE: I interpreted it as
22 having a substantial proportion of people who

1 -- with years of AFib. You know, there were
2 people -- what did they say, the median was
3 three to -- three years or so of chronic AFib
4 for those that were -- three to five.

5 CHAIR HIATT: They had the
6 population divided in one of those slides into
7 those with very recent onset and those who are
8 more chronic. As I recall, it was about
9 50/50.

10 DR. MASSIE: Yes. But the number
11 of chronic was really chronic. I'm not sure
12 I know that from other programs, but it's --

13 DR. CANNON: Well, I didn't
14 necessarily interpret that to mean that they
15 had been at atrial fibrillation for four or
16 five years, but that they had a history of
17 atrial fibrillation. So maybe we could get
18 clarity on that.

19 DR. KOWEY: That's correct.

20 DR. CANNON: I'm sorry. Which is
21 correct?

22 DR. KOWEY: It was a total history

1 of atrial fibrillation. It's the --

2 DR. CANNON: Oh, okay.

3 DR. KOWEY: -- not that they had
4 been in it for five years.

5 DR. CANNON: Okay.

6 DR. MASSIE: But even that, but
7 certainly not as much as I imagined. If
8 you're in atrial fibrillation for five years,
9 your chances, I think, of getting cardioverted
10 out of it, if you're an older sort of person
11 are pretty tough.

12 So I interpreted the relatively low
13 rate of excess -- extra cardioversion on the
14 drug, but maybe that's wrong because in fact
15 the control group had a pretty good rate of
16 spontaneous cardioversion, if we could
17 interpret the data as well. And it came out
18 of the same pool of patients, so I -- I was
19 surprised about the narrowness of that
20 difference, but I think it's real. And it's
21 probably meaningful to those people that
22 experienced it.

1 CHAIR HIATT: So we all agree that
2 the treatment avoided cardioversion.

3 DR. MASSIE: Yes.

4 CHAIR HIATT: All right.

5 DR. CANNON: But I'd also say I
6 think that the data are more compelling for
7 men than for women. I think it was less
8 impressive --

9 CHAIR HIATT: Yes.

10 DR. CANNON: -- a delta for women.

11 CHAIR HIATT: Okay.

12 DR. MASSIE: And I guess -- I don't
13 know. Is it going to come -- atrial flutter
14 going to come -- is there a separate question
15 on that? Because it certainly was less
16 impressive for atrial flutter than fib, as
17 well.

18 CHAIR HIATT: Yes, this question
19 doesn't specifically ask what you think of the
20 efficacy. So we'll definitely get to that.

21 Anything else demonstrated?

22 (No audible response.)

1 Okay. So let's do number 2. What
2 clinical benefits would you -- should have
3 been expected through the use of tedisamil?
4 Compared with what treatment -- electrical
5 cardioversion, rate control, another drug --
6 are these clinical benefits expected?

7 So, kind of thinking about this
8 again, as we did yesterday, would you expect
9 that quicker conversion with a drug would
10 result in a reduction in thromboembolic
11 events? We had that sort of thing, about how
12 long you're in atrial fibrillation and that
13 the risk of cumulative, and, if you shorten it
14 by an hour or two, is that going to mean less
15 thromboembolic strokes? So does anyone have
16 anything --

17 DR. HARRINGTON: I guess it depends
18 what the comparator is, and Norm gives us that
19 out. He says compared with other treatments,
20 electrical rate control -- you know, I think
21 you could make a lot of speculation on the
22 other side of the equation here that -- I

1 think I brought this up yesterday in AFFIRM.
2 One of the reasons that rate control may have
3 been better than electrical -- than arrhythmic
4 control was that people used more
5 anticoagulation in the rate control group as
6 opposed to the other group.

7 CHAIR HIATT: Right.

8 DR. HARRINGTON: They just assumed
9 you didn't need it. And, again, somebody said
10 it today, that -- don't assume that you don't
11 need it in any of these conditions. So for me
12 it's a stretch to say that quicker conversion
13 with a drug would result in less
14 thromboembolic events. I think if a person is
15 going to need anticoagulant therapy, they're
16 going to need anticoagulant therapy, it's
17 unlikely that you would reduce these events.

18 CHAIR HIATT: And another way to
19 interpret this a little bit literally is that,
20 if the watchful waiting strategy was wait 24
21 hours and then cardiovert, or maybe even out
22 to 48, and then you've saved yourself those

1 many hours in atrial fibrillation.

2 Therefore, you've reduced that
3 exposure a little bit. Is that delta
4 meaningful in terms of subsequent risk?
5 Because it's going to be driven more by
6 practice patterns --

7 DR. HARRINGTON: That's --

8 CHAIR HIATT: -- to chronically
9 anticoagulate may influence that outcome far
10 more than saving a couple of hours in atrial
11 fibrillation.

12 DR. HARRINGTON: Yes. It's awfully
13 complicated, because you could make the other
14 case that, well, if I'm going to do watchful
15 waiting, let's put them on some heparin and
16 wait. So I think, Bill, this is -- it's hard
17 for me to -- even the fantasy world of
18 expected, it seems unlikely that that would be
19 expected, but --

20 CHAIR HIATT: So, yes, I can
21 imagine that you'd expect there to be that
22 benefit. How about reducing hemorrhagic

1 events, need for anticoagulation? You're sort
2 of saying we'd rather them -- people -- even
3 if they're in sinus, they may still be at risk
4 unless they really totally convert.

5 DR. HARRINGTON: Yes. I mean, what
6 the guidelines tell us is that it's -- it's
7 not -- if you -- that you make your decision
8 based on -- for anticoagulation, based on what
9 the baseline risk of the patients is. And if,
10 for example, you have a high CHADS Score you
11 end up on anticoagulation regardless of
12 whether or not you're back and forth. That
13 doesn't matter. That's not part of the
14 equation.

15 DR. MASSIE: And we have pretty
16 good data internally from the trial, which --
17 that most people remained on anticoagulation,
18 too. Were discharged on it.

19 CHAIR HIATT: So we wouldn't expect
20 that this treatment would reduce hemorrhagic
21 events then, right? Would reduce need for
22 hospitalization? Might shorten it, but maybe

1 not if we had to monitor people for nine
2 hours.

3 DR. HARRINGTON: But if you did a
4 study -- well, let's say, you know, Peter's
5 example when Mr. Simon asked him, "What would
6 happen to me?" and Peter gave the example of,
7 you know, maybe he would do this in his
8 emergency room and monitor him for two to
9 three hours and send Mr. Simon home, as
10 opposed to admitting you to the hospital doing
11 something else, it might. I think you could
12 create a case.

13 I don't think the data here
14 demonstrate that, but you might imagine a
15 treatment strategy that was, you know,
16 observation unit-based that reduced the risk
17 of hospitalization.

18 CHAIR HIATT: So it might be less
19 resource-intensive.

20 DR. HARRINGTON: It might.

21 CHAIR HIATT: Okay. Does everybody
22 agree with that? I think -- well, except if

1 we really think that nine hours is necessary,
2 you know, you might have -- you might have
3 consumed all of your savings just by that
4 recommendation alone.

5 DR. CANNON: But not everybody
6 would have to be monitored nine hours,
7 perhaps. If the QTc intervals, as Peter said,
8 return to normal by two hours, well, why keep
9 them seven more hours?

10 CHAIR HIATT: You can bet that
11 knowledgeable physicians would make that
12 choice. So maybe in the end it would play
13 that -- play out, and maybe the observational
14 study would help clarify that a bit, too.

15 DR. MASSIE: I would say the answer
16 to that question is really going to be
17 determined by organizational factors rather
18 than probably different --

19 DR. KOWEY: Can I answer --
20 continue to talk to you, Bill, or --

21 CHAIR HIATT: Sure.

22 DR. KOWEY: -- am I supposed to sit

1 down? I'll be careful, and I'll be very
2 discrete and short. But one of the things
3 that has been bothering me the last couple of
4 days that -- and I think Dr. Cannon may have
5 said this previously, and that is that we keep
6 thinking that we know when people go in and
7 out of AF.

8 And this whole idea of waiting 24
9 hours is making me very nervous, because, for
10 example, in the TEE literature you -- if you
11 look at atria and patients that have been in
12 atrial fibrillation for 24 hours, you begin to
13 see smoke and spontaneous echo contrast. And
14 there is an incidence of stroke that occurs
15 earlier than 48 hours.

16 The 48-hour recommendation is based
17 on an Annals article from 1999, and out of 200
18 patients that were observed there were three
19 events. It was just -- they thought that was
20 pretty small and they said, "Well, we can wait
21 48 hours." Well, 48 hours is pretty long,
22 number one. And, number two, I don't know if

1 my patients really know when they -- exactly
2 they go in and out of AF.

3 So this -- Mr. Simon is --

4 (Laughter.)

5 So I'm not disagreeing with the
6 idea that waiting sometimes isn't such a bad
7 deal, but you've got to remember that when I
8 see a patient with atrial fibrillation, I'm
9 pretty nervous about -- if I make a decision
10 -- and Bob said this earlier -- if I say I'm
11 going to cardiovert this guy, if that's the
12 decision that I've made, I'm going to
13 cardiovert this guy -- whether you -- whether
14 I do it with a drug or I do it with
15 electricity -- that's the path I've chosen.
16 I don't sit around and wait. And one of the
17 reasons I don't wait is because I don't know
18 if I have the timing right, and I'm very
19 concerned about a thromboembolic event.

20 And I don't want to start heparin,
21 Bob, because in our hospital the first PTT we
22 get on heparin is infinity, it seems like, to

1 be on the protocol. So I really think that
2 you need to be careful just a little bit with
3 this waiting thing.

4 CHAIR HIATT: Well, then that would
5 mean that you really would never expect a cost
6 savings here, because you're going to do
7 something based on what that patient is
8 presenting symptoms and if it's -- the drug
9 weren't available, you'd shock them.

10 So that what I was going to say to
11 the sponsor is, you know, in the observational
12 context of the study you are proposing to do,
13 maybe some healthy economic data would be very
14 helpful, because that would give us another
15 lever, you know, another compelling reason to
16 do a drug, because it might save dollars. I
17 mean, minutes in the emergency department or
18 avoidance of a hospitalization might be
19 another thing that we hadn't really talked
20 about in the last 48 hours in any kind of
21 formal way.

22 But we've all been patient-centric.

1 But maybe we should think about the system a
2 little bit, too. And you have the ability to
3 do that.

4 DR. STOCKBRIDGE: I just want to
5 point out that getting people out of the
6 hospital is an acknowledged clinical benefit
7 and a basis for approving a drug. Saving the
8 health care system some money is not.

9 DR. HARRINGTON: But the two of
10 them are intrinsically linked.

11 (Laughter.)

12 CHAIR HIATT: Yes. But, remember,
13 that's FDA versus -- I mean, that's -- but
14 still, our job is to --

15 DR. STOCKBRIDGE: Depends on how
16 much the drug costs.

17 (Laughter.)

18 CHAIR HIATT: Yes. You can
19 interpret that any way you need to.

20 (Laughter.)

21 Do you think that you would expect
22 to reduce the symptoms attributable? So, Dr.

1 Harrington would say yes; right?

2 DR. HARRINGTON: So now Norm will
3 let me say yes. I think Dr. Pritchett made a
4 compelling case that resolution of fib ties to
5 symptom resolution. I believe it.

6 CHAIR HIATT: Me, too.

7 DR. LINCOFF: But only for the
8 couple of hours, so you can get the electrical
9 cardioversion working in the other patients as
10 an alternative, or if you want to expand the
11 definition of symptoms, the symptoms
12 associated with going through an electrical
13 cardioversion.

14 CHAIR HIATT: I think you have to
15 count both. I really do. I think it's
16 avoiding a bad thing, and it's feeling better
17 quicker. And those two have to be included.

18 Once again, I think in an
19 observational study, gathering that kind of
20 information would be very helpful.

21 Avoidance of cardioversion -- yes,
22 we already said that.

1 Okay. Anything else on question
2 number 2?

3 (No audible response.)

4 Cited conversion rates, excluded
5 patients who underwent early electrical
6 conversion, those who converted prior to
7 receiving study drug, those who otherwise did
8 not receive study drug -- are these exclusions
9 reasonable? If not, how should the cases be
10 handled?

11 And we actually saw some additional
12 data that addressed that issue. Does anybody
13 have a concern with how the sponsor dealt with
14 that data?

15 DR. MASSIE: Well, just the one
16 point that the people who got shocked for non-
17 cardioversion reasons, but for the torsade, I
18 think it should be handled as failures. But
19 the others we saw it didn't make much
20 difference in how it all came out anyway and
21 --

22 CHAIR HIATT: Yes.

1 DR. MASSIE: -- so I thought it was
2 reasonable.

3 DR. HARRINGTON: Again, the FDA
4 handled it the opposite way, along the lines
5 of what Barry said. And we could argue that
6 the magnitude of the effect was somewhat
7 diminished, but there is still an overall
8 effect.

9 And so, I mean, I think they were
10 very transparent in their -- in their showing
11 of the data, which is important, and I have no
12 quarrel with using the modified intention to
13 treat in a blinded study, and they walked us
14 through the patients. I'm okay with that.

15 CHAIR HIATT: The one thing we
16 didn't hear was that initial step from
17 consenting to randomization, how many people
18 were lost, how many people did you have to
19 screen to get one in? I don't want to
20 digress. I don't want to have you start
21 pulling up slides. Can anyone give us just a
22 sense of -- a lot probably. Pardon?

1 THE COURT REPORTER: Please come to
2 a microphone.

3 DR. RACZKOWSKI: Dr. Driessen was
4 estimating approximately 10 percent.

5 CHAIR HIATT: Ten percent got in.

6 DR. RACZKOWSKI: From consent to --

7 CHAIR HIATT: So 90 percent did
8 not. Other way around.

9 DR. HARRINGTON: He said consent to
10 randomization, 10 percent dropped out. You're
11 asking the question screened to consent --

12 CHAIR HIATT: Correct.

13 DR. HARRINGTON: -- how big that
14 number was.

15 CHAIR HIATT: Yes. Unless they
16 kept screening logs, et cetera, you wouldn't
17 know.

18 DR. HARRINGTON: Wouldn't know.

19 CHAIR HIATT: Okay. Anything else
20 on 3?

21 (No audible response.)

22 Number 4. In a restricted sense,

1 tedisamil is clearly more effective than its
2 placebo. Among patients who had been in
3 atrial fibrillation for three hours to 45
4 days, the rates of spontaneous conversion on
5 placebo within a two and a half hour window
6 were three to 10 percent, while conversion
7 rates on drug were 18 to 55 percent at
8 proposed doses.

9 How well characterized is the
10 relationship between time in atrial
11 fibrillation and spontaneous conversion?
12 Three percent of patients converted
13 spontaneously after randomization but before
14 the steady drug administration, and maybe that
15 randomization -- that conversion rate on
16 placebo might have continued.

17 So very much like we've just
18 deliberated previously.

19 DR. MASSIE: I thought this was,
20 you know, enlighteningly different in the
21 sense that there was not the universal
22 cardioversion at the end of the treatment

1 window, and we did get to see that there was
2 more spontaneous cardioversion, at least in
3 the group that hadn't been in AFib for very
4 long, than I might have guessed from
5 yesterday. But I think it's pretty well
6 characterized in the study. I mean, that's
7 the question.

8 DR. LINCOFF: The caveat of that,
9 of course, is that we don't know they chose
10 not to cardiovert. They may have chosen not
11 to cardiovert because it was the physician's
12 clinical estimation that that patient was
13 likely to convert on his own anyhow. So it
14 doesn't necessarily apply to all comers.

15 But that having been said, we
16 actually saw a lot more data today than we did
17 yesterday, including a nice review I think in
18 the literature by the FDA reviewer that I
19 found very helpful.

20 DR. MASSIE: And we also saw a fair
21 number of people who were chosen -- where they
22 didn't cardiovert also spontaneously. so I

1 think we got a picture that this happens in at
2 least the early subgroup.

3 CHAIR HIATT: You know, what did we
4 say, half the men at the end of 24 hours were
5 still in AF, and about 60 percent of the women
6 were still in AF?

7 DR. HARRINGTON: And then, if we
8 look -- I'm just looking at the slides we saw
9 this afternoon -- with no DC cardioversion,
10 the placebo group men were 30 percent at 24
11 hours, and for the females it was 18 percent.
12 So there is a continued accumulation.

13 What I think Barry asked that we --
14 or you asked that we don't have the data on
15 the relationship between time in AFib and
16 subsequent spontaneous cardioversion. Or did
17 we see that? Oh, did you show us that, Tom?

18 CHAIR HIATT: Well, we saw that, if
19 you had been out more than seven days, the
20 probability was about zero, right?

21 DR. HARRINGTON: That's right. I'm
22 sorry, you're right. That's right. You lined

1 it up nicely for success rates.

2 CHAIR HIATT: How does that look
3 now? How well characterized is the
4 relationship between time in AF and conversion
5 on tedisamil? So we didn't see quite that
6 curve that we were looking for, but I think
7 that we got at it in terms of this 48-hour
8 window. We didn't see 24 so much. But I also
9 found it interesting that there were still
10 conversion rates occurring late.

11 DR. LINCOFF: I don't want to
12 compare, but what -- how much of a conversion
13 rate do we consider is relevant late? Because
14 if you recall, yesterday we saw conversion
15 rates late, too, but said, you know, that has
16 really fallen off already. So, you know, in
17 the teens is about where we saw today, and I
18 think my recollection is that's what we
19 thought was diminishing already by yesterday.
20 So I'm not sure it's all that different.

21 DR. HARRINGTON: I mean, the data
22 were, three to 48, 48 to seven, and eight to

1 45. For me, it was 52, 28, and then 13. For
2 women, 32, 16, eight. So it is -- I would say
3 that we've got it characterized reasonably
4 well. We didn't see that nice histogram
5 broken up by 24-hour blocks, but I think we've
6 got the essence of the answer here that it's
7 more effective early and it diminishes with
8 time.

9 CHAIR HIATT: I agree. Any other
10 comments?

11 (No audible response.)

12 What length of time in atrial
13 fibrillation is clinically meaningful? Any
14 new thoughts on that? Anyone want to voice
15 any opinion? We've heard Tom's opinion.

16 (Laughter.)

17 DR. HARRINGTON: Well, I thought
18 Peter just helped us out with this, too. He
19 said that, you know, the guidelines -- I had
20 made reference to the guidelines saying 48
21 hours is your -- sort of your anticoagulation
22 go/no-go.

1 And Peter just told us that it
2 might be in fact earlier than that, that the
3 -- that atrial stroke begins to be seen on
4 echo, which is a sign of potentially
5 thrombotic risk. So perhaps it's fairly
6 short, maybe a day or two. I don't think we
7 can be -- I don't think we have data that
8 would say more than that.

9 CHAIR HIATT: So even short time
10 today that may be symptomatically relevant and
11 puts you at a pro-thrombotic risk. Any other
12 comments on that?

13 For patients who have been in
14 atrial fibrillation for what duration is the
15 time-saving attributable to tedisamil
16 clinically meaningful? So what have we got in
17 terms of clinical benefit here? It actually
18 might be nice to go around the table a little
19 bit on that one. Fred?

20 DR. KASKEL: Well, I thought we
21 were looking at a 48-hour window as our --

22 CHAIR HIATT: No, we're talking

1 about what time savings. So you're going to
2 save that patient a couple of hours, maybe
3 more, of being in the state of atrial
4 fibrillation. Is that clinically relevant?
5 Would the kidneys like that?

6 DR. KASKEL: No, I don't think the
7 kidneys want to be like that either.

8 CHAIR HIATT: Okay.

9 DR. KASKEL: I think it is
10 meaningful to get them out early. It has an
11 advantage, and we talked about it yesterday,
12 how this may set up mechanisms we don't know
13 about that would preclude being -- having long
14 durability. You might go back in quicker than
15 if you got them out of it earlier, so I would
16 think that's --

17 CHAIR HIATT: So you think it is
18 clinically relevant, then.

19 DR. KASKEL: Yes.

20 CHAIR HIATT: Okay. Rich?

21 DR. CANNON: So maybe this belongs
22 better under question 2 about what we -- what

1 might be expected. I would think that there
2 could be a considerable time savings if this
3 drug is safe and effective and gets them out
4 of atrial fibrillation, gets them home very
5 quickly, as opposed to watching them in the
6 hospital, watching them overnight.

7 So I think there could be a time --
8 now, if the comparator is electrical
9 cardioversion, you know, maybe that's shorter
10 than putting them in the hospital, putting
11 them on heparin, and just watching with a beta
12 blocker to see if they convert on their own.
13 But we have no data, so I'm just speculating
14 that there might be a time savings.

15 DR. STOCKBRIDGE: Again, if I may
16 --

17 DR. CANNON: If that's what the
18 question is driving at.

19 DR. STOCKBRIDGE: Well, the purpose
20 of the question was to get you to say, "I
21 think people who have been in AF for three
22 hours to 45 days are likely to benefit from

1 receiving the drug." It's to name that
2 interval again.

3 DR. HARRINGTON: So this is -- you
4 want us to answer the -- what duration of
5 AFib --

6 DR. STOCKBRIDGE: That's what it
7 asks.

8 DR. HARRINGTON: Ah.

9 DR. CANNON: Well, so we've -- I
10 think we're in agreement that the longer
11 someone has been in atrial fibrillation, the
12 lower the efficacy. And I think that it goes
13 down day by day. It's highest within the
14 first 24 to 48 hours, and then it goes down
15 gradually. And I would think by the end of
16 two or three weeks it's probably not worth
17 doing it.

18 CHAIR HIATT: In fact, we have
19 probably flushed that one out a fair amount.

20 DR. CANNON: Yes, I mean -- I mean,
21 personally, I would go with electrical
22 cardioversion and anticoagulate them -- for

1 someone who has been in -- I think they've
2 been in it over 48 hours, I would
3 anticoagulate them or use a TEE-guided
4 approach and use electrical cardioversion.

5 DR. MASSIE: I would say that to
6 answer this question we really have to talk
7 about safety, because I think the effect is
8 not very impressive after 48 hours. Whether
9 it's impressive enough to be worth doing it
10 for less gain is a safety question.

11 MR. SIMON: I guess from a patient
12 standpoint, if I'm in atrial fib, and it's 100
13 beats a minute, it's a lot easier to withstand
14 that than if you're at 150 or 180 or 200. The
15 difference, the restrictions, et cetera, et
16 cetera, go up obviously with the higher the
17 rate. So the faster you can get the rate
18 down, even if you're in AFib, the higher --
19 the faster you can get the rate down, the
20 better off it is for the patient.

21 CHAIR HIATT: Well, we'll come back
22 to risk-benefit, too.

1 All right. Anything more on
2 question 4?

3 DR. HARRINGTON: So are we agreeing
4 that, after 48 hours the benefit really drops
5 off?

6 CHAIR HIATT: Yes.

7 DR. HARRINGTON: I mean, I think
8 so, but I would say it's not no benefit, but
9 it drops off substantially.

10 DR. CANNON: And then, this gets at
11 the risk issue. There is no evidence that the
12 risks drop off with time, so that that risk-
13 benefit ratio stays proportionately the same.
14 So you have the same risk but diminished
15 efficacy. It seems to me that the argument
16 for using it drops off considerably after 48,
17 72 hours, somewhere in that range.

18 DR. LINCOFF: Unless your
19 expectation of efficacy is principally the
20 avoidance of DC cardioversion.

21 CHAIR HIATT: So, then, if it had
22 no risk, you could always start with this

1 strategy, even if you only got a one percent
2 net benefit.

3 DR. LINCOFF: Because otherwise
4 we're just -- we are talking about time, which
5 is more dependent upon your hospital system
6 and your ability to just get mobilized with
7 electrical cardioversion. If there wasn't the
8 desire not to have electrical cardioversion,
9 it would strictly be -- make your hospital
10 more efficient, and you don't need any of
11 these drugs.

12 DR. HARRINGTON: So let's talk
13 about that for a second, Bill. So if we look
14 at the data that they showed us, in the first
15 48 hours, 52 percent of men and 32 percent of
16 women convert. Sounds like we all accept that
17 those numbers are reasonable, because we're
18 assuming, then, you avoided electrical
19 cardioversion in 50 percent of men and a third
20 of the women.

21 In the next bucket, 48 to seven
22 days, it's now 29 percent and 16 percent. Is

1 the 29 percent for men -- that's awfully close
2 to the 32 for women. Do we accept that, or do
3 we say that -- and then, the 16, are we now
4 starting to get into Mike's place of the teens
5 seems a little low?

6 DR. LINCOFF: Well, I actually
7 wasn't in favor, if you recall yesterday, of
8 truncating a time, because I thought whatever
9 it is -- again, to me, the only issue is; does
10 the risk offset this benefit in preventing DC
11 cardioversion? Except, you know, the other
12 issues like cost, which we -- you know, we
13 can't -- the physician and the health system
14 is going to have to evaluate that.

15 But if we set those aside, as we
16 must, to me the only issue is, how valuable is
17 it to avoid a DC cardioversion, even if it's
18 in 16 percent of patients? And how many -- if
19 we expose 100 percent of patients to the drug
20 and get some risk with that, is it worth
21 preventing 16 percent cardioversions?

22 DR. CANNON: But the other issue --

1 and I tried to raise this yesterday, and
2 perhaps not well, is that when we talk about
3 late treatment -- so someone who has been in
4 atrial fibrillation four days, five days, six
5 days, two weeks, three weeks -- unless they
6 have been on anticoagulation, unless they were
7 already on coumadin, then I don't understand
8 the strategy, because you will either have to
9 use a TEE-guided approach, in which case go
10 ahead and electrically cardiovert them because
11 they are going to be sedated for that, or
12 you're going to have to send them home on
13 coumadin for three or four weeks and bring
14 them back.

15 So the --

16 DR. LINCOFF: But a lot of these
17 may well be. They may be chronic valve
18 patients, they may be chronic AFib patients
19 who are on it, and, you know, were traveling
20 and didn't have time to come into a hospital
21 when they went into AFib, because they're not
22 at 250, so it wasn't a mandate.

1 DR. CANNON: Okay. But --

2 DR. LINCOFF: And there's a lot of

3 --

4 DR. CANNON: -- there is going to
5 have to be that proviso, but I think for many
6 patients they may not have been on
7 anticoagulation during that period of time.

8 DR. LINCOFF: Right. But that's
9 still -- that gets back to my original idea
10 that it's not the time as -- that's as
11 important as preventing the cardioversion,
12 because you're right, if you've got the
13 leisurely pace of instituting cardioversion,
14 of anticoagulation, et cetera, then time
15 doesn't matter anyhow. To me, it's strictly
16 avoiding electrical cardioversion.

17 CHAIR HIATT: Anymore on 4?

18 (No audible response.)

19 So 5, what effect does unsuccessful
20 conversion with tedisamil have upon subsequent
21 attempts at electrical conversion? I think we
22 asked that question. I don't remember if we

1 saw something about it that --

2 DR. MASSIE: I asked whether they
3 -- I asked that general question whether it
4 worked as well and was there any additional
5 problems, and I think there wasn't data. Is
6 that correct?

7 CHAIR HIATT: Any comments?

8 DR. STRAUB: We've been showing
9 some data on defibrillation threshold at one
10 of our core slides showing that there is no
11 detrimental effect. There was even a benefit
12 in one of those finding -- studies in the very
13 beginning.

14 CHAIR HIATT: Yes, thank you. I
15 thought we had seen that. So the answer to
16 that would be -- well, it doesn't seem to have
17 an effect on your ability to respond to an
18 electrical cardioversion. So how would --

19 DR. MASSIE: I would guess if there
20 were a difference, it would probably be
21 favorable based on favorable --

22 CHAIR HIATT: Okay.

1 DR. MASSIE: -- even with some of
2 the other drugs. But the real question was
3 really the safety question, whether it alters
4 that issue.

5 MR. SIMON: If you have
6 unsuccessful from the pharmacological effect,
7 that obviously lengthens the time that you are
8 still in atrial fib. Does that have an effect
9 on the electrocardiogram, then, if it's two,
10 four, six, eight?

11 CHAIR HIATT: The question I think
12 is whether the drug does something to the
13 atrium that makes it refractory to
14 cardioversion, and then I think the answer is
15 no.

16 DR. HARRINGTON: If you look at
17 slide 32, if anything, as the sponsor
18 indicates -- it's a small number of patients
19 in this proof of principle, but it suggests
20 that it's better, if anything, the
21 defibrillation threshold. And that would make
22 sense with the effects of the drug, right? It

1 was also being looked at as an oral agent for
2 treatment of these patients.

3 DR. KOWEY: The answer -- I'm
4 sorry, the answer to Mr. Simon's question --
5 does the delay, by giving a drug, have an
6 influence on the effectiveness of eventual
7 electrical conversion? The answer is no.
8 Actually, it has been fairly well studied.

9 Barry said earlier -- or someone
10 said earlier, if you get out to two, three, or
11 four years of atrial fibrillation, then
12 obviously electrical conversion is much less
13 effective. Within the time frame we're
14 talking about, there's no impact.

15 CHAIR HIATT: Okay. Number 6, how
16 is atrial hemodynamic function affected by
17 tedisamil? Does this matter? So we kind of
18 wrestled with that a bit yesterday, too. I
19 don't think we have any data here to comment
20 on this, do we? Do you guys have any data?

21 DR. HARRINGTON: None that we saw.

22 CHAIR HIATT: None that we saw.

1 Probably none that -- you didn't do echos on
2 people looking at atrial function. So
3 probably not known.

4 DR. STOCKBRIDGE: So I enter that
5 as no data, don't care, is that what I hear?

6 CHAIR HIATT: No data. We tried to
7 speculate, why would we care? And it would
8 seem that it would -- you would care because
9 it has some clinically relevant sequelae.

10 So that you could -- you know, if
11 it changed your response to something, either
12 other alternate therapies or if it set up
13 somehow -- it changed atrial function, but it
14 seems to me whatever it would do would have to
15 be relatively transient to a hemodynamic state
16 of the atrium, or maybe it could become pro-
17 thrombotic, maybe it would set up the atria in
18 a way that would be more likely to have clot.
19 And that's all highly speculate.

20 DR. CANNON: Well, we heard from
21 Dr. Waldo. I believe he mentioned that there
22 are studies to show that with any

1 cardioversion, whether spontaneous,
2 electrical, pharmacologic, that there is
3 atrial dysfunction for a period of time.

4 DR. STOCKBRIDGE: But that was not
5 this drug.

6 DR. CANNON: No. No, no.

7 DR. STRAUB: We have conducted a
8 hemodynamic study to address hemodynamics with
9 oral tedisamil. I've made the statement in
10 the beginning that we consider tedisamil to be
11 hemodynamically neutral, so we have done a
12 series of studies for the oral program. We
13 have also an IV study.

14 I'd like to show you what it does
15 in an oral setting, 40 milligram BID was given
16 over two weeks followed by 80 milligram BID
17 over 10 weeks versus a placebo. The 80
18 milligram starts off in the uses of peak
19 plasma concentration, which is comparable with
20 an 0.32. The AUC would be higher, much
21 higher.

22 So what you see here is right

1 atrial pressure at baseline, and you see at
2 week 9 data, you see change from baseline
3 versus placebo. It's not significantly
4 different, so we saw a slight decrease, but it
5 did, for sure, not show an increase. And
6 systolic right ventricle pressure, also a
7 slight decrease but not significant. And
8 diastolic right ventricular pressure, the same
9 trend.

10 We also have data -- so this is the
11 data on the wedge pressure in these patients.
12 You see in millimeters of mercury at baseline
13 was 18 and 19 millimeters of mercury. At week
14 9 it was down to 16. That was slightly less
15 decrease than in the placebo; however, not
16 significantly different. But at least it
17 didn't show an increase.

18 3.5.6 -- I'd like to show you a
19 study with intravenous-applied tedisamil.
20 That was a single intravenous rising dose,
21 open study, of tedisamil dihydrochloride
22 investigating human dynamics in patients with

1 documented ischemic heart disease.

2 3.5.9 -- you see here the
3 preliminary -- the pressure in the right
4 atrium, which shows a decrease at the lower
5 dose. At .3, it showed a slight increase
6 following intravenous, but not relevantly so.
7 The results were not significantly different
8 from baseline.

9 Pulmonary artery and diastolic
10 pressure was showing a tendency to increase.
11 There was also a significant value at rest
12 here, but not at maximum workload. If you
13 look at the wedge pressure, there was a slight
14 increase at rest in this function, but it was
15 not even with the higher dose at maximum
16 workload.

17 So this is the data we have for
18 hemodynamics.

19 CHAIR HIATT: That's helpful.

20 DR. STOCKBRIDGE: Was it?

21 CHAIR HIATT: Well, I mean, we --

22 DR. STOCKBRIDGE: What did that

1 have to do with how well your atria work after
2 you've been converted?

3 CHAIR HIATT: We don't know that.

4 DR. STOCKBRIDGE: Oh, okay. So why
5 was it helpful?

6 CHAIR HIATT: It looked rather
7 neutral in terms of --

8 (Laughter.)

9 DR. MASSIE: I would say that it's
10 not helpful for that question, but as a heart
11 failure doctor it -- it's nice to know the
12 hemodynamic effect of the drug on ventricular
13 function, because some of the drugs we use
14 make it worse.

15 CHAIR HIATT: Yes. I mean, that
16 sort of makes you think that -- it doesn't
17 look like it's a drug that's going to have a
18 pronounced effect. But in this --

19 DR. MASSIE: But ventricular
20 function is a reflection of the ventricular
21 function in most cases. And if you want to
22 see if it moves, you probably have to look at

1 it and see whether it moves.

2 DR. STOCKBRIDGE: So is this
3 something that anybody needed to study
4 further? So no data doesn't matter? That's
5 --

6 DR. HARRINGTON: No data, we don't
7 know if it matters. I don't think we know
8 that.

9 DR. LINCOFF: Nor do we know it for
10 any other intervention to convert atrial
11 fibrillation. So why start here?

12 CHAIR HIATT: How much of a safety
13 concern is torsade? Have the rates of torsade
14 been adequately characterized in the patient
15 population at the dose for which tedisamil
16 should be used?

17 DR. LINCOFF: This is my biggest
18 concern regarding safety, and I think that at
19 the doses it should be used, they've tested
20 that. But I think there has been a lot of
21 concern here about the population that should
22 be -- in which it will be used, even on label,

1 and that is with concomitant medications, a
2 broader spectrum of real illnesses, less
3 exclusion criteria, and the fact that it's a
4 relatively small number.

5 The upper limit of the confidence
6 interval is close to 3.0 percent, so I think
7 that that's my concern, that we have too much
8 uncertainty regarding true torsade risk.

9 CHAIR HIATT: So wait a minute. So
10 the uncertainty is based on the fact that it
11 hasn't been studied in all possible
12 populations, and it hasn't been studied on
13 potential drugs that may be used in the real
14 world.

15 DR. LINCOFF: And it's a relatively
16 small sample size. That doesn't even begin to
17 approach other issues such as what will be the
18 real rates of errors in practice, because we
19 can assume there will be a very strong good
20 faith effort to minimize those, but that's
21 also a factor that's -- that is very difficult
22 to quantify. But all of these issues could

1 well serve to increase the torsade rate beyond
2 what is already a wide margin.

3 CHAIR HIATT: Okay. So tell me, in
4 the setting it's going to be given, how much
5 of that is going to matter? I mean, how is
6 that going to change the fact that they are
7 going to be highly monitored and you can shock
8 them out of it?

9 DR. LINCOFF: Well, that wasn't the
10 -- I mean, the question is the incidence of
11 torsade, because a proportion of torsade isn't
12 as easily -- I mean, some people will -- will
13 die of torsade, especially out in practice,
14 where, as time goes on and people become more
15 -- as it becomes diffused into practice and
16 people become more comfortable with using it,
17 I mean, you can easily -- people die of
18 torsade. They die of ventricular arrhythmias.
19 People die of things that, you know, 99
20 percent won't, but one percent will.

21 So I think if you have torsade,
22 you've got to expect a proportion of patients

1 to have a bad outcome, if not death, anoxic
2 encephalopathy, et cetera. It's an
3 undesirable event.

4 Now, I'll grant you it's much less
5 undesirable if it happens in the first period,
6 the short period of intense observation, than
7 it is if it happens on the street. So
8 certainly we're not -- it's not like an oral
9 agent where you send somebody out on it. But
10 nevertheless, it's an undesirable outcome, and
11 I'm concerned that we -- we don't have enough
12 certainty what the real risk would be in this
13 population.

14 DR. CANNON: And I would extend
15 that, based on our conversation this morning,
16 about not knowing how Type 1C drugs -- this
17 gets to the second sub-bullet -- that are
18 metabolized by SIP 2DC, might interact with
19 the disposition of this drug, or this drug
20 could interfere with the disposition of the
21 Type 1C agents, and that they might have
22 additive effects on QT interval or additive

1 risk or synergistic risk on torsade.

2 So that what we've seen here might
3 just be the minimum frequency, that in real
4 world it could be considerably greater. And
5 then, it gets at the monitoring. Well, then,
6 how long -- if somebody gets -- takes a pill
7 in their pocket home, it doesn't work, they
8 come to the hospital and get this drug, or the
9 other way around, what does that do to the
10 monitoring interval? Does it have to be
11 doubled, tripled?

12 CHAIR HIATT: So that just -- hold
13 on just a minute. So, yes, I totally agree,
14 because I think you have a dose administration
15 relationship to this event. It appears to be
16 dose-related, higher at the doses above
17 recommended.

18 But how well do we have it
19 characterized is based on a very low frequency
20 of events, and there you never know how well
21 characterized it is. And I would say that it
22 could -- it probably would get worse out in

1 the real world. But when you don't know --
2 the confidence interval around these small
3 frequency events are still relatively large,
4 so you have to acquire more events to get any
5 certainty about the real risk of that.

6 DR. LINCOFF: And it's okay to say
7 you're going to do that in post-marketing if
8 it appears in the relatively small numbers
9 that you have pre-market, that it's a very
10 small number. But I don't think this is a
11 very small number, and it is a number that is
12 in a very low -- relatively low-risk
13 population -- again, because of the exclusion
14 criteria, the absence of the concomitant
15 medications.

16 DR. HARRINGTON: So if you -- you
17 know, what the FDA reviewer did is that he
18 tried to -- recognizing that it was an
19 infrequent event, tried to increase the
20 sensitivity by calling it VTac, VFib, or
21 arrest on day one. In women, the placebo
22 event rate is 2.9. At the recommended dose,

1 it's 3.1. But the dose above that, with an
2 exceedingly small group, is 29 percent. In
3 the men, it's 5.6 with placebo. At the
4 recommended dose, it's 11.1.

5 So ,for torsade specifically, I
6 agree with Mike that, you know, it's
7 infrequent, but it's a small number of
8 patients. Therefore, the confidence intervals
9 are broad.

10 In broadening our sort of net, it
11 seems a bit higher. And the women -- we've
12 talked about this earlier, the steep portion
13 of the curve is perhaps bothersome.

14 CHAIR HIATT: So we would say it's
15 not well characterized, and it represents a
16 significant concern.

17 DR. KOWEY: I can't disagree with
18 that answer, but there is a couple of points
19 of clarification, just so that you're aware.
20 First of all, 1C drugs don't cause torsade.
21 They prolong conduction, and they can be pro-
22 arrhythmic if they're given in appropriate

1 patients. But they don't prolong the QT
2 interval, and they don't cause torsade. So
3 that's why I thought that putting a 1C drug on
4 top of this drug would not add to the risk of
5 torsade, because 1C drugs don't cause torsade.
6 It's a different risk.

7 I don't disagree with the -- I was
8 just going to say I don't disagree with your
9 premise that if a -- for example, propafenone,
10 which is a 2D6 metabolized 1C drug, were given
11 with this drug, I have no idea -- and that's
12 why I certainly wouldn't load with the drug,
13 I wouldn't give a big dose of the drug. But
14 if you were to dose -- and the usual dose
15 recommendations of propafenone is to start at
16 a very low dose and work up -- I doubt if it
17 would be an issue, but it needs to be studied,
18 and I don't disagree with you.

19 To Bob's point about the VTs, we
20 adjudicated the VTs, as you saw, very
21 intensively, very intensively. And there are
22 a lot of VTs in this clinical program. I

1 don't have any explanation for why there were
2 more VTs in this program than you saw in other
3 programs, but they were also in the placebo
4 group.

5 I can promise you that, what you
6 saw for what was not classified as torsade by
7 our Committee was not torsade, and that is --
8 since it was not torsade, I have no way to
9 attach it to the drug. This drug, if it's
10 going to cause pro-arrhythmia, it almost
11 certainly has to be through its QT-prolonging
12 effects in torsade.

13 And so I would not do what Dr.
14 Marciniak did. I would not try to increase
15 your sensitivity by increasing VT cases that
16 were not torsade, because in my estimation
17 that's background noise, don't know why it was
18 there, it was in the placebo, but don't count
19 that. Don't put as much reliance on that
20 stuff. It's not really reliable.

21 DR. HARRINGTON: But how do we know
22 that? I mean, you know, I'm looking at 231

1 male placebo patients, six percent, 5.6, 207
2 male treated patients, 11.1 percent. And I'll
3 agree with you that, I mean, if I test that,
4 they're not likely different from one another.
5 But numerically --

6 DR. KOWEY: Yes. No, all I'm
7 explaining to you is that the biological
8 plausibility of the connection between this
9 drug and VT is torsade. And I have no other
10 way to connect this drug based on its basic
11 electrophysiology or anything I know about it
12 with any other kind of VT.

13 CHAIR HIATT: Let's not go there,
14 because it makes me really nervous. Just
15 because the mechanism of action wouldn't
16 suggest that that could happen doesn't at all
17 rule it out.

18 DR. LINCOFF: Anti-arrhythmics
19 have been notorious for biological
20 plausibility not matching with clinical
21 outcome.

22 CHAIR HIATT: And I think, to

1 summarize what we're -- I think what we're all
2 saying is -- even if there weren't other
3 concomitant medicines in the picture, we still
4 feel that it has not been well characterized,
5 and it poses a significant concern, even if
6 nothing else changed.

7 Now, we also speculated that in the
8 -- with broad use, there may be other
9 environmental or concomitant medicine risk
10 factors that, if anything, could accentuate
11 that risk; at best case, might be neutral.

12 How long -- either hours or QT
13 prolongation -- should the rhythm be monitored
14 after exposure to tedisamil? Does this time
15 need to be adjusted for 2D6 inhibitors or for
16 poor metabolizers or phenotypes?

17 So we've got very widely divergent
18 recommendations between the sponsor and FDA.
19 What does the Committee think?

20 DR. MASSIE: I don't really know
21 how to answer the question, so I will err on
22 the side of caution. Why wouldn't you want to

1 monitor them longer, until we know?

2 DR. LINCOFF: It has real
3 implications, because it changes the whole
4 possibility of a quick outpatient
5 hospitalization. That isn't the rationale for
6 doing something unsafe, but I think it's a
7 very important question. But I don't know how
8 to choose between the different QT
9 measurements as a guideline for hours.

10 All I can say is what I've said
11 before, is I don't think asking clinicians,
12 particularly non-electrophysiologists who will
13 be administering these drugs, to use the QTc
14 as the criteria for discharge as realistic.
15 I think it's prone to error, it's prone to
16 just being skipped, and I think you either
17 pick a time -- I think you should pick a time.

18 CHAIR HIATT: Okay. But we've just
19 heard that the QT isn't correlated with some
20 of the other serious arrhythmias that happen
21 -- the VT -- because they may have -- by a
22 mechanistic plausibility, I don't know that

1 the QT is the marker for all of the potential
2 arrhythmias that might happen in this period.
3 And then, we do have the factors of the other
4 medications that these people might be exposed
5 to.

6 I mean, the thing that gives me a
7 little bit of a nightmare, although I'm a
8 little bit helped by the experience with
9 ibutilide is we're going to have people on
10 amiodarone that are going to come in right and
11 left. I mean, most of these people -- most of
12 these people are on amiodarone, and we have no
13 idea what this drug does.

14 Now, it seems like giving ibutilide
15 in the presence of amiodarone didn't turn out
16 to be as bad as one imagined it might be. But
17 I don't know that one can extrapolate, and I
18 don't know that that was experienced. And I
19 think there was one article that said the
20 opposite, but certainly in my institution they
21 wrote a series that -- where there was not a
22 bad outcome.

1 But, you know, you're not going to
2 have a large group of patients who aren't
3 exposed to amiodarone in this orbit I think
4 here. And any exclusion criteria was within
5 three months.

6 DR. HARRINGTON: Yes, that's the
7 part that gets -- that I totally understand
8 from a trial design perspective. In fact, I
9 applaud the investigators for asking the
10 question in a relatively anti-arrhythmic-clean
11 group, and keeping them clean for the whole 24
12 hours, let's study our drug.

13 Rational scientific design, but now
14 with the clinical implication piece of it we
15 now don't have any data for, well, what if
16 you're part of the 50 percent who doesn't
17 convert? And in order to increase my chances
18 of converting, I want to add something.

19 Now, we may say, as I think was
20 said earlier today, well, you might do that
21 over the next day or two and then bring them
22 back, but you might also say, well, they're

1 already here, let's load them up with
2 something, try to cardiovert them.

3 We don't have any data for that,
4 and so I think that you would have to err on
5 the side of a longer observation -- perhaps
6 you have a twofold observation period, that if
7 they have successful conversion, it's one
8 length; if they don't have a successful
9 conversion, and you are going to think about
10 adding other things, that you put some very
11 specific language about lengthening that.

12 CHAIR HIATT: Well, there are
13 concerns, too, about how complicated you want
14 to make that. So I think -- so what about the
15 "or" statement? Normalization of QT, which is
16 best assessed in sinus, or some hourly rate.

17 DR. HARRINGTON: I'm with Mike.
18 You know, as an interventional, you know,
19 knuckle-dragging cardiologist, you've got to
20 give me the time and not ask me to look at the
21 QT.

22 CHAIR HIATT: Yes, I agree.

1 DR. HARRINGTON: And Barry is
2 probably even worse as a heart failure guy.

3 (Laughter.)

4 CHAIR HIATT: So it sounds like the
5 consensus is sort of away from normalization
6 of QT and really towards defining kind of an
7 upper window of a follow-up period. How long
8 would that be?

9 DR. HARRINGTON: Now, you probably
10 should put in there, you know -- you know,
11 whatever you want to pick: four, six, eight
12 hours, but there should be some qualifier, you
13 know, if the QT is obviously prolonged, don't
14 let them go.

15 DR. LINCOFF: I agree with that.
16 I mean, it may not get done, but at least you
17 may -- you're not going to be discharging
18 people who someone has done an ECG, observed
19 a long QTc, and not --

20 DR. HARRINGTON: They said four
21 hours.

22 DR. LINCOFF: Yes, they said four

1 hours, I'm fine.

2 CHAIR HIATT: So we want to go for
3 the, what, eight or nine hours that the FDA is
4 recommending?

5 DR. HARRINGTON: I don't think the
6 FDA said eight or nine, did they?

7 CHAIR HIATT: What did they say?

8 DR. HARRINGTON: They said like 14
9 or something.

10 CHAIR HIATT: Tom, what do you
11 think? What did you want to do?

12 DR. MARCINIAK: I believe with some
13 of the various reviews it was either eight
14 hours or eight or nine, or longer.

15 DR. HARRINGTON: He said six to
16 eight.

17 DR. MARCINIAK: Six to eight, okay,
18 correct. I believe that was based on
19 normalization of QT. One could consider
20 whether in fact one has to wait until it's
21 completely normalized. That's another
22 possibility.

1 CHAIR HIATT: But we're sort of
2 saying that's hard to assess.

3 DR. MARCINIAK: No. But, I mean,
4 give it time based -- the eight hours is based
5 on normalization. Okay. It's not based on
6 returning to within 10 milliseconds of
7 baseline. One could consider doing that as
8 another way of trying to give -- you know,
9 define the interval.

10 When do you think the risk is --
11 the other thing is, of course, again, looking
12 at the event rates, it's probably just as
13 useful, and we'll probably try to pin that
14 down a little bit more thoroughly. Is there
15 an obvious time when the event rate has gone
16 back down to, you know, what we consider as
17 comparable placebo or some other such
18 criteria?

19 CHAIR HIATT: That might be tough,
20 given the numbers. So maybe we can't give you
21 a number, but it sounds like longer is better.

22

1 How about the metabolizer status?

2 It didn't seem to affect things. Fred, you
3 might say, though, the -- perhaps severe renal
4 insufficiency might be still a note of
5 caution, not as well explored?

6 DR. KASKEL: I think that's a
7 subgroup that I'd like to see more done on.

8 CHAIR HIATT: Okay.

9 DR. KASKEL: I think there are some
10 answers there we need to find out.

11 DR. HARRINGTON: We do know in the
12 oral data that it was noted that it was --
13 that the safety was worse in the renal-
14 impaired patient. Now, I thought the sponsor
15 fairly said with the diarrhea, the electrolyte
16 changes, it's hard to tease it out. But I do
17 think Fred's caution is probably warranted.

18 DR. KASKEL: I would just add that
19 the major cause of death in the CKD population
20 is cardiovascular events. They have bad
21 vessels, right?

22 DR. HARRINGTON: Sure.

1 DR. MASSIE: And I believe that in
2 the trial, people with severe renal
3 dysfunction were excluded, too. So we don't
4 really know anything.

5 CHAIR HIATT: No. We did see some
6 data dichotomized by GFR.

7 DR. MASSIE: But as Fred said, it
8 was dichotomized not --

9 CHAIR HIATT: GFR with potassium
10 over four, and things like that, right? Is
11 there anything that you think would enlighten
12 us on that?

13 DR. DeVRIES: I can show some data
14 in really impaired subjects on the kinetics,
15 which might help to define it among monitoring
16 --

17 CHAIR HIATT: Okay.

18 DR. DeVRIES: -- you asked for it.
19 Yes. So what we know, because it's renally
20 excreted tedisamil, it's -- of course, the
21 kinetics is affected by renal impairment. But
22 since it's a single-dose infusion, the Cmax is

1 not affected, and that's what you see in the
2 graph. So in both groups -- moderate renal
3 impairment and in the group normals -- you see
4 that the Cmax is around just below 1,000
5 nanograms per mil.

6 But because of the distribution
7 kinetics of tedisamil, you see that,
8 immediately after stopping the infusion the
9 plasma levels go down very quickly. Within
10 two hours after stopping the infusions, the
11 plasma concentrations are back to around 20
12 percent of the peak level. And you'll see
13 that's not so different in subjects with
14 moderate renal impairment and in subjects with
15 -- in normal subjects.

16 And, indeed, of course, in renally
17 impaired subjects, the half-lifetime is
18 longer, but that's -- predominantly you see
19 that only in the terminal part, because the
20 first part is distribution kinetics.

21 So based on these data, we think
22 that the monitoring window needs not to --

1 there is no need to adapt that for renal
2 impairment. And I think we have also seen
3 that in the QTc data. I showed that earlier
4 this morning. I think that was slide 994.

5 DR. KASKEL: That's good. That's
6 helpful.

7 DR. DeVRIES: Yes. You see that in
8 both groups, the QTc go -- go in the same
9 order. So there is no difference between the
10 QTc in both groups.

11 DR. HARRINGTON: What was the
12 median creatinine clearance in the less than
13 and greater than group? In other words, are
14 these all -- in the less than group, is the
15 median creatinine clearance 50, or is it 35?
16 I'm trying to get a sense from Fred's earlier
17 concern; are these mostly people who are
18 hovering around 60, or are these mostly people
19 who are much more reflective of -- you know,
20 take for example the average acute ischemic
21 heart disease patient in this country has a
22 median creatinine clearance of 50.

1 So a lot of the patients that we
2 see as cardiologists have diminished renal
3 function. Is that fair, Fred?

4 DR. KASKEL: Right. I mean, I
5 think that would be a CKD Class 3.

6 DR. DeVRIES: Yes. In this group,
7 the mean creatinine clearance in the Model 3
8 non-impairment was around 40 mils per minute.

9 DR. KASKEL: So that is helpful,
10 then.

11 DR. DeVRIES: Yes.

12 DR. KASKEL: Okay. That's just one
13 stage before needing renal replacement
14 therapy, so it's significant. This is a Stage
15 3 CKD. Stage 4, they're on dialysis.

16 DR. HARRINGTON: So that data is
17 useful with --

18 DR. KASKEL: It's useful, yes.

19 CHAIR HIATT: Okay. So the sponsor
20 recommends a lower dose to try to avoid some
21 risk of torsade, and the lower dose should
22 trend towards lower risk for torsade.

1 However, women also tended to have lower rates
2 of conversion on drug at any given dose than
3 men. Does this tradeoff lower effectiveness,
4 lower risk, make sense?

5 I think we kind of danced around
6 this issue about this really fairly narrow
7 toxic therapeutic ratio, which, you know,
8 might be more accentuated in women a little
9 bit. There are certainly more deaths in
10 women, but it might be there in men, too.

11 DR. LINCOFF: Perhaps I can make a
12 stab at balancing this. From my standpoint,
13 again, the most we can expect from
14 effectiveness is to prevent a cardioversion.
15 However, from a safety standpoint, we can --
16 those can be real bad things. So from my
17 standpoint, anything that diminishes the risk,
18 even if it carries with it a somewhat --
19 something of a diminution of effectiveness is
20 an appropriate thing to do.

21 And so it makes sense in this
22 question from my standpoint. I'm willing to

1 accept quite a lot of decrease in efficacy if
2 that also decreases the risk.

3 DR. STOCKBRIDGE: I guess I'm --
4 the reason I have trouble with this part is,
5 I've got two points on a sort of risk-benefit
6 relationship here, if these are the only
7 things I'm concerned about are avoiding shocks
8 and -- avoiding shocks for torsade or avoiding
9 shocks for AF. And I don't think I have a
10 good sense for why you prefer one place on
11 that curve versus another.

12 DR. MASSIE: What was wrong with
13 what Fred said?

14 (Laughter.)

15 CHAIR HIATT: Well, let me chime
16 in, then. Are you saying that the precision
17 with which the safety has been -- shown us to
18 date, which is very, very low numbers, is so
19 imprecise that we really don't know that a
20 lower dose is safer?

21 DR. STOCKBRIDGE: Well, I mean, if
22 your goal was to avoid torsade, then lower is

1 obviously better. But then, you know, you're
2 down in an area where you're not getting what
3 you were trying to get achieved with the drug.

4
5 And I don't -- I still don't
6 understand why -- why having -- if the ratio
7 of torsade to conversion was acceptable at the
8 lower dose, why isn't it acceptable at the
9 higher dose, which is on the order of about
10 the same?

11 DR. MASSIE: Well, I think that's
12 the question. But I don't think that one
13 stretches to increase the efficacy by
14 increasing the risk. One may decide not to
15 use the drug, based on feeling that the dose
16 that you feel comfortable using isn't very
17 effective.

18 So, I mean, I think the worst thing
19 to do is to, you know, find a dose that's
20 moderately effective, but really increases the
21 potential risk of death. We don't need this
22 drug, really. I mean, it might add something

1 all together, but until we know more about it,
2 do you really want to do that? Or do you want
3 to give the data and let the doctor decide?
4 But not -- I don't think we want to offer the
5 higher risk of torsade, or a lower one.

6 DR. LINCOFF: No, you're right, we
7 don't want to offer the -- so you just don't
8 approve it in women. But that would be
9 tricky, too.

10 DR. MASSIE: Well, that's an
11 option, but I don't think that's the point,
12 because I think we -- whatever the efficacy
13 is, it's an incremental efficacy over what
14 else is available. It is preventing a certain
15 number of cardioversions. Maybe it's 17,
16 maybe it's 32, maybe it's 40, whatever the
17 groups are.

18 But, so -- and whatever that is,
19 setting aside the cost issues, it's an
20 advantage for those patients in whom
21 cardioversion doesn't have to be performed.
22 We're still left with the issue of: are we

1 willing to take any of those benefits at the
2 cost of some risk?

3 And I think that speaks more to the
4 issue of whether or not you approve the drug
5 or not. But if you approve the drug, then I
6 think you say that the sponsor has made a good
7 faith and as careful as anything -- as any
8 developmental effort to find the right dose in
9 women and in men, and that we go with that if
10 we go with the drug.

11 DR. STOCKBRIDGE: Let me take one
12 more stab at this. If the goal here is to
13 minimize cardioversion, and it turns out,
14 maybe I can exchange, you know, a
15 cardioversion for AF, for a cardioversion for
16 torsade, if that were true, you know,
17 depending on which place I -- which point I
18 chose on the curve, those things would seem to
19 be the same to me. It's not the absolute
20 rate; it's whether or not I have, on the
21 whole, less cardioversion on one of these
22 doses than on the other.

1 And if you -- if that's what you
2 thought, you'd go with the higher dose,
3 because the increment in torsade-related
4 conversion is smaller than the absolute
5 reduction that you get in terms of
6 cardioversions for AF. So once more, why --

7 DR. MASSIE: You're assuming that
8 you could get the type of instantaneous
9 response effective -- and effectiveness
10 without the rare person of torsade who
11 actually doesn't get out of it, in the setting
12 in which this is occurring. I don't know that
13 we know that. You know, I mean, one is a risk
14 that if they stay in AFib and they don't --
15 you know, it's one thing. But they might die
16 of torsade, and somebody will die of torsade.
17 It's not just the shock that bothers me, it's
18 the other outcomes that could be downstream.

19 DR. LINCOFF: Yes, it's not an
20 apples to apples comparison.

21 DR. HARRINGTON: Yes. You're saying
22 a cardioversion is a cardioversion is a

1 cardioversion. And I think if you present
2 this to the clinicians who are going to be
3 doing this and say, "You know, you're going to
4 end up with 10 cardioversions. Ten of them
5 are going to be for AFib, or seven of them are
6 going to be for AFib and three are going to be
7 for torsade," they're going to view those two
8 very differently, because, as Barry said,
9 that's not the same thing, and there may be
10 patients that -- yes, Peter said in the ideal
11 world you'll get everyone out of it. But what
12 if you don't? I don't think that's a sellable
13 point.

14 DR. STOCKBRIDGE: So why is .32 the
15 right number? Why isn't it .16? .08? .02?

16 DR. HARRINGTON: Well, because then
17 you do start dropping off. I mean, already
18 with women we are going from, what is it,
19 three to four -- so the short durations where
20 we all have a sense that the drug works
21 better, in men it's 52, in women it drops down
22 to 32. So you've already given up 20 absolute

1 cardioversions, 20 absolute percent for --

2 DR. STOCKBRIDGE: Okay. And the
3 benefit is now half of what it is in men?

4 DR. HARRINGTON: Or three-fifths,
5 right. A little more than half. Your
6 question is a tough one. I mean, how much are
7 you willing -- how much risk are you willing
8 to accept for, I think admittedly, a rather
9 modest benefit?

10 DR. STOCKBRIDGE: I can obviously
11 cut the torsade rate in men, too.

12 DR. HARRINGTON: You can cut it to
13 zero if you don't give it.

14 DR. STOCKBRIDGE: Well, that's an
15 option you have, too. But, you know, again,
16 if your goal is to avoid torsade that might be
17 fatal, you should be giving the lowest
18 possible dose to both men and women.

19 DR. MASSIE: But the lowest
20 possible dose that has a demonstrable effect.
21 Otherwise, why would you give a dose that has
22 no effect?

1 DR. STOCKBRIDGE: I agree with
2 that, too. And that may be what we should be
3 doing. I think that -- I actually think 32
4 comes pretty close to that. If you go below
5 that, I don't think you have a demonstrable
6 effect, and the risk of torsade is less than
7 it is if you gave 48. And I'm sure there's
8 the same data in men -- 48 and 64.

9 DR. CANNON: I think it's
10 reasonable to accept a small risk, because
11 anything we do has a risk. I mean, there's no
12 -- there's no option that has no risk. I
13 don't care what you do. There's no option,
14 whether you -- watchful waiting, electrically
15 cardiovert them, give them ibutilide, tell
16 them -- no matter what you do, there is a
17 small risk.

18 CHAIR HIATT: Small risk of?

19 DR. CANNON: There's no zero risk.

20 CHAIR HIATT: Of? Of torsade?

21 DR. CANNON: Of a severe

22 ventricular arrhythmia. Depends on what the

1 agent is that you use.

2 CHAIR HIATT: But you'd have to say
3 that there is --

4 DR. CANNON: Electrical
5 cardioversion wouldn't be --

6 CHAIR HIATT: -- but there are
7 drug-related torsades that would not be seen
8 with cardioversion or waiting --

9 DR. CANNON: No. But cardioversion
10 has its own risks.

11 CHAIR HIATT: Right.

12 DR. CANNON: So I've seen asystole,
13 I've seen a patient have to be paced after
14 electrical cardioversion, I've seen VF after
15 electrical cardioversion, somebody had to be
16 defibrillated. There is no free lunch.

17 DR. LINCOFF: And this comes back
18 to the -- at least what I think is a practical
19 difficulty, or impossibility, because of the
20 lack of data, of actually doing this
21 numerically. You know, we can't say, okay, if
22 I can save 20 cardioversions, I'll trade one

1 torsade, because that will neutralize out the
2 risk of the -- that's associated with those 20
3 cardioversions, because I don't know if --

4 DR. STOCKBRIDGE: You really need
5 to be able to do that.

6 DR. LINCOFF: Well, but if we do,
7 then we wouldn't approve any of these drugs,
8 because to my knowledge, no one has well
9 characterized the risks associated with the
10 cardioversions in the current era, especially
11 with a background med.

12 So if that were the requirement,
13 then how do you approve any of these agents?
14 We have to factor in sort of the utility or
15 the -- yes, you know, the -- if we're just
16 using the exact apples to apples comparison,
17 how many ventricular arrhythmias am I going to
18 prevent from cardioversion in exchange for the
19 ones I'm causing by the drug, then I don't
20 know how we can do that.

21 It has to be sort of the
22 integration of all of the adverse experiences

1 and events associated with cardioversion and
2 a judgment of how much risk we think we're
3 willing to take to do that.

4 DR. HARRINGTON: You could actually
5 -- you said the key word, Mike. You said
6 "utility." You could actually do this
7 quantitatively. You could do -- use the
8 techniques of decision analysis and walk --
9 and quantify all of the nodes along the way
10 for what the decision is. I mean, it could be
11 done and give you just what you asked for.

12 DR. LINCOFF: But part of that
13 would require sort of a judgment of quality
14 adjustment for --

15 DR. HARRINGTON: That's what
16 decision analysis takes into consideration.

17 DR. LINCOFF: Right. So you'd have
18 to, you know, ask patients who had had a
19 cardioversion, "How many years of life would
20 you give up to avoid being shocked?" And, I
21 mean, those introduce so much subjectivity
22 that I don't know how -- how you would

1 practically do that.

2 CHAIR HIATT: But I guess the thing
3 that's hard about this is that we probably
4 have a good, reasonable idea on the efficacy
5 side, given the sample size. But how much
6 certainty do you all have about the real risk?

7
8 If you all think that any torsade
9 is bad, even in this monitored situation, I
10 mean, I think we have a reasonable sense of
11 how this drug meets placebo, right? And we
12 have pretty tight confidence intervals, I
13 would think, around the point estimate of that
14 benefit, but all of these arguments assume
15 that we actually know what this risk is.

16 DR. LINCOFF: No, I think that's
17 the key. I mean, when you do a small study --

18 CHAIR HIATT: Right. Right, that
19 is the key.

20 DR. LINCOFF: -- you do that study,
21 and you power it to efficacy, and you're okay
22 if you win on the efficacy, and you don't have

1 a signal for risk or much of a signal. But if
2 you get a signal for risk, and you're clearly
3 empowered to do that, then it becomes a much
4 more difficult story, and I think that's
5 exactly where we are here.

6 CHAIR HIATT: I mean, so that we're
7 assuming that torsade is bad, and that that
8 conversion is not the same as a conversion for
9 AF. But if we did 10,000 patients and knew
10 that every torsade was shocked into sinus and
11 nothing bad happened from that, you might
12 think differently.

13 And if a few of those snuck by as
14 arrhythmogenic deaths, you might think
15 differently, too, right? So the problem with
16 the whole argument -- the strawman here is, a
17 little bit, we don't know what the real risk
18 is.

19 DR. HARRINGTON: But we've got --
20 you know, if you go to slide 94, you've --
21 where they actually have done all of the
22 adjudication of the torsade-like events by

1 dose, by gender, they give us the point
2 estimate, they give us the associated
3 confidence intervals, but the numbers are --
4 I mean, you know, it's one case.

5 CHAIR HIATT: But that's the whole
6 point.

7 DR. HARRINGTON: That's the
8 problem.

9 CHAIR HIATT: That's the point is
10 that we're assuming a couple of things in this
11 setting. And, of course, if you throw in the
12 con meds, that might muddy the waters even
13 further.

14 So it's back to where you always
15 are with symptomatic therapy, isn't it? You
16 know that it works to treat the symptom. It
17 works to avoid the cardioversion and make you
18 feel better for a period of time. But do we
19 know the real risk here?

20 MR. SIMON: Can I -- I'm sorry.
21 Can I ask a question? If I have atrial fib
22 and I'm a woman, and I take the drug, was it

1 30 percent, 35 percent effective? On a dose
2 of say 32 -- at 32, and it doesn't work, then
3 I normally would go to the electrical cardio?
4 So I have put myself at risk, number one, of
5 the drug as well as at the electrocardiogram.
6 So haven't you doubled or incrementally added
7 to the risk?

8 DR. HARRINGTON: Well, they have
9 shown us -- your point is well taken -- that
10 it is -- and that was the point of looking at
11 the 24 hours, because in 24 hours you're
12 taking the drug risk that you got for two and
13 a half hours, and then you're taking the rest
14 of the risk that whatever they did to you for
15 the rest of that time.

16 But I think part of the uncertainty
17 that is being raised is in the trial,
18 understandably it was very clean, they didn't
19 give people additional drugs, et cetera. In
20 real life, that may not be the situation. But
21 you're absolutely right -- for you as an
22 individual, it's a cumulative risk.

1 CHAIR HIATT: It's a treatment
2 strategy. We said a fair amount here that
3 this doesn't exclude -- using a drug doesn't
4 exclude another strategy following the use of
5 the drug.

6 So let's see if we can summarize
7 this question here. So women have higher risk
8 at higher doses, less conversion rates. Does
9 the tradeoff make sense? I'd have to say that
10 we don't know. I don't know. Because I don't
11 have a really -- a great sense of confidence
12 around what that risk is.

13 I think I know what the loss of
14 benefit looks like. And if I'm just trying to
15 convert patients, I would push women to higher
16 doses. But I don't know what the tradeoff is
17 in terms of real clinical, meaningful, adverse
18 events.

19 DR. HARRINGTON: And we don't know
20 --

21 CHAIR HIATT: Anyone disagree with
22 that?

1 DR. HARRINGTON: And we don't know,
2 given the small numbers -- you know, it looks
3 like you go -- you're on a really steep
4 portion of the dose curve, that you -- or the
5 risk curve. You go from .4 to 9.1, but, as
6 was pointed out this morning, the confidence
7 intervals are so broad, it may be the same as
8 the -- we just don't know. And I don't think
9 you're going to be able to get that data.

10 CHAIR HIATT: So fundamentally we
11 need more events on drug and how they were
12 managed to have a better sense of what that
13 risk is.

14 DR. MASSIE: But just to point out
15 something that has come up earlier -- you do
16 know that if you're a woman and you get this
17 32 milligram dose, or .32, it's not worth it
18 probably if you're more than 48 hours out,
19 because then you go from the 30 down to the
20 low --

21 CHAIR HIATT: Well, but remember,
22 if -- even at those low response rates, if in

1 fact nothing bad ever happened --

2 DR. MASSIE: Right.

3 CHAIR HIATT: -- you'd do it.

4 DR. MASSIE: But we don't -- or at
5 least I don't believe that nothing bad will
6 ever happen. Something bad has happened --

7 CHAIR HIATT: Yes.

8 DR. MASSIE: -- even if it didn't
9 end up awful. I believe torsade is bad,
10 because I know that -- as Peter said -- if 500
11 people get torsade, there are going to be
12 people dying in the real world.

13 CHAIR HIATT: But in the context of
14 delivering this drug in the way that we'll go
15 forward, we don't know.

16 DR. LINCOFF: All I can say is that
17 I think that it is reasonable to try to
18 equalize the risk between men and women. We
19 can decide in the end -- we will with the vote
20 -- if we accept that risk at all. But I think
21 that this is an effective strategy that they
22 have proposed to equalize the risk, and it is

1 reasonable to do so even, if that means
2 diminishing the efficacy in the women.

3 DR. HARRINGTON: That's actually a
4 fair way to think of it, Mike, or -- nicely
5 said, because you do go from the point
6 estimates are now the same, the confidence
7 intervals are now the same. I like that way
8 of expressing it.

9 CHAIR HIATT: So we would not be
10 recommending that somehow, like maybe men
11 should all -- to avoid dosing errors, maybe
12 men and women should all get the same dose.
13 We don't think that's a good idea. No.

14 Any more thoughts on that?

15 (No audible response.)

16 Okay. How much of a safety concern
17 is bradycardia? Anybody really worried about
18 that? If you are --

19 DR. CANNON: For the group, I don't
20 think it was a problem for men or women. But
21 we reviewed fairly extensively one very
22 dramatic case of a women who become

1 bradycardic, AV block, virtually asystole.

2 Now, Dr. Waldo says, "Well, any
3 drug that affects the AV node could have done
4 that." Maybe yes, maybe no. But I think for
5 the group it doesn't appear to be a problem.

6 CHAIR HIATT: Any other bradycardia
7 concerns? No?

8 (No audible response.)

9 How about the thromboembolic
10 events, including strokes? We did see a
11 couple of those events, I think numerically in
12 excess, on the drug. But you're not
13 convinced.

14 DR. LINCOFF: Yes. As I mentioned
15 earlier, that numeric excess was summing a lot
16 of events, unless I'm wrong -- myocardial
17 infarction, pulmonary emboli, the whole deal.
18 And if that's the case, I think that's too
19 heterogeneous of a group. So I don't buy it.

20 CHAIR HIATT: So just so I
21 understand this, so you all are pretty
22 convinced that this torsade that is picked up

1 is in fact a far more greater safety signal
2 than these thromboembolic events? And, if so,
3 how do you -- are you convinced of that?

4 DR. LINCOFF: Am I incorrect? I
5 see some heads shaking? Am I incorrect about
6 what these pooled thromboembolic events were?

7 DR. MARCINIAK: Right. But if you
8 consider them separately, MIs and strokes,
9 it's greater for each individually. Again,
10 smaller number events. I mean, sum is going
11 to be greater than --

12 CHAIR HIATT: See, I think -- yes,
13 I think it may be slightly myopic to just
14 highlight this one arrhythmia as the thing,
15 and assume that these small frequency events
16 don't mean much, because they scatter around
17 neutrality. I mean, I don't know.

18 I think I have the same uncertainty
19 about deploying this out in the world, about
20 those events, as I do about the torsade. You
21 know, they may go away as issues, and they may
22 stay as concerns. I don't know that this drug

1 isn't pro-thrombotic at some level. I just
2 don't know that. I mean, how could you argue
3 differently?

4 DR. LINCOFF: Well, I mean, if it's
5 -- an increased risk of torsade would be
6 unlikely to have any connection with the
7 increased risk of thrombotic events. So now
8 you're postulating this is an independent
9 thing, a different mechanism, which may well
10 be. And I just criticized talking about
11 mechanistic reasons in favor of empiric data,
12 so I'm less focused on that.

13 But the mechanism of these
14 different thrombotic events are very
15 different. I mean, a myocardial infarction
16 overwhelmingly is in situ thrombosis. Yes,
17 occasional emboli, but you can usually tell,
18 and it's usually something that's pretty
19 clear.

20 The pulmonary embolus -- I mean, as
21 I recall, there were two of them. One was
22 quite late, one was very early, but that

1 patient may have had an early pulmonary
2 embolus as the cause of their AFib in the
3 first place. So, you know, that's probably
4 different, and how many pulmonary emboli come
5 from right atrial thrombus? I don't know how
6 often that happens.

7 So, you know, we're talking --
8 we've taken a bunch of events which can be
9 defined thromboembolic because they involve
10 thrombus, that goes somewhere maybe --
11 thrombus that stays or goes -- but I don't
12 really think they are the same thing. And so
13 I think we're talking really tiny numbers as
14 compared to what you have with the torsades,
15 which is more numbers and is mechanistically
16 linked.

17 CHAIR HIATT: But if you then
18 acquire more safety data in some way, then you
19 would certainly start to sort that out, right?

20 DR. HARRINGTON: Yes, that's what
21 I would say -- is it fair to say, Mike, that
22 this -- the thromboembolic event rates need to

1 be better characterized? I mean, because I
2 have your perspective is, that if you look at
3 the dose of interest, the .48 for men, it's
4 1.0 percent, and the pooled placebo is .4
5 percent. I mean, they're small numbers.
6 There's excess numerically.

7 But I would -- and I'm one that
8 rarely believes in mechanism, so I would say
9 it's -- it's there and see what happens.
10 Needs to be better studied.

11 DR. MASSIE: Well, I think it's
12 like all of the other uncertainties that we're
13 facing here, which is you've got to play it
14 off against the efficacy in your final
15 thinking. I agree with the statement that we
16 need to characterize this better.

17 We need more safety data in the
18 population that is going to receive this, and
19 I don't think we can do -- well, never mind.
20 I think we just need more data, because I
21 don't think this is -- I agree that, you know,
22 the intellectual pathway doesn't make me as

1 concerned, but it's there and we just have to
2 know whether it's going to be there in larger
3 populations.

4 DR. HARRINGTON: Yes, here is -- I
5 would even take it a step further and say,
6 look, we didn't look real carefully, for
7 example, at the baseline demographics. We
8 talked about patients with ischemic heart
9 disease, but we didn't well characterize, you
10 know, what was the actual balance of ischemic
11 heart disease, previous CABG, previous
12 angioplasty, the LV function by group?
13 Because with an overall small sample size,
14 even relatively small imbalances in
15 characteristics that then do lead to things
16 like MI and stroke could tip the arm one way
17 or another. So to me it's a problem of the
18 overall small sample that is problematic.

19 CHAIR HIATT: And what you're sort
20 of saying is these are unadjusted raw rates,
21 and that's also a fair --

22 DR. HARRINGTON: In a small sample

1 size.

2 CHAIR HIATT: Yes, sure. Okay. So
3 the concern didn't go away. Need more data.

4 Any other safety concerns?

5 (No audible response.)

6 Hypertension? No? Okay. Anything
7 else?

8 DR. HARRINGTON: You know, I was
9 thinking about this earlier today and I didn't
10 ask it. It was noted that the blood pressure
11 can go up. We've had some recent drugs where
12 the blood pressure mean for a population goes
13 up a little bit, but there are some real
14 outliers. Did you see any data on the
15 hypertension front? Are there any outliers
16 where there is a few patients where the blood
17 pressure goes way up? Because then you do
18 start to bring in the stroke question and --

19 CHAIR HIATT: You know, but, again,
20 you have to ask about the exposure.

21 DR. HARRINGTON: It's very short.

22 CHAIR HIATT: Well, so, as I

1 recall, looking at the first VA cooperative
2 randomized trials for blood pressure, for very
3 severe hypertension, the events started
4 accruing over the next year. So -- and they
5 could occur at two months.

6 You have to ask yourself: is a
7 little bit of hypertension for an hour --

8 DR. HARRINGTON: Probably not. We
9 had our placebo control hypertension trial
10 meeting, and we determined that it was okay --

11 CHAIR HIATT: And it was determined
12 that --

13 DR. HARRINGTON: -- to do it.

14 CHAIR HIATT: Yes, exactly, you
15 could go for four weeks and not cause harm.

16 DR. HARRINGTON: But we can assume
17 that there wasn't some extreme outliers.

18 CHAIR HIATT: Yes, that's a good
19 point. We could anchor that to another
20 meeting.

21 DR. LINCOFF: Yes, assuming SAE
22 would have been filed for a blood pressure of