

1 cognitive measures by looking at the dose
2 closest to when the adverse event happened,
3 the previous dose, and then the previous non-
4 zero dose, if the dose was withheld for some
5 sort of an adverse event. But we really
6 couldn't find any trend with respect to dose
7 for all these measures what you are
8 mentioning.

9 MR. COUCH: Well, this wasn't so
10 much adverse events, but this was every time
11 they did the rating scale, they should have
12 basically had their Stroop and their Stroop
13 interference, and those would have been
14 numbers just like the chorea score. And I
15 was wondering if you looked at those numbers
16 versus the dose in the same way you looked at
17 chorea score versus the dose.

18 MR. BHATTARAM: Yes, we did look at
19 that, and we couldn't find any strong trends
20 in those directions.

21 MR. GOLDSTEIN: Dr. Jung.

22 MS. JUNG: Dr. Villalba mentioned

1 in slide 37, safety issues and postmarketing
2 reports. And since this drug has been used
3 in other countries for over 30 years, I guess
4 I have a question. Are there any other
5 postmarketing reports of side effects such as
6 akathisia and depression and dysphagia?

7 MS. VILLALBA: I think that the
8 sponsor could probably answer better. That
9 question overall, in my analysis, they were
10 not something that is outstanding or
11 different from what it is expected, because
12 of the pharmacology of the drug.

13 MR. STAMLER: Would the Chair like
14 me to comment on that? Yeah, in the
15 postmarketing database, there were additional
16 reports of akathisia, parkinsonism,
17 depression, suicides, so things that we saw
18 on our clinical trial database.

19 MS. JUNG: And how does that
20 compare with what you have reported in your
21 studies?

22 MR. STAMLER: In terms of, like

1 event rates, the problem with the
2 postmarketing data is it is very old. It
3 dates back to 1960, most of it, when the drug
4 was originally developed by Roche. So we
5 don't really have good denominator
6 information to give you that. So
7 qualitatively, we know it's the same.

8 If I might add just one small point
9 of clarification that came up in the
10 presentation, tetrabenazine does not block
11 dopamine receptors. It inhibits VMAT2 and
12 depletes dopamine presynaptically, but at
13 clinically relevant concentrations, it
14 doesn't interact with the dopamine receptor.
15 It's got an IC50 over 2000 nanograms per ml.

16 MR. GOLDSTEIN: Dr. Temple.

17 MR. TEMPLE: This is for Dr. Davis,
18 I think. One of the sponsor slides number
19 50, showed a responder analysis, and showed
20 that about, well, I don't know -- about half
21 the population had, what you might call,
22 quite a nice response, and about half,

1 didn't. I wonder whether some of the --
2 whether it would be worthwhile looking at
3 some of the functional assessments that
4 didn't show what you might have expected due
5 to the decrease in chorea. If you looked at
6 those by subgroups of people who responded, a
7 lot, that is, the left two ones on 50 where
8 everybody had at least a six-point response;
9 whereas on the right side of slide 50, all of
10 them had less. Would it be worth looking --
11 I mean, I know, it's not randomized and
12 everything, but would it be worth looking at
13 some of the functional things that didn't
14 work out in those two subgroups? And whether
15 have we or the sponsor tried to do that?

16 SPEAKER: I think we did.

17 MS. DAVIS: I think we did. I
18 think we did look at that in the initial
19 evaluation for the non-responders on the --
20 particularly the functional scales. And I
21 don't think that we saw any clear pattern
22 that emerged as a result of the analysis.

1 MR. TEMPLE: So even the really
2 good chorea responders didn't seem to -- that
3 didn't seem to carry over to the functional
4 measures. Okay. And my other question was
5 related to dose response. One approach to
6 analyzing titration data is a NONMEM analysis
7 pioneered by Lewis Sheiner and his
8 colleagues. In hypertension, it seems
9 equally suitable to this setting. I wondered
10 if the dose response had been -- I mean, I
11 know we have concentration response data, and
12 Dr. Bhattaram has that. But I wondered if we
13 had also looked at the dose response that
14 way. It's informative in a titration
15 setting, or it can be.

16 MR. BHATTARAM: The analysis
17 actually focused on the dose response
18 analysis, because we didn't have
19 concentrations in all these patients. So
20 it's exactly the methodology what was
21 reported by Lewis Sheiner in one of those
22 publications.

1 MR. KATZ: Yeah, I have a question,
2 probably for the sponsor. The trial 004
3 didn't detect in effect, dysphagia. Just one
4 or two cases; and we couldn't tell it, there
5 was no difference. But there was a lot of
6 talk about dysphagia. And most of the data,
7 I gather, at least the long term, comes from
8 Dr. Jankovic's data. We are under the
9 impression as, I think, Lourdes said, a
10 number of those cases, we think, weren't
11 reported, or they were recorded by Dr.
12 Jankovic, and not reported as AEs, because
13 they thought they were background events.

14 So I wonder if we could get some
15 clarification about exactly what happened
16 there. Were there recorded? Did Dr.
17 Jankovic record them in all cases or make a
18 judgment before deciding to record them as to
19 whether or not they were drug or not
20 drug-related? If he recorded, then were they
21 all recorded by you as AEs? And then I have
22 a follow-up question. Well, let me just ask

1 that. So I think we need some clarification
2 about exactly what -- the methodology that
3 was used to look at dysphagia.

4 And then the other question is,
5 because it's all open-label, and it's going
6 to be very difficult, if not impossible, to
7 tell if it's related to the drug. Is there
8 anything that you did or could be done to try
9 and get at the question of causality of
10 dysphagia from the open-label data? And I
11 don't know necessarily what that would be, a
12 temporal relationship, you know, what always
13 occurred two weeks after the drug was
14 started, or what attempts if any have been
15 made to look at that part of it?

16 MR. STAMLER: Yeah. Well, you
17 know, the one thing that we looked at -- I
18 guess I want to comment on the data from Dr.
19 Jankovic's site that we looked at, and then
20 maybe ask Dr. Jankovic to comment on his
21 reporting approach -- but if I could have the
22 slide on. This is -- we are aware of the

1 concern about reporting of adverse events.
2 And I know that -- slide on please -- that
3 dysphagia was reported at Dr. Jankovic's
4 site.

5 So in the chorea patients that had
6 Huntington's disease, there were 19 reports
7 of dysphagia. Some of those were new onset.
8 So people that didn't have preexisting
9 dysphagia, and some of those were worsening
10 dysphagias, where it was preexisting and was
11 recorded as worsening in severity or
12 frequency. So you know, and obviously it was
13 less common in the non-HD chorea patients.
14 Regarding Dr. Jankovic's approach in terms of
15 how he identified an adverse event, I would
16 actually like to ask him to come up and
17 clarify that.

18 Jo.

19 MR. JANKOVIC: Well thank you for
20 the opportunity to respond to your question.
21 Just to respond to your first part of the
22 question, dysphagia is a normal consequence

1 of Huntington disease. And so just like in
2 patients with Parkinson disease, when they
3 come in and have tremor, we don't record
4 tremor as an AE of whatever drug they may be
5 on. And this is true for patients with
6 Huntington's disease who have dysphagia.
7 Since it has been present for some time, we
8 don't usually record it as a AE.

9 On the other hand, if a patient
10 with Huntington's disease reports recent
11 onset of dysphagia, then obviously it is a
12 signal for us that this may be an AE, and
13 then we recorded it as such in those cases
14 that are reported here on this slide,
15 indicate those cases that developed dysphagia
16 recently or there was a recent exacerbation
17 of dysphagia.

18 So I think, to respond to your
19 question, I think a clinician probably would
20 not have any difficulty recognizing dysphagia
21 as a side effect of tetrabenazine versus
22 dysphagia as part of Huntington disease. Now

1 --

2 MR. KATZ: Can I just ask one
3 follow-up, not to be too picky. But when you
4 say "recent onset," it's hard to know exactly
5 what that means in the context of how often
6 the patients were seen. So how often were
7 patients seen, and how did you decide whether
8 it was recent, in other words, if it occurred
9 between two visits? Was it automatically
10 suspected as an AE or -- what's the
11 relationship between when it happened and
12 when patients were actually queried about it?

13 MR. JANKOVIC: Well, in our
14 compassionate prospective protocol, we have
15 standard procedures that we followed with
16 every patient. And perhaps I can have BG 39.
17 Okay. This is fine. So we systematically
18 recorded all the side effects of patients
19 when they were first started on
20 tetrabenazine. They were given information
21 about the drug, of course, and then there was
22 a titration sheet that also included the

1 potential side effects that the patient and
2 the family should look for.

3 The patients were instructed to
4 call us if any new symptoms developed. And
5 we obviously documented all these reports on
6 our source document. So I believe that we
7 accurately and systematically addressed the
8 issue of AEs, and this was also reflected in
9 the Prestwick and the FDA audit of our
10 records. But -- again, to highlight the
11 point that you are all making about recording
12 AEs related to tetrabenazine, if there was
13 any change, you know, especially in the last
14 week or two after initiation of tetrabenazine
15 or when a dosage was increased, the patients
16 were instructed to call us. And, of course,
17 at their follow-up visit, we again
18 reevaluated this retrospectively from the
19 time they were last seen.

20 MR. GOLDSTEIN: Dr. Couch.

21 MR. COUCH: Following up on that,
22 since aspiration pneumonia is correspondingly

1 one of the acute problems that you ran into
2 with dysphagia, do you have any data from
3 this longer-term database on aspiration
4 pneumonia? Secondly, with regard to either
5 of these databases, do you have any data on
6 the amount of weight loss that, you know, was
7 there -- an acute change in weight -- or a
8 subacute change in weight as opposed to a
9 longer-term weight loss that the patients may
10 experience, whether the pneumonia and weight
11 loss from malnutrition, of course, are your
12 two major problems that can result with
13 dysphagia.

14 MR. JANKOVIC: If I could have the
15 slide S23, because I think that addresses
16 your point, Dr. Couch. So this is based on
17 our assessment of adverse effects in patients
18 followed in our center. So pneumonia was
19 clearly the most common cause of serious
20 adverse effect. End-stage disease, of
21 course, was important, dehydration,
22 infection, and so on.

1 So I don't think this is
2 unexpected. In fact, it's consistent with
3 what has been reported with other studies
4 including the Prestwick, you know, studies.
5 And keep in mind that there may have been up
6 to a million people already exposed to
7 tetrabenazine since 1979. And I think if
8 there was a unique signal in terms of some
9 unusual AE related to tetrabenazine, I think
10 we would be aware of that at this point.

11 So I think the kind of AEs that we
12 have encountered in our population are
13 similar to what has been encountered in the
14 Prestwick studies as well as in all the
15 reported series. I hope that answers your
16 question.

17 SPEAKER: Jo, I don't know if you
18 want to comment on weight loss -- on that
19 subject.

20 MR. JANKOVIC: Yes -- yeah, you
21 asked about weight loss. Slide 95, please.
22 So this was the frequency of weight loss --

1 14 percent of our patients reported weight
2 loss that we attributed as a potential AE
3 related to tetrabenazine. I hope that
4 answers your question.

5 MR. COUCH: Yeah, thanks.

6 MR. GOLDSTEIN: Thank you. We
7 drifted a little towards questioning the
8 sponsor again, which is fine. But I just
9 want to first make sure that we have
10 addressed the questions that we need to,
11 during this session, to the FDA. Dr.
12 Rudnicki, first.

13 MS. RUDNICKI: Mine was actually a
14 question to Dr. Jankovic.

15 MR. GOLDSTEIN: Let's hold off
16 first, and then we'll come back to it.
17 Promise. Dr. Twyman.

18 MR. TWYMAN: Yes. This is for Dr.
19 Villalba. Your slide number 34 is actually
20 quite interesting where you suggest that
21 perhaps at week 3 at a dose of 50 milligrams,
22 you might predict whether or not you have a

1 response in the long duration. I was just
2 curious whether or not those who, the
3 non-responders versus responders, past that
4 point, have a difference in the AE rates,
5 post week 3?

6 MS. VILLALBA: Yes. Actually we
7 think it was appropriate even to make this
8 kind of analysis, because the non-responders
9 include those who didn't reach a drop in
10 chorea score of three or more at week 3, and
11 also include the patients that drop because
12 of adverse events. So that includes patients
13 who are in lower doses. And as well as the
14 patients, for example, the patient who
15 committed suicide, we had a very good
16 response -- a good three. So this is --
17 there is a post hoc analysis.

18 I wouldn't put too much emphasis
19 there, but more on the second analysis that
20 probably by that time of week 3, you realize
21 the ones who are going to respond already
22 responded at some point in some extent. And

1 basically, if they did not respond by week 7,
2 probably you don't need to continue to treat
3 the patient much more. If once they've
4 reached the 100 milligram dose, I wouldn't
5 continue treating one more than a week after
6 that, I think.

7 MR. GOLDSTEIN: Dr. Yung -- Dr. Lu,
8 sorry.

9 MR. LU: Yeah, I have a question to
10 FDA in terms of what's the policy and
11 guidelines. And so this is like a single
12 study that, you know, not duplicate in the
13 second withdrawal study failed to get the P
14 value. So what are the criteria for a drug
15 that you will base a single trial, the very
16 strong evidence, consistent, internally
17 consistent in terms of primary end point, the
18 question of you know, the correlation of
19 primary and secondary. And so -- and also
20 for this particular Huntington's disease, I
21 know it's a new -- first drug. But is there
22 any guidelines in terms of not only look for

1 one component of in the x, but you need to
2 prove across both or just one component will
3 be sufficient?

4 MR. KATZ: Well, let me answer the
5 second part first. There are no guidelines.
6 There's no precedent obviously for what
7 should constitute, you know, positive, sort
8 of, what should constitute sufficient
9 evidence to -- to constitute substantial
10 evidence of effectiveness. The primary
11 outcome in this trial was chorea. We thought
12 that was a reasonable primary outcome.
13 Certainly, as I said in my opening remarks,
14 there is no requirement that everything be
15 effectively treated.

16 The question we are asking you is,
17 in the face of what appears to us to be
18 evidence that a functionality -- you know,
19 what is the clinical meaningfulness of the
20 effect you've seen in chorea that we need to
21 have some independent measure of
22 functionality or activities of daily living

1 to be also positive to say, well, this is
2 worth having out there. So I mean, that's a
3 large part of the question we are asking you.

4 So -- but there is no precedent,
5 and certainly you could recommend that the
6 effect that you've seen on chorea, if you
7 believe it's a real drug effect, is
8 sufficiently large that we don't need to have
9 any evidence, that it does translate into
10 something identifiably meaningful in terms of
11 functionality or anything. But that's a big
12 part of what we are asking you.

13 The first question had to do with,
14 what are the standards for proving a drug on
15 the basis of an effect -- of a single trial,
16 normally we require replication. If you
17 actually look at the approvable letter, it
18 states as the standard, that we applied in
19 this case, the standard of one adequate and
20 well-controlled clinical investigation plus
21 confirmatory evidence, the standard that we
22 in the division, I don't believe, have ever

1 applied before. That's a standard that's
2 been in law for 10 years.

3 And of course, it's not -- the law
4 doesn't say anything about what should
5 constitute confirmatory evidence. And -- but
6 we do have a guidance actually that talks
7 about the elements of a single trial that
8 would certainly support a finding of
9 substantial evidence or effect in this just
10 on the basis of that. And it has -- that
11 document talks about very low P values,
12 internal consistencies so that multiple
13 centers might be independently statistically
14 significant, multiple subgroups, severe,
15 mild-to-moderate patients all moving in the
16 same direction, so there are lots of things.

17 In this case, I think what we
18 considered primarily to be the confirmatory
19 evidence that the law requires, was study
20 005, which was a negative clinical trial.
21 And typically, I don't think the law
22 anticipates that you would use as

1 confirmatory evidence, a failed trial. But
2 in this case, we thought it was pretty
3 compelling, because first of all, the P value
4 on the analysis that we chose to do was 0.11,
5 which of course doesn't meet the usual
6 standard, but it's an extraordinarily small
7 study -- extraordinarily small. And the
8 treatment effect size was identical to the --
9 in fact a little bit bigger, I think, than
10 the estimate of the effect in study 004. And
11 you put the withdrawal data in study 004 with
12 that, where, you know, it was clearly within
13 a couple of days everything went right back
14 to where it was.

15 So we considered that data package
16 sufficient under the standard of one study
17 plus confirmatory evidence. I think that's
18 how we reasoned it.

19 MR. TEMPLE: The withdrawal phase
20 in study 004, a very dramatic return to
21 exactly where you were before, could be
22 considered a --

1 MR. KATZ: I said that.

2 MR. TEMPLE: -- a piece of
3 confirmation. Did you say that?

4 MR. KATZ: Yeah.

5 MR. TEMPLE: Oops, sorry. I didn't
6 hear you. I must have been thinking about
7 what I was going to say.

8 MR. KATZ: I was thinking the same.

9 MR. TEMPLE: And for what it's
10 worth, and cardiorenal has been telling
11 people that if you get the statistical
12 equivalent of two studies, which is a P value
13 of 0.000625, that's sort of a lot like two
14 studies. At least in a multi-center
15 environment we are not so worried about one
16 person cooking all the data. And this comes
17 close to that as well. But it's very much a
18 judgment call, even when we interpreted the
19 law as requiring two studies. If repeating a
20 study seemed unethical like a post-infarction
21 beta-blocker trial, we relied on a single
22 study. So there's always been some judgment

1 in it.

2 MR. GOLDSTEIN: I have a question
3 for the FDA folks. The drug apparently has
4 been approved now in Europe, as we said, in
5 some places for decades. But in a few cases,
6 it's been within the last year or so, and for
7 -- at occasions not dissimilar from what's
8 being looked at here. Is there any
9 information in those regulatory packages that
10 we are not privy to hear that you might be
11 able to get at that might provided some more
12 evidence. Because again we are dealing with
13 very small numbers, basically, a single
14 trial?

15 MR. KATZ: I'm not aware of what's
16 in those packages -- and as far as we know we
17 have all the control trial data. So the
18 company might know better what -- what data
19 the other regulatory agencies rely on.

20 MR. STAMLER: Yeah. There were no
21 additional trials conducted. And in some of
22 the recent European approvals, I believe, the

1 Netherlands and France, the study 004 data
2 was submitted either in part, in summary form
3 or as, you know, complete data for their
4 review.

5 MR. GOLDSTEIN: Thank you. Another
6 question, again from the FDA standpoint. We
7 are basing a lot, obviously everything, on
8 the scale and on sub-portions of the scale.
9 Is there a regulatory requirement for formal
10 validation or reliability studies of a scale
11 such as this? Some of these, you know, we
12 faced this in my own area, in stroke, for
13 years, where investigators would make-up
14 scales, they hadn't been tested, hadn't been
15 validated, and were trying to make
16 conclusions based upon "not great data."
17 Here we are dealing with discrepancies, and
18 we are trying to understand them.

19 How is -- what's your view of the
20 validity of the scale overall, the
21 sub-portions of the scale, and then picking
22 out individual questions within sub-portions

1 of a scale like this?

2 MR. KATZ: Well, I think there are
3 questions about what a lot of these things
4 are measuring, a lot of the sub-scales. But
5 I think we thought that for the primary
6 outcome, chorea, it seemed that the scale was
7 sort of face valid. It was -- you could, I
8 suppose, argue about, you know, the scoring
9 and that sort of thing. But I think it was
10 -- it seemed to be a fairly straightforward,
11 self-evident, reasonably reliable way to
12 assess chorea, abnormal movements. And so
13 the other things I think are more
14 complicated, definitely more complicated. I
15 think we thought that the chorea scale was
16 pretty self-evident.

17 And to answer your generic
18 question, which is, do we require detailed
19 psychometric validation of every scale we
20 use? I think we are probably doing that more
21 and more. But traditionally, I don't think
22 we necessarily have. I think it's really

1 been a judgment. And I'm -- I think we have
2 certainly relied on scales that seem to be
3 face valid, seem to be measuring the symptom
4 of interest, and seem to be reliable.

5 MR. TEMPLE: It comes up a lot in
6 patient- reported outcome scales, where the
7 conclusions are somewhat less direct than,
8 say, measuring some, you know, pushing on
9 someone's ankle and seeing how much edema
10 they have. And we are asking for validation
11 in a lot of those settings. But as Russ
12 says, this one sort of looks like it's
13 probably measuring what you wanted. And of
14 course, everything is blind.

15 MR. GOLDSTEIN: Dr. Jung.

16 MS. JUNG: I have two quick
17 questions along the same lines of what Dr.
18 Lou asked. It isn't clear to me. Is the
19 discussion of a RiskMAP generated by the
20 agency or was that brought up by the sponsor?
21 And --

22 MR. KATZ: That was brought up by

1 the sponsor. I think in response to the fact
2 that we had numerous questions about the
3 safety. And I think that was their response.

4 MS. JUNG: Okay, and then the
5 second question is, have any of the other
6 countries in which this drug has been
7 approved, required a RiskMAP because of the
8 adverse events?

9 SPEAKER: Let the company answer.

10 MR. STAMLER: Yeah. I'm not aware
11 of that. I -- you know, we've always
12 reviewed and had access to their labeling,
13 but we are not aware of any country that's
14 instituted a RiskMAP.

15 MR. GOLDSTEIN: Dr. Couch.

16 MR. COUCH: This is a question for
17 the FDA. Given that the Huntington's disease
18 rating scale is a very subjective type of
19 scale, and we deal a lot with subjective
20 scales and all kinds of things, the fact that
21 this finding on chorea was so robust, how --
22 for a scale that requires a lot of intuitive

1 or not strong numbers type of thing, how
2 impressive is the finding that the -- on this
3 intuitive scale that the finding was so
4 robust?

5 MR. KATZ: I think, we think it's
6 quite impressive. It seemed to be
7 reproducible almost to the tenth, you know,
8 the decimal point in terms of the effect, the
9 estimated effect across several studies. And
10 again, everybody is -- we believe the studies
11 were adequately blinded. We had experts
12 evaluating this, which -- and again, I'm not
13 sure there's a much better way to do it for
14 something like this. So I think we think
15 it's pretty impressive.

16 MR. TEMPLE: Are you asking -- I
17 mean, you are -- usually if a scale is
18 terrible, it introduces a bias toward not
19 finding anything, sloppier it is. So it's
20 sort of impressive that in a setting where
21 you think it's highly subjective, it still
22 came out. I mean, that's sort of impressive.

1 MR. GOLDSTEIN: Dr. Hurtig.

2 MR. HURTIG: It might be worth
3 knowing from the sponsor and others what kind
4 of validation studies have been done on the
5 Huntington disease rating scale.

6 MR. STAMLER: I'd like to ask,
7 either Dr. Shoulson or Dr. Como to comment
8 on it because they were involved in the
9 development of the UHDRS.

10 MR. COMO: Well, Peter Como,
11 University of Rochester. Thank you, Dr.
12 Hurtig for your comment -- your question.
13 It's always difficult to understand what one
14 means by validation because you have
15 psychometric validation, and then you also
16 have validation of a scale as it responds to
17 a treatment. I think in this case, the total
18 chorea score, you really have -- really the
19 first signal of validation of the total
20 chorea score. And as you recall, it almost
21 reached statistical significance on the total
22 motor score all together. So to that degree,

1 one would have to say, yes.

2 Regarding the other aspects of the
3 UHDRS, certainly with function, and
4 cognition, and behavior, that's something
5 that we are continuing to do as we kind of
6 proceed with ongoing clinical trials in
7 Huntington's disease, to take a closer look
8 at these scales and see if there are
9 treatment effects, or are these scales just
10 really not measuring, as Dr. Katz suggested,
11 not measuring what we really think they are,
12 and refine them accordingly. I don't know if
13 Dr. Shoulson wants to add.

14 MR. SHOULSON: The only thing I'll
15 add is, the scale was designed to look at the
16 long-term natural history of Huntington's
17 disease, not in terms of short- term
18 experimental therapeutic studies. We've
19 adapted it since, because there is an
20 interest in doing that. Obviously, the
21 ultimate validation is to find a change in
22 the setting of a "Positive Clinical Trial."

1 And we haven't had too many. But this is
2 actually one example where at least the
3 chorea component of the scale seems to be
4 valid in a consistent fashion in terms of
5 indicating that type of validity. I mean,
6 that's the ultimate validity in the study.

7 There have been factor analysis of
8 the studies published in terms of what could
9 be most sensitive to the change. Happy to
10 give you those references. I don't think
11 they are that germane to the study. There
12 are inter-rater reliability studies that have
13 been done and published too, particularly on
14 the motor component. And as I said, these
15 studies are -- the scale is principally in
16 use in terms of long-term studies, looking at
17 what happens to Huntington's disease over the
18 long term, obviously in an effort to see if
19 we can change the course of that natural
20 history.

21 MR. HURTIG: Inter-rater
22 reliability is good for the scale?

1 MR. SHOULSON: The inter-rater
2 reliability is good for the scale. It's
3 particularly good with -- it's particularly
4 good in terms of picking up where
5 investigators say this is definitely an
6 extrapyramidal movement disorder, unexplained
7 by anything else, and therefore what we call
8 a "for a big change," at least in terms of
9 the motor part. And they have people look at
10 chorea too.

11 There's also a lot of training that
12 goes on around this with the investigators
13 not just at -- not just at meetings,
14 orientation meetings, so to speak, or
15 investigator's meetings, but just in general
16 as we go on as a group to try to refine this.
17 We also do it in terms of cognitive testing,
18 training, and behavioral one, which is more
19 challenging.

20 MR. GOLDSTEIN: And before we go on
21 -- any other questions for the FDA, and then
22 --

1 (Laughter)

2 MR. GOLDSTEIN: And of course,
3 again, drifting off -- drifting off task
4 here. Yeah. Dr. Lu.

5 MR. LU: Yeah, I have a question
6 for FDA in their package about the motor
7 score substrata called the chorea score. And
8 -- because that's one of important indication
9 in the Huntington study group that predict
10 the prognosis of patients for disability. So
11 I didn't see you mention that here. But I'd
12 like to hear is there any change, because now
13 you changed the analysis plan for twelve
14 month data now -- twelve weeks, I'm sorry.
15 So used to be the -- in the report, you had 9
16 to 12-week average, right?

17 MS. VILLALBA: I don't think there
18 was a -- there wasn't a significant change.

19 MR. LU: So there still wasn't a
20 significant change when you changed to 12
21 week. Okay. Thanks.

22 MS. VILLALBA: -- down here, but

1 no, there wasn't a big change.

2 MR. GOLDSTEIN: Other questions for
3 the FDA? Okay -- we have a few minutes. I
4 think that there were other questions earlier
5 that wanted to be directed to the sponsor
6 that I said we would deal with later and we
7 still remember have time after the open
8 hearing, but we have about 10 minutes now, so
9 why don't we try to deal with some of those,
10 if we could, and Dr. Rudnicki was first.

11 MS. RUDNICKI: So this is for Dr.
12 Jankovic, because one of the issues is
13 dysphagia and recognizing dysphagia and when
14 it's expected or not expected. So our scores
15 on the chorea score for, say,
16 buccoorolingual, or truncal, if -- you know,
17 if they have a lot of chorea in those areas,
18 do you kind of expect to see dysphagia or
19 aspiration, because that would be useful in
20 knowing whether or not it's expected or
21 unexpected.

22 MR. JANKOVIC: Certainly, one would

1 expect that a patient with Huntington's
2 disease who has predominantly orolingual
3 chorea, would also be the same patient who
4 might have trouble with dysphagia, but I
5 don't think that there is necessarily a
6 direct correlation, you know, the mechanism
7 of dysphagia in Huntington disease is really
8 not well understood, but as you point out, it
9 could correlate with orolingual chorea.

10 MR. GOLDSTEIN: Dr. Couch.

11 DR. COUCH: Yeah, one of the things
12 that came out in the data analysis was that
13 although the chorea was diminished, and I
14 think we've seen this very robust effect, the
15 number of falls were -- was greater in the
16 tetrabenazine-treated group than in the
17 placebo group, and I'd like to ask if any of
18 the investigators of the company or -- would
19 have any comment on that because that's
20 really counterintuitive that your chorea
21 would be diminished and yet your number of
22 falls would be increased?

1 MR. STAMLER: Yeah, actually, we
2 are trying to find the slide of the overall
3 events in 004, but the rate of falls was
4 comparable. It was, I think, 15 percent in
5 tetrabenazine and 5 -- and 13 percent slide
6 on in the placebo group. So it's true that
7 there was no apparent reduction in the fall
8 rate, but one thing to mention is the
9 inclusion criteria required that the patients
10 were -- had to be independently ambulatory,
11 so it's possible that there was a ceiling
12 effect and that they were not the most
13 impaired-gait patients that could clearly
14 benefit in terms of reduction in falls. I
15 don't know if Dr. Shoulson wants to comment
16 something else on that.

17 MR. SHOULSON: When you point to a
18 challenge to Dr. Couch, because once patients
19 see a reduction in their chorea, they are a
20 little bolder in terms of risks to take in
21 anticipation, so it doesn't work as one would
22 like it, certainly as a clinician to see

1 that, and in fact often have to warn the
2 patient that, yes, there is this improvement
3 going on. There is a lot of cautions and
4 warning going on, but one thing is, don't
5 just translate this in terms of going out and
6 trying to do things that you should be
7 cautious about.

8 MR. GOLDSTEIN: Dr. Koski.

9 DR. KOSKI: Dr. Shoulson, since
10 you're up there, I'd like to actually ask you
11 a little bit more. You made the comment that
12 you thought that if one used a less
13 aggressive titration that you could avoid or
14 identify side effects and drop back in
15 dosage. Can -- could you be a little bit
16 more explicit about that.

17 MR. SHOULSON: Sure. Yeah, I like
18 -- thanks for asking. I'd like to elaborate
19 on that. So this was -- this titration
20 schedule, weekly, was done in the context of
21 a clinical research study, where by the way,
22 there was some pressure to try to compact

1 within a period of time and adjustment of the
2 medication, and then a maintenance phase. In
3 real life, a clinician would not be making a
4 change that frequently.

5 And I think also the fact that in
6 this double- blind type of study, since none
7 of the investigators really had experience
8 with this drug, that they were just trying to
9 find out, you know, what it was at that point
10 in time and I think we did encounter a lot of
11 adverse effects because of that. I think
12 it's actually very helpful, because I think
13 it gives us the upper level.

14 We didn't really define the
15 maximally tolerated dose, but I think we came
16 close to defining a maximal titration
17 schedule, and I think something less than
18 that certainly in terms of my open label
19 experience with the drug would certainly be
20 much more suitable. I'm not talking about
21 the dosage whether it's 50 or 100 milligrams;
22 I'm talking about the rapidity of dose

1 adjustment.

2 MR. KOSKI: And what would be your
3 experience then in terms of the rapidity?

4 MR. SHOULSON: Well, what I usually
5 do in a situation like this is adjust
6 monthly. There is often a call to the
7 patient or to the caregiver on a weekly basis
8 to ensure that some of these side effects are
9 not emerging during that period of time. But
10 you're almost chasing yourself every time you
11 adjustment on a weekly basis, given -- I know
12 that the half-life is relatively short of the
13 metabolites, but still in terms of seeing the
14 clinical effect I think a weekly type of
15 adjustment I think was very aggressive, given
16 what should happen I think in clinical
17 practice.

18 MR. GOLDSTEIN: Dr. Green.

19 MR. GREEN: I have a question about
20 weight. You report weight losses in SAE, but
21 there is really no more discussion about
22 weight, since the response is so robust in

1 regard to chorea, you'd expect that you would
2 offset the calorie expenditure that a lot of
3 these people have from constant chorea and
4 they would gain weight, so what do we know --
5 do we know more about weight changes, not
6 just weight as SAE?

7 MR. STAMLER: Yes. Well, in terms
8 of weight loss, we did -- I don't believe we
9 had any adverse events or serious --
10 certainly no serious adverse events
11 pertaining to weight loss, but there was no
12 between group difference in terms of the
13 weight change in this trial, but that may be
14 based on the fact there was only a 12-week
15 trial, but there was no difference between
16 the two groups in terms of change in weight.

17 MR. GREEN: I thought there was,
18 but okay.

19 MR. STAMLER: I can check and
20 confirm that.

21 MR. GOLDSTEIN: One last question
22 before we break. Dr. Holmes, you've had a

1 question.

2 MR. HOLMES: If you could review a
3 little more the MedRisk program that you're
4 planning to do, you're going to restrict use
5 of the drug to patients that have, you're
6 going to restrict the dosing -- you want to
7 see the dosing regimen, and the people who
8 wanted to prescribe the drug, is that what
9 you're going to be following?

10 MR. STAMLER: Right, I mean the
11 proposed labeling would be explicit about,
12 you know, not titrating any faster than once
13 per week or you know, if based on discussion
14 or perhaps a slower titration scheme. So
15 that titration scheme would need to be
16 indicated in the prescription from the
17 physician, and if it is faster than the
18 proposed scheme in the labeling, then the
19 pharmacy wouldn't fill that. They would have
20 to go back to the physician and say, we're
21 not going to fill that at a faster titration
22 rate based on the clinical experience.

1 MR. HOLMES: Are you going to
2 require that the diagnosis be established or
3 how are you going to handle that?

4 MR. STAMLER: Right, now, the plan
5 is that the labeling that's assured in the --
6 normally, prescriptions don't have an
7 indication, so right now the plan is not to
8 have an indication-specific label or
9 indication-specific prescription. But
10 obviously, we have no intention of having
11 this drug used for other than Huntington's
12 disease.

13 MR. HOLMES: Okay. Do you have any
14 information on pediatric dosing?

15 MR. STAMLER: I -- we have not done
16 the trials -- I don't know, Dr. Jankovic may
17 have some experience in treating pediatric
18 patients.

19 MR. JANKOVIC: Just to remind you,
20 I received my ID in 1979. Since that time,
21 I've treated well over 1,000 patients with a
22 variety of hyper-kinetic movement disorders,

1 including for example, Tourette's syndrome,
2 which frequently occurs in children and in
3 those individuals we had used tetrabenazine,
4 of course, starting at a much lower dose and
5 increasing it very, very slowly and so far we
6 have not seen any unique adverse effects in
7 children as compared to adults.

8 MR. GOLDSTEIN: Thank you. I want
9 to thank the sponsor, the FDA, and the
10 members of committee for -- oh, I'm sorry,
11 Dr. Katz, did you have a question -- I'm
12 sorry.

13 MR. KATZ: No, I just -- just with
14 regard to the questions about the RiskMAP and
15 what the company is proposing is -- I'd say,
16 that was something that the company had
17 proposed spontaneously. We have made no
18 decision about whether or not we think it
19 warrants assuming that you recommend the
20 approval, whether it warrants a risk map. If
21 it does, what the elements would be. So
22 that's really open for a discussion. I just

1 don't want folks to have the impression that
2 that's a done deal and you know, we can tweak
3 it a little bit, but we are going to leave
4 it. We want to talk about that in its
5 entirety at some point.

6 MR. GOLDSTEIN: Thank you and
7 again, I'd would like to thank the sponsor,
8 the FDA who -- members who presented in the
9 committee for an interesting discussion. We
10 are going to adjourn until 1:15, when we will
11 resume with the open public hearing, followed
12 by which we will have more discussion, which
13 I'm sure will be interesting and lively.

14 For the committee members, let me
15 just reiterate that there can be no
16 discussion about anything that we've heard or
17 anything that we are thinking about, you
18 know, talk about dude basketball, that's
19 okay. Our lunch is out to the door to the --

20 (Whereupon, at 12:15 p.m., a
21 luncheon recess was taken.)

22

1 other expenses in connection with your
2 attendance at the meeting.

3 Likewise, FDA encourages you, at
4 the beginning of your statement, to advise
5 the committee if you've had any such
6 financial relationships. If you choose not
7 to address this issue of financial
8 relationships at the beginning of your
9 statement, we will not preclude you from
10 speaking.

11 The FDA and this committee plays
12 great importance in the open public hearing
13 process. The insights and comments can --
14 provided can help the agency and this
15 committee in their consideration of the
16 issues before them. That said, in many
17 instances and for many topics, there will be
18 a variety of opinions.

19 One of our goals today is for this
20 open public hearing to be conducted in a fair
21 and open way where every participant is
22 listened to carefully, and treated with

1 dignity, courtesy, and respect. Therefore
2 please speak only when recognized by the
3 chair. Thank you for your cooperation.

4 I just want to also reiterate the
5 instructions that were given to each one of
6 the open public hearing speakers.
7 Presentations from individuals will be
8 strictly limited to four minutes confirmed --
9 as confirmed in your e-mail, using a timer.

10 The timer light will be green for
11 the first three minutes, then yellow for the
12 remaining 60 seconds. This will be your
13 warning to conclude your talk. The light
14 will turn to red at the end of your time.
15 The microphone will then cease to work. So
16 four minutes is four minutes.

17 (Laughter)

18 MR. GOLDSTEIN: I'm ruthless.

19 (Laughter)

20 MR. GOLDSTEIN: Okay, having said
21 all of that. And we all understand the
22 ground rules. The first speaker on our list,

1 and we have, I believe, 14 speakers that have
2 -- excuse me -- oh, sorry, 14 speakers on our
3 list. The first speaker is LaVonne Goodman.

4 MS. GOODMAN: Are we ready?

5 MR. GOLDSTEIN: Set, go.

6 MS. GOODMAN: Yes, okay. I'm
7 LaVonne Veatch Goodman from Seattle and I
8 come as a family member and as a physician to
9 HD patients. I'm coming to urge you to
10 approve tetrabenazine because chorea hurts
11 and this drug helps a lot.

12 Chorea hurts in so many ways that
13 aren't measured in functional capacity
14 scores. It isolates people, and that's a
15 very important one that isn't measured. As
16 an example, my -- when my husband and I were
17 out to a movie, the woman in front of us
18 became very irritated, because his foot kept
19 hitting the back of the chair. Sounds minor,
20 but he didn't ever go to another movie.

21 We know this sort of thing happens
22 at all grades to HD people all the time.

1 Like it or not, the public is uncomfortable
2 with chorea. Even in my waiting room, people
3 sit away from my Huntington's patients.
4 They're kind in this protected environment.
5 Strangers move away from us in grocery
6 stores, they move to the side on sidewalks.
7 And even worse, they think we're drunk, have
8 us removed, or even worse, jailed.

9 The consequences of isolation is
10 that like my husband, social activities are
11 limited, increasingly so, with the stage of
12 the disease. Isolation is pervasive, and
13 it's disabling and it needs to be treated by
14 treating chorea.

15 And why tetrabenazine -- because it
16 works better than any other drug that we
17 have. I'll tell some stories about my
18 patients because their descriptions are a lot
19 more important than mine. It made a
20 difference in their lives.

21 For one, it controls their head
22 movements so she can read again, that's

1 important. For several others, it has
2 improved their balance so they can return to
3 activities they couldn't do before, like,
4 gardening, using a weed whacker on his hilly
5 ground, another, a previous cyclist has
6 returned to riding his bicycle. These aren't
7 things measured on functional capacity
8 scales, but they are real important to
9 people. This drug makes a difference.

10 For another patient, what's most
11 important for her is that she can eat a piece
12 of pizza again. And she can sleep with her
13 husband. For another, it's kept his job
14 longer. He works in sales, and using
15 tetrabenazine, he has been able to have his
16 customers not be distracted. And he has
17 worked a couple of years past what he would
18 have estimated.

19 These stories tell it, these and
20 others. These things mean a lot to HD
21 people. And treating chorea is important, it
22 makes a difference. For me one of the most

1 convincing things is -- happened recently
2 when my patients had trouble getting timely
3 refills of their drug from Canada, they could
4 not wait to get back on it.

5 Chorea matters and tetrabenazine
6 works. I urge you to approve it even if it
7 isn't perfect. No first drugs for dread
8 diseases have been very perfect. This one
9 isn't either. Do we worry about depression
10 and suicide and other side effects? Of
11 course we do. But I still urge its approval
12 with appropriate warnings and education to
13 physicians.

14 I worry enough about these problems
15 that I require pretreatment with
16 antidepressants. But I, even though there
17 are side effects, I prescribe this drug
18 because it makes a difference to patients.
19 Chorea matters and HD people deserve the
20 chance to use this drug.

21 MR. GOLDSTEIN: Thank you. I think
22 the person who is scheduled to be our first

1 speaker is now here. Dr. Wexler.

2 MS. WEXLER: Thank you. My name is
3 Nancy Wexler, president of the Hereditary
4 Disease Foundation, Higgins Professor of
5 Neuropsychology, Columbia University Medical
6 School and no ties to Prestwick. My mother
7 was diagnosed with Huntington's in 1967,
8 following in the fatal footsteps of my
9 grandfather, three uncles, giving my sister
10 Alice and I, a 50-50 genetic risk.

11 For HD patients, chorea is the
12 single, most devastating cause of morbidity
13 and mortality. Chorea grasped each of my
14 mother's limbs and gave them a mind of their
15 own. Her arms flailed out in different
16 directions, each leg kicked out, toes kicked
17 out, fingers danced a different tune because
18 they moved independently. Her trunk rocked
19 back and forth and her stomach looked like a
20 belly dancer.

21 Chorea attacked her face,
22 contorting it into grimaces. Eye brows

1 raising spontaneously, her eyes opened and
2 closed, her mouth twisted, her tongue thrust
3 in and out, her neck swung from side to side.
4 She had no control over any of these
5 movements which possessed her body like a mad
6 puppeteer. The movements were overwhelming
7 and ceaseless from the moment she opened her
8 eyes to the time she slept.

9 Mom's choreic jerking movements
10 yanked her legs out from under her causing
11 frequent falls and fractures. Fractures
12 especially of the hip and neck and head
13 injuries all lead to severe morbidity and
14 mortality for HD patients.

15 People with Huntington's have a
16 huge caloric requirement; they need
17 5,000-6,000 calories daily just to maintain
18 their weight. But feeding mom was an
19 absolute nightmare, chorea made her ravenous.
20 But alarmingly, eating was one of the most
21 perilous things she could do. She couldn't
22 hold a knife or fork or cup, her fingers

1 opened and closed, she couldn't guide her
2 arms and hands towards her mouth. They were
3 opening and closing and tongue thrusting out,
4 food ended up on the floor, on her body, but
5 never inside.

6 Several people were needed just to
7 feed her, to hold down her limbs, to hold her
8 head, and spoon-feed into her mouth. So it
9 took hours just to give her a little bit of
10 food. At the end she was exhausted. She
11 burned more calories eating than we ever
12 managed to feed her.

13 Like all people with Huntington's
14 she lost weight. At 5 feet tall, at the end
15 of her illness, she weighed 60 pounds, looked
16 like a Dachau victim, and was still moving
17 constantly. Malnutrition contributed to her
18 death like so many with Huntington's.

19 The constant choreic flailing of
20 her limbs against every surface inevitably
21 produced raw wounds on all parts of her body.
22 Bed sores, despite the best care, developed,

1 got infected, brought her to the hospital
2 with septicemia. We padded mom's bed with
3 lamb's wool, but it was chafed away by her
4 chorea.

5 Nursing homes and hospitals tied
6 her up and tied her in bed, by putting
7 restraints on her wrists, and ankles, and
8 trunk. We thought she was being treated like
9 a criminal and untied her. Chorea caused mom
10 to choke continually, a source of terror to
11 us, and to herself, and those trying to help
12 her.

13 Finally, she died, under her many
14 bouts of aspiration pneumonia caused by
15 constant choking. Mom endured ten years of
16 the most grueling, agonizing, unremitting
17 slide towards death, only then was she still.
18 In 1968, a year after mom's diagnosis, we
19 learned that tetrabenazine was the first line
20 drug of choice for treating chorea.

21 Mom died without its benefit as did
22 many over these -- in the last decades. They

1 never were able to take advantage of what
2 standard of care treatment for Huntington's
3 throughout Europe, Canada, and parts of Asia.
4 In 1968 father --

5 (Laughter)

6 MR. GOLDSTEIN: Thank you.

7 (Laughter)

8 MR. GOLDSTEIN: Thank you. Next is
9 Ms. Boyle.

10 MS. BOYLE: Good afternoon, I'm
11 Barbara Boyle, the national executive
12 director of the Huntington's Disease Society
13 of America. And I'm here today on behalf of
14 our 30,000 Americans who have HD and our
15 nearly 200,000 who are at risk for inheriting
16 this disease.

17 There are those who believe that
18 chorea is not a serious problem. There are
19 those that chorea -- say bother the family
20 members more than the persons affected. But
21 that is simply not true.

22 Chorea is a serious debilitating

1 condition that steadily reduces a person's
2 quality of life. Chorea affects their
3 ability to walk, eat, swallow, read, write,
4 use a computer, button their clothes, zip
5 their zippers, sleep with their partners, and
6 even enjoy the privacy in using a toilet.
7 Chorea affects every aspect of their life,
8 big and small. And no one can state that it
9 is the patient themselves that is not
10 suffering.

11 From a 42-year-old patient, I read
12 you this. "Imagine, at the age of 42, being
13 told that you have Huntington's disease.
14 Imagine walking and your knees just buckle
15 and routinely your arms move during a
16 conversation and others move away from you or
17 completely avoid you. Now, think of how
18 these movements will progress over time
19 without treatment."

20 And this person says "I know that
21 they will become exaggerated and become more
22 physically and emotionally debilitating. At

1 the age of 59 now, I find myself in a nursing
2 home strapped to a wheelchair in a jumpsuit
3 with Velcro in the back and a helmet on my
4 head to protect myself from chorea. Five
5 years ago, had the treatment been available,
6 I might have been able to live a little while
7 longer without that helmet or jumpsuit
8 strapped to a wheelchair."

9 "Please," he said, "during the
10 meeting with the FDA, mention my plight, and
11 ask them to envision a condition of
12 uncontrolled movements and my wish for the
13 right medication to treat the movements
14 called chorea. But I also would like other
15 symptoms for this disease controlled as well.
16 And to this end, I think of my son who now
17 has a 50 percent chance of inheriting this
18 disease, this incurable gut-wrenching
19 disease."

20 "And we now have an opportunity to
21 do something about a form of it called
22 chorea. Imagine a life trying to cope with

1 the crippling effects of chorea, constant
2 moving, making you incredibly hungry. You
3 can't eat enough to assuage the hunger
4 pangs."

5 From another HD family member who
6 says, "My grandmother died in 1987, and we
7 didn't know what it was. Well, I would love
8 to know that there is a cure for this awful
9 disease. I would have such comfort and
10 solace in knowing that my beloved ones would
11 not have to experience the effect of chorea
12 and not have to watch them shake to death."

13 These uncontrolled movements have
14 caused people to not be able to be with their
15 partners, people who can't read a book,
16 people who are affected, all across the
17 board. Tetrabenazine might not be for every
18 person with HD, who suffers from these chorea
19 effects. But we believe our family members
20 have the right to learn about this drug and
21 have the right to make the decision.

22 So I leave you with these words.

1 There are currently no effective treatments
2 and cure for HD. And our families are
3 looking to you for the leadership and the
4 fair and equitable decision. Do not
5 disappoint them. Approve tetrabenazine today
6 and give up --

7 MR. GOLDSTEIN: Thank you. We'll
8 next hear from Maria Hardin.

9 MS. HARDIN: I'm Maria Hardin,
10 vice-president of Patient Services for the
11 National Organization for Rare Disorders.
12 NORD is the nonprofit consumer organization
13 responsible for the passage of The Orphan
14 Drug Act. And we continue to monitor the
15 accomplishments of government and industry in
16 response to the law.

17 Our president Abbey Meyers wanted
18 to be here today, but is unable to travel due
19 to illness. So she asked me to speak on
20 behalf of NORD. As we all know Huntington's
21 disease is an orphan disease affecting only
22 approximately 30,000 people in the U.S. It's

1 a very serious genetic degenerative
2 neurological movement disorder that is
3 untreatable and fatal.

4 We have known about the use of
5 tetrabenazine in Europe for chorea,
6 associated with Huntington's disease for many
7 years. We are delighted that an American
8 company is willing to develop tetrabenazine
9 for the U.S. market.

10 Tetrabenazine can help a person's
11 chorea to enable them to feed themselves or
12 dress themselves. It would be a blessing for
13 them and their families. There are decades
14 of experience in Europe with tetrabenazine
15 and the drug is known to be an important
16 therapy for chorea associated with
17 Huntington's.

18 Huntington's disease is completely
19 debilitating and there are no other treatment
20 options available in the U.S. Since
21 Huntington's disease patients have been
22 importing the drug from abroad, physicians

1 and families do not currently have the
2 benefit of FDA approved labeling that could
3 warn of contraindications and side effects.

4 We would like to see tetrabenazine
5 quickly approved for marketing in the U.S.
6 with patient education and materials and
7 other risk mitigation strategies that you
8 suggest. If the drug is not approved for use
9 in the U.S.A., the desperation of
10 Huntington's disease families is such that
11 importation is their only option. This is
12 not right, this is not safe.

13 The question of whether a specific
14 effect is a side effect or a symptom of the
15 disease itself is academic. It would be
16 inhumane to further delay availability of
17 tetrabenazine until you can decipher the
18 answer.

19 It is FDA's responsibility to allow
20 the drug in the U.S. market with any
21 safeguards and educational materials that
22 will ensure safe prescribing and management

1 strategies for side effects. American
2 Huntington's disease patients have been
3 waiting for decades for tetrabenazine.

4 We know that you, the committee,
5 will do the right thing today and can be --
6 and this can be prescribed for the patients
7 in need. Thank you.

8 MR. GOLDSTEIN: Thank you.
9 Katharine Moser.

10 MS. MOSER: Good afternoon, I'm
11 Katie Moser. I'm 26 and two years ago I was
12 tested and I have a expanded Huntington gene,
13 my repeats are 44. I enjoy knitting, baking,
14 and decorating cakes, reading, skiing, and,
15 juggling.

16 When I'm in my 40s, I'm going to
17 start developing symptoms of Huntington's
18 disease, the movements, the chorea, the
19 impaired balance, as well as psychiatric and
20 cognitive symptoms. I'm going to slowly have
21 more and more difficulty participating in
22 these activities I enjoy.

1 It's not cosmetic, it's quality of
2 life. What will give my life meaning? I'll
3 have increased difficulty with dressing
4 myself, bathing, eating and all activities of
5 daily living. I understand what's going to
6 happen, I grew up watching it happen in my
7 family, and I continue to witness it every
8 day.

9 I'm an occupational therapist, I
10 work at Terrence Cardinal Cooke Health Care
11 Center in Manhattan, and we have a 50-bed
12 Huntington's Unit. My grandfather had lived
13 there for 10 years. As an OT, it's my job to
14 help people regain or remain independent.
15 Mostly with Huntington's disease, I have to
16 provide compensatory strategies.

17 The thought that I am going to lose
18 my independence and be dependant on someone
19 has to be the most depressing fact that I
20 could imagine. And once I have nothing left,
21 what's the point?

22 A person with Huntington's disease

1 loses their ability to control their body,
2 maintain their basic support, and coordinate
3 their movements. If we were able to help
4 people maintain visual tracking or reading,
5 or a solid grasp on their pen or paintbrush,
6 or finger tapping, or send an e-mail to their
7 grandchildren, or stability in their gait
8 while walking their dog, that's quality of
9 life. If I have one extra year or even one
10 extra day, it's worth it.

11 And I just -- I have a friend who
12 -- she is in the late stages of Huntington's,
13 and it takes a lot of effort for her to
14 control her movements. And she -- with every
15 effort she had, she's able to put her hand in
16 the shape of an "L" to her forehead and call
17 me a loser.

18 She's 11, in late stage
19 Huntington's. And we understand that there
20 might not be hope for her, but maybe for her
21 15-year-old and 17-year-old sisters who are
22 both -- have juvenile onset, maybe there's

1 hope for them. Thank you.

2 MR. GOLDSTEIN: Thank you, next is
3 Anne Pae.

4 MS. PAE: Oh my, I'm too short to
5 do this.

6 (Laughter)

7 MS. PAE: Hi, I have brought
8 slides, which is going to be too emotional
9 for me to speak in front of. But I wanted to
10 show some of the people that are affected in
11 the -- I also work in the Terence Cardinal
12 Cooke in New York City where we have 52
13 patients currently, and I don't want to go
14 past that. But I wanted to show you some of
15 the people.

16 The majority of my patients were
17 admitted in their 20s, 30s, and 40s. Three
18 quarters of my patients were admitted in
19 their 20s, 30s, and 40s. If you can see from
20 some of these slides, every chair -- well, I
21 don't want to do the staff -- every chair --
22 every patient has a different chair,

1 different supports, different choreas,
2 different dystonias and different needs, I'm
3 sorry I'm going past this.

4 And I wanted to -- no, I need the
5 slides off, so I can actually speak. But if
6 you can see these are young people who cannot
7 communicate, all have different qualities of
8 life. And these people are in their 30s and
9 40s currently, for the most part, the
10 patients.

11 I feel -- I've admitted patients
12 from around the country, because there are so
13 few places that are equipped to handle
14 somebody with Huntington's disease. And I
15 field -- you know, that's gone, so I can
16 concentrate. And I field questions from
17 caregivers, nursing homes and family
18 caregivers from around the country, the
19 questions are always chorea related, the
20 other symptoms there are managements for.

21 The questions are 100 percent how
22 do I care for, safely, these patients,

1 including the nursing home who kept a woman
2 on a mattress on a floor of a padded room and
3 they called to ask how they could safely
4 transport her, so they can improve her
5 quality of life. I can only imagine the
6 limited quality of her life in that nursing
7 home.

8 My staff, my experienced staff, who
9 care for these patients who come to us in
10 their 20s, 30s, and 40s, they stay with us
11 for decades, not years, my staff need
12 benzodiazepines, need restraints. And then
13 it still takes two to five staff members to
14 care for some of my patients due to the
15 chorea, to perform simple acts of daily
16 living.

17 We are able to build -- rebuild,
18 pad and repad their chairs, their toilets,
19 their beds, their tables, as we create safety
20 around them due to the chorea and dystonia
21 and as their bodies change through the
22 decades that we care for them, to try to give

1 them some quality of life, the best we can.

2 My residents will rub the hair and
3 skin of their bodies, they'll rub through the
4 padding, they'll rub through the mattress,
5 and most nursing homes are not equipped to
6 take care of these patients.

7 Many of my patients would have
8 stayed home with families who could have
9 cared for them, but the chorea became too
10 intense. And people with excellent
11 intentions and support were unable to care
12 for patients who will take out a toilet and
13 rip a hole in your wall. My staff also
14 suffered the abrasions and bruises and
15 fractures that my patients do as well and
16 they still keep going.

17 The depression that we see is one
18 of the treatable symptoms and the depression
19 comes from the disease. I think that, as
20 clinicians, we'd be able to monitor safely
21 and treat the depression.

22 Most of my patients have considered

1 suicide at some point from the disease alone.
2 To keep them from any quality of life, to
3 keep them from being institutionalized longer
4 could only benefit them, and their family,
5 the people around them. They come to us so
6 young, before the prime of life.

7 And so I'm begging you to please
8 consider offering them some -- some
9 possibility of staying out of my institution
10 for as long as they can. Thank you for your
11 time.

12 MR. GOLDSTEIN: Thank you. Next is
13 Gabrielle Hamilton.

14 MS. HAMILTON: Hello, my name is
15 Gabrielle Hamilton and I am at risk for
16 Huntington's disease. My -- this is a very
17 important day for me, I'm sorry. My mother,
18 grandmother, and aunt have already died with
19 the disease. And my uncle is presently
20 suffering with it. I'm here today to tell
21 you about the unspeakable sadness and shame
22 of the most obvious symptom of HD, the

1 chorea.

2 My mother, aunt, and grandmother's
3 chorea caused them to lose weight. They were
4 skinny, skinny, skinny. And they never had a
5 calm, wakeful moment, because their bodies
6 did not stop moving, not for one minute. The
7 chorea even affected the muscles of the
8 esophagus, making it very difficult for them
9 to eat.

10 One of the first signs of my
11 mother's illness was that she kept choking as
12 we ate. At that time my mother was about 40
13 and a vice-president at Barclays Bank
14 International, so smart, and so much fun.

15 And I am haunted today, by the
16 memories of dinner with her, especially
17 dinner, because we always sat together
18 watching MASH. And she'd choke every five
19 minutes. And she thought it was due to post
20 nasal drip, she had colds or whatever, but it
21 wasn't.

22 And when she reached the later

1 stages of the disease, my mother got sick
2 with pneumonia more than four times and died
3 with pneumonia, because she got colds from
4 the phlegm that got into her lungs. This was
5 painful for her, pneumonia was very painful.
6 And her subsequent ambulance rides and
7 hospital care were very expensive.

8 One day, before my mother's illness
9 became apparent, she and I tried to rest
10 together on the couch. But we were unable to
11 do it because her feet wouldn't stop moving.
12 And when I asked her to stop, she got angry
13 at the thought of being sick and so she
14 pushed me away.

15 And a few months later she, you
16 know, her symptoms were still small and
17 unrecognized, and she fell on a step and she
18 chip fractured her foot and she couldn't work
19 for two months. Another time, you know, she
20 stayed at home and received disability, but
21 she was never the same again.

22 When she was at her first nursing

1 home, she was prescribed Haldol, and she
2 insisted on staying on it on the rest -- for
3 the rest of her life even though the doctors
4 at Columbia-Presbyterian told her to change
5 medication, she refused. And it's very
6 difficult to describe the distinction between
7 her HD movements and the tardive dyskinesia,
8 but the HD looked like an unsteady dance, and
9 the tardive dyskinesia was, in the middle of
10 the dance she would just bang her head back
11 and start blinking uncontrollably and it was
12 just horrible.

13 And it also made her temperament
14 explosive, on top of her already unsteady
15 movements. When I was pregnant, my mother
16 tried to kick me in the stomach and this was
17 totally out of character for the woman who
18 was my best friend, she was my best friend,
19 and she cheered when my brothers' children
20 were born.

21 As I approach my own age of onset,
22 I have nightmares about watching mother eat,

1 which became more and more grotesque as the
2 illness progressed. I'm so afraid that my
3 beautiful son Teddy will be embarrassed by my
4 bony body and sweaty hands very soon. My
5 family's age of onset is 42 and I'm 39.
6 Teddy loves me and I would really love --

7 MR. GOLDSTEIN: Thank you. We'll
8 next hear from Barbara Parker.

9 MS. PARKER: Hello, I'm Barbara
10 Parker and I am a registered nurse, and this
11 is my husband Gary who is a medical
12 physicist. Gary was diagnosed with
13 Huntington's disease in January of 2007, just
14 this year. He was experiencing many other
15 typical symptoms that you know of, about
16 Huntington's disease. But the one that was
17 most distressing to us was the choking.
18 Every meal, we went through these choking
19 sensations.

20 He was seen by his regular family
21 physician who had radiological swallowing
22 studies done that were normal; we went to a

1 GI specialist who did an EGD that showed that
2 he did have some erosive esophagitis and a
3 Schatzki's ring. He had that taken care of
4 and had some proton pump inhibitors
5 administered and that took care of the
6 problem.

7 He, six months later had another
8 EGD that showed everything was resolved.
9 However the swallowing problem still
10 persisted. We were choking at every meal, at
11 every snack and everything he drank there was
12 choking episodes. In August of 2007, his
13 physician, his neurologist, prescribed 12.5
14 milligram of the tetrabenazine and within
15 five days all of the chocking sensations
16 stopped.

17 Every single last one of them, he
18 went from choking 4 to 5 times at every meal
19 to maybe choking once or twice a week.
20 That's all. So we are just most grateful for
21 this opportunity to have tetrabenazine even
22 if we do have to get it from Europe. And we

1 do see that it has made a profound
2 improvement in our family life and especially
3 in our family meals.

4 MR. PARKER: The only side effect
5 from the tetrabenazine we have seen is that I
6 have gained a few pounds, now that I have
7 regained the joy of eating well. I can now
8 walk confidently with less weaving, I'm
9 walking without tripping over my own feet, I
10 can hold my wife's hand without fidgeting, I
11 can hold items in my hands with very few
12 dropping episodes, and I can look forward to
13 eating with friends and family. I have a new
14 confidence in living with Huntington's, now
15 that my movement disorder is dramatically
16 improved, and both of us are experiencing a
17 reward hope for the future. In our opinion
18 tetrabenazine is a breakthrough treatment for
19 the involuntary movements I have suffered
20 with Huntington's. Thank you.

21 MR. GOLDSTEIN: Thank you, Mr.
22 Wesley Johnston?

1 MR. JOHNSTON: I am here with some
2 friends from Ohio, we are proud Buckeyes.
3 Ten years ago, my wife Millison Johnston, now
4 aged 67, was diagnosed with Huntington's.
5 This debilitating fatal disease has ravished
6 her family across many generations. My
7 wife's grandfather was diagnosed with
8 Huntington's and suffered from chorea so
9 severe that he had to be committed to a
10 mental hospital.

11 The chorea experienced by my wife's
12 mother prevented her from feeding, dressing,
13 or completing her bathroom needs
14 independently for the final years of her
15 life.

16 During this long slow period of
17 deterioration, she was not given any
18 medication that effectively reduced her
19 chorea. You've heard from other people
20 testifying; how you will tend to become
21 isolated, not go anywhere. I have gone into
22 restaurants, looked of the people knowing how

1 unsettled they were while looking at my wife,
2 and we've left. When I learned that Ohio
3 State University Center for Excellence had
4 been chosen to administer a drug trial for
5 tetrabenazine, I immediately applied fully
6 aware that my wife might receive the placebo.

7 She was accepted at the trial, and
8 endured countless 6-hour round trips from
9 Akron to Columbus. In the final face of the
10 trial, we were informed that she was taking
11 tetrabenazine. Throughout this part of the
12 trial, she had less spastic movement and her
13 disposition was more stable. We viewed her
14 response to tetrabenazine as a temporary
15 victory against HD.

16 Unfortunately, the trial was --
17 trial ended. I believe that once
18 tetrabenazine was not available from
19 Prestwick my wife's condition and ability to
20 remain at home was compromised.

21 She was admitted to a nursing
22 facility in June of 2005. I was willing to

1 buy the drug offshore, but since she was in a
2 nursing home they wouldn't administer it. It
3 was not FDA approved, her chorea and behavior
4 worsened to the point that she had to be
5 removed, hospitalized for two weeks, and
6 placed at another facility that could better
7 handle HD patients.

8 I believe she was betrayed, I say
9 betrayed by a process that denied her a
10 potentially effective treatment and placed
11 her at greater risk for herself and others.
12 By the time the patients are placed in the
13 nursing homes, you've heard this from other
14 speakers, they have often reached an advanced
15 stage of chorea, I don't believe that groups
16 of patients was part of this study.

17 So no one will know whether
18 tetrabenazine can really help those patients,
19 tetrabenazine does not work miracles in
20 everyone. Yet, some patients like my wife
21 treated at Ohio state, did well on
22 tetrabenazine and have done poorly on every

1 other chorea medication since the drug trials
2 stopped. There is no effective remedy for
3 HD, tetrabenazine or any other drug that
4 lessens the horrible effects of HD and makes
5 the life, makes the patient's life easier.
6 It should be available in the United States.
7 In the United States, to those suffering from
8 the disease and to those who will be
9 diagnosed in the future. HD conditions in
10 this country should have this drug available,
11 thank you very much.

12 MR. GOLDSTEIN: Thank you. Cindy
13 Diogo?

14 MS. DIOGO: I am at risk for
15 Huntington's disease, my father, an avid
16 bowler who triumphed in 1968, with a perfect
17 300 game, and a carpenter by trade was
18 diagnosed in 1982, with HD. My daughter as
19 almost two at the time. In 1982, care for HD
20 in central Pennsylvania was very primitive;
21 the neurologist asked myself and my siblings
22 to be present.

1 We sat in a conference room and
2 were given the good news, the good news that
3 he had no cancer, no tumors, he just had a
4 neurological disorder, and counseling was
5 available if we needed it. My father was
6 told what the course of the disease would be
7 like, deep down he already knew, because the
8 stroke his mother had which rendered her
9 unable to walk or sit still was truly HD,
10 which no one had ever talked about.

11 During the next few years after
12 many car accidents, accusations of being
13 drunk in the middle of the day, because his
14 gait was so off and gross errors on the job,
15 my father ceased living as an independent
16 individual. His depression became so deep he
17 required hospitalizations off and on, over a
18 10-year period.

19 He also attempted suicide many
20 times. He never took tetrabenazine because
21 it wasn't there. Eventually, his depression
22 was managed, but likewise we knew what was

1 gone, including my parents' marriage. My
2 father had many other psychological symptoms
3 as well as the choreic movements. My father
4 passed away on my mother's birthday, January
5 11, 2004.

6 We spent 22 years dealing with just
7 a neurological condition. When confronting
8 our maid Nana as to why the HD diagnosis of
9 my grandmother was never discussed, her
10 response was with the miracles of modern
11 medicine we don't have anything to worry
12 about. Well, we're still waiting on the
13 miracle; any step that we can take to make
14 this disease more bearable is worth it.

15 This is the reason we're here
16 today, but my sole purpose of being here
17 today is for my sister, Michelle, who at age
18 39 retried from her job as an ophthalmic
19 technician of over 20 years, because the
20 movements, the choreic movements were so
21 severe that she was unable to perform her
22 duties safe and effectively on patients.

1 I can't take the disease away from
2 her; if I could I would, I'm here today for
3 my sister because she sometimes is too
4 embarrassed to go outside among strangers and
5 those that know her, because she can't walk
6 straight. I'm here today for my sister,
7 because I want her to be able to enjoy her
8 sons' graduations in 2009 and in 2014, as I
9 was able to do with my daughter.

10 I'm here today for my sister that
11 she can once again go out and lunch with old
12 co-workers and not be self-conscious about
13 the way she walks into the room, eats or that
14 she can't sit still for the whole meal. I'm
15 here for my sister so that she can once again
16 have the energy to cook and bake in her own
17 kitchen. I'm here for my sister so that she
18 can once again feel like she does have some
19 control over her life, and that she doesn't
20 have to rely on others to carry her wash
21 basket up and down her stairs or that she can
22 push her own vacuum cleaner.

1 I'm here today for my sister so she
2 can feel like she's taking care of her family
3 and they aren't doing all the caring for her.

4 I'm here today for my sister so
5 that she doesn't have to be fearful of
6 falling down her stairs and worry if she will
7 break a bone. I'm here today for my sister
8 so that she can enjoy her new found love with
9 her husband of a just a little over 2 years,
10 and that they can enjoy life as it should be,
11 not as what they have been mandated by a
12 disease whose tentacles reach so far that it
13 never stops touching people. I'm here today
14 for my sister.

15 MR. GOLDSTEIN: Thank you, next Ann
16 Russo.

17 MS. RUSSO: My name is Ann Russo --
18 (off mike) Russo. I live in (off mike)
19 Virginia. I used to try and do everything
20 myself. Now, lately my husband and my grand
21 daughter Norah help me, stay there with me
22 and they take me everywhere, doctor,

1 whatever. I also -- I need a drug to help my
2 chorea. My sister Pat, who was 44 when she
3 died, my family I saw her, in fact, they --
4 her husband left her, divorced her, she has
5 two kids. She just -- nobody knew what it
6 was then, took care of my sister, her
7 daughter, and everything -- Laurie and I took
8 care of her. I'm just saying it so, and in
9 that days nobody cared -- I mean, the family
10 -- at least my family is here. I hope to use
11 a walker and every day I pray -- people, they
12 are willing to pray for me, so that (off
13 mike) walker. I have hope that I will be
14 better and the drug will help me,
15 tetrabenazine will help me if I can get the
16 drug. And I have hope of getting better. I
17 have my sister Rose, died last year. Her
18 family, when we were in town didn't know what
19 it was. Her husband was there who is still
20 alive, he hates losing my sister. My brother
21 might have had Huntington's, but he had (off
22 mike) that but nobody admitted, I am here as

1 to -- I hope that (off mike) and I hope I can
2 get the drug, and I hope that will help me
3 and my family.

4 I took the -- I did used to be a --
5 I still, you know, practically, I used to go
6 in and do home nursing. I miss all that
7 activity and friends and neighbors. Nobody
8 is friends anymore, your friends all move
9 away and you are lucky to have a friend.
10 Thank God, I got my friend at church who
11 prays for me every week, they pray a lot for
12 me. The priest and everybody prays, I'll get
13 better and I hope this drug will help
14 everybody, amen.

15 MR. GOLDSTEIN: Thank you Ms.
16 Russo. David Born.

17 MR. BORN: Daniel Born.

18 MR. GOLDSTEIN: I'm sorry. David on
19 my thing.

20 MR. BORN: Good afternoon ladies
21 and gentleman and thank you for listening.
22 My name is Daniel Born, I'm here on my own

1 time. I'm a vice-president at the Great
2 Books Foundation in Chicago and a board
3 member of the Huntington Disease Society of
4 America, Illinois chapter.

5 My wife Mary has Huntington's; she
6 began to take tetrabenazine in 2004, after it
7 was prescribed for her by Dr. Kathleen
8 Shannon. The impact of this drug on our
9 lives including our daughter Liz, who is now
10 18 years old, has been dramatic and positive.
11 Mary showed initial symptoms of Huntington's
12 in 1996, at the age of 40. After her
13 diagnosis she retired from her job as a
14 successful family therapist and psychiatric
15 social worker.

16 I wish she were here with us today,
17 but travel and speech are becoming more
18 difficult. The onset of Huntington's for
19 Mary followed the trajectory of her own
20 mother Lydia who also showed symptoms around
21 the age of 40. Mary's behavior included
22 involuntary facial twitching, movement of the

1 tongue, uncontrollable arm and leg motion and
2 deteriorating cognition. This was a hard
3 time for our family as we attempted to live
4 in denial of what we were seeing, but denial
5 as you know works for only so long.

6 I explained to Liz, then seven
7 years old, that Mary had an illness that made
8 her sad and nervous. By the time Liz
9 finished junior high, she fully comprehended
10 the hereditary nature of the disease, and the
11 nature of her own risk.

12 Dr. Shannon's prescription for Mary
13 to take tetrabenazine followed an especially
14 difficult couple of weeks' vacation in
15 Europe. Certain kinds of public activity
16 were becoming a problem. Mary showed
17 indecision about how to cross streets and we
18 had several close calls, and given Mary's
19 erratic behavior in the crosswalks, I arrived
20 at a new appreciation for European drivers.

21 Speaking about the movies as we
22 heard earlier, I also learned that

1 theatergoers in London can get just as irate
2 as theatergoers in New York, if you kick
3 their chair. The positive impact of
4 tetrabenazine was immediate and dramatic,
5 Mary's involuntary movements did not merely
6 diminish, they stopped, and her depression
7 lessened, it didn't go away but it lessened.

8 And I would submit to you this
9 afternoon that chorea and not tetrabenazine
10 is the major cause of depression in
11 Huntington's patients. Mary told me the
12 members of her support group at Cook County
13 Hospital commented on her halted movement,
14 before they knew that she was taking
15 tetrabenazine. So that confirmed it as well.

16 Because of their movement disorder,
17 sufferers from Huntington's live in constant
18 fear of catastrophic accident. It might be a
19 fall on the front steps, hematoma, and death.
20 One of my fellow board members lost his wife
21 in exactly this way. It might be aspirating
22 food down the windpipe because of the

1 convulsive difficulty in swallowing.

2 We know tetrabenazine is no cure.
3 Mary is not a better scrabble player than she
4 was three years ago, although the other
5 morning, she surprised me when she said "Dan,
6 it is imperative that I have some bacon."
7 Speaking as a caregiver in my view the
8 treatment of the chorea is central, the
9 central issue, not a peripheral matter. And
10 so I would ask you, members of this advisory
11 committee today, please take the rational and
12 compassionate (off mike) recommend this drug
13 for the FDA's approval, thank you very much.

14 MR. GOLDSTEIN: Thank you.
15 Jonathan Monkemeyer, hope I didn't ruin your
16 name.

17 MR. MONKEMEYER: I'm Jonathan
18 Monkemeyer, and this my wife Sheryl and she
19 has Huntington's. I'm here on behalf of my
20 wife, my son, my family, and all the families
21 affected by a disease that slowly and
22 insidiously destroys life from generation to

1 generation. About 10 people die everyday
2 from this incurable disease.

3 Today is Bill Fox's funeral,
4 retired chairman of the Fox & Roach Realtors.
5 He died as a result of complications from
6 Huntington's disease. These complications
7 become overwhelming burdens to their families
8 who often place them in nursing homes, they
9 die feeling isolated and rejected by society
10 for the complicated way that they move and
11 talk.

12 We are here today because people
13 with Huntington's disease have a progressive
14 inability to control their movements and this
15 inability to control their movements, results
16 in complications causing their death, the
17 diaphragm complications because they can't
18 control their swallowing, the diaphragm
19 complications because they injure themselves
20 by falling. My wife bites her lip, her
21 tongue, and the sides of her mouth. She
22 grinds her teeth together and smashes them

1 with her utensils.

2 She breaks toilet seats off the
3 hinges, while sitting in a chair she bangs
4 the back of her head into the wall. Unless
5 she sits in the middle seat she smashes the
6 side of her head into the passenger door
7 window. She also sits in the backseat so
8 that she can't accidentally push the
9 transmission into reverse.

10 Even with my help and padded walls
11 in our shower, she split open her head on the
12 towel rack requiring a costly emergency room
13 visit. She bashes her knees and elbows into
14 everyone and everything. Her food goes
15 everywhere from the floor to the ceiling.
16 She accidentally throws here glasses off
17 about once a day. She has managed to rip the
18 soles of at least 10 pairs of boots and
19 shoes.

20 The reason she can't speak or
21 communicate well is because she can't control
22 her muscles. My wife chokes on something in

1 just about ever meal. As Anne Pae can attest
2 people with Huntington's insure themselves --
3 injure themselves as well as their care
4 givers. This lack of motor control takes its
5 toll both physically and mentally on all
6 persons involved.

7 These physical movement problems
8 accumulate until they eventually cause enough
9 damaging complications to result in the cause
10 of death. In spite of these difficulties,
11 people with HD teach us that life is precious
12 and worth fighting for. They warm our hearts
13 by holding fast to that which they can. They
14 go on in the face of suffering and adversity;
15 they desire our love, not pity. By
16 appreciating them, they give us a deeper
17 understanding of the meaning of life.

18 The economic burden of this disease
19 is overwhelming. We want tetrabenazine
20 approved so that it can be included in
21 prescription drug programs and made available
22 to those like us who can't afford the cost of

1 importing it. Healthcare in America is about
2 doing what is right, bringing a measure of
3 comfort and control to those who are most in
4 need.

5 Not for cosmetic reasons, but
6 because movement- control difficulty is
7 obviously a key cause of their deaths. My
8 wife benefits from the effects of Prozac,
9 creatine, fish oil, antioxidants, a Trader
10 Joe's healthy diet, Namenda, and also the
11 stop gap principle of use it or lose it. As
12 a stop gap measure, we want tetrabenazine to
13 allow to my wife to continue to be able to do
14 the basic things in life that her chorea now
15 complicates.

16 The technology to eventually cure
17 this illness is being developed in researched
18 laboratories in America. Interference RNA
19 can target the mutant Huntington gene and be
20 effectively delivered across the brain-blood
21 barrier. These technologies exist in a large
22 part due to the efforts and achievements of

1 Dr. Nancy Wexler, her colleagues, and fellow
2 researchers.

3 The HD community, my Congressman
4 Joe Sestak, and myself, want your support in
5 approving this drug to help my wife and
6 others survive until the cure becomes
7 available. We trust in the findings of Dr.
8 Nancy Wexler who is known the world over for
9 her unbiased efforts to address the problems
10 of Huntington's disease through her research
11 and ceaseless lifetime dedication. Please
12 help the people who suffer the most with
13 complications from these now treatable
14 symptoms by acting as (off mike) thank you.

15 MR. GOLDSTEIN: Thank you, the next
16 speaker is Deborah Fine.

17 MS. FINE: Hi, I'm Debbie Fine.
18 I'm the daughter, the granddaughter, the
19 sister, and the aunt of Huntington's
20 patients. I apologize in advance,
21 tetrabenazine is the only treatment in
22 existence worldwide with rational in an

1 evidence-based medicine for its efficiency.
2 We know that it works, in the last 40 years
3 it has been available worldwide and studied
4 here in the U.S. We know that tetrabenazine
5 is pretty safe. We know that it does not
6 cause tardive dyskinesia.

7 Other side effects are treatable
8 and predictable if they occur. I am urging
9 you to approve tetrabenazine today to change
10 the future for the -- more than 30,000 people
11 in the United States who have HD and the
12 70,000 Americans who carry the abnormal
13 version of the HD gene, and are destined
14 impeccably by their DNA to develop HD.

15 We urge you to approve
16 tetrabenazine and change their future. We
17 deserve the gold standard that is available
18 throughout the most of the developed world.
19 Please change our future so that we do not
20 follow in our parents' footsteps, thank you.

21 MR. GOLDSTEIN: Thank you. That
22 was the last speaker who was registered for

1 the open public comment section. I want to
2 thank each and every one of you for taking
3 the time to come here and for the courage you
4 each have shown by telling us your stories,
5 thank you.

6 (Applause)

7 MR. GOLDSTEIN: The committee is
8 going to take a 15 minute -- 15-minute break.
9 We'll get back here -- let's make it a 20
10 after, so we can start to begin to address
11 the questions laid before us by the FDA,
12 thank you.

13 (Recess)

14 MR. GOLDSTEIN: Very good.
15 Audience, please. Okay. We are ready to
16 resume. Before we get started addressing the
17 four questions -- we're on holiday, I guess,
18 but the four questions posed to us by the FDA
19 -- we had left a couple of issues for the
20 sponsor to respond to when we left the
21 morning session, I just wanted to give them
22 the opportunity to present the data that we

1 were asking about.

2 MR. STAMLER: All right, thank you.

3 I think we'll take slide QA2 please. Slide
4 up. So this is to address the question posed
5 by the agency, there are actually two answers
6 on here. First is, what are the -- what was
7 the incidence of depression in the
8 double-blind trial dependent on
9 antidepressant use at baseline? And we see
10 that 6 of 29 patients who are receiving
11 antidepressants at baseline had an adverse
12 event of depression, and 2 of 20 patients who
13 were not receiving antidepressants had an
14 adverse event of depression at baseline.

15 Regarding the functional measures,
16 it shows the same thing, red is
17 antidepressant at baseline and blue is none,
18 and we see that there appears to be no
19 dramatic difference between the change in the
20 functional assessment or the functional
21 capacity, maybe a trend towards slightly
22 greater declines, but I think probably the

1 patient numbers are relatively small, so no
2 dramatic changes there. Okay, so the next
3 slide QA4.

4 Yeah, slide up. This shows the
5 change in the functional measures in patients
6 in study 004 based on their prior treatment
7 -- I'm sorry, this is their change in
8 functional measures in study 07 based on
9 their prior treatment in the double-blind
10 trial. So recall that there were 54 patients
11 in 004 that received tetrabenazine. So if
12 they received tetrabenazine in 004, these are
13 their functional measures, and -- at six
14 months. And if they received placebo in 004,
15 this is the change in their functional
16 measure, once they all received tetrabenazine
17 in the long term safety study.

18 So I think you don't see -- again,
19 you see small changes from baseline over
20 time, and there doesn't appear to be
21 significant differences, and this is the
22 functional assessment, the total functional

1 capacity, and the independent scale. QA5
2 please. Okay. Slide up. This is the change
3 in weight for subjects that -- I mentioned I
4 thought there was no difference between
5 placebo and tetrabenazine over time. And
6 this is based on their prior treatment in
7 004, the patients who rolled over into 007,
8 this is their total exposure out to 84 weeks.

9 And at least there doesn't appear
10 to be any material change between baseline
11 and week 12 in the population, and then over
12 time, no apparent between group difference
13 based on their prior treatment, but what's
14 interesting is they appear to be flat, so
15 perhaps these patients' weight is somewhat
16 preserved in the open extension.

17 There's obviously no placebo group
18 in the long term exposure. Then I think the
19 final slide I want to show is QA6, and this
20 is a rather rough slide, I apologize, slide
21 on. These are two overlay plots made from
22 jump and what you see here is these -- this