

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF  
THE DENTAL PLAQUE SUBCOMMITTEE OF  
THE NONPRESCRIPTION DRUGS ADVISORY COMMITTEE

*This transcript has not been edited or corrected, but appears  
as received from the commercial transcribing service; the  
Food and Drug Administration makes no representation as  
to its accuracy.*

8:33 a.m.

Wednesday, October 29, 1997

Ballroom  
Holiday Inn  
2 Montgomery Village Avenue  
Gaithersburg, Maryland

2526 '97 DEC -9 AIO:57

## APPEARANCES

## SUBCOMMITTEE MEMBERS:

ROBERT J. GENCO, D.D.S., PH.D., Chair  
Associate Dean for External and  
Interdisciplinary Programs  
State University of New York - Buffalo  
School of Dental Medicine  
Department of Oral Biology  
Foster Hall  
Buffalo, New York 14214-3092

ANDREA NEAL, D.M.D., M.P.H., Executive Secretary  
Advisors and Consultants Staff  
Center for Drug Evaluation and Research  
Food and Drug Administration (HFD-120)  
5600 Fishers Lane  
Rockville, Maryland 20857

WILLIAM H. BOWEN, PH.D., D.SC.  
Department of Dental Research  
University of Rochester  
601 Elmwood Avenue, Box 611  
Rochester, New York 14642

LEWIS P. CANCRO  
Industry Representative  
Consultant Services  
Cancro Incorporated  
59 Limerick Road  
Trumbull, Connecticut 06611

RALPH D'AGOSTINO, PH.D.  
Professor of Mathematics/Statistics and  
Public Health  
Department of Mathematics  
Boston University  
111 Cummington Street  
Boston, Massachusetts 02215

## APPEARANCES (Continued)

## SUBCOMMITTEE MEMBERS: (Continued)

MAX A. LISTGARTEN, D.D.S.  
Professor of Periodontics  
Microbiological Testing Laboratory  
University of Pennsylvania  
4001 Spruce Street  
Philadelphia, Pennsylvania 19104

EUGENE D. SAVITT, D.M.D.  
332 Washington Street  
Wellesley Hills, Massachusetts 02181

STANLEY R. SAXE, D.M.D., M.S.D.  
Professor of Geriatric Dentistry  
Geriatric Oral Health  
D140 College of Dentistry  
University of Kentucky  
800 Rose Street  
Lexington, Kentucky 40536-0084

CHRISTINE D. WU, PH.D.  
University of Illinois at Chicago  
Periodontics Division  
College of Dentistry  
801 South Paulina Street  
Chicago, Illinois 60559

## FOOD AND DRUG ADMINISTRATION STAFF:

FRED HYMAN, D.D.S., M.P.H.

DEBBIE LUMPKINS, B.S.  
Division of OTC Drug Products

ROBERT SHERMAN  
Division of OTC Drug Products

LINDA KATZ, M.D., M.P.H.  
Deputy Director  
Division of OTC Drug Products

## APPEARANCES (Continued)

## GUEST SPEAKERS:

MICHAEL BARNETT, D.D.S.  
Warner-Lambert Company

R. WILLIAM SOLLER, PH.D.  
Nonprescription Drug Manufacturers Association

CLIFFORD W. WHALL, JR., PH.D.  
American Dental Association

## ALSO PRESENT:

PAUL J. OKARMA, PH.D.  
Colgate-Palmolive Company

PAULINE PAN, PH.D.  
Warner-Lambert Company

JACK VINCENT, D.D.S., PH.D.  
Warner-Lambert Company

## C O N T E N T S

| AGENDA ITEM   | PAGE |
|---|------|
| CONFLICT OF INTEREST STATEMENT<br>by Dr. Andrea Neal                            | 8    |
| PRESENTATIONS ON FDA'S GENERAL COMBINATION POLICY<br>ON OTC DRUG PRODUCTS:      |      |
| Warner-Lambert Company<br>by Dr. Michael Barnett                                | 11   |
| Nonprescription Drug Manufacturers Association<br>by Dr. R. William Soller      | 41   |
| American Dental Association<br>by Dr. Clifford W. Whall, Jr.                    | 63   |
| Division of OTC Drug Products<br>by Dr. Linda M. Katz                           | 77   |
| SUBCOMMITTEE DISCUSSION: GENERAL RECOMMENDATIONS<br>FOR COMBINATION INGREDIENTS | 81   |
| BRIEF SUMMARY OF INGREDIENTS REVIEWED TO DATE                                   | 127  |
| SUBCOMMITTEE REVIEW OF INGREDIENTS:   |      |
| C-31G - by Dr. William H. Bowen   | 132  |
| Listerine - by Dr. Stanley Saxe   | 136  |
| Hydrogen Peroxide/Povidone Iodine -<br>by Dr. Eugene Savitt                     | 175  |
| Zinc Citrate - by Dr. Stanley Saxe  | 177  |

## P R O C E E D I N G S

(8:33 a.m.)

1  
2  
3 DR. D'AGOSTINO: Because there are no decisions  
4 to make, I have been asked to start the meeting.

5 Again, I'm Ralph D'Agostino from the  
6 Nonprescription Drugs Advisory Committee. This is the  
7 meeting of the Dental Plaque Subcommittee.

8 What I would like to do is to have people  
9 around table introduce themselves so that the audience can  
10 know who they are and where they are, and also the  
11 transcriber can make sure that the mikes are working. Lew,  
12 why don't you begin.

13 MR. CANCRO: Lew Cancro, Industry Liaison  
14 Representative.

15 DR. SAVITT: Gene Savitt, periodontist, Boston,  
16 Mass.

17 DR. LISTGARTEN: Max Listgarten, University of  
18 Pennsylvania.

19 DR. WU: Christine Wu, University of Illinois  
20 at Chicago.

21 DR. D'AGOSTINO: Ralph D'Agostino, Boston  
22 University.

23 DR. NEAL: Andrea Neal, Executive Secretary to  
24 the Nonprescription Drugs Advisory Committee and its Dental  
25 Plaque Subcommittee.

1 DR. SAXE: Stanley Saxe, Professor of  
2 Periodontics and Geriatric Dentistry at the University of  
3 Kentucky.

4 DR. BOWEN: Bill Bowen, University of  
5 Rochester.

6 MS. LUMPKINS: Debbie Lumpkins, Division of OTC  
7 Drug Products.

8 MR. SHERMAN: Bob Sherman, CDER Liaison,  
9 Division of OTC Drug Products.

10 DR. KATZ: Linda Katz, Deputy Director of OTC  
11 Drugs.

12 DR. D'AGOSTINO: I am glad to see the leader  
13 here. We just introduced ourselves, but didn't do a single  
14 thing beyond that.

15 DR. GENCO: I'm Bob Genco, from the State  
16 University of New York at Buffalo. Good morning.

17 DR. NEAL: For those of you who aren't aware,  
18 before I read the conflict of interest statement, I'd just  
19 like to announce that the Dental Plaque Subcommittee has  
20 now been transferred from the Center for Devices and  
21 Radiologic Health to the Center for Drug Evaluation and  
22 Research. That was effective on August 27, which was the  
23 day that the charter for the NDAC was renewed.

24 For our panel members, I have included a copy  
25 of the charter in your folders and you might want to read

1 that just before bedtime.

2 Now I am going to read the conflict of interest  
3 statement.

4 The following announcement addresses conflict  
5 of interest issues associated with this meeting and is made  
6 a part of the record to preclude even the appearance of a  
7 conflict.

8 During the next several years, the subcommittee  
9 will review information on ingredients contained in  
10 products bearing antiplaque and antiplaque-related claims  
11 to determine whether these products are safe and effective  
12 and not misbranded for their labeled use.

13 Since the issues to be discussed by the  
14 subcommittee will not have a unique impact on any  
15 particular firm or product, but rather may have widespread  
16 implications with respect to an entire class of products,  
17 in accordance with 18 U.S. Code 208(b), waivers have been  
18 granted to each member and consultant participating in the  
19 subcommittee meeting. A copy of these waiver statements  
20 may be obtained by submitting a written request to the  
21 agency's Freedom of Information Office, Room 12A-30 of the  
22 Parklawn Building.

23 In the event that the discussions involve any  
24 other products or firms not already on the agenda for which  
25 an FDA participant has a financial interest, the

1 participants are aware of the need to exclude themselves  
2 from such involvement and their exclusion will be noted for  
3 the record.

4 With respect to all other participants, we ask  
5 in the interest of fairness that they address any current  
6 or previous financial involvement with any firm whose  
7 product they may wish to comment upon.

8 Thank you.

9 DR. GENCO: We'll proceed with the first issue  
10 and that is the general combination policy on OTC drug  
11 products.

12 MR. SHERMAN: Excuse me, Bob. I had an  
13 announcement before we get started, if that is all right.

14 DR. GENCO: Sure.

15 MR. SHERMAN: This is concerning the  
16 subcommittee's review of foreign marketing data which will  
17 happen tomorrow.

18 Just as background, FDA's policy has been not  
19 to consider foreign marketing experience to determine  
20 whether a drug has been marketed to a material extent for a  
21 material time. The agency is considering a proposed rule  
22 establishing eligibility criteria for defining material  
23 extent and material time under which an OTC condition --  
24 and by that we mean an ingredient, a combination of  
25 ingredients, indication, dosage form, dosage strength, or

1 route of administration -- with or without U.S. marketing  
2 experience could be considered for inclusion in a monograph  
3 system.

4 In October of 1996, the agency published an  
5 advanced notice of proposed rulemaking requesting  
6 information and comments regarding these criteria. That  
7 proposal is not yet finalized.

8 It is the agency's intent to take advantage of  
9 the subcommittee's expertise to review those data during  
10 these meetings. The ingredients, however, would not be  
11 classified by the subcommittee.

12 Data were submitted under the September 19,  
13 1990 call for data with the understanding that they would  
14 eventually become publicly available, as is the case with  
15 any submission to the OTC rulemaking.

16 Tomorrow we are simply going to make  
17 assignments of those ingredients supported by foreign  
18 marketing data and they would not be reviewed until the  
19 next meeting at the earliest.

20 If there is an objection to the public review  
21 of data before the eligibility of those data for the  
22 monograph system is determined, sponsors may withdraw those  
23 data from the review. Sponsors would then be required to  
24 petition the agency and show just cause for reopening the  
25 administrative record and re-accepting the data.

1           We do not anticipate any problems with those  
2 types of petitions at this time, but we cannot guarantee  
3 that they would be accepted. Also the data would probably  
4 not be reviewed by the subcommittee. Whether that is a  
5 good or a bad thing is a matter of opinion I guess.

6           If anyone wishes to withdraw a submission  
7 before assignments are made tomorrow, you can see me and we  
8 can tell you how to do that.

9           DR. GENCO: Perhaps you could clarify that.  
10 You said twice that the data wouldn't be reviewed by the  
11 subcommittee, but as individuals are we going to review --

12           MR. SHERMAN: The data would be reviewed but  
13 not voted on.

14           DR. GENCO: Not voted on, but reviewed.

15           MR. SHERMAN: Not classified, not put into a  
16 category.

17           DR. GENCO: Thank you.

18           Does anybody want to get further clarification  
19 of that?

20           (No response.)

21           DR. GENCO: Thank you.

22           Now we'll proceed to Warner-Lambert's  
23 presentation and I believe it's going to be made by Dr.  
24 Barnett. Good morning.

25           DR. BARNETT: Well, good morning, Mr. Chairman

1 and members of the Plaque Subcommittee. By way of  
2 introduction for the record, my name is Dr. Michael Barnett  
3 and I am Senior Director of Dental Affairs in the Worldwide  
4 Consumer Healthcare R&D Division of the Warner-Lambert  
5 Company.

6 I am pleased today to have the opportunity to  
7 respond to questions raised by this subcommittee at its May  
8 1997 meeting concerning the fixed combination of essential  
9 oils in Listerine antiseptic as it relates to the FDA  
10 combination drug policy.

11 Since the last meeting of this subcommittee, we  
12 have done a considerable amount of work to respond to  
13 questions raised by this subcommittee with regard to the  
14 contribution of each of the four essential oils to the  
15 activity of the fixed combination, and we will present the  
16 results of these additional studies to you today.

17 As you no doubt recall from previous  
18 presentations, the fixed combination we are discussing  
19 consists of four essential oils at the following  
20 concentrations: thymol, 0.064 percent; menthol, 0.042  
21 percent; eucalyptol, 0.092 percent; and methyl salicylate,  
22 0.060 percent.

23 In previous presentations to this subcommittee,  
24 we have presented unequivocal evidence, consisting  
25 principally of eight 6-month clinical trials, that the

1 fixed combination of essential oils consistently provides  
2 statistically significant reductions in plaque and  
3 gingivitis.

4 At the May meeting of this subcommittee, we  
5 presented the additional analyses of the 6-month study  
6 results which you had requested for all  
7 antiplaque/antigingivitis ingredients in order to  
8 demonstrate the clinical relevance of data presented.

9 These additional analyses looked at clinical  
10 study results from both a site- and a patient-centered  
11 standpoint. They included the percentage of individual  
12 sites improving, the percentage of subjects improving as  
13 well as the degree of improvement, a comparison of the  
14 degree of improvement obtained through the use of the fixed  
15 combination with the degree of improvement obtained through  
16 the use of other accepted oral hygiene practices, and a  
17 computation of odds ratios and confidence intervals for  
18 each individual study and pooled across all studies to  
19 further establish the clinical relevance of study results.

20 These additional analyses were conducted for  
21 plaque, gingival, and bleeding indices and provided clear  
22 confirmation that the fixed combination of essential oils  
23 produces a clinically relevant improvement in plaque and  
24 gingivitis for a significant proportion of the target  
25 population.

1           Moreover, at the last meeting of this  
2 subcommittee, Dr. Saxe reviewed the safety of each of the  
3 essential oils and of the complete formulation and  
4 concluded that each of the oils is safe for its intended  
5 use as is the complete formulation.

6           Having concluded its discussion of safety and  
7 effectiveness for the fixed combination at the last  
8 meeting, this subcommittee identified one remaining issue  
9 to be resolved prior to a vote; that is, it requested a  
10 demonstration that the fixed combination of essential oils  
11 conforms to the FDA's general combination policy on OTC  
12 drug products.

13           For those who might not have been present on  
14 the second day of the May meeting, we heard at that time a  
15 rather extensive discussion by Peter Hutt in which he  
16 presented the history of the development of a combination  
17 drug policy and an explanation of the general policy and  
18 associated guidelines. It is probably not necessary for us  
19 to reiterate all this today, so I intend just to present  
20 some of the highlights to help focus the subsequent  
21 presentation and discussion of data. However, I should  
22 point out that Peter is with us this morning and would be  
23 pleased to respond to any questions that may arise that he  
24 could respond to.

25           We have -- and I believe in the handout from

1 the FDA -- provided the complete text of the overall policy  
2 and associated guidelines for reference.

3           The overall policy applicable to the fixed  
4 combination of essential oils is the FDA's general  
5 combination policy on OTC drug products which states: "An  
6 OTC drug may combine two or more safe and effective active  
7 ingredients and may be generally recognized as safe and  
8 effective when each active ingredient makes a contribution  
9 to the claimed effect; when combining of the active  
10 ingredients does not decrease the safety or effectiveness  
11 of any of the individual active ingredients; and when the  
12 combination, when used under adequate directions for use  
13 and warnings against unsafe use, provides rational  
14 concurrent therapy for a significant proportion of the  
15 target population."

16           The FDA also developed more specific guidelines  
17 to guide the application of the more general policy. These  
18 are contained in six paragraphs. Of these paragraphs, 3,  
19 5, and 6 are especially relevant to the fixed combination  
20 of essential oils which contains active ingredients all  
21 having the same mechanism of action.

22           Paragraph 3 contains the requirement that the  
23 combination provides some advantage over the single  
24 ingredients in terms of enhanced effectiveness, safety,  
25 patient acceptance or quality of formulation.

1 Paragraph 5 recognizes that an ingredient may  
2 be appropriate for use only in a specific combination or  
3 that data may be available only to support the use of the  
4 ingredient in combination.

5 Paragraphs 5 and 6 then go on to indicate that  
6 in such cases only the specific combinations of active  
7 ingredients will be listed in the monograph and permitted  
8 to be marketed.

9 It is important to note that FDA has already  
10 conducted an extensive review of this fixed combination of  
11 the four essential oils when it developed the tentative  
12 final monograph for first aid antiseptics. The results of  
13 this review were published in the Federal Register issue of  
14 July 22, 1991.

15 FDA concluded that the combination had, in  
16 fact, satisfied the conditions of its combination drug  
17 policy and therefore that the fixed combination "may  
18 appropriately be included in the amended tentative final  
19 monograph as Category I for first aid antiseptic use."

20 FDA specifically cited paragraphs 3, and 5 of  
21 the General Guidelines for OTC Drug Combination Products in  
22 support of its decision. Additionally, the FDA review  
23 referred to the phenol coefficients for each of the four  
24 essential oils in the fixed combination. These  
25 coefficients are a standard indicator of antimicrobial

1 effectiveness and showed that each of the four components  
2 of the fixed combination has greater antimicrobial activity  
3 than does phenol. Thus, each of the four components  
4 clearly possesses antimicrobial activity in its own right.

5           It is noteworthy that the FDA's determination  
6 that each of the essential oils contributes to the total  
7 efficacy of the complete formulation was based on an in  
8 vitro microbiological study which was described to this  
9 subcommittee at its last meeting by Dr. Vincent. In this  
10 study, the antimicrobial effectiveness of the total  
11 formulation, as well as that of the four separate minus-one  
12 formulations, were assessed using cultures of  
13 *Staphylococcus aureus*. These minus-one formulations each  
14 lacked one of the essential oils in the fixed combination  
15 but contained the remaining three essential oils.

16           In order to enhance the sensitivity of this  
17 assay for the purpose of demonstrating the contribution of  
18 each essential oil, all the test formulations were diluted  
19 with sterile distilled water to 40 percent of their  
20 original concentration. Following a 1-minute exposure,  
21 aliquots were diluted, plated in triplicate, and colonies  
22 were counted after 24 hours' aerobic incubation at 37  
23 degrees Centigrade.

24           The levels of bacteria surviving after  
25 treatment are presented on this slide.

1           When data from this study were presented at the  
2 last meeting, the question arose as to whether the  
3 reductions produced by each of the minus-one formulations  
4 were statistically significantly different from that  
5 produced by the complete formulation which contained the  
6 fixed combination of all four essential oils.

7           Unfortunately, the information was not at hand  
8 at that time of our presentation. We reviewed the report  
9 which had been previously submitted to FDA and determined  
10 that in fact all the minus-one formulations were  
11 statistically significantly different from the total  
12 formulation.

13           These data presented on this slide clearly  
14 demonstrate that all four oils are necessary to achieve the  
15 activity of the complete formulation, and therefore each of  
16 the four oils contributes to the antiseptic efficacy of the  
17 total formulation.

18           In summary then, the precedent set for the  
19 fixed combination of Listerine ingredients by FDA in the  
20 first aid antiseptic monograph was to establish the  
21 effectiveness of the total formulation through both in vivo  
22 and in vitro studies and to make the determination that  
23 each of the four active ingredients contributes to the  
24 activity of the total formulation on the basis of an in  
25 vitro antimicrobial study.

1                   It is interesting to note that this precedent  
2 was somewhat reflected in this subcommittee's discussion at  
3 the May meeting, during the course of which one member  
4 suggested that a combination with "obvious clinical  
5 efficacy and obvious overwhelming in vitro information"  
6 would produce a higher comfort level than one with  
7 borderline in vitro studies. And later another member  
8 agreed that an in vitro minus-one study, using  
9 representative plaque microorganisms, could constitute  
10 "overwhelming, or incontrovertible, or very persuasive  
11 data."

12                   As a result of this discussion, we have  
13 conducted additional studies using the minus-one study  
14 design. We have included in the new studies five oral  
15 microorganisms selected because they are representative of  
16 Gram-positive and Gram-negative bacteria, as well as a  
17 number of bacterial morphotypes, and additionally, because  
18 they have been implicated in supragingival plaque or  
19 gingivitis and were common isolates from supragingival  
20 plaque in our long-term plaque/gingivitis clinical trials.  
21 These bacteria are: Prevotella intermedia, Fusobacterium  
22 nucleatum, Actinomyces viscosus, Streptococcus sanguis, and  
23 Veillonella parvula.

24                   The results of these additional studies using  
25 oral microorganisms are presented on this and the

1 subsequent four slides. As you will see, these study  
2 results are consistent with those of the previous study  
3 using Staph. aureus and clearly demonstrate that all four  
4 essential oils are required for the effectiveness of the  
5 fixed combination.

6 This slide presents the data for Prevotella  
7 intermedia, a Gram-negative anaerobic rod. Note that the  
8 formulation containing the complete fixed combination, that  
9 is, Listerine, had only 1.2 times 10 to the power of 2  
10 surviving bacteria. This represents an approximately 100  
11 to 1,000 times greater bacterial kill than was produced by  
12 any of the four minus-one formulations.

13 For example, the most next effective  
14 formulation, which contained thymol, menthol, and methyl  
15 salicylate, had 6.3 times 10 to the power of 4 surviving  
16 bacteria. Each of the minus-one formulations was  
17 statistically significantly different from Listerine at a  
18 high level of significance, that is, with a p value of  
19 equal to or less than 0.001.

20 These findings indicate that the removal of any  
21 of the four essential oils will significantly reduce the  
22 effectiveness of the original complete fixed combination  
23 and therefore clearly indicate that each of the four oils  
24 makes a meaningful contribution to the activity of the  
25 fixed combination.

1           The results for *Fusobacterium nucleatum* are  
2 presented on this slide. This organism is a Gram-negative  
3 anaerobic fusiform bacillus. Note that here again the p  
4 values for the comparisons of the minus-one formulations to  
5 Listerine are all equal to or less than 0.001, indicating  
6 that each of the minus-one formulations was statistically  
7 significantly less effective than the complete formulation.

8           The results with *Streptococcus sanguis*, a Gram-  
9 positive facultative anaerobic coccus, are presented here.  
10 In the case of this organism as well, all the minus-one  
11 formulations were statistically significantly different  
12 from the total formulation at a p value of less than 0.001.

13           This slide presents the results obtained with  
14 *Veillonella parvula*, a Gram-negative anaerobic coccus. In  
15 the case of this organism, while the complete formulation  
16 produced a statistically significant reduction compared to  
17 only one of the minus-one formulations, it nevertheless  
18 produced numerically greater reductions than did the  
19 remaining three minus-one formulations. These reductions  
20 were numerically similar to those seen in the case of  
21 *Actinomyces viscosus* which were statistically significant,  
22 and we will present those *Actinomyces* results next.

23           We believe that the *Veillonella* data are  
24 consistent with those obtained with the other organisms  
25 insofar as the failure to achieve statistical significance

1 | in the case of Veillonella is likely a result of the  
2 | greater variability typically experienced in working with  
3 | this microorganism.

4 |           The Actinomyces viscosus results presented on  
5 | this slide follow the same pattern seen with the previous  
6 | organisms. This organism is a Gram-positive  
7 | microaerophilic filament. The complete formulation  
8 | produced reductions compared to the minus-one formulations  
9 | which were all statistically significantly different, again  
10 | supporting the need for all four oils.

11 |           We believe that the extensive body of data  
12 | showing that the fixed combination has significant  
13 | antimicrobial activity against a wide variety of oral  
14 | microorganisms in combination with the body of data  
15 | presented today demonstrating almost uniformly  
16 | statistically significant differences in bactericidal  
17 | activity between minus-one formulations and the fixed  
18 | combination, constitute the "overwhelming in vitro  
19 | information" alluded to at the May meeting of this  
20 | subcommittee.

21 |           When these antimicrobial data are considered in  
22 | conjunction with the extensive body of clinical data  
23 | clearly demonstrating the safety and effectiveness of the  
24 | fixed combination, the totality of the data is consistent  
25 | with the precedent established by FDA in placing the fixed

1 combination of essential oils in Category I in an earlier  
2 tentative final monograph.

3           It is important to emphasize that in presenting  
4 the totality of our clinical and laboratory data, we are  
5 maintaining the position consistent with paragraphs 5 and 6  
6 of the combination policy guidelines that the four  
7 essential oils at the stated levels should be placed in  
8 Category I not as single ingredients, but rather in the  
9 specific combination and concentrations used in all our  
10 clinical and laboratory studies.

11           The rationale for using these oils in  
12 combination was in fact expressed quite well by Dr. Wu at  
13 the May meeting of this subcommittee when she pointed out  
14 that these oils need to be combined insofar as, when used  
15 individually, they may not be as highly bactericidal as  
16 they would be in combination.

17           In summary then, we have today presented the  
18 results of additional studies conducted in response to  
19 questions raised by the subcommittee at its last meeting.  
20 On the basis of data generated by these studies, as well as  
21 data previously reviewed by this subcommittee and FDA, we  
22 believe that the fixed combination of four essential oils  
23 has been unequivocally shown to conform to the requirements  
24 of the FDA's General Combination Policy on OTC Drug  
25 Products.

1           Each of the active ingredients has been clearly  
2 shown to contribute to the activity of the complete fixed  
3 combination. Combining the ingredients does not result in  
4 a decrease of safety or effectiveness of any of the  
5 individual ingredients, and the fixed combination has been  
6 shown to be safe and to provide significant clinical  
7 effectiveness for a significant proportion of the target  
8 population.

9           And perhaps most importantly, it has already  
10 been determined by FDA itself, in developing a previous  
11 tentative final monograph, that this fixed combination of  
12 essential oils satisfies both its general guidelines and  
13 the specific guidelines relevant to this combination.

14           I would like to thank the subcommittee for its  
15 attention, and I or my colleagues would be pleased to  
16 answer any questions you might have.

17           DR. GENCO: Thank you very much, Dr. Barnett.

18           Are there any questions from the panel? Max  
19 and then Christine.

20           DR. LISTGARTEN: Could you clarify one more  
21 time how the minus-one solutions were formulated? In other  
22 words, how did you adjust the remaining three  
23 concentrations?

24           DR. BARNETT: The concentrations, the remaining  
25 three, Max, were the same concentrations as found in the

1 fixed combination.

2 DR. LISTGARTEN: So, you still had exactly the  
3 same percentages of each one.

4 MR. CANCRO: Correct.

5 DR. LISTGARTEN: Okay.

6 DR. GENCO: Christine?

7 DR. WU: In the data you presented on the  
8 tables regarding bacteria surviving treatment, I do not see  
9 any vehicle control. If I look at the booklet you provided  
10 us, on page 7, bacteria surviving treatment with  
11 Actinomyces viscosus and Strep. sanguis, you look at the  
12 vehicle control for Actinomyces viscosus, it is 4.2 times  
13 10 to the 3. It is less than Listerine itself. If you  
14 look for the sanguis data, you get also some kind of kill  
15 just by the vehicle control.

16 Do you have any explanation for that?

17 DR. BARNETT: If you looked at a couple of the  
18 typical ones, Christine, it seems to me it was  
19 Fusobacterium for a Gram-negative, and was it the Strep.  
20 sanguis? These were in fact -- yes, they were all  
21 directionally different from the vehicle, with three of the  
22 four statistically significantly different from the  
23 vehicle, so that these combinations of three did in fact  
24 have considerable antimicrobial activity compared to the  
25 vehicle control.

1                   That wasn't true of every one of them, and I  
2 think we need to recognize that these are done under  
3 dilution as well.

4                   But this is the Fusobacterium, and in this  
5 case, again three of the four were statistically different  
6 from the vehicle and all four were clearly directionally  
7 different from the vehicle.

8                   DR. WU: I'm looking at the data with AV and  
9 Strep. sanguis.

10                  DR. BARNETT: Yes. I think what you are  
11 looking at is essentially reflective of the fact that  
12 different organisms have different susceptibilities to  
13 these oils. You have to recognize that if they were all  
14 used undiluted, they would kill everything.

15                  DR. GENCO: Finished? Further questions,  
16 comments? Bill?

17                  DR. BOWEN: I notice that you did have a  
18 vehicle control. Can you tell us, Mike, how many  
19 microorganisms were in each culture to begin with and the  
20 age of the culture, when the tests were carried out?

21                  DR. BARNETT: Yes, I can't. But I'm going to  
22 have to ask Pauline Pan, our microbiologist, who did these  
23 to answer that. Pauline?

24                  DR. BOWEN: The number of microorganisms in the  
25 culture to begin with and the age of the culture when the

1 tests were carried out.

2 DR. PAN: The cultures that Mike alluded to  
3 were grown under standard conditions well accepted by the  
4 industry. These were all log cultures.

5 The exact number, in order to perform these in  
6 as standard a way as possible, the transmission of all  
7 these cultures were adjusted to 1 percent transmission. We  
8 did this for a purpose. For the majority of these -- I  
9 believe four out of five -- I will check my notes -- a log  
10 culture, overnight culture, of these organisms is very  
11 close to 1 percent transmission. So, we had minimal  
12 adjustment to get them all to the same OD, recognizing that  
13 1 percent may represent not the exact same CFU for each  
14 strain, but nonetheless we felt that we had some  
15 standardization and meaning to this model.

16 DR. GENCO: Dr. Bowen?

17 DR. BOWEN: I think also in the interest of  
18 completeness, it would be wise to include the strain  
19 numbers that you used.

20 I have one other comment. I don't think I  
21 would be too concerned about, for the want of a better  
22 term, the relative lack of effect on Veillonella. I would  
23 regard, from a caries point of view, Veillonella among the  
24 good guys because it does metabolize lactate out of the  
25 plaque.

1 DR. BARNETT: Bill, if you want, we can provide  
2 the strains now or after. I have them here.

3 DR. BOWEN: Afterwards.

4 DR. BARNETT: We have that information.

5 DR. GENCO: Further comments, questions from  
6 the panel? Fred?

7 DR. HYMAN: Whenever I see data that I view as  
8 surrogate markers, I tend to have questions. I guess my  
9 question here is would the data that has been presented  
10 now, although supportive of antimicrobial -- how do you  
11 relate that to the antiplaque/antigingivitis claim?

12 DR. BARNETT: Yes. Fred, first of all, I think  
13 it's critical to keep this in perspective and that is that  
14 the antiplaque/antigingivitis effectiveness of the complete  
15 formulation has been I think unquestionably demonstrated.

16 So, then the question is how best to  
17 demonstrate the contribution of each of the four oils.

18 If we go back to what is now considered a  
19 classical study, which is a study published by Harold Lowe  
20 -- or as Stan says Harold Lowe. He does it much better  
21 than I with the umlaut -- who published the experimental  
22 gingivitis model, there was a clear correlation between the  
23 formation of plaque and the development of gingivitis. The  
24 mechanism by which this combination works in situ is  
25 basically through bacterial kill. So, we believe that an

1 | in vitro model which has the requisite sensitivity to  
2 | demonstrate the contribution, whose endpoint is bacterial  
3 | kills, has a relationship to what's going on in the mouth.

4 | DR. GENCO: A follow-up. Is there any evidence  
5 | of anti-inflammatory effects of any of these oils?

6 | DR. BARNETT: Not to my knowledge, Bob. In all  
7 | cases, the gingivitis reductions were accompanied by  
8 | significant plaque reductions of considerable magnitude. I  
9 | am not aware of any evidence, particularly at the levels  
10 | and the exposures found with use of this combination, that  
11 | there would be an anti-inflammatory effect.

12 | DR. GENCO: I'd like to ask a couple of  
13 | questions. In paragraph 5 of the FDA General Combination  
14 | Policy, we are asked to talk about what range of  
15 | concentration -- for example, how do you know that the  
16 | fixed combination you talk about is absolutely necessary?  
17 | Could you double or halve the concentration of any one of  
18 | the oils and get the same effect? Do you have any  
19 | information on the range of effective doses in the  
20 | combination for each of the reactants?

21 | DR. BARNETT: Well, don't forget that this  
22 | combination was developed some time ago, and it was based  
23 | on a number of considerations, only one of which was  
24 | effectiveness. I think I pointed out last time that the  
25 | active ingredients also contribute to the flavor and

1 | thereby there is this patient or subject acceptance aspect  
2 | to it as well.

3 |           So, I guess the answer is it's an established  
4 | combination. It's developed on the basis of both  
5 | effectiveness and patient acceptability. Therefore, I  
6 | think the obligation is just to show that the levels as  
7 | present in the combination contribute.

8 |           DR. GENCO: What is the opinion with the FDA  
9 | with respect to that? In other words, if it gets in the  
10 | monograph and somebody else could make a combination, are  
11 | they justified? Is it safe? Is it reasonable to make a  
12 | combination with different concentrations?

13 |           DR. KATZ: It would basically depend upon how  
14 | one determined what the combination should be. If one  
15 | takes it as a general broad category, then not necessarily,  
16 | but if one is saying specifically that it's effective at  
17 | this combination with the particular ingredients, then  
18 | that's the way it would need to be made.

19 |           DR. GENCO: And that's all the data we have.

20 |           DR. KATZ: That would basically be it. That's  
21 | right.

22 |           DR. BARNETT: That in fact, Bob, was the  
23 | precedent in the previous monograph where it was accepted,  
24 | the fixed combinations.

25 |           DR. GENCO: So, based on the present data, the

1 fixed combination, if it is approved to be in the monograph  
2 as Category I, is all that can be said.

3 DR. BARNETT: That's correct.

4 DR. GENCO: It can't be said that other  
5 combinations of concentrations of the same four would be as  
6 effective.

7 DR. KATZ: That's correct.

8 DR. BARNETT: Yes.

9 DR. GENCO: Second question then. Michael,  
10 could you give us the reasoning that all four are  
11 necessary? In other words, can you have a combination of  
12 two or three and be as effective? You have done the minus-  
13 one, but what about combinations of minus-two, minus-three?

14 DR. BARNETT: I think we've demonstrated that,  
15 Bob, because you take any single one out, you lose a  
16 significant amount of effectiveness.

17 DR. GENCO: But do you lose effectiveness? In  
18 other words, what if you removed two?

19 DR. BARNETT: I don't understand. In the sense  
20 the question that is being asked is, how do you know that  
21 each one contributes? I think that the studies where you  
22 actually take one out in order and show that any one  
23 removed will significantly reduce the effectiveness --

24 DR. GENCO: Significantly reduce. I think that  
25 is the issue there. Is it really -- yes, there is a

1 | statistically significant difference removing one, but does  
2 | it make any difference clinically? Maybe you can remove  
3 | two and still have the same clinical effect.

4 | I guess I'm getting to the other issue here,  
5 | the necessity for all four.

6 | DR. BARNETT: Well, if the clinical  
7 | effectiveness is based on the effectiveness of the complete  
8 | formulation and you start removing things and you know that  
9 | it's significantly less effective antimicrobially, I think  
10 | you would anticipate that the clinical effectiveness would  
11 | be thereby reduced as well.

12 | DR. GENCO: Max?

13 | DR. LISTGARTEN: I think perhaps we're off base  
14 | in pursuing this line of thinking because the four  
15 | ingredients contribute to the taste and a number of other  
16 | things. One could argue that if one had 100 percent  
17 | thymol, it would probably work better than all four put  
18 | together. I don't think that's the intent of the  
19 | regulation. I think we have to show that in that  
20 | particular combination each one contributes something. It  
21 | seems to me that this has been demonstrated. By starting  
22 | to play with the formula, you are essentially getting away  
23 | from the combination that is currently being marketed.

24 | DR. GENCO: I understand that, but I just don't  
25 | understand if this goes in the monograph, then that

1 particular concentration is all that's being discussed and  
2 somebody can't come along and put two together --

3 DR. BARNETT: That's exactly the point, Bob.

4 DR. KATZ: That's exactly correct.

5 DR. GENCO: Okay.

6 DR. KATZ: If they want to go ahead and make  
7 something with a combination of two of those, they would  
8 have to go back and either study it or come back in some  
9 way and petition for the monograph.

10 DR. GENCO: Okay, I just wanted to make sure of  
11 that.

12 DR. D'AGOSTINO: I think that in other arenas  
13 where you're dealing with different drugs, there is concern  
14 that why would you load up a formulation with three or four  
15 drugs of the same category. I sense that there's something  
16 quite different here, though, with these essential oils,  
17 that it's not the same thing that you're giving a double  
18 dose, a triple dose, a quadruple drug dose by loading up  
19 more and more of the same category.

20 So, I guess I'm not overwhelmed by the  
21 surrogate aspect of it. You drop something down. Is it  
22 going to change the clinical effectiveness? I don't know.  
23 Unless you do a clinical trial, you're not going to know  
24 that.

25 But I think that there's something sensible

1 about the minus-one, and I don't know where you'll go with  
2 the minus-two and single ingredients. You'll probably  
3 still get some kill and what have you that will relate  
4 clinically, but is the combination sensible, acceptable,  
5 and do you see something different as you move one is  
6 probably a reasonable way of looking at this.

7 But I do understand what Bob is saying, though,  
8 that if these were four active drugs, why are we putting  
9 four in the same formulation.

10 DR. GENCO: Further comments, questions? Bill?

11 DR. BOWEN: Well, they're not all four equally  
12 active. You can see from the data here that some  
13 microorganisms are more sensitive to one than they are to  
14 others.

15 I think also a point that we've perhaps  
16 forgotten, that in the combination policy, the formulation  
17 can be altered to make the product more acceptable, which  
18 is also one of the conditions that's in there and which  
19 Mike also alluded to.

20 DR. GENCO: Okay. Further comments,  
21 discussions of this presentation?

22 DR. WU: Just a comment. I wish that we could  
23 have gotten these data earlier, not today, so we have some  
24 time to read.

25 DR. GENCO: Yes, Lew?

1 MR. CANCRO: Yes, Bob. I wanted to really ask  
2 a question of Linda, if that would be appropriate.

3 DR. GENCO: Sure.

4 MR. CANCRO: It's my understanding that this  
5 system which we are describing as a combination, should it  
6 be proposed as effective, will go into the monograph as a  
7 single entity. It will not go in as a combination of two  
8 pharmacological agents from the same class, but rather as a  
9 defined system. Is that the correct interpretation of  
10 this? In other words, this is a single entity and that's  
11 the way it's going to go into the monograph should it be  
12 accepted as Category I.

13 DR. KATZ: It would go in as a combination but  
14 as a specific fixed combination, so that in other words, it  
15 would not be a broad categorization in that you can mix and  
16 match. It would go in as a fixed combination as it's  
17 defined.

18 MR. CANCRO: And henceforth, whenever it's  
19 used, that's the ratio in which it must be use and that's  
20 the conditions under which it has shown clinical  
21 effectiveness.

22 DR. KATZ: That would be correct.

23 MR. CANCRO: So, the relevance to this is that  
24 the history of this has always been in that ratio. That's  
25 why it's being reviewed here today and should anybody want

1 | to change that ratio or leave materials out, that's a new  
2 | issue. Either by amendment or a new drug or whatever, that  
3 | becomes a very different issue than the one we're looking  
4 | at today.

5 |           DR. KATZ: That's correct except that you have  
6 | to remember that in determining whether or not that  
7 | combination should be allowed, that is part of your panel  
8 | discussion that the panel needs to come to grips with. Is  
9 | this combination effective and safe given the combination  
10 | policy, and is this something that you want to have present  
11 | in the format that currently exists?

12 |           If in fact one decides that, given the current  
13 | formulation, that there are questions and that this might  
14 | not be an optimal combination, then that determination also  
15 | needs to be made. But if one determines that it is safe  
16 | and effective in that combination, then it would go in as a  
17 | fixed combination.

18 |           MR. CANCRO: And that's really the basis upon  
19 | which this panel will vote, the clinical effectiveness of  
20 | that fixed ratio. Is that correct?

21 |           DR. KATZ: That's correct, unless of course the  
22 | panel decides to vote otherwise, but that's basically it.

23 |           DR. GENCO: You brought up another issue and  
24 | that is optimal. How do we know this is optimal? Maybe  
25 | Mike has some information.

1 DR. BARNETT: Bob, this is the product that has  
2 been marketed for eons, and the clinical studies I think  
3 have shown very clearly that it has a considerable  
4 effectiveness against both plaque and gingivitis, perhaps  
5 more so than most other products. So, I think it's  
6 effective.

7 DR. GENCO: Maybe you could expand on the  
8 concept of optimal? Unless you've shown it. Maybe you  
9 could get double the effect if you alter the concentrations  
10 a bit.

11 DR. BARNETT: Well, let's get back again to  
12 some of the points that were made in terms of  
13 acceptability, all these other issues. Here's a product  
14 that while not everybody may be overjoyed with the taste,  
15 most people accept the taste and are willing to use it, and  
16 it's an effective product.

17 One can begin to tinker with things, but if it  
18 tastes such that nobody will use it, then it becomes an  
19 unacceptable product irrespective of how better it may or  
20 may not be with respect to plaque and gingivitis.

21 So, I think in terms of what makes a good  
22 product, an effective product, in a consumer arena, it has  
23 to be both effective and acceptable. And I think that's  
24 the product we have. It has been the product that has been  
25 marketed and that's the product for which data has been

1 submitted and is under review.

2 DR. GENCO: Let me put that question another  
3 way. Is there a requirement that this be the optimal  
4 formulation for us to approve it?

5 DR. KATZ: No. What the requirement basically  
6 is, is that the product itself is safe, it's effective,  
7 it's acceptable for the target population.

8 Now, if there are concerns that there may be  
9 something about the combination itself may not be safe or  
10 that there may be something that you're concerned about  
11 with the combination, then when I said optimal, I meant  
12 optimal in that sense, that if you have concerns about the  
13 ingredients or its safety in the combination that's being  
14 proposed, then it may not be an optimal combination as  
15 opposed to that someone has to go back and prove that a  
16 different ratio might be better than what the ratio  
17 currently exists.

18 DR. GENCO: Okay, thank you for explaining  
19 that.

20 Max?

21 DR. LISTGARTEN: Yes. I just wanted to bounce  
22 off my interpretation of this, and that is that assuming  
23 that this is effective and safe, nothing prevents someone  
24 from going out there and coming up with a different  
25 proportion of ingredients that's safer and more effective

1 | and more acceptable, except that since the numbers will be  
2 | changed, they will have to repeat the clinical trials. But  
3 | the product as it currently exists may in fact meet the  
4 | requirements for safety and effectiveness. It may not  
5 | necessarily be the optimal combination in terms of either  
6 | safety, effectiveness, or patient acceptance.

7 |           DR. SAVITT: Mike, a brief question and  
8 | something that a lot of people have asked and we haven't  
9 | quite gotten an answer yet. Have you tried other  
10 | combinations that aren't awful tasting, just for the record  
11 | just so we know one way or the other? It has been asked  
12 | several times, and if you haven't, you haven't but we're  
13 | all curious.

14 |           DR. BARNETT: Yes. No. This is the product  
15 | that has been marketed for, lo, these many years. In fact,  
16 | if one were to now start with different combinations, you  
17 | no longer meet the material time and extent requirements.  
18 | So, it would be folly to do this. This is the combination  
19 | that we studied, that's been on the market, and that's been  
20 | up for discussion here at this panel.

21 |           DR. GENCO: Bill?

22 |           DR. BOWEN: I want to pursue from the almost ad  
23 | nauseam this fixed combination. How fixed is fixed? Is  
24 | there any variation allowed at all, or it has to be these  
25 | exact numbers?

1 DR. KATZ: As far as I know, it has to be these  
2 exact numbers. Fixed is fixed.

3 DR. BOWEN: Thank you.

4 DR. GENCO: Stanley, did you have a question?

5 DR. SAXE: Just a comment. I think what we're  
6 talking about is the fact that when we're referring to the  
7 FDA's general combination policy, that paragraph that's  
8 under 21 CFR 330.10 -- and in there it says, when each  
9 active ingredient makes a contribution to the claimed  
10 effect -- it seems as if perhaps this policy came about  
11 when there were individual agents which were tested and  
12 found to be effective and then people came together and  
13 took two or three of these known effective agents and then  
14 put them together. And one comes up with this general  
15 combination policy. Each one has to be effective. Each  
16 one has to contribute, et cetera, et cetera.

17 Here, in looking at this product, Listerine,  
18 it's, if I may say with due respect to Dr. Barnett and  
19 Warner-Lambert, kind of a folk remedy in a sense. It has  
20 been around for a century or more and it has evolved. What  
21 we have done is taken this product which has been a  
22 combination and looked at it as a combination with its  
23 particular four essential oils, and now we're trying to  
24 apply the general combination policy. Instead of  
25 individual agents which have been shown to be safe and

1 | effective and putting them together, for which I believe  
2 | this combination policy probably was devised, we're looking  
3 | at a product which has been together for a century and now  
4 | we're trying to look at the individual components. And  
5 | it's difficult to do in that way, and that's why I think  
6 | this is a kind of a unique situation looking at this one  
7 | particular product.

8 |           We're asking to break down, in order to look at  
9 | each one of the essential oils independently and do  
10 | clinical trials would be -- I don't know if it would be  
11 | really in all of our best interests.

12 |           So, I say that this is a unique situation with  
13 | this combination policy.

14 |           DR. GENCO: Further comments, discussion?

15 |           (No response.)

16 |           DR. GENCO: I think, Dr. Barnett, you allowed  
17 | us to also have some discussion of the combination policy,  
18 | as well as your product. So, thank you very much.

19 |           DR. BARNETT: Thanks, Bob.

20 |           DR. GENCO: Next we'll have a presentation from  
21 | the Nonprescription Drug Manufacturers Association. It  
22 | looks like Dr. Soller will make that presentation.

23 |           DR. SOLLER: Thank you, Mr. Chairman, members  
24 | of the committee. My name is Dr. Bill Soller. I'm Senior  
25 | Vice President and Director of Science and Technology for

1 the Nonprescription Drug Manufacturers Association, a 116-  
2 year old trade organization representing the manufacturers  
3 and distributors of nonprescription medicines. By sales,  
4 our members represent over 95 percent of the OTC  
5 marketplace.

6 I'm here on behalf of the NDMA and CTFA Joint  
7 Oral Care Task Group, and as you know, the Cosmetic,  
8 Toiletry, and Fragrance Association is the 300-member  
9 national trade organization for personal care products and  
10 represents the vast majority of those products.

11 We have presented before you on a number of  
12 occasions, and today we have two areas of discussion that  
13 we'd like to engage in. One are comments on the  
14 combination policy, and secondly comments on key aspects of  
15 labeling that we'd like to get into.

16 The blue folder that you have in front of you  
17 is our submission to the panel and just looking at the  
18 index, we have brief position statements that we'd like to  
19 enter into the docket on the combination policy and on  
20 labeling found in sections 1 and 3. In the overheads  
21 themselves that I'll be running through sequentially will  
22 be section 2 and section 4. Dr. Genco, if possible, I  
23 think we have enough time to stop at the end of 2 just to  
24 ask for clarifying questions on the combination and then  
25 proceed directly thereafter on the labeling, if that's all

1 right.

2 As we go on, I'm happy also to take clarifying  
3 questions that you might have.

4 So, if we start at section 2 on the combination  
5 policy, we'd like to cover several areas. First, review  
6 very briefly -- I know Mike has gone into this just a  
7 moment ago -- the combination policy itself in 330.10, an  
8 overview of the types of combinations in the OTC review,  
9 some examples in the review itself, and then our  
10 recommendations for this particular category within the OTC  
11 review.

12 So, an OTC drug may combine two or more safe  
13 and effective active ingredients that may be generally  
14 recognized as safe and effective with three provisos: that  
15 each active makes a contribution to the claimed effect;  
16 when combining, the actives do not decrease the safety and  
17 effectiveness of any of the individual actives; and when  
18 combining, that it provides rational concurrent therapy.  
19 And there is an attendant guideline that was referenced in  
20 the preceding presentation that includes a number of  
21 different types of categories and we'll get into some of  
22 them in the discussion.

23 This is a longstanding, established OTC policy.  
24 It's supported by the companion guidelines that were given  
25 to you prior to this meeting. It's supported by previous

1 OTC advisory panels, and it's supported by the inclusion of  
2 many different types of combinations in virtually all of  
3 the OTC rulemakings attendant to the OTC review.

4 Here are some examples, cough/cold, internal  
5 allergies, sunburn, topical ophthalmics, just to pick a  
6 few. Looking at in the cough/cold area, ingredients from  
7 four different pharmacologic categories can be combined  
8 into a four-way cough/cold product like Comtrex, for  
9 example.

10 Internal analgesics. Two internal analgesics,  
11 aspirin, acetaminophen, plus an analgesic adjuvant like  
12 caffeine, Excedrin, Category I combination.

13 Sunburn category for the prevention of sunburn.  
14 Three sunscreens or a sunscreen and skin protectant.

15 And perhaps the topical ophthalmic has the  
16 greatest variety. It includes different pharmacologic  
17 categories, as in the case of an astringent and a  
18 vasoconstrictor, or including ingredients from the same  
19 category, demulsants or emollients, and then variety of  
20 combinations therein, just to give you an example of some  
21 of the things that you see in the OTC review.

22 So, there's precedent for many types of  
23 combinations in the OTC review per FDA's longstanding  
24 policy, again, that the actives contribute to the claimed  
25 effect, that by combining, we don't reduce the activity of

1 | the actives, and the combination provides rational  
2 | concurrent therapy.

3 |           So, looking at our recommendations -- and I  
4 | will focus on the top three in a little bit more detail,  
5 | but will also mention D and E -- we would recommend  
6 | antiplaque/antigingivitis agents be recommended for  
7 | combination with anticaries agents,  
8 | antiplaque/antigingivitis agents with tooth desensitizing  
9 | agents, and in the three-way combination of antiplaque,  
10 | antiplaque, plus anticaries agents and tooth desensitizing  
11 | agents. And then combinations of antiplaque,  
12 | antigingivitis, active ingredients may also be found to be  
13 | appropriate and provide rational concurrent therapy.

14 |           Looking at the first, this is our rationale  
15 | basically, and we provide some of the published studies  
16 | that support this construct and thinking, that caries and  
17 | gingivitis are distinct pathological entities. They affect  
18 | different structures within the oral cavity. Caries and  
19 | gingivitis can be treated with different active  
20 | ingredients, and consumers are vulnerable to caries and  
21 | gingivitis through a large portion of their lifetimes.

22 |           So, we would conclude that concomitant self-  
23 | care prevention and treatment of caries and gingivitis  
24 | represents rational OTC therapy.

25 |           The second general area of combinations relates

1 | to the antiplaque, antigingivitis, plus tooth desensitizing  
2 | agents. Depending upon which studies you look at, the  
3 | prevalence of dentinal hypersensitivity ranges from 8 to 30  
4 | percent. Even at the low range, that's a considerable  
5 | number of consumers, most frequently in adults 20 to 30  
6 | years of age, usually the facial surfaces, the canines and  
7 | premolars. Stimuli like toothbrushing, digital probing,  
8 | hot/cold, acids, and sweets causes extreme pain in this  
9 | particular pain syndrome, clinically not always associated  
10 | with tissue damage, but authors in the published literature  
11 | do state that this is, when seen, a potential for damage.  
12 | Up to 68 percent of hypersensitive teeth have been reported  
13 | to have significant gingival recession. Usually this is a  
14 | chronic condition with acute episodes.

15 |                 So, by way of rationale, considering the  
16 | Category I labeling for OTC tooth desensitizing agents is a  
17 | four-week duration of use in order to allow the individual  
18 | to have enough time to get in to see a health professional,  
19 | a dentist, the proposed combination would allow continued  
20 | antigingivitis/antiplaque treatment during episodes of  
21 | dentinal hypersensitivity.

22 |                 The third area is the antiplaque,  
23 | antigingivitis, plus anticaries, plus tooth desensitizing  
24 | agents as what we think is a rational combination. The  
25 | rationale is very similar to what I've just presented for

1 | the other two, and that is the proposed combination allows  
2 | continued antigingivitis, antiplaque, and anticaries  
3 | treatment during episodes of dentinal hypersensitivity.

4 |           Now, it may also be appropriate to combine  
5 | antiplaque/antigingivitis agents. We think that such  
6 | combinations should be reviewed for safety and  
7 | effectiveness by the subcommittee and/or FDA, should be  
8 | determined to be GRAS/GRAE, generally recognized as safe  
9 | and effective by FDA, and be listed in the monographs.

10 |           Before closing, I had one additional area of  
11 | combinations. It says, other rational combinations. By  
12 | that we mean with support of the OTC combination policy by  
13 | the panel. We think that provides the appropriate support  
14 | for the addition of future combinations through monograph  
15 | amendment.

16 |           So, by way of summary, we would recommend that  
17 | the Plaque Subcommittee support FDA's policy on combination  
18 | OTC products, as many other panels have done, and we  
19 | provide these recommendations that I've just gone through  
20 | as what we think are appropriate combinations that provide  
21 | rational concurrent therapy.

22 |           What I'd like to do is just stop at this point  
23 | and entertain any questions that you might have before  
24 | going on. Dr. Genco?

25 |           DR. GENCO: Yes. Thank you very much, Dr.

1 Soller.

2 Are you recommending that we consider reviewing  
3 tooth desensitizing agents? I know the anticaries agents  
4 have been reviewed and they're in a monograph. What about  
5 the tooth desensitizing agents?

6 DR. SOLLER: Those have been reviewed as well.  
7 I'm not recommending that you review them per se, allow  
8 that to be another panel, another rulemaking, and consider  
9 that, as with other OTC rulemakings, that there can be  
10 combinations across monographs.

11 DR. GENCO: So, what you're suggesting is that  
12 somehow we address the issue of labeling, let's say, of a  
13 Category I antiplaque/antigingivitis agent, that we've  
14 discussed and recommended to the FDA be Category I, that it  
15 can be combined with an approved, with the proper  
16 terminology, anticaries agent or an approved tooth  
17 desensitizing agent, or both.

18 DR. SOLLER: That's correct.

19 DR. GENCO: So, that's the area where we would  
20 address it in the labeling.

21 DR. SOLLER: That's correct.

22 DR. GENCO: Thank you.

23 Yes.

24 DR. LISTGARTEN: How do we know that these  
25 three active agents, one which is active against

1 gingivitis, antiplaque, the other one which is active  
2 against caries, the third one which is active against  
3 hypersensitive teeth, that by combining them we are not  
4 detracting from the effectiveness of any one of these?

5 DR. SOLLER: That is a question that might well  
6 be addressed in other areas of the agenda that you have.

7 The experience that companies have I think is  
8 what ought to be brought to bear. As there would be a  
9 consideration of a particular combination, at least as we  
10 have understood this through discussions in our task group,  
11 they don't appear to be interacting with the  
12 antiplaque/antigingivitis agents. So, that combination of  
13 an anticaries agent, for example, with CPC does not  
14 apparently affect the activity.

15 DR. LISTGARTEN: Offhand I don't see any  
16 problems with the ones you suggest, but it occurred to me  
17 that if one wanted to make an antitartar claim and also  
18 provide fluoride to prevent caries, that we could run into  
19 a potential problem with one leaching the fluoride out  
20 while the other one is trying to put the fluoride in. So,  
21 I don't know if Bill has any reservations about that.

22 DR. GENCO: Bill?

23 DR. BOWEN: Well, conceptually how could one  
24 oppose this? But I do have serious problems with how  
25 they're going to reviewed.

1                 Several years ago when chlorhexidine first  
2                 appeared, a clinical study was started, for example, in  
3                 Denmark with chlorhexidine included in the toothpaste, and  
4                 after the study had gone on for about 18 months, it had  
5                 been found that the formulation had inactivated the  
6                 chlorhexidine. So, I get a little concerned when things  
7                 like that happen.

8                 Max has also raised the point about tartar  
9                 control and caries. One can make the hypothetical argument  
10                at least that zinc, for example, which is now in a large  
11                number of antiplaque products, one of it's postulated  
12                mechanisms of action is that it inhibits urease, and one  
13                can make the case that urease helps to prevent caries. You  
14                can also hypothesize, as Max has suggested, that zinc can  
15                prevent remineralization, and similarly with some of the  
16                pyrophosphate products.

17                So, I think that one cannot blindly assume that  
18                because caries and periodontal disease, as you correctly  
19                point out, are separate pathogenic processes, that agents  
20                that prevent one or the other are necessarily compatible  
21                with each other. I think it's a huge problem we're going  
22                to have to face.

23                DR. SOLLER: Well, I think it's important to  
24                separate these issues as we are presenting to the panel  
25                today for your consideration that you grapple with the

1 | concept of a rational concurrent therapy separate from what  
2 | might be testing of the formulations. So, what we are  
3 | presenting is hopefully getting your recognition that  
4 | combinations such as these do represent rational concurrent  
5 | therapies when, per the combination policy, that you do  
6 | have combinations that are appropriate and can be used  
7 | safely and effectively by the consumer.

8 |           DR. GENCO: I'd like to proceed to do just  
9 | that. Tomorrow morning we're going to discuss final  
10 | formulation testing, and maybe with this insight we can  
11 | proceed to discuss beyond the Procter & Gamble report how a  
12 | final formulation for each one of these may be evaluated.

13 |           DR. SOLLER: I think that's fair.

14 |           What we were trying to do, as I say, in this  
15 | particular presentation was raise your awareness as to the  
16 | types of combinations that might occur across monographs.

17 |           DR. GENCO: Lew.

18 |           MR. CANCRO: Yes, I think that's quite correct,  
19 | Bob, that that discussion you're going to have. But the  
20 | point that I wanted to make was that independent of the  
21 | number of pharmacological classes that you combine, the  
22 | responsibility will always be to show that for each of the  
23 | ingredients from each of the classes that that ingredient  
24 | meets the monograph condition by whatever is defined. And  
25 | you've yet to define that for this group of agents. It has

1 | been defined for fluoride, of course, and for the  
2 | desensitizing.

3 |           So, in combining an antiplaque or  
4 | antiplaque/antigingivitis agent with any of the other two  
5 | previously defined pharmacological classes and ingredients,  
6 | then you have assurance that those two aren't impaired, at  
7 | least by their monograph conditions.

8 |           DR. GENCO: Thank you.

9 |           Proceed.

10 |           DR. SOLLER: Thank you.

11 |           I'd like to get into the second portion of our  
12 | discussion, and that has to do with OTC labeling and some  
13 | points that we think might be worth considering at this  
14 | point, this juncture in your discussions of this category.

15 |           The particular areas that we will touch on --  
16 | I've just hesitated. I've found the right side of the  
17 | pointer here -- include the statement of identity, the  
18 | indications, and the warnings.

19 |           Now, I think that just looking back at the  
20 | panel meetings that this group has had, that there has been  
21 | enough discussion, enough dialogue back and forth and  
22 | learnings on both of our parts such that we think we've  
23 | come up with some worthwhile things to consider relative to  
24 | these elements of labeling. It's extremely important that  
25 | these be given attention at some point because your

1 scientific decisions ultimately have to be translated into  
2 labeling for safe and effective use of these products by  
3 the consumer, and the things that I'll be getting into  
4 relate back in part to the combination policy issues that  
5 we were talking about earlier, but they also relate  
6 obviously to the single-ingredient products that you'll be  
7 looking at.

8           So, I'll start with statement of identity,  
9 talking about the regulatory requirement for all OTC  
10 products under the OTC review, the examples in the oral  
11 care category that exists, and then our recommendations.

12           Under section 201.61, statement of identity for  
13 single-ingredient products -- and I will touch on  
14 combination products in a moment, but for single-ingredient  
15 products, it's the established name of the drug, if it  
16 might be established in the act or otherwise in an official  
17 compendium, followed by the general pharmacologic category  
18 of the drug or the principal intended action or actions of  
19 the drug.

20           For example -- and I won't read through all of  
21 these, but for your reference, 355.50 is for the anticaries  
22 in the final monograph for the anticaries products, and  
23 356.62 -- and let's concentrate on that one because it's  
24 shorter and it shows the point -- is the labeling of the  
25 tooth desensitizer drug products.

1                    Now, this is exactly out of the monograph, and  
2                    in terms of your panel report, the statement of identity,  
3                    since it appears in all of the other panels in this format,  
4                    I would anticipate would also take this type of format.

5                    Here we have for the tooth desensitizing, the  
6                    labeling of the product contains the established name, if  
7                    any, and identifies the product as, insert,  
8                    toothpaste/tooth gel, the formulation, for sensitive or  
9                    hypersensitive teeth. If you look through the different  
10                   monographs, that particular statement for a sensitive or  
11                   hypersensitive teeth, if you were to look for a parallel  
12                   construct in other monographs, might appear with different  
13                   words before or after the formulation. So, there's no  
14                   really set rule for that.

15                   So, what we would recommend for this category  
16                   is first to consider that the single ingredients that you  
17                   look at can be divided into two general categories, those  
18                   that have been shown to have antigingivitis activity and  
19                   those that have been shown to have both antiplaque and  
20                   antigingivitis activity and separating those out and  
21                   considering them as single ingredients, insert the  
22                   established name of the drug, antigingivitis, insert  
23                   dentifrice or toothpaste, dental rinse, et cetera.

24                   The same would be true for that second category  
25                   of those products that have antiplaque/antigingivitis.

1           So, the example here shown at the bottom, if we  
2 would take the chemical name, the established name of the  
3 drug here, would be that name, antigingivitis toothpaste or  
4 antiplaque/antigingivitis mouthrinse or whatever  
5 formulation would be marketed meeting the monograph  
6 specifications.

7           For combination products, the OTC drug that is  
8 a mixture and has no established name, the general  
9 pharmacologic actions of the mixture or its principal  
10 intended actions represents the statement of identity. So,  
11 in this particular case, it would be, following the same  
12 construct that we looked at for the single-ingredient  
13 products, antigingivitis and then insert the particular  
14 formulation, same antiplaque/antigingivitis in the  
15 formulation. So, you would have these examples for  
16 combinations, anticavity/antigingivitis mouthrinse or  
17 anticavity/antigingivitis toothpaste or whatever the  
18 particular formulation would be relative to the  
19 combination.

20           I'd like to turn now to indications. Our  
21 recommended strategy for the statement of identity in terms  
22 of splitting these into two categories for the therapeutic  
23 ingredients, i.e., antigingivitis and  
24 antiplaque/antigingivitis, we think should be followed when  
25 considering the indications as well.

1           I have a brief comment here to just be sure  
2           that we're on the same ground, recognizing the difference  
3           between the statement of identity and the indications.

4           The statement of identity is required to appear  
5           on the principal display panel. Indications may appear  
6           there, but they most often appear in the information panel.  
7           Indications are synonymous with uses, and an easy way to  
8           think about that would be aspirin analgesic tablets that  
9           would represent the statement of identity, but under  
10          indications or uses, it would be for the temporary relief  
11          of minor aches and pains associated with the common cold,  
12          headache, and a list of other particular conditions. So,  
13          that distinction between statement of identity and  
14          indications.

15          Again, I will show you now for the  
16          antigingivitis products and then we'll get into the  
17          antiplaque/antigingivitis products. But for those that  
18          have been reviewed as GRAS/GRAE Category I antigingivitis  
19          active agents, we would recommend a basic monograph  
20          indication for the control, reduction, treatment, and  
21          prevention of gingivitis or gum disease. And then  
22          additional optional indications so that the ingredient has  
23          that basic monograph claim and then that would appear on  
24          the product and then these other claims may also appear  
25          such as controls with these verbs, gingival bleeding,

1 controls red swollen gums, controls bleeding gums.

2 For that category of agents that have both  
3 antiplaque/antigingivitis activity Category I GRAS/GRAE in  
4 the monograph, again the same basic monograph indication  
5 based on the antigingivitis activity, the same optional  
6 claims based on the antigingivitis activity, and then we  
7 would recommend the following two optional claims for  
8 antiplaque activity in these agents with  
9 antiplaque/antigingivitis activity, controls plaque that  
10 leads to gingivitis or gum disease, controls plaque  
11 bacteria that lead to gingivitis or gum disease.

12 I'd like to touch on OTC warnings. Over the  
13 discussions that you had and as you consider these  
14 particular products -- and this has been true of every  
15 panel -- the question comes up, what kinds of limitations  
16 of use might be applied in the context of warnings. I know  
17 that this discussion has not been presented to you, but as  
18 you get into, I think, this phase of your work and you  
19 start thinking about what that panel report, which  
20 represents the advanced notice of proposed rulemaking,  
21 looks like, I think it's important to remember where FDA  
22 has been for the last 25 years in the construct of warnings  
23 and the hurdles that need to be overcome or the criteria  
24 that need to be met before a warning statement actually  
25 appears on a product.

1           We have a detailed paper in section 4. I'd  
2 recommend the reading of it, but what I'd like to do is  
3 just to run through sort of the bare bones outline of what  
4 this longstanding FDA policy is on OTC warnings.

5           The legal requirement is to disclose material  
6 facts, and that is interpreted through a number of  
7 rulemakings. Here are a couple of examples, four examples.  
8 But over and over again in the monographs, that represents  
9 essential information, that is what goes on the OTC label.

10          Now, the question is in the context of the  
11 warning, what is essential? FDA has defined a three-step  
12 process, if you will, or three criteria that ensures the  
13 validity, the interpretation and the practical application  
14 of the underlying data to the OTC use conditions.

15          Those three steps or those three criteria is  
16 embodied in this statement that OTC warnings should be  
17 those that are scientifically documented, clinically  
18 significant, and important to the safe and effective use of  
19 the product by the consumer. Scientifically documented,  
20 the validity; clinically significant, the interpretation;  
21 and then important to the safe and effective use of the  
22 consumer, the practical application.

23          Just a word on that. Scientifically documented  
24 is adequate design, collection, and analysis of data in a  
25 reliable and scientifically acceptable manner. I think

1 perhaps the particular example that is best known, at least  
2 within the industry and perhaps the FDA, is the Reye's  
3 syndrome example when the state surveys were issued in  
4 1980. It wasn't until the raw data were reviewed some 18-  
5 plus months later that FDA determined that in fact the  
6 proposed association was not adequately scientifically  
7 documented and at least one more study through the Public  
8 Health Service needed to be done prior to a warning going  
9 on it.

10           So, that first hurdle of scientific  
11 documentation -- and this has been replayed in a number of  
12 other categories and for other ingredients -- is a very  
13 important hurdle, perhaps one of the ones that has been the  
14 points of contentions, the stumbling blocks, certainly the  
15 kinds of discussions before you even get to thinking about  
16 whether that particular statement will represent clinical  
17 significance.

18           On clinical significance, not just statistical  
19 significance, an interesting example is the cellulose bulk  
20 laxatives that will interfere with the time to peak digoxin  
21 levels. However, while that is statistically significant,  
22 that does not affect how well digested the particular patient  
23 is, and they are quite well maintained, even if they take  
24 that bulk laxative at different times. As a result of  
25 that, even though a statistically significant, quote,

1 clinical finding found in a clinical study had been  
2 demonstrated, it did not have clinical significance in  
3 terms of managing the patients. So, no warning on the  
4 cellulose based bulk laxatives.

5 Then finally, important for the safe and  
6 effective use by the consumer, not based on a contrived  
7 clinical situation that's not applicable to actual use  
8 conditions or even a clinical condition that isn't. Some  
9 products may have professional labeling and there may be  
10 attendant warnings applied to that particular condition of  
11 use of the product that might be for much longer durations  
12 of use than for the OTC self-care condition, and the  
13 labeling there would not have a practical application to  
14 the self-care situation for the OTC-labeled product.

15 So, by way of overall summary, just returning  
16 to the first portion of our talk, the recommended  
17 combinations of antiplaque/antigingivitis agents with  
18 anticaries agents, with the tooth desensitizing and the  
19 three-way combination, the possibility of combining  
20 antiplaque/antigingivitis agents and the criteria that we  
21 set forth in our comments we think are important things for  
22 the panel to discuss in the context of the general  
23 therapeutic categories, separating that out from a separate  
24 discussion of final formulation testing, as Dr. Genco has  
25 pointed out.

1           The statement of identity, here shown by an  
2           example for a combination product, might be  
3           antiplaque/antigingivitis toothpaste.

4           And the indications. The basic condition for  
5           the control of gingivitis as a basic monograph condition.

6           And then the following optional claims for  
7           controlling gingival bleeding, red, swollen gums, bleeding  
8           gums, controls plaque that leads to gingivitis, controls  
9           plaque bacteria that lead to gingivitis, all part of an  
10          example for an antiplaque/antigingivitis agent that would  
11          get your recommendation for a Category I GRAS/GRAE status.

12          Then finally, as you think about the labeling  
13          for these ingredients, we would hope that you'd keep in  
14          mind this three-step process that FDA has for the warnings,  
15          that they be scientifically documented, clinically  
16          significant, and important to the safe and effective use of  
17          the product by the consumer.

18          Thank you.

19          DR. GENCO: Thank you, Dr. Soller, for a very  
20          interesting and useful presentation.

21          Any comments or questions from the panel? Yes,  
22          Max?

23          DR. LISTGARTEN: How do you deal with -- I am  
24          sure it can be done in the labeling, but how do you deal  
25          with the situation where a product might be effective in

1 reducing gingivitis but not sufficient to cure the problem  
2 and might give a patient a false sense of security? Now,  
3 you had bleeding gums. Now, all of a sudden, they do not  
4 bleed nearly as much, but the patient may be in the process  
5 of developing periodontitis. The patient may be under the  
6 impression that the label that says controls gingivitis or  
7 reduces gingivitis is indicative that this is a product  
8 that will take care of his condition.

9 DR. SOLLER: So that you have a -- if I  
10 understand what you're saying --

11 DR. LISTGARTEN: I'm concerned about the false  
12 sense of security.

13 DR. SOLLER: Yes. No, I understand.

14 I suppose the same thing could be said about an  
15 anticaries agent, that an individual thinks they're  
16 preventing cavities and they may be preventing some  
17 cavities but not all cavities.

18 If I take your question right, are you  
19 suggesting that that be either subclinical, in which case  
20 there would be a question I guess as to whether that really  
21 was progressing. But you're actually saying that there is  
22 a frank clinical condition that the individual doesn't know  
23 is going on. Yes.

24 Well, I think some of the ADA labeling does  
25 help that in terms of recommending regular checkups, and I

1 think that that kind of labeling is important. I think  
2 tied to what will be a better format and content for the  
3 information panel, which is a pending rule at this time,  
4 will also help to make that particular label more consumer  
5 friendly, more likely to be read, more likely to be  
6 followed.

7 DR. GENCO: That provides a nice segue to the  
8 next presentation after the break. I don't mean to stop  
9 the discussion, but I'm sure that Dr. Whall will address  
10 that.

11 Any further comments or questions of Dr.  
12 Soller?

13 (No response.)

14 DR. GENCO: If not, we're right on schedule, so  
15 I'd like to announce that we're going to take a break and  
16 we'll start at 10:15 with discussion from the American  
17 Dental Association. Thank you.

18 (Recess.)

19 DR. GENCO: I think we should get started if  
20 you could take your seats please.

21 We'll have a presentation by a representative  
22 of the American Dental Association, Dr. Whall.

23 DR. WHALL: Thank you, Dr. Genco.

24 Today I'd like to outline the American Dental  
25 Association's policy on the acceptance of fixed combination

1 drug products in the ADA Acceptance Program. I provided  
2 the subcommittee with a copy of the slides I'll be using.

3 On behalf of the ADA, my goal is to once again  
4 provide the subcommittee and the FDA with the benefit of  
5 over 10 years of the ADA's Seal Program experience in the  
6 evaluation of the safety and effectiveness of drug products  
7 that reduce plaque and gingivitis.

8 The Council developed its first set of  
9 guidelines for the acceptance of chemotherapeutic products  
10 for the control of supragingival plaque and gingivitis back  
11 in 1986, which I've provided the subcommittee on previous  
12 occasions.

13 The Council has also recently updated these  
14 guidelines to widen the scope of the types of products it  
15 will accept. Basically the old guidelines only evaluated  
16 products whose mechanism of action was strictly  
17 antimicrobial. The new guidelines also include products  
18 that reduce gingivitis by some other means. And I'll  
19 provide the subcommittee with a copy of these later today.  
20 I'm waiting for them to come through on the fax.

21 Under the bylaws of the ADA, the Council on  
22 Scientific Affairs, which I'll subsequently call the  
23 Council, studies, evaluates, and disseminates information  
24 with regard to the safety, efficacy, promotional claims,  
25 and proper use of dental therapeutic agents, their

1 adjuncts, and dental cosmetic agents used by the public and  
2 the profession. The mechanism used by the Council to do  
3 this for therapeutic agents is the Acceptance or Seal  
4 Program.

5 The Acceptance Program in turn operates in  
6 accordance with its provisions for acceptance, copies of  
7 which I've also provided to the subcommittee. The  
8 provisions go over such areas as products considered for  
9 acceptance, general provisions for acceptance, evidence for  
10 safety and effectiveness, labeling, package, insert, and  
11 advertising and other promotional materials, and fixed  
12 combination drug products.

13 I'd now like to briefly go over those sections  
14 of the provisions that directly relate to the acceptance of  
15 fixed combination drug products. If you're looking at that  
16 in the provisions right now, I've sort of picked several  
17 areas from the provisions, so you won't really be able to  
18 follow by looking at the provisions.

19 Eligible products in general include all dental  
20 drugs and chemicals which are employed in the diagnosis,  
21 treatment, or prevention of disease. And as I said, all of  
22 these are eligible for the program.

23 Required evidence of safety and efficacy  
24 includes substantial objective data from clinical and  
25 laboratory studies on the final product, not just on the

1 active ingredients. I think this addresses one of the  
2 issues that came up a few minutes ago about what happens if  
3 you have two agents that are effective, you add them  
4 together, and somehow you decrease the effectiveness of one  
5 of the other agents.

6 I guess that's a fundamental difference, as  
7 I've said before in how the FDA and the ADA work, in that  
8 we evaluate products, the final formulations. We don't  
9 evaluate ingredients. And that takes care of that issue  
10 because we want to see the clinicals that are performed on  
11 the final product that's going to be marketed, and it takes  
12 that into consideration.

13 Other evidence is all proprietary studies for  
14 the final product. A manufacturer may submit three or four  
15 studies to support the effectiveness of their product. We  
16 want to see what other studies they have on that final  
17 product that may not demonstrate effectiveness. It does  
18 not necessarily mean that we wouldn't accept the product if  
19 these other studies did not show a significant benefit  
20 because the Council looks at all the studies, how they're  
21 designed, how they're done, and makes a judgment overall  
22 based on all the data.

23 And finally, a list of the other published  
24 studies using the final product. There may be other  
25 investigators who have done research that the company might

1 not have provided to us, and the Council would like to know  
2 all that data. Again, these are all on the final product  
3 that we're looking at.

4           Besides reviewing the clinical studies  
5 submitted for acceptance, the Council also conducts post-  
6 marketing surveillance of accepted products. One mechanism  
7 it does this is upon the renewal of the acceptance which  
8 occurs every three years, when the Council may require  
9 evidence demonstrating continued acceptable clinical  
10 performance, and such evidence could include the request  
11 for new clinicals to be performed if some information has  
12 come up that would indicate that was needed, reports on  
13 adverse reactions which should be given to us as they occur  
14 anyway, but this three-year period of re-acceptance that we  
15 have gives us a formalized way to check and make sure this  
16 is being done. And maybe some of the previous clinical  
17 studies need some follow-up. So, maybe the Council liked  
18 the study as far as it went, it was enough for acceptance,  
19 but we wanted the company to go a little bit farther, maybe  
20 continue on a little bit longer.

21           Now, what is the policy on a fixed combination  
22 drug product?

23           Products are eligible, number one, when there  
24 is adequate evidence of effectiveness in the practice of  
25 dentistry. Again, this would be demonstrated through the

1 | clinicals.

2 |           Number two, when each of the components makes a  
3 | contribution to the claimed effect or effects.

4 |           Number three, when the dose of each component  
5 | is safe and effective for a significant patient population.

6 |           Also, combination drugs having components added  
7 | to enhance safety or efficacy of the principal active  
8 | component or to minimize the potential for abuse are also  
9 | eligible to be included in this combination product.

10 |           The Council actually wants to see data to  
11 | support each of the active agents. So, if you have an  
12 | active for caries and you have an active agent for  
13 | gingivitis and you have an active agent for  
14 | hypersensitivity, the Council requires studies that show  
15 | effectiveness of each of those three indications using the  
16 | final product.

17 |           On the label we simply require that each of the  
18 | therapeutically active ingredients be listed and their  
19 | concentrations given, very similar to the FDA.

20 |           And finally, some examples of products that  
21 | have received the seal that have combination ingredients  
22 | are fluoride plus potassium nitrate for caries and  
23 | hypersensitivity. We have several products accepted in  
24 | that category. That means they've done both the studies  
25 | for caries and the studies for hypersensitivity with that

1 product.

2           Fluoride plus pyrophosphates. Now, while we  
3 don't consider calculus to be a therapeutic end benefit, we  
4 do require clinical studies demonstrating an anticalculus  
5 effect. So, we have these products that have both of these  
6 ingredients and have done both of those kinds of studies.

7           Another example is fluoride plus triclosan and  
8 Gantrez for caries and gingivitis. That was a recently  
9 accepted Colgate product.

10           So, all of these are the combination products.

11           Thank you. That concludes my presentation.

12           I did want to make one comment, though. There  
13 was a question that I think Dr. Listgarten raised about how  
14 do you prevent consumers from being misled for gingivitis  
15 products so that they don't think that it's going to cure  
16 their periodontitis. This is a concern the Council has had  
17 over the years, not just for this area but for other areas.  
18 The way we have dealt with that is a couple of different  
19 ways.

20           One is we always require a statement with an  
21 accepted over-the-counter product. The statement for  
22 gingivitis products clearly states that the effectiveness  
23 of the this product for periodontitis has not been  
24 determined. The guidelines we developed are strictly to  
25 look at gingivitis. Now, we are developing other

1 guidelines for periodontitis, but for the purposes of what  
2 we're talking about here, it's just gingivitis. So, we do  
3 have that disclaimer right on the box saying we don't know  
4 what this product does for periodontitis.

5 I think the other part of it is just education,  
6 and the ADA continually tries to educate consumers on the  
7 differences between periodontitis and gingivitis so that  
8 they won't be misled like that.

9 I think the third part is in our statement we  
10 also say to use this product in conjunction with regular  
11 professional care, so you're always going to your dentist  
12 and the dentist can then do the diagnosis if it's anything  
13 other than gingivitis.

14 So, I just wanted to make a comment about that.  
15 Thank you.

16 Are there any questions?

17 DR. GENCO: Yes. Thank you very much, Cliff.

18 Ralph?

19 DR. D'AGOSTINO: To go back to the discussion  
20 about the retarding type effect of the combination on the  
21 particular ingredients, in the combination, say, that has a  
22 caries agent in it, you're interested in is the caries  
23 agent still effective, but are you interested in is it as  
24 effective as it was when it was all alone?

25 DR. WHALL: The ADA Seal of Acceptance simply

1 means that the product is effective for what it says it is.  
2 We have never really gotten into the issue of ranking  
3 products in terms of effectiveness. But that is a question  
4 that we do ask. If the effectiveness of the caries part of  
5 the product was markedly decreased, the Council would have  
6 concerns. I don't have numbers to give you what that would  
7 be, but yes, they do take that into consideration.

8 DR. GENCO: Max?

9 DR. LISTGARTEN: I notice one of your product  
10 combinations was one I was concerned about, namely fluoride  
11 for caries combined with pyrophosphates for antitartar  
12 effect. Do you actually require clinical trials to show  
13 that both caries and tartar are reduced?

14 DR. WHALL: Yes, we do. Any kind of a product  
15 that comes to us initially has to do their clinical tests  
16 for both of those indications. If you have me-too products  
17 that come along, then we have other laboratory tests that  
18 they can do to show that they're similar to that initial  
19 product that was clinically tested. But yes, both caries  
20 and calculus clinicals.

21 DR. GENCO: What about products from the same  
22 category, mixtures, combinations from the same category?  
23 Would you require in vitro or clinical trials to show that  
24 each one contributes?

25 DR. WHALL: Are we talking about the essential

1 oil type question?

2 (Laughter.)

3 DR. GENCO: As an example.

4 (Laughter.)

5 DR. WHALL: That was a question the Council did  
6 ask. When they initially looked at the product, they said,  
7 well, should we require each of these ingredients to have  
8 to demonstrate a clinical effectiveness by themselves and  
9 in various combinations and that the four ingredients  
10 together act better than any of the individual ingredients?

11 And they came to the conclusion that this was a  
12 fixed combination active. They looked at it that way, that  
13 this particular active that was used in all the clinical  
14 studies had demonstrated effectiveness, and their  
15 conclusion was it did not require that the individual  
16 ingredients had to be looked at. But it's also interesting  
17 that Dr. Barnett did show that the in vitro studies showed  
18 that each one had some effect at least on bacterial kill.

19 DR. GENCO: Further comments, questions? Bill?

20 DR. BOWEN: Can I push you a little more on the  
21 reduction in the effectiveness of fluoride? You said you  
22 can't give us a number, but as you well know, probably 95  
23 plus of all the toothpastes used in the United States today  
24 contains fluoride. If you get a reduction, say, by adding  
25 an anticalculus agent of, say, even one-tenth of one

1 surface, from a public health point of view, you're looking  
2 at a pretty effective reduction. So, I was just wondering  
3 what number would trigger alarm in the ADA?

4 DR. WHALL: I'm still not going to be able to  
5 give you a number. This is an issue the Council looked at  
6 very, very carefully. They're aware that the  
7 pyrophosphates inhibit remineralization, and that's  
8 directly competing with what you want the fluoride to do.

9 We do believe and the studies we've seen do  
10 tend to show that the pyrophosphate products are slightly  
11 less effective than just the plain fluoride products. The  
12 Council and the consultants that they sent these  
13 submissions out -- in their judgment it was not significant  
14 enough to cause concern, and I guess that can be open to  
15 debate.

16 DR. GENCO: Further comments, questions?

17 I note Dr. Katz is going to make the next  
18 presentation, but could we address this issue of has the  
19 FDA in the labeling also recommended regular professional  
20 care, use of product with regular professional care? Is  
21 there a precedent for that?

22 DR. KATZ: It doesn't really state that  
23 specifically in the labeling itself. There are some  
24 warnings and there are some advisory recommendations when  
25 to go back to seek professional assistance, but wording

1 | like that, not specifically.

2 | DR. GENCO: So, could you expand on it? We are  
3 | all familiar with it, but what are the words used?

4 | DR. WHALL: The statement reads something like  
5 | X product has been shown to be an effective decay  
6 | preventive dentifrice when used in a conscientiously  
7 | applied program. I didn't know I was going to be quizzed  
8 | on this.

9 | (Laughter.)

10 | DR. WHALL: Of oral hygiene and regular  
11 | professional care. Then if it also has an anticalculus  
12 | ingredient, it would say, this product has been shown to  
13 | effectively decrease calculus formation. A third sentence  
14 | would say -- what's interesting, in that case for the  
15 | calculus ingredients it would say that this product has not  
16 | been shown to have any effect on gingival health because  
17 | the tartar ingredients haven't been linked to gingivitis at  
18 | all, at least in the studies we've seen.

19 | For the product that has an antigingivitis  
20 | effect, the statement will add something like the effect of  
21 | this product on periodontitis has not been determined,  
22 | something along those lines.

23 | DR. GENCO: You know we are all professors in  
24 | our days jobs, so quizzing students and each other is what  
25 | we do.

1 Well, thank you very much. That was very  
2 useful.

3 It looks like that would be very unusual for  
4 the FDA to accept or to consider.

5 DR. KATZ: To a point. It would again depend  
6 upon how the product is labeled. In some cases there's  
7 more of this product or the efficacy or effectiveness in a  
8 particular area has not been shown. That may exist, but as  
9 to specific catchall like what you're saying, that doesn't  
10 right now, although that's not to say that it would not and  
11 could not.

12 DR. WHALL: I could get you the exact  
13 statements if you like and provide them to the committee.

14 DR. GENCO: No. I think the point is made.  
15 Max, maybe we can discuss this later.

16 Further comments or questions? Yes, Fred?

17 DR. HYMAN: The one comment that I wanted to  
18 make was in terms of the ADA's statements. Particularly  
19 the one that comes to my mind is the statement about  
20 periodontitis effect with the ones that get the gingivitis  
21 claims. When we write the OTC labels, we tend to gear more  
22 towards the consumer. Personally I feel that although a  
23 dentist would clearly understand that, I have often  
24 wondered if the average consumer really knows the  
25 difference between gingivitis and periodontitis. I sort of

1 | doubt it. So, just a comment.

2 | DR. WHALL: Well, that's well taken. I guess  
3 | it was debated whether to put advanced gum disease or  
4 | periodontitis. Periodontitis was chosen but it could have  
5 | gone either way. But I understand what you're talking  
6 | about.

7 | DR. GENCO: Further comments, questions?

8 | DR. SAVITT: Also I believe that in the ADA  
9 | labeling, they refer to gingivitis as a mild form of gum  
10 | disease as opposed to just gum disease which I think is  
11 | pertinent considering Dr. Soller's presentation where he  
12 | referred to gingivitis as gum disease, and it goes along  
13 | with what Dr. Hyman noted.

14 | DR. GENCO: Thank you.

15 | Max?

16 | DR. LISTGARTEN: I do believe I've seen labels  
17 | to the effect that if these signs and symptoms persist for  
18 | longer than whatever, go see your doctor or dentist, and I  
19 | think we can probably squeeze one of those in.

20 | DR. GENCO: Further comments?

21 | (No response.)

22 | DR. GENCO: Thank you very much, Cliff.

23 | DR. WHALL: Thank you.

24 | DR. GENCO: Now we'll have a presentation on  
25 | this issue of combination policy from Linda Katz, who's the

1 Deputy Director of the Division of OTC Drug Products. Dr.  
2 Katz?

3 DR. KATZ: I'm actually making my remarks from  
4 here since I don't have any overheads or slides. Basically  
5 since most of what I'll say has been said earlier today and  
6 at the time of the last meeting, my comments are going to  
7 be fairly brief.

8 At this point, suffice it to say that the OTC  
9 combination policy, as we've both seen and we've heard, is  
10 addressed in 21 CFR 330.10(a)(4). OTC drugs may combine  
11 two or more safe and effective active ingredients that are  
12 recognized as being safe and effective when each makes a  
13 contribution to the claimed effect or effects, and when  
14 combining these ingredients, there is no decrease in safety  
15 or effectiveness of any of the individual ingredients, and  
16 in addition that there is rationale that's provided for  
17 this therapy for the target population to which it's  
18 intended to be used.

19 In 1978 the OTC guidelines were published in  
20 the Federal Register in an attempt to help to define some  
21 of the situations in which this policy could be applied, so  
22 that this was really an attempt to go through and to  
23 clarify where there might be some confusion with the policy  
24 as stated.

25 At this time I would basically like to bring

1 | you back more to the task at hand for today and over the  
2 | next two days, which is really to address the ingredients  
3 | that have been presented to this committee to decide which  
4 | of these ingredients might be safe and effective for a  
5 | combination or combinations. In trying to assess these  
6 | ingredients, one can look at it in two terms, in terms of a  
7 | broad combination policy as is seen with the cough/cold  
8 | combination policy in which there are, for example, two  
9 | categories or three or four, depending upon what the  
10 | product is, of categories of drugs or ingredients, one  
11 | which would be, let's say, for example, an antihistamine  
12 | which would contain a list of Category I active ingredients  
13 | that could be combined with an antihistamine from also  
14 | Category I, so that the decongestant and the antihistamines  
15 | might be combined and interchanged. That would be what we  
16 | would mean or imply by your broad type of a combination  
17 | policy.

18 |           We heard the other example which is that of a  
19 | fixed combination or a more specific combination. One  
20 | example would be that of phenol and camphor in a mineral  
21 | oil in which the formulation is fixed, it's specific, and  
22 | this combination is in the topical analgesic, also first  
23 | aid antiseptic rulemaking. This is an allowable fixed  
24 | combination.

25 |           So, at this point in time there are options for

1 both, both broad generalization in which different  
2 ingredients can be applied as Category I, from one category  
3 to the other can be combined, or specific formulations can  
4 be mixed together and that would be fixed. That would be  
5 something that this panel can determine and give us advice  
6 on.

7 Further, when looking at these formulations for  
8 both broad and fixed combinations, once you consider the  
9 data that has been presented to this panel on the  
10 formulations that may or may not have been evaluated, the  
11 target populations that have been reached or attempted to  
12 be reached, remembering that the effectiveness and safety  
13 should not be altered when any of these active ingredients  
14 are combined.

15 In addition, if there's some concern with some  
16 of these combinations or certain of the active ingredients  
17 that can be seen that the committee feels they should not  
18 be in a Category I combination, we are looking for  
19 recommendations that this panel might be able to give us as  
20 to what additional information they would require or need  
21 or studies that might be needed or recommended to be able  
22 to have such a combination be included as a Category I  
23 combination.

24 With that, I will refer you back to the  
25 questions. There are seven of them which we look forward

1 | to the guidance of this panel on making these  
2 | determinations for the combinations.

3 | DR. GENCO: Comments or questions of Dr. Katz?  
4 | Yes, Bill?

5 | DR. BOWEN: All of the examples that have been  
6 | given by both Dr. Soller and Dr. Katz refer to, for the  
7 | want of a better term, a single condition, for example, a  
8 | cough and a cold, signs and symptoms of a single condition,  
9 | similarly with an internal analgesic and similarly with a  
10 | sunburn. When we come to caries, periodontal disease, and  
11 | hypersensitivity, we have three quite distinct conditions,  
12 | each with its own etiology and pathogenesis.

13 | The question I have is, is there any precedent  
14 | for using a combination of drugs to treat three distinct or  
15 | even two distinct pathological entities?

16 | MS. LUMPKINS: Normally what we've done with  
17 | the combination products is to address symptoms so that  
18 | when you look into cough/cold, you're treating an array of  
19 | symptoms. So, that would be the closest thing that we have  
20 | by way of precedent. In other words, we're treating a  
21 | cough with a sore throat and that kind of a setup.

22 | DR. GENCO: Further comments, questions of Dr.  
23 | Katz? Yes, Dr. Soller?

24 | DR. SOLLER: Bill Soller, NDMA.

25 | I also gave the example of a sunscreen plus a

1 skin protectant. So, that would be two different  
2 categories, the windburn plus the sunburn protection.  
3 Separate categories I think is what you were asking, Dr.  
4 Bowen.

5 DR. BOWEN: No. Separate pathological  
6 entities.

7 DR. SOLLER: Well, they have different  
8 etiologies, sunburn and windburn.

9 DR. BOWEN: Yes, but you could argue they both  
10 end up with inflammation of the skin, whereas in caries and  
11 periodontal disease, you've got something quite distinct.

12 DR. GENCO: Yes.

13 DR. OKARMA: Thank you, Mr. Chairman. Paul  
14 Okarma, Colgate-Palmolive Company.

15 The agency has previously reviewed data  
16 submitted by Block Drug Company and has previously  
17 determined that a hypersensitivity agent, namely 5 percent  
18 potassium nitrate, is a rational combination with an  
19 anticaries agent. So, there is an example of two distinct  
20 things that can be combined.

21 Thank you.

22 DR. GENCO: Further comments, questions?

23 (No response.)

24 DR. GENCO: Now, we've been challenged to look  
25 at the questions regarding combinations and come up with

1 | some discussion and guidance. If you all have that set of  
2 | questions, I'd like you to look at those. They're revised.  
3 | It's the third page of the agenda.

4 |           First question, what combination of ingredients  
5 | would support antiplaque and/or antigingivitis indications?

6 |           The idea here is you want some guidance on what  
7 | theoretically or what we've been presented with as  
8 | possibilities for combination?

9 |           DR. KATZ: Both.

10 |           DR. GENCO: Both, okay.

11 |           Does anybody want to address that? Max?

12 |           DR. LISTGARTEN: I'd like to preface this by  
13 | saying that many of us around this table are not very  
14 | experienced pharmacologists so that we may know of  
15 | individual ingredients that do certain things. We may not  
16 | be sure what happens when you start to mix them. As was  
17 | pointed out before, a good example was the attempt at  
18 | taking chlorhexidine and incorporating this into  
19 | toothpastes, something which didn't work because  
20 | chlorhexidine got inactivated.

21 |           Having said that, there are some combinations  
22 | that come to mind like, for example, triclosans which have  
23 | a slight inflammatory effect as well as a slight  
24 | antimicrobial effect, and nobody has tried it perhaps  
25 | because they know better. But chlorhexidine and triclosan

1 | would make a nice combination, but I haven't the foggiest  
2 | idea if one could actually do this, just to mention one.

3 |           DR. GENCO: So, one combination for gingivitis  
4 | would be antibacterial and anti-inflammatory, a theoretical  
5 | possibility.

6 |           DR. LISTGARTEN: Yes.

7 |           DR. BOWEN: I think one could also possibly  
8 | include an astringent agent.

9 |           DR. GENCO: For antigingivitis.

10 |           DR. BOWEN: Right.

11 |           DR. GENCO: So, in reality what we've been  
12 | presented with, though, is what? Just antibacterial in the  
13 | products that we've been asked to review.

14 |           DR. LISTGARTEN: Well, I think we also need to  
15 | go back to the beginning of our deliberations way back when  
16 | when we decided that an effective product should have both  
17 | antibacterial and antigingivitis effects, that  
18 | antibacterial without antigingivitis wasn't good enough.  
19 | So, we're basically looking at something which, for lack of  
20 | a better word, would be an antiplaque/antigingivitis agent  
21 | or an antiplaque/antigingivitis product which could be a  
22 | combination of two, one which may be more effective in one  
23 | area than the other.

24 |           DR. GENCO: So, theoretically, you could have  
25 | an antibacterial that affects the bacteria, and what else

1 | would affect gingivitis? Give an example.

2 | DR. LISTGARTEN: Triclosan being one of them.

3 | DR. GENCO: Lew?

4 | MR. CANCRO: Bob, I think the existing  
5 | combination policy which defines the conditions under which  
6 | within the same pharmacological class you can combine two  
7 | ingredients having the same mechanism of action, and  
8 | additionally the combination policy also covers ingredients  
9 | intended for the same clinical effect but with different  
10 | mechanisms of action. So, there does exist definition at  
11 | least conceptually that the FDA has already provided us.  
12 | If you go back to the guidelines, they have defined the  
13 | conditions under which those two events are appropriate.

14 | DR. GENCO: Right. They've asked us what  
15 | theoretically could affect plaque, what theoretically could  
16 | affect gingivitis. So far I've heard antibacterial can  
17 | affect both, anti-inflammatory can affect gingivitis or  
18 | astringent could affect gingivitis. So, various  
19 | combinations of those which might be different  
20 | pharmacological classes are possible for  
21 | antiplaque/antigingivitis.

22 | Clearly there can be a combination of several  
23 | antibacterial and several anti-inflammatory within the  
24 | class.

25 | So, is there any more to this than that in

1 | terms of antiplaque or antigingivitis?

2 |           MR. CANCRO: Hypothetically, you may ultimately  
3 | discover that several specific microbes are implicated in  
4 | this disease process and hence the combination of a  
5 | bactericide and another bactericide having different --

6 |           DR. GENCO: Spectrum of activity.

7 |           MR. CANCRO: So, from that perspective, that's  
8 | a possibility.

9 |           DR. GENCO: So, antibacterial with different  
10 | spectra with respect to killing of various species, okay.

11 |           Another possibility might be a plaque dispersal  
12 | agent of some sort or an anti-adhesion agent that coats the  
13 | tooth and prevents adhesion. Two other possibilities.

14 |           DR. BOWEN: Wouldn't they come under the  
15 | category of antibacterial, Bob?

16 |           DR. GENCO: They may not kill bacteria but they  
17 | prevent plaque formation. So, if we're talking about  
18 | theoretical, we are really going back to basics here.

19 |           MR. CANCRO: Yes, but I think as you get to  
20 | dispersion and things like that, where are you with respect  
21 | to pharmacological action? Is that within the realm of  
22 | what we're looking at here? If you can disperse or  
23 | something like that, is that still within effectively what  
24 | we're reviewing?

25 |           DR. GENCO: Well, theoretically an agent that

1 dispersed preformed plaque could prevent both plaque and  
2 gingivitis and maybe periodontitis. Theoretically. We're  
3 being asked to discuss the specifics of the theoretical  
4 possibilities.

5 Is that what you'd like, that sort of  
6 discussion?

7 DR. KATZ: That, as well as bringing it also  
8 back to some of the ingredients that you've seen before  
9 because again remembering that we're going to eventually  
10 take some kind of a vote on the ingredients, some that  
11 still remain, as to which ones we might also want to  
12 consider in these combinations specifically.

13 DR. GENCO: Now, we've dealt also with  
14 abrasives, but we've said we're not going to discuss those  
15 or classify those because they're not drugs in the sense  
16 that they don't have pharmacologic action. Is this what  
17 your point is about the dispersal?

18 MR. CANCRO: Right, right.

19 DR. GENCO: So, theoretically some abrasive  
20 could also have antiplaque effect and antigingivitis  
21 effect, obviously do.

22 Is there any more discussion relative to the  
23 theoretical combination of ingredients that would inhibit  
24 plaque, inhibit gingivitis, or inhibit both?

25 DR. LISTGARTEN: My concern is that many of the

1 | things we've looked at seem to be class 3 ingredients as  
2 | opposed to class 1 ingredients. There really aren't that  
3 | many class 1 ingredients. So, maybe we should zero in and  
4 | see what kind of class 1 ingredients we have and if we can  
5 | combine these in any way. That may be the most practical  
6 | way to go about this.

7 |           So far we have fluoride. That's a class 1  
8 | ingredient for caries.

9 |           DR. GENCO: Stannous fluoride for gingivitis  
10 | we've voted on and cetylpyridinium chloride for gingivitis  
11 | and plaque. So, those are the agents we voted on in class  
12 | 1.

13 |           DR. LISTGARTEN: I don't remember. We don't  
14 | have too many.

15 |           DR. GENCO: We voted on two so far in class 1.

16 |           DR. LISTGARTEN: Yes, and what we have to find  
17 | out is are there any chemical incompatibilities or other  
18 | incompatibilities in combining some of these very few class  
19 | 1 products that we have. I'm not sure that I have the  
20 | expertise to say yes or no.

21 |           DR. GENCO: Do you want to address that, Bill?

22 |           DR. BOWEN: Well, I don't know much about  
23 | incompatibilities. I know they certainly do exist and some  
24 | of the surfactants/antimicrobial agents probably will  
25 | inactivate chlorhexidine for certainty. And there are

1 | certain other agents in toothpaste that will inactivate  
2 | probably CPC. So, we're getting into an area that  
3 | personally I find the waters much too deep for me, other  
4 | than I'm aware of some of the potential problems. It is  
5 | clear that in the past that industry also got their fingers  
6 | burned on some incompatibilities after the event. So, it's  
7 | an extraordinary difficult area. That, of course, is  
8 | begging the question.

9 |           DR. GENCO: Yes. We'll be discussing  
10 | limitations on combinations and maybe we can get into  
11 | incompatibilities there.

12 |           Let's focus on what are the possible  
13 | combinations. Is there anything more than what we've  
14 | discussed?

15 |           For plaque, it's dispersal, antibacterial,  
16 | anti-adhesion, and then we've said we're not going to  
17 | discuss abrasion in this panel.

18 |           For gingivitis, it's antibacterial, anti-  
19 | inflammatory, and astringent.

20 |           There are some enzymes that have been proposed,  
21 | anti-protease for example, or protease enzymes. What are  
22 | those? Those are in the category of antibacterial or  
23 | dispersal, some products that we've been asked to look at  
24 | that have proteases in them.

25 |           DR. LISTGARTEN: What are they doing?

1 DR. GENCO: We're talking theoretical now. It  
2 could be a protease to disperse plaque. This gets very  
3 theoretical. I don't know how much further we want to  
4 pursue this, but those are all the possibilities. Okay,  
5 fine.

6 All right, shall we go on? Are there any more  
7 comments about the first question? Yes.

8 DR. WU: I think there's also an enzyme like  
9 dextranase or gluconase that are added to some of the  
10 foreign products. Would that be considered dispersal?

11 DR. GENCO: Which could disperse plaque by  
12 degrading the matrix.

13 DR. BOWEN: That's truly academic because they  
14 don't work.

15 (Laughter.)

16 DR. GENCO: Well, as Max said, we've only got  
17 two so far in class 1.

18 Now, the second question. Specify what other  
19 ingredients can be combined with antiplaque ingredients --  
20 and I would extend that to antigingivitis ingredients --  
21 and for which indications. In other words, these are the  
22 so-called non-active I would interpret this as. What else  
23 can be combined? Okay.

24 Lew, formulation expert.

25 MR. CANCRO: I think this question really needs

1 clarification. Is the FDA asking this panel to look at all  
2 of the potential in our ingredients that could be combined  
3 with these active systems, or are they really talking about  
4 what other active ingredients can be combined with these  
5 actives? I would like clarification of the question.

6 DR. GENCO: That's a good point.

7 MR. SHERMAN: I think the question isn't really  
8 clear. I think for number 2 what we're asking is what  
9 other classes of ingredients can be rationally combined  
10 with antiplaque ingredients. We're not looking for  
11 specific ingredients.

12 DR. GENCO: Active, like anticaries.

13 MR. SHERMAN: In other words, anticaries and  
14 antiplaque.

15 DR. GENCO: Yes. I misinterpreted that.

16 What other active ingredients? Dr. Soller  
17 presented that this morning. Any further comment? We've  
18 got anticaries, drugs that treat hypersensitivity,  
19 antitartar.

20 DR. LISTGARTEN: Are we going to get into  
21 cosmetics?

22 DR. GENCO: Hopefully not.

23 MR. CANCRO: I think if you lump in tartar to  
24 that, you are getting into cosmetics because you've defined  
25 it as a cosmetic effect. I don't think that's appropriate

1 | because then there's no limitation to where you want to go  
2 | with respect to all the cosmetic properties of many  
3 | ingredients.

4 | DR. GENCO: Is the issue, though, that if in  
5 | fact in reality these active ingredients would be combined,  
6 | should we advise the FDA on their potential adverse effects  
7 | like the antitartar even though they're cosmetic?

8 | MR. CANCRO: I think if you look at monographs  
9 | which have already been established -- and you've yet to do  
10 | this for this one, but there are very specific conditions  
11 | under which these agents will work, concentration, et  
12 | cetera, availability, and in the case of fluoride, the  
13 | profile tests. So, for established Category I ingredients  
14 | from other classes, from other monographs, those  
15 | ingredients with any change to a formulation, be it an  
16 | active ingredient or an inactive ingredient, necessitate  
17 | that the manufacturer shows that that ingredient still  
18 | meets the Category I conditions.

19 | So, we can get in a very complicated situation  
20 | here looking at all of the potential things that can  
21 | happen, but simplistically speaking, conditions for  
22 | effectiveness have been established for these ingredients  
23 | in other monographs. As long as those ingredients are  
24 | still meeting those conditions, then it's perfectly okay to  
25 | combine materials and ingredients.

1 DR. GENCO: All right. So, you've gone on to  
2 the third question, stability. I would interpret that as  
3 activity also.

4 Yes.

5 DR. D'AGOSTINO: Can I ask about the second  
6 one? We've heard caries sensitivity and possibility tartar  
7 and so forth. I guess I read that to be, given what Lew  
8 said, is that once you state something, in fact you have to  
9 go through a hurdle to make sure that you've maintained it.

10 But isn't it also asking, given this panel, are  
11 there other indications that you think might be sensible to  
12 combine with the antiplaque and antigingivitis indications?  
13 Is it only caries sensitivity that we think are reasonable  
14 things to put together with the antigingivitis? We're not  
15 being asked what tests have to be done, but what do you  
16 think is sensible to put together with these.

17 MR. CANCRO: I think Dr. Soller's point was  
18 that the combinations that he proposed reflect an existing  
19 need out there. You can have gingivitis. You can have  
20 dental caries. You can have hypersensitive teeth and the  
21 other two conditions. So, there exists a need out there to  
22 treat these things concomitantly, at the same time, and  
23 hence it's very rational.

24 Now, beyond that, that doesn't close the door  
25 on perhaps other things, but you'd have to establish that

1 | these other conditions are there and that this new agent  
2 | would then treat whatever those other conditions are.

3 |           So, I think what the manufacturers are  
4 | proposing are really a series of four or five combinations  
5 | which appear very rational. They don't want you to close  
6 | the door on the potential that other things may come up. I  
7 | can't specifically give you an example of that, Ralph, but  
8 | in effect they're looking for you to endorse an existing  
9 | combination policy that the FDA has.

10 |           DR. D'AGOSTINO: One way of interpreting Dr.  
11 | Bowen's comment earlier with the cough/cold type of thing,  
12 | that these symptoms all come together. Do these symptoms,  
13 | sensitivity, caries, really all come together that we want  
14 | to put them all in a single package? I think that's the  
15 | way I'm reading it. It sounds sensible.

16 |           DR. GENCO: Maybe it's more that the delivery  
17 | system allows one to treat concomitantly caries and  
18 | periodontal disease or gingivitis, although they're very  
19 | distinct pathologic processes, but the way you deliver it  
20 | makes sense to put both in the same toothpaste.

21 |           Yes.

22 |           DR. SAXE: Yes. I think you just noted, Bob,  
23 | what the crux of the issue is. Just because they both  
24 | happen to occur in the mouth, these are two distinct  
25 | pathological processes dealing with periodontal disease and

1 | carries. We may have to come down on a case-by-case basis  
2 | because while you have a delivery system, if we had, let's  
3 | say, a fixed combination of ingredients or even a single  
4 | ingredient, then we want to put in an anticaries agent and  
5 | somebody has dry mouth or perhaps we can put in some sort  
6 | of a saliva stimulant, and then maybe also an anti-anxiety  
7 | agent because they shouldn't worry about what's going on in  
8 | their mouth.

9 | (Laughter.)

10 | DR. SAXE: And the possibilities of drug  
11 | interaction or a lack of effectiveness of any one agent is  
12 | apparent. I think just because there are a lot of things  
13 | going on in the mouth, we can't, I think, hope to treat all  
14 | of them with one magic cocktail or paste or gel.

15 | I think the crux of the issue, again, Bob, is  
16 | as you stated it, that just because they're common  
17 | pathological entities, tooth decay and gum disease, they  
18 | can't easily be lumped together in terms of an effective  
19 | treatment or effective agent.

20 | DR. GENCO: Bill?

21 | DR. BOWEN: Well, as I said earlier, in  
22 | principle I think the consumer will probably benefit if  
23 | appropriate agents can be combined in an equi-effective  
24 | way. But the problem I have is this. Let's say -- and I'm  
25 | going to use examples that may or may not be correct.

1                   But let's say you have a combination of  
2 fluoride with -- pick anything -- let's say pyrophosphates,  
3 and the pyrophosphate is in there to prevent gingivitis.  
4 In doing that, it reduces the effectiveness of fluoride by  
5 -- we'll say a number -- 50 or 60 percent. Now, the  
6 fluoride continues to be effective, but it's very much less  
7 effective than it is on its own.

8                   By the current OTC rules, if I understand them  
9 correctly, we do not make relative effectiveness. It's  
10 either effective or it's not effective, and we're not  
11 allowed to make assessments on degrees of effectiveness.

12                   I think under these circumstances, I think I  
13 could make a case that a consumer would be ill-served  
14 because, yes, on one hand you may prevent calculus; on the  
15 other hand, you're reducing the effectiveness of fluoride  
16 but it's still technically effective. That's one of my  
17 concerns that I'd like to see addressed.

18                   DR. GENCO: So, we recognize that there are  
19 some rational combinations, anticaries, antigingivitis,  
20 antiplaque, and activity against hypersensitivity, and  
21 maybe even salivary stimulation. Certainly those are all  
22 possibilities.

23                   So, is there anything else then that we want to  
24 discuss?

25                   And there may be some clever company or person

1 | in the future that comes up with yet another agent that  
2 | could be combined in a toothpaste or mouth rinse that has  
3 | beneficial effect.

4 |           Is there anything else that anybody else would  
5 | like to say about 2 before we get into limitations and  
6 | stability, et cetera? Those are separate questions. These  
7 | are theoretical combinations. Max?

8 |           DR. LISTGARTEN: I just want to point out that  
9 | even though we're dealing with caries and periodontal  
10 | disease as two different pathologic entities, for those who  
11 | are uncomfortable with this, you could look at it as saving  
12 | teeth which is sort of the unifying factor. So, I'm just  
13 | proposing this as a rationale for combining anticaries and  
14 | anti-periodontal disease products.

15 |           DR. GENCO: Ralph?

16 |           DR. D'AGOSTINO: Can I get a point of  
17 | clarification actually? Because I think Dr. Bowen has  
18 | mentioned a couple of times the real concern that if you  
19 | make these combinations, for example, the fluoride may be  
20 | diminished. I've always read the combination policy, in  
21 | certainly any trials I've been involved in, as that the  
22 | combination, as you go indication by indication, has to be  
23 | at least as effective as the individual ingredient, that  
24 | you can't be losing the caries effectiveness in the  
25 | combinations. Have I been misreading that?

1 DR. KATZ: No. Actually what the combination  
2 policy says is that you need to be as effective or better,  
3 that you shouldn't be losing.

4 However, one additional caveat which the OTC  
5 combination policy has through the guidelines is a  
6 risk/benefit type of an assessment which is not written  
7 into or applied on the NDA side for prescription  
8 combinations, but it is a part of the guidelines for the  
9 1978 OTC policy.

10 So, even if you look at it, there may be  
11 circumstances in which the agent itself is still effective  
12 and when you add in the risk/benefit type of an assessment  
13 for that combination, that the product may be acceptable as  
14 combination for OTC.

15 DR. D'AGOSTINO: Thank you. So, in the general  
16 sense, though, the general idea is that the ADA may not  
17 force that question, but the FDA forces the question of  
18 equal effectiveness or a risk/benefit.

19 DR. GENCO: Any further comments on question 2  
20 then?

21 (No response.)

22 DR. GENCO: It looks like we're ready for the  
23 next set of questions. 3 and 4 seem to be quite related.

24 Bob, could you maybe give us a summary of what  
25 you want out of 3 and 4 or what the FDA would like out of 3

1 and 4? Is this safety/efficacy as combinations? Is  
2 stability really an issue here, or what would you like us  
3 to discuss here? Specific concerns about specific  
4 combinations with respect to efficacy, which has already  
5 been addressed, the pyrophosphate/fluoride? Do you want to  
6 hear from this panel, who has had experience with those  
7 things, and others in the audience what kinds of pitfalls  
8 are there when you put these combinations together? Okay,  
9 good.

10 Bill, further comments?

11 One we've identified is the reduction of  
12 fluoride in combination with whatever, pyrophosphate.

13 DR. BOWEN: Calcium.

14 DR. GENCO: Calcium. So, that's one.

15 I heard earlier today inhibition of  
16 chlorhexidine, an antiplaque/antigingivitis agent, by  
17 formulation. These are all obvious and well-known, but  
18 these are very easily inactivated.

19 DR. BOWEN: There's also a concern coming out  
20 of Scandinavia, for example, the inclusion of SLS in  
21 toothpaste reduces the uptake of fluoride by the tooth  
22 surface, and I think this may need a little additional  
23 attention.

24 DR. GENCO: Further concerns, caveats with  
25 respect to combinations that are unique to these dental

1 combinations? Christine?

2 DR. WU: We've done some studies and we've  
3 found that in some instances chlorhexidine, when combined  
4 with a high level of essential oils, in some cases there's  
5 an antagonistic effect, and so does CPC.

6 DR. GENCO: CPC plus chlorhexidine?

7 DR. WU: No, with some of the essential oils.

8 DR. GENCO: Oh, CPC with essential oils or  
9 chlorhexidine with essential oils inhibits -- there's  
10 mutual inhibition?

11 DR. WU: Right, antagonistic effect.

12 DR. GENCO: Lew?

13 MR. CANCRO: There's no doubt that there's a  
14 great potential for chemical interaction with  
15 chlorhexidine, but the agency cleared chlorhexidine as a  
16 particular product. It wasn't cleared as an ingredient.  
17 The people who are marketing that are marketing under a  
18 prescription either through an approved NDA or an  
19 abbreviated NDA, and hence it reflects for those formulas  
20 what is compatible with chlorhexidine.

21 I think when we say, well, if you combine SLS  
22 with chlorhexidine, you lose effectiveness, or phosphates,  
23 you lose effectiveness, that's fine, but it's not a good  
24 example to look at when that was cleared on a product basis  
25 as opposed to an individual ingredient basis.

1 DR. GENCO: Well, is it true, though, that  
2 antiplaque/antigingivitis agents, these small organic  
3 molecules, are easily inactivated by formulation?

4 MR. CANCRO: Well, I'd prefer to stick with the  
5 fluoride because you've yet to decide for this category how  
6 you want to handle the potential for --

7 DR. GENCO: Well, we had a long discussion of  
8 cetylpyridinium chloride. You remember the Merrill Dow  
9 studies versus the P&G.

10 MR. CANCRO: Right, right.

11 DR. GENCO: It appeared to the panel that there  
12 was inactivation.

13 So, is this not a problem? It's not just  
14 chlorhexidine. Is it a potential problem with other  
15 antiplaque/antigingivitis agents? I think that's the  
16 issue.

17 MR. CANCRO: Well, you referred to  
18 cetylpyridinium chloride. The manufacturer has indicated  
19 that there are certain conditions in the formula which make  
20 it active and hence you must assume there are conditions  
21 which make it inactive.

22 DR. GENCO: Yes, that's the point. It's a  
23 problem. It's a potential problem. They're asking us,  
24 what are the pitfalls? What are the potential problems?  
25 It's not something that you can ignore.

1 MR. CANCRO: Well, I think the potential  
2 problems become clear when you go back to these systems  
3 which you declared as Category I and defined a scope under  
4 which they meet Category I conditions. That obviously is  
5 concentration. That's clearly one example of where a  
6 decision has to be made.

7 Regarding the potential for chemical  
8 interaction, certainly what came out of the fluoride review  
9 was that, A, it had to be available through a shelf-life.  
10 It had to show a certain amount of fluoride. B, it had to  
11 meet certain testing requirements. So, in the end the  
12 activity of the fluoride is pretty well defined through  
13 many generations of formula changes which have now gone on  
14 since 1972.

15 But that's just an example of how one monograph  
16 treated this problem. That's the thing you've got to come  
17 to grips with rather than I think look at the potential for  
18 interaction because you haven't scoped that out yet for  
19 this category.

20 DR. GENCO: Just thinking about the future of  
21 what we're going to be doing, do you think we're going to  
22 be in the same sort of discussion with the  
23 antiplaque/antigingivitis agents?

24 MR. CANCRO: I believe you will be discussing  
25 this, and hence it will be easier to come back to this

1 | question when you know the limitations that you're saying  
2 | for these ingredients.

3 |           DR. GENCO: Well, we're just outlining general  
4 | possible limitations. One is formulation effect on  
5 | antiplaque agents. Another is formulation effects on  
6 | fluoride, which is well-known, well-described, and the  
7 | profile is in place to prevent that. We're being asked by  
8 | the FDA to talk about these theoretical possibilities and  
9 | not just theoretical, but real things that have to be dealt  
10 | with. Some of them may be obvious, but some may not be.

11 |           MR. CANCRO: I think with any chemical entity  
12 | there is always -- always -- some potential for an  
13 | interaction which would not make that ingredient available.  
14 | But again, that's jumping ahead of your review. If you  
15 | want to talk on a hypothetical basis, then the bottom line  
16 | is, yes, there is potential for interaction.

17 |           DR. GENCO: Okay. Do we need to discuss this  
18 | any further, 3 and 4, the limitations?

19 |           (No response.)

20 |           DR. GENCO: Okay, good.

21 |           Number 5, target populations, special  
22 | considerations for target populations. Let's take them one  
23 | at a time. Antiplaque/antigingivitis. Specific age range.  
24 | Should they be used in children, not used in children,  
25 | pregnant women, older individuals, any target populations

1 | they should not be used in or any populations they should  
2 | be recommended highly for use? General population? Max?

3 | DR. LISTGARTEN: Well, I recall that SLS caused  
4 | an increased number of ulcerations in patients who were  
5 | susceptible to aphthous ulcers, and that should be clearly  
6 | stated someplace, that if there is SLS in a product --

7 | DR. GENCO: That's in the realm of a warning  
8 | more than a target population.

9 | DR. LISTGARTEN: Well, it's a target population  
10 | that's at greater risk of developing a problem. I don't  
11 | know if that is included here, but that's something to keep  
12 | in mind.

13 | DR. GENCO: Are these antiplaque/antigingivitis  
14 | agents meant for the general population or not? That's  
15 | really what they're asking here. Is there any indication  
16 | that they're not?

17 | DR. BOWEN: What's the need for them in  
18 | children under the age of 6, for example?

19 | DR. GENCO: Okay, good point. Certainly if you  
20 | don't have teeth, maybe --

21 | (Laughter.)

22 | DR. GENCO: -- you don't need a toothpaste.  
23 | Obvious.

24 | But now you've said the primary dentition may  
25 | not benefit from an antiplaque/antigingivitis agent. Let's

1 | discuss that. Yes, Gene?

2 | DR. SAVITT: Well, while the rate of gingivitis  
3 | in those under 6 is relatively small, there are still kids  
4 | with gingivitis. I don't specifically see the limitation.

5 | DR. GENCO: Max?

6 | DR. LISTGARTEN: Maybe we should make it 3  
7 | instead of 6.

8 | DR. BOWEN: Well, at what age is the swallowing  
9 | reflex controlled? At 3, 4?

10 | DR. GENCO: So, you're concerned about  
11 | swallowing and adverse effects of swallowing versus  
12 | targeting for the beneficial effect.

13 | DR. BOWEN: Well, that's one aspect of it.

14 | DR. GENCO: So, it's a risk/benefit  
15 | consideration.

16 | DR. BOWEN: Right.

17 | DR. LISTGARTEN: Maybe one could apply just  
18 | enough toothpaste so that if they swallow it, it makes no  
19 | difference.

20 | DR. BOWEN: With fluoride, there's another  
21 | day's discussion, as you well know.

22 | DR. GENCO: Lew, do you have some comments?

23 | MR. CANCRO: If the agent itself, the  
24 | ingredient, has no safety problems, then that's a factor in  
25 | terms of the issue of children swallowing it. But I think

1 that should be really the only caveat because if you are  
2 looking at the benefit, not the risk -- that's a different  
3 part of the equation -- it is justified certainly on the  
4 basis of dental maturity that these conditions exist. It's  
5 pretty well documented. I think Gene, who obviously has  
6 seen the condition in children below 6, indicates there's a  
7 need. Particularly if there is a need, you're looking at  
8 an inability to brush and to clean, and that's exactly  
9 where these agents are intended to promote their benefit.

10 DR. GENCO: Gene?

11 DR. SAVITT: There's another aspect to it in  
12 that a lot of the products that we've looked at have been  
13 designed not so much to deal with gingivitis once it's  
14 established, but many of them have been -- or a lot of the  
15 data that has been put forth has been designed in such a  
16 way to prevent the gingivitis from occurring or to reduce  
17 the amount of gingivitis. I'm concerned that we may end up  
18 mixing apples and oranges at least about the particular  
19 products that we've reviewed. In a theoretical sense I can  
20 understand it, but for a lot of the products we've looked  
21 at, they've been designed in such a way to prevent the  
22 gingivitis or to reduce the amount of gingivitis that ends  
23 up occurring as opposed to curing the gingivitis per se.

24 DR. GENCO: Is there a reason for this age 6?  
25 Bill, you brought it up and it's also in the question from

1 | Bob Sherman and Debbie.

2 |           DR. KATZ: The reason for age 6 really comes  
3 | down to the way the labeling has occurred for over-the-  
4 | counter products. That's been a cutoff. That's one of the  
5 | ages.

6 |           DR. GENCO: Okay. So, the target populations  
7 | often are age 6 and above.

8 |           DR. KATZ: They can be. That's right.

9 |           Now, we don't have to be locked into that.  
10 | There have been exceptions or changes in products that have  
11 | gotten over-the-counter --

12 |           DR. GENCO: Oh, I see. In general, over-the-  
13 | counter is for age 6 and above.

14 |           DR. KATZ: That's right.

15 |           DR. GENCO: Thank you.

16 |           DR. KATZ: As a general over-the-counter  
17 | labeling so that it's to be consistent with other products  
18 | that are there, but that doesn't necessarily mean that  
19 | you're bound by that age.

20 |           DR. GENCO: Right. Okay, thank you very much.  
21 | That was useful.

22 |           Bill?

23 |           DR. BOWEN: There's also a specific concern  
24 | about over-ingestion of fluoridated toothpaste by children,  
25 | specifically under 6. Many of the manufacturers now make

1 | recommendations on the labeling to use "a pea-sized"  
2 | portion of toothpaste. Personally I think that doesn't go  
3 | far enough, but that's, as I said, another day's  
4 | discussion.

5 | DR. GENCO: What is the monograph for fluoride?  
6 | Is it over 6?

7 | MS. LUMPKINS: Yes.

8 | DR. GENCO: It is, okay. So, it wouldn't be  
9 | inconsistent if we also used that as the target population,  
10 | over 6.

11 | Gene?

12 | DR. SAVITT: While gingivitis is seen in  
13 | children under 6, you could also ask the question, of what  
14 | significance is it?

15 | DR. GENCO: Dr. Soller?

16 | DR. SOLLER: Yes. I think the fluoride  
17 | labeling goes down to 2, if I'm not mistaken.

18 | DR. GENCO: Surely we can clarify that, not  
19 | that we dispute that, but just to get the proper, let's  
20 | say, wording, under supervision, pea-sized. It's obviously  
21 | an important issue, and since 95 percent of toothpaste has  
22 | fluoride then this becomes very relevant.

23 | DR. LISTGARTEN: One could adapt a little tip  
24 | to the toothpaste tube so it only squeezes out a very tiny,  
25 | little bit of toothpaste for children. That would be one

1 way to dispense a very small amount by squeezing a little  
2 bit through a small hole, instead of the regular sized  
3 portion.

4 DR. GENCO: All those with children are  
5 smiling, knowing how well they can get around all of those  
6 precautions.

7 (Laughter.)

8 MR. CANCRO: I only want to comment with  
9 respect to Max' suggestion, that when I have to open up a  
10 bottle of medicine, I look for a young child to get into  
11 it. Sometimes I can't open it.

12 (Laughter.)

13 DR. GENCO: Okay. That was very useful.

14 Bill, further comments?

15 DR. BOWEN: Is there any concern about the use  
16 of agents that have an anti-inflammatory effect? Many of  
17 those are clearly being adsorbed through the mucus membrane  
18 and one could argue, maybe not legitimately, that this in  
19 fact is a systemic effect and not a topical effect. Should  
20 we be concerned about that, again in children or in young  
21 adults?

22 DR. GENCO: Comments? Max?

23 DR. LISTGARTEN: Specifically which anti-  
24 inflammatories are you thinking of? Not corticosteroids  
25 surely.

1 DR. BOWEN: Well, again, there has been a lot  
2 of work done in Scandinavia, for example, with triclosan  
3 where significant amounts clearly are adsorbed through  
4 the mucus membrane. I'm not picking on triclosan. There  
5 are probably others also that you know equally well, but  
6 that's the one where a lot of work has been done.

7 DR. GENCO: With respect to the Rx and  
8 triclosan, is there an age limit? This might be  
9 instructive too. Is that 6 and above or is that a concern  
10 for children? Fred, do you recall?

11 DR. HYMAN: Well, triclosan --

12 DR. GENCO: It's OTC.

13 DR. HYMAN: It is OTC, right, but it was  
14 considered under a new drug application, so it was an  
15 entirely different process.

16 DR. GENCO: But what was the result of that? A  
17 different process, but is it restricted to 6 and above?

18 DR. HYMAN: The labeling? Actually -- Paul?

19 DR. BARNETT: It's 6, yes.

20 DR. GENCO: Thank you. Sorry to put you on the  
21 spot. That's how I make my living.

22 DR. SAXE: Just as another point to get off  
23 onto the adults, the first part of that question is, what  
24 populations would benefit from these products? Since  
25 gingivitis is ubiquitous in the adult population, but those

1 adults who have limited function and limited in self-care,  
2 this obviously would be sort of a target population where  
3 it would be an important adjunct for oral health. So,  
4 rather than limiting it where somebody may have some  
5 systemic condition or chronic illness, this is really of  
6 greater value to such a population because of chronic  
7 illness or limited in self-care.

8 DR. GENCO: That's an interesting issue. Let's  
9 say a large percent of the population, age 6 and above, has  
10 gingivitis. It might be 75 to 95 percent. But there's  
11 gingivitis more severe in certain populations. Over-the-  
12 counter in this instance wouldn't be targeted to just the  
13 severe. It could be for all because it's so prevalent. Is  
14 this correct?

15 So, that doesn't preclude some advertising  
16 maybe, or whatever, for a high risk population, but  
17 certainly we wouldn't want to restrict it to just that. I  
18 think that's the issue.

19 Linda?

20 DR. KATZ: What I was going to say is that if  
21 you decide that for whatever product that you want to label  
22 down to age 6, that implies that the product is safe and  
23 recommended for everyone age 6 and above. It doesn't hone  
24 in on any specific target population unless there's  
25 something specific that there is a specific warning or a

1 specific indication that would make it different for that  
2 particular population, such as, if for an older population,  
3 let's say -- this is obviously not for this type of a  
4 product, but a preventative type of a claim, that may be  
5 applicable only to one segment of the population that's  
6 being targeted. Therefore, the indications would be for  
7 that particular targeted population only, whereas for  
8 general use, the product would be available to a larger-  
9 aged spectrum.

10 DR. GENCO: So, in the labeling -- Dr. Soller  
11 gave us several possibilities -- there could be for  
12 prevention of gingivitis in home-bound or something like  
13 that. That particular claim could be targeted.

14 DR. KATZ: It could be targeted. Probably an  
15 example would be if you look at some of the other products  
16 that are out there in other areas, particularly H2  
17 blockers, that the claims may be different depending upon  
18 which population they're targeting themselves for and the  
19 labels accordingly as to how to take the product.

20 DR. GENCO: So, we should be concerned with  
21 that at the labeling level.

22 Christine?

23 DR. WU: How about the population with  
24 hyposalivation?

25 DR. GENCO: I'm sorry. What did you say? The

1 combination --

2 DR. WU: No.

3 DR. GENCO: Oh, the population with  
4 hyposalivation.

5 Bill, do you want to comment on that?

6 DR. BOWEN: Well, again, that issue came up  
7 repeatedly when we were reviewing agents, and I think for  
8 the most part, we got satisfactory answers when we asked  
9 for data concerning the effect on persons who had  
10 hyposalivation. So, again, I think a lot of these products  
11 are certainly applicable to people who have hyposalivation  
12 without risk of irritation.

13 DR. GENCO: Further comments then on these  
14 issues in number 5, the target populations, age?

15 (No response.)

16 DR. GENCO: Let's proceed now to 6.

17 Specific recommendations. Any other specific  
18 recommendations regarding these combination products? Is  
19 there anything else, unique aspects of these combination  
20 products that we haven't touched on?

21 (No response.)

22 DR. GENCO: Let's proceed to the last question  
23 then. What data should be required to support combination  
24 drug products containing ingredients with  
25 antiplaque/antigingivitis claims?

1           Are you after any unique aspects of the  
2 experiments that we haven't dealt with, for example,  
3 proving that each ingredient contributes to the total  
4 activity? Is there anything else that you're after here?

5           DR. KATZ: It's actually more general. If you  
6 feel that for combinations -- actually it's both. It's a  
7 general question as to say whether or not the data that  
8 have been given would -- that you have enough data right  
9 now to say that specific combinations would be okay or the  
10 general broad category would be okay. If not, what  
11 additional kinds of information you would like to see to be  
12 to be able to state that in general or for a fixed  
13 combination what kinds of things you would want to have to  
14 be able to assess --

15           DR. GENCO: As compared to single-ingredient.

16           DR. KATZ: That's right.

17           DR. GENCO: Okay. Does anybody want to address  
18 that? Lew?

19           MR. CANCRO: Yes. I just want a point of  
20 clarification, Linda. From my perspective, just the  
21 interpretation, you're saying that this question relates to  
22 the ingredients which this panel has proposed as Category I  
23 and then relative to all the other Category I ingredients  
24 from different pharmacologic classes. So, the decision  
25 regarding data has already been judged for each of the

1 individual components. You're now asking if additional  
2 data --

3 DR. KATZ: Not for the individual, but as a  
4 combination, so that if people are now comfortable with  
5 combining ingredients that have already been categorized as  
6 Category I, would you want additional information to make  
7 you comfortable to make that combination, a Category I type  
8 of a combination. Do you see what I'm trying to say?

9 As individual ingredients, you've already  
10 determined which ones you're comfortable as being Category  
11 I, but right now in the theoretical or hypothetical  
12 conversation you've had, there has been some concern  
13 whether or not combining some of these ingredients would be  
14 an appropriate thing to do. What we're asking is, do you  
15 need any other information? What other kinds of  
16 information would you need?

17 DR. GENCO: So, let's just review. We have  
18 already voted on cetylpyridinium chloride and stannous  
19 fluoride as Category I single agents. You're saying if  
20 somebody wanted to put them together, unless that was  
21 addressed in the monograph, they could.

22 DR. KATZ: No, no, no. They could not. They  
23 could not be put together unless the monograph states that  
24 they can be put together in a combination.

25 DR. GENCO: Okay.

1 DR. KATZ: So, what we're saying is that if you  
2 say it's fine to put them together as a combination and you  
3 feel you have enough data, that's fine. If you feel that  
4 you don't have enough information to be able to make that  
5 assessment, we're asking what additional information would  
6 you need to be able to be comfortable to put it into the  
7 monograph that the combination --

8 DR. GENCO: So, we're not discussing the data  
9 for the express combinations that we're reviewing, but in  
10 the event that we ought to discuss what someone could do  
11 with these combinations. All right, good.

12 Max, do you want to address that?

13 DR. LISTGARTEN: It seems to me that if you're  
14 going to mix two ingredients that individually work and you  
15 don't know whether there's going to be an incompatibility  
16 between the two, that you need to do the same kind of  
17 clinical trial that you would for a new combination.  
18 Basically you need to show that the combination works. In  
19 other words, if you're going to claim that product A plus  
20 product B reduced caries and reduced gingivitis, you have  
21 to show me that they do.

22 DR. GENCO: Yes.

23 DR. BOWEN: As far as fluoride is concerned, I  
24 wouldn't go quite that far. If you came up with a  
25 combination, I'd be prepared -- that's me -- to accept the

1 data from a well-controlled animal study comparing with and  
2 without.

3 But in addition, which is not particularly  
4 popular at the moment, I'd like to see clearance data of  
5 the fluoride from the mouth, that that's not affected also  
6 and that's comparatively easily carried out. That falls  
7 well short of a full-scale clinical study.

8 Similarly, if there was an antibacterial agent,  
9 again I personally will be prepared to accept the data if  
10 you showed me clearance curves from the mouth comparable  
11 with and without the extra additive. But I certainly  
12 wouldn't accept it, as you clearly won't either, as a  
13 blanket, well, 1 and 1 equals 2 always. It doesn't we both  
14 know.

15 DR. GENCO: So, let me just try to understand  
16 what you're saying. Max, you said obviously the two  
17 agents, cetylpyridinium chloride/stannous fluoride, you  
18 can't willy-nilly put them together and just assume they're  
19 going to work. You have to test them just like you would  
20 any other combination. All the safety/efficacy concerns,  
21 individual activity concerns would be addressed. So, those  
22 are for the antiplaque/antigingivitis.

23 But we have another category of combination  
24 here and that is combination with proven agents like  
25 fluoride which have already been combined with other

1 agents. There isn't such uncertainty about that  
2 combination. And Bill is saying in that instance, for the  
3 fluoride, he would accept lesser evidence than the final  
4 preparation activity that the ADA requires.

5 DR. LISTGARTEN: I guess the issue is how much  
6 additional testing do you need for a combination of two  
7 active ingredients. I'm willing to retreat from a full  
8 clinical trial. I just didn't want to leave the impression  
9 that you could just mix them. I'm with Dr. Bowen in that  
10 respect.

11 DR. BOWEN: I think it's comparatively easy to  
12 determine whether, if you mixed two ingredients, you get a  
13 new product formed. I think if you had evidence that there  
14 is a new product formed, then I think it's a whole  
15 different situation. Then you're looking at toxicity in  
16 full scale clinical studies. But if it was clear that the  
17 two products remain separate from each other, then I would  
18 be prepared to accept lesser evidence.

19 DR. GENCO: For either condition? Two singly  
20 approved antiplaque agents or antigingivitis or one of  
21 these antiplaque agents with a proven anticaries.

22 DR. BOWEN: Correct.

23 DR. GENCO: Either instance.

24 Lew?

25 MR. CANCRO: Well, I concur. It would seem to

1 me that if you add one of these Category I  
2 antiplaque/antigingivitis agents to a fluoride dentifrice,  
3 to go to a three to four-year clinical trial to prove that  
4 the fluoride is still effective is unnecessary because  
5 there are conditions which are predictive for that  
6 fluoride. I agree with Bill.

7 DR. GENCO: But what about mixing stannous  
8 fluoride and cetylpyridinium chloride, two singly approved  
9 Category I agents, for antigingivitis? They're both for  
10 antigingivitis.

11 MR. CANCRO: For the same indication?

12 DR. GENCO: Yes.

13 MR. CANCRO: Well, again, you got to refer to  
14 your combination --

15 DR. GENCO: Then that becomes a combination.  
16 It has to go through all the steps of proof of the  
17 combination. It's a new combination.

18 MR. CANCRO: If you accept the proposed policy  
19 that the FDA has indicated, then those contributions have  
20 got to be shown.

21 DR. GENCO: So, the discussion is any new  
22 combination obviously as a new combination has to fulfill  
23 all requirements of a combination. But a combination of  
24 either a single agent or an approved combination, if we do  
25 come to that for antigingivitis, with a known, proven

1 anticaries or anti-hypersensitivity, requires a lesser  
2 level of evidence in everyone's mind.

3 DR. LISTGARTEN: What do we know about --  
4 what's the word?

5 DR. GENCO: Cetylpyridinium chloride, CPC.

6 DR. LISTGARTEN: What do we know about CPC --  
7 CPF? What do we know about CP fluoride?

8 DR. GENCO: CPCF?

9 DR. LISTGARTEN:

10 DR. BOWEN: No, no. CP fluoride.

11 DR. BOWEN: I agree with the point that Max is  
12 making. We're getting a bit specific here, but let's take  
13 stannous fluoride, as people want, and CPC. If you have  
14 evidence that there's a new compound formed as a result of  
15 mixing that -- and that would be obviously the first thing  
16 you would do -- then a new set of rules apply because  
17 you're now looking at a new compound. It's neither  
18 stannous fluoride nor CPC. It's stannous CPC, if you  
19 prefer, if such a thing can exist. Then it's a different  
20 set of rules.

21 But if you can show that the stannous fluoride,  
22 which by the way also has got anticaries effect, that that  
23 remains separate and the CPC remains separate, then I would  
24 be prepared to take lesser evidence than the full-scale  
25 clinical study, but if there's a shred of evidence that

1 | there's a new compound formed, to my mind that's a  
2 | different ball game.

3 | MR. CANCRO: Exactly.

4 | DR. GENCO: Lew?

5 | MR. CANCRO: Well, that's precisely why you  
6 | have GMP. All these materials, whatever the combinations  
7 | are, have to meet GMP requirements. They have to show  
8 | availability right after they are made. You're right,  
9 | Bill. If the entity is lost, it's not the same thing  
10 | anymore. So, it's simply a case --

11 | DR. BOWEN: Or a new entity formed.

12 | MR. CANCRO: Or a new entity forms. But that's  
13 | what your stability studies are really intended for, to  
14 | establish that these things are not happening literally on  
15 | a production basis, batch by batch.

16 | DR. LISTGARTEN: So, basically we're coming up  
17 | with the answer to the problem, and the answer to the  
18 | problem is to demonstrate that there are no chemical  
19 | interactions and no new products formed and that each  
20 | product works independently from the other. Basically you  
21 | need to establish that.

22 | DR. GENCO: Works. That's the key term.  
23 | They're active. They're bioequivalent, and we'll get into  
24 | that later tomorrow.

25 | DR. LISTGARTEN: And they don't interact.

1 DR. GENCO: So, some sort of measure of in  
2 vitro or in vivo bioequivalence is what you'd want.

3 DR. BOWEN: But you also want to show that the  
4 materials do behave in the mouth in a small scale study as  
5 they do normally, i.e., what sort of salivary levels and  
6 what sort of plaque levels do you get? That would be, I  
7 would imagine, not too difficult to conduct.

8 DR. GENCO: So, we're getting into the final  
9 formulation testing of these combinations which the  
10 discussion will occur tomorrow in some depth, the details  
11 of that.

12 Christine?

13 DR. WU: I have a question about adjuvant.  
14 Correct me if I'm wrong. I think I've read somewhere in  
15 the monograph, Bob, that an adjuvant, when it's combined  
16 with an active ingredient, if it enhances the activity of  
17 the active ingredient, it is considered an active  
18 ingredient also. Now, then in that case, does one have to  
19 do all the studies to prove that an adjuvant itself is also  
20 safe and effective when it's combined with another active  
21 ingredient?

22 DR. GENCO: The feeling is if it's defined as  
23 an active ingredient, then it would be tested as an active  
24 ingredient.

25 Andrea, do you want to make a comment?

1 DR. NEAL: I just wanted to comment that unless  
2 you speak into the microphone, it's not going to get  
3 recorded into the transcript.

4 DR. GENCO: Dr. Listgarten, do you want to make  
5 a comment for the transcript regarding that issue?

6 DR. LISTGARTEN: Well, it seems that if it's  
7 going to be -- if an adjuvant is going to be considered as  
8 an active ingredient, then its interaction with whatever  
9 the other product is must be considered in the same way as  
10 if it were an active ingredient.

11 DR. GENCO: Further comments then about this  
12 issue of these combinations both of single ingredient,  
13 antiplaque/antigingivitis and antiplaque/antigingivitis  
14 combined with known active ingredients that are already  
15 maybe in monographs?

16 (No response.)

17 DR. GENCO: Okay, that finishes the morning  
18 agenda. Are there any comments from the audience relative  
19 to this issue? Cliff, the ADA has dealt with this and do  
20 you want to say any more than what you said about the final  
21 formulation testing? You have taken a very clear stand on  
22 that apparently. Dr. Whall?

23 DR. WHALL: No, I don't really have anything  
24 else to say, but I'll say it anyway. No. That has always  
25 been the issue, just exactly what you're talking about,

1 | that you don't know when you mix ingredients or adjuvants  
2 | or whatever, what's going to happen to the end product.  
3 | So, that's the position the Council has been able to take  
4 | over the years. We just want to see the tests done on the  
5 | end product. Now, whether they're clinical tests or  
6 | laboratory tests depends upon how much is known about that  
7 | particular kind of product and its combination. So, we've  
8 | been able to do that.

9 | DR. GENCO: So, you would take an  
10 | antiplaque/antigingivitis agent new, test it alone and then  
11 | combined with fluoride and maybe ask for fluoride  
12 | equivalency laboratory tests or enamel uptake rather than  
13 | full clinical tests for the final formulation with both.

14 | DR. WHALL: Yes. For fluoride that has not  
15 | been our position before. We've wanted clinicals. I think  
16 | the Council is reevaluating that as we speak now because of  
17 | everything that is known about fluoride now. I think we  
18 | might be going in that direction.

19 | DR. GENCO: Okay. Thank you. I didn't mean to  
20 | put you on the spot again, but you got an A already in the  
21 | beginning of the morning. So, don't worry.

22 | Yes, Christine?

23 | DR. WU: One last question. In reading the  
24 | established monograph, there is limitation of ingredients  
25 | in the combination products. It says that one shouldn't

1 combine two or more active ingredients from the same  
2 therapeutic group with the same mechanism of action. So,  
3 should there be a limitation on the numbers of active  
4 ingredients in the combination in our case?

5 DR. GENCO: You're suggesting that we discuss  
6 that as a general guideline for these particular products?

7 DR. WU: Yes.

8 DR. GENCO: Is there any reason from the data  
9 that you've heard to make that as a general suggestion?

10 DR. WU: I'm just reading what's established in  
11 the monograph. It says that it's better not to combine  
12 more than two. So, are we going to do that for our  
13 discussion?

14 DR. GENCO: Is there reason to do that? We  
15 have heard that maybe combining antimicrobial agents might  
16 make sense if they have different spectra of activity  
17 against different organisms. So, our example -- the one  
18 example anyway of Listerine -- there might be a  
19 justification for combining. Even though they're the same  
20 pharmacologic class, they do have different spectra of  
21 activity.

22 DR. LISTGARTEN: I'd like some clarification  
23 from the FDA representatives. I wasn't sure whether this  
24 limitation of two or three referred to certain things we  
25 were trying to deal with. For example, one could

1 | conceivably deal with caries, gingivitis, hypersensitivity,  
2 | and something else. Is that too many things, or should we  
3 | just have two of these four?

4 | DR. GENCO: Dr. Katz and then Dr. Soller.

5 | DR. KATZ: You can have more than two, and  
6 | there are combinations that are out there that have as many  
7 | as four active ingredients. Some of the cough/cold  
8 | preparations exist with up to four. So, depending upon the  
9 | nature of what it is that's being combined and why it's  
10 | being combined, it may be appropriate to have more than two  
11 | provided that each again is contributing to show  
12 | effectiveness and safety is not being compromised.

13 | DR. LISTGARTEN: Okay, so there is no upper  
14 | limit if one can justify it.

15 | DR. KATZ: That's correct. However, again, the  
16 | more active agents you add in, the more you risk the safety  
17 | as becoming a potential problem, but there have been up to  
18 | four active ingredients that have been approved and do  
19 | exist.

20 | DR. GENCO: Dr. Soller?

21 | DR. SOLLER: I was going to say a very similar  
22 | thing, but I would just point to the combination policy  
23 | itself where it says two or more and then go further down  
24 | in the policy and it's rational concurrent therapy. That  
25 | should be the driver for you to make your therapeutic

1 judgment.

2 DR. GENCO: Thank you.

3 Further comments or discussion of the questions  
4 posed to us by the FDA?

5 (No response.)

6 DR. GENCO: Bob and Debbie and Linda, does that  
7 help?

8 MR. SHERMAN: Yes.

9 DR. GENCO: Okay, fine. Thank you.  
10 Andrea?

11 DR. NEAL: I just wanted to say one thing  
12 before we break for lunch. If anybody spoke today who  
13 wasn't listed on the agenda, could they please give their  
14 information to the transcriber because that does get  
15 included in the official record. So, just state your name  
16 and degree I think is what she needs and where you're from.

17 DR. GENCO: Okay. Let's reconvene at 1 o'clock  
18 at which time I'll give a progress report on the topics and  
19 ingredients we've discussed. Then we'll get into a  
20 discussion of a couple of single-ingredient and possibly  
21 combination-ingredient to be classified. Thank you.

22 (Whereupon, at 11:55 a.m., the subcommittee was  
23 recessed, to reconvene at 1:00 p.m., this same day.)

24

25

## AFTERNOON SESSION

(1:07 p.m.)

1  
2  
3 DR. GENCO: I wonder if I might have your  
4 attention. It's getting to be a little after 1:00, so we  
5 should start.

6 I'm going to give a very brief progress report  
7 of our discussions over the last 11 meetings.  
8 Specifically, we have discussed the possible relationship  
9 of alcohol-containing mouthrinses to the development of  
10 oral and pharyngeal cancers. As you recall, the  
11 recommendation was more studies needed, that the concerns  
12 were less serious than we originally thought based upon the  
13 epidemiologic studies we saw, their lack of reproducibility  
14 and the lack of a dose response. But there was concern  
15 that that be pursued. As we understand, there are several  
16 studies ongoing now. So, we await the results of those  
17 studies.

18 Another topic. We talked about the general  
19 guidelines for determining the safety and effectiveness of  
20 antiplaque and antigingivitis drug products, and I think  
21 that we have made real progress there and have applied  
22 those. I'll summarize the votes that we've taken.

23 We spent a lot of time on definitions and  
24 general information related to antiplaque and  
25 antigingivitis drug products.

1           We discussed the drug versus cosmetic status of  
2 antiplaque products and labeling claims.

3           Then some general recommendations for  
4 antiplaque combination ingredients which began last meeting  
5 and continued this meeting and, I'm sure, will continue the  
6 rest of today and possibly tomorrow.

7           We have reviewed 17 products, included stannous  
8 fluoride, zinc citrate, peppermint oil, sage oil,  
9 cetylpyridinium chloride, aloe vera with enzyme blend, the  
10 amylase/protease/lipase combination; hydrogen peroxide,  
11 sodium bicarbonate, hydrogen peroxide/sodium bicarbonate  
12 combination, sanguinaria extract, sodium lauryl sulfate,  
13 Xylitol, C-31G Therasol, the  
14 menthol/thymol/eucalyptol/methyl salicylate combination,  
15 Microdent, hydrogen peroxide/povidone iodine, and hydrogen  
16 peroxide/zinc chloride/sodium citrate/sodium lauryl sulfate  
17 combination.

18           We have classified 11 of those 17 agents so  
19 far, and of those 11, 2 single ingredients are in class 1  
20 both for safety and efficacy. Cetylpyridinium chloride we  
21 recommended classification as Category I for plaque and  
22 gingivitis; and stannous fluoride, Category I for  
23 gingivitis.

24           In Category III, we voted and our  
25 recommendations are for Category III aloe vera with enzyme

1 blend, hydrogen peroxide, Microdent, peppermint oil, sage  
2 oil, sanguinaria extract, sodium bicarbonate, and sodium  
3 lauryl sulfate.

4 We have discussed and recommended  
5 classification of one combination product, hydrogen  
6 peroxide/sodium bicarbonate as Category III.

7 Any comments, discussion about that summary?

8 (No response.)

9 DR. GENCO: So, of those ingredients that we  
10 have been assigned that are not yet classified, there are  
11 three single ingredients and three combination ingredients  
12 yet to vote on. The single ingredients are C-31G which is  
13 Therasol, zinc citrate, and Xylitol. The combination  
14 ingredients are menthol/thymol/eucalyptol/methyl  
15 salicylate. The second combination of ingredients is  
16 hydrogen peroxide/povidone iodine, and the third is  
17 hydrogen peroxide/sodium citrate/zinc chloride/sodium  
18 lauryl sulfate.

19 It appears that among the single ingredients,  
20 Xylitol was not voted on for several reasons. The main  
21 reason was that the company was going to present additional  
22 data. So, we'll discuss that later. We'll defer that.

23 A combination ingredient, hydrogen  
24 peroxide/sodium citrate/zinc chloride/sodium lauryl  
25 sulfate, we'll defer until the next meeting for new data

1 also if it comes in.

2 So, that leaves then single ingredients C-31G  
3 and zinc citrate to consider today, and combination  
4 ingredients menthol/thymol/eucalyptol/methyl salicylate and  
5 hydrogen peroxide/povidone iodine to possibly consider  
6 today also.

7 So, on the agenda then for this afternoon will  
8 be to review and/or vote on C-31G. Dr. Bowen will discuss  
9 that; Listerine, which is the  
10 menthol/thymol/eucalyptol/methyl salicylate, Dr. Saxe; zinc  
11 citrate, Dr. Saxe; and then hydrogen peroxide/povidone  
12 iodine, Dr. Savitt.

13 Does anybody want to add anything to that very  
14 brief summary? But I think that gives the present status  
15 of the committee's activities. Lew?

16 MR. CANCRO: Bob, I would only add the footnote  
17 that all of the ingredients -- generally you provided the  
18 Category I proposal for their safety. As you recall, you  
19 know we split that up into a vote on safety and a vote on  
20 effectiveness. So, I'd like the minutes to show that  
21 that's what you meant.

22 DR. GENCO: For Category III, yes. So, for  
23 aloe vera, hydrogen peroxide, Microdent, peppermint oil,  
24 sage oil, sanguinaria, sodium bicarbonate, and sodium  
25 lauryl sulfate, as well as for the hydrogen peroxide/sodium

1 bicarb, they're all recommended to be Category III for  
2 efficacy but Category I for safety. Yes.

3 MR. SHERMAN: I think that's true as far as I  
4 know. We didn't do that breakdown. We were just talking  
5 about Category III for safety and/or effectiveness at this  
6 point, but we can get that for you.

7 MR. CANCRO: Well, maybe the summary is  
8 incorrect, but indeed we did vote in that direction on many  
9 of these ingredients.

10 DR. GENCO: Yes. So, let's get that sorted out  
11 clearly for which ones we did vote on, and I think we voted  
12 on most of them that way. Right. And if we've not, then  
13 we'll have to revisit that. Thank you.

14 Bill?

15 DR. BOWEN: Bob, could you clarify the status  
16 of Xylitol again please?

17 DR. GENCO: Okay. The Hershey Company had just  
18 bought the company that was making Xylitol. They were  
19 going to present data at this meeting, as I understand.  
20 Bob or Andrea, do you have further information?

21 MR. SHERMAN: They were going to. There's a  
22 possibility that they may decide not to do that, but in any  
23 case because Dr. McGuire is not present, we're just going  
24 to defer that issue until next time before we vote on it.

25 DR. GENCO: Yes. She was assigned Xylitol.

1 Further comments?

2 (No response.)

3 DR. GENCO: Any comments from the audience with  
4 respect to that summary? Is that a reasonable summary of  
5 what you understand we did?

6 (No response.)

7 DR. GENCO: Okay, thank you.

8 Let's proceed then to discuss Therasol, C-31G.  
9 Dr. Bowen?

10 DR. BOWEN: As you may recall, I presented my  
11 report several meetings back. For those of you who need  
12 other copies, I have a couple of spare copies here. I  
13 understand my instructions are not to go through the whole  
14 report.

15 Just to remind you, C-31G is a combination of  
16 alkyldimethylglycine and alkyldimethylamine oxide. I'll  
17 just read a couple of paragraphs pertaining to the  
18 toxicity, and you'll get the sense of my opinion.

19 A series of dermal toxicities have been carried  
20 out. Again, some of these are difficult to evaluate  
21 because the concentration of the liquid used is not stated.  
22 In one study, the dermal toxicity of 3 percent solution was  
23 evaluated in abraded skin of rabbits. 2 of the 20 animals  
24 displayed minimal reaction. An additional study reported a  
25 3.6 percent of the applied dose was absorbed through the

1 rabbit's skin.

2 Two dermal sensitization studies were carried  
3 out using guinea pigs and appeared to reach diverse  
4 conclusions. In one, it concluded that there was no  
5 evidence suggesting that C-31G can act as a sensitizer in  
6 guinea pigs. That's a quote. However, it's unclear what  
7 concentration of test material was used.

8 In a second study, where a 3 percent solution  
9 was used, it was concluded that repeated topical exposures  
10 of guinea pigs to 31G 3 percent liquid had the potential to  
11 induce mild dermal sensitization.

12 And then there are a whole series of other  
13 irritation tests carried out reaching essentially  
14 contradictory results, often with total inadequate  
15 description of how the studies were conducted.

16 In one study of particular concern, the effects  
17 of C-31G on mammalian cells were examined using a chromium  
18 release assay from human leukemic cells. The release of  
19 chromium occurred at concentrations of 0.025 to 0.005  
20 percent, and the report notes -- and I quote -- these  
21 findings are of some concern since the effective window  
22 approximates the MIC for several bacterial species. So,  
23 it's clear that there are some concerns concerning the  
24 toxicity of this material.

25 The clinical studies that have been done in

1 many instances are inadequately described, but basically in  
2 none of them was gingivitis assessed.

3 So, basically my conclusion and recommendation  
4 is that there are some questions concerning the toxicity,  
5 and the clinical effectiveness has not been demonstrated.

6 DR. GENCO: Thank you, Bill.

7 Comments, questions?

8 (No response.)

9 DR. GENCO: Do you want to make a  
10 recommendation?

11 DR. BOWEN: I recommend Category III.

12 DR. GENCO: For both safety and efficacy?

13 DR. BOWEN: Yes.

14 DR. GENCO: We can vote on them separately, or  
15 we can vote individually. What's your pleasure? One for  
16 safety and one for efficacy, or together?

17 MR. SHERMAN: Do it individually.

18 DR. GENCO: Okay. For safety then, the  
19 recommendation is Category III for C-31G.

20 DR. LISTGARTEN: For safety?

21 DR. GENCO: Excuse me? For safety, yes.  
22 Safety.

23 Gene, do you want to start?

24 DR. SAVITT: So, if the recommendation is for  
25 Category III, I vote yes.

1 DR. GENCO: Max?

2 DR. LISTGARTEN: Yes.

3 DR. WU: Yes.

4 DR. SAXE: Yes.

5 DR. GENCO: Bill?

6 DR. BOWEN: Yes.

7 DR. D'AGOSTINO: Am I a voting member on this,  
8 Andrea? I'm never clear.

9 DR. NEAL: You are.

10 DR. GENCO: Oh, I'm sorry.

11 DR. D'AGOSTINO: I've been jumped over but I  
12 want to vote.

13 (Laughter.)

14 DR. D'AGOSTINO: Yes.

15 DR. GENCO: So, it's six yeses.

16 With respect to efficacy, recommending Category  
17 III for efficacy.

18 DR. BOWEN: Category III also for efficacy.

19 DR. GENCO: Okay. We'll start here. Bill?

20 DR. BOWEN: Yes.

21 DR. GENCO: Stan?

22 DR. SAXE: Yes.

23 DR. GENCO: Ralphs?

24 DR. D'AGOSTINO: Yes.

25 DR. GENCO: Chris?

1 DR. WU: Yes.

2 DR. GENCO: Max?

3 DR. LISTGARTEN: Yes.

4 DR. SAVITT: Yes.

5 DR. GENCO: Let's proceed then. Any other  
6 comments, discussion?

7 (No response.)

8 DR. GENCO: Let's proceed then with Listerine.  
9 Dr. Saxe, do you want to give us a summary of your two  
10 reviews, one for safety and one for efficacy?

11 DR. SAXE: Yes.

12 Two meetings ago, I reported on efficacy of  
13 Listerine and had some criticism related to the studies,  
14 chiefly in which the data was presented or the statistics,  
15 and the concern was chiefly in terms of quantifying the  
16 findings, that is, who and how many in the study group were  
17 affected and by how much and that the data could be  
18 presented with what are called appropriate indicators of  
19 measurement error and uncertainty, essentially confidence  
20 intervals. I felt that there was essentially a reliance  
21 solely on statistical hypothesis testing with the use of p  
22 values which don't give us that important quantitative  
23 information.

24 At our last meeting, Dr. Barnett came back and  
25 did a presentation of the data with some additional data

1 | which satisfied that critique.

2 |           Also at the last meeting, I presented the  
3 | review of the safety of the Listerine on the four essential  
4 | oils. My opinion was that that was a safe combination.

5 |           So, I came to this meeting prepared to suggest  
6 | that the product be Category I for efficacy and Category I  
7 | for safety. I have in the efficacy, however, a question  
8 | which was prompted by the material which came today which  
9 | perhaps we could clarify, and that is in the presentation  
10 | today and in the printed material in the minus-one study, I  
11 | need some clarification now on the role of the vehicle.  
12 | Perhaps you can help me with this at this time. It shows  
13 | that the vehicle itself is having an effect on the bacteria  
14 | that are surviving treatment in the bound volume which was  
15 | presented today, which is Warner-Lambert Research Report  
16 | 946-1107.

17 |           MR. CANCRO: What page is that, Stan?

18 |           DR. SAXE: On pages 6 and 7 where the tables  
19 | are presented, bacteria surviving treatment. For example,  
20 | on page 7, at the Actinomyces viscosus bacteria surviving  
21 | treatment, it shows that the vehicle -- for example,  
22 | vehicle, 4.2 times 10 to the 3rd; without thymol, 6.1 times  
23 | 10 to the 3rd.

24 |           DR. LISTGARTEN: Those are the standard errors.

25 |           DR. SAXE: Okay, looking at the mean column

1 | instead, yes. Okay.

2 | I'd ask the other members of the committee who  
3 | have also reviewed this then if they have any concern about  
4 | activity of the vehicle.

5 | DR. GENCO: Chris, do you want to explain your  
6 | concern?

7 | DR. WU: I think I brought it up this morning.  
8 | On page 6, the vehicle control for P. intermedia, if you  
9 | take a look at the surviving organisms, it's 5 times 10 to  
10 | the 3rd. Sorry. It's the other one.

11 | For A. viscosus on page 7, the vehicle control  
12 | treated A. viscosus. The survival count was 4.2 times 10  
13 | to the 3rd. It's lower than what Listerine shows.

14 | DR. BARNETT: Christine, if I may interject for  
15 | a second. I'm sorry, Bob. Mike Barnett from Warner-  
16 | Lambert.

17 | That's the standard error column.

18 | DR. WU: Oh, I'm sorry. Okay. I got it. So,  
19 | that was my mistake then.

20 | DR. GENCO: So, in the mean column, the vehicle  
21 | on page 7 is 2.1 times 10 to the 5th organisms remaining,  
22 | whereas Listerine itself was 2.8 times 10 to the 4th.  
23 | That's about a log difference between Listerine and the  
24 | vehicle.

25 | DR. WU: Yes, that's correct.

1 DR. GENCO: But there is a statistically  
2 significant difference. In other words, the vehicle itself  
3 is different from Listerine. That's the interpretation.

4 DR. WU: Yes.

5 DR. GENCO: Your question is, is the vehicle  
6 different from another negative control? In other words,  
7 is your question does vehicle itself have activity?

8 DR. WU: I looked at the wrong column.

9 DR. GENCO: Okay. The issue is, does the  
10 vehicle itself have activity? And where is that data?

11 DR. LISTGARTEN: No. The issue stays the same.  
12 Even if you look at the mean column, it says vehicle --  
13 well, let's take page 6 which deals with Prevotella  
14 intermedia. It says vehicle survival is 2.4 times 10 to  
15 the 5 cells. Without thymol, it's approximately in the  
16 same ball park. In other words, thymol doesn't seem to be  
17 significantly different from the vehicle alone. I think  
18 that's the issue.

19 DR. GENCO: Whereas, both are different from  
20 Listerine. In other words, Listerine has more activity  
21 than the vehicle and has more activity than the without  
22 thymol. So, that's the issue.

23 It looks like without thymol, it's equal to the  
24 vehicle. If the vehicle is a negative control, you're  
25 saying without thymol, it looks like the negative control.

1 I'm trying to understand. I don't want to put words in  
2 your mouth.

3 DR. LISTGARTEN: No. What I'm saying is, if  
4 you test the vehicle, it has a certain amount of  
5 antibacterial activity, but it's considerably less than the  
6 whole combination.

7 Now, if you just test the entire thing without  
8 thymol, the activity is basically the same as the vehicle  
9 control.

10 DR. GENCO: Bill?

11 DR. BOWEN: Presumably the vehicle is, what, 20  
12 something percentage alcohol. Is that correct?

13 MR. CANCRO: 26.

14 DR. BOWEN: 26 percent alcohol. Well, it's  
15 well-known that alcohol in various concentrations has  
16 antibacterial effects. Of course, the optimum is at 70  
17 percent. Of course, here you're seeing different effects  
18 on different microorganisms, which is hardly a big  
19 surprise.

20 The question I have, however, is could we get a  
21 sense of, for want of a better term, the percentage kill  
22 with the vehicle and, say, Listerine?

23 DR. GENCO: That's a question to Dr. Barnett?

24 DR. BOWEN: Yes.

25 DR. GENCO: Mike, do you want to address that?

1 DR. BARNETT: Bill, I'm not sure in this  
2 context what the question means. Clearly the differences  
3 are statistically significantly different between the  
4 vehicle and Listerine.

5 I think if the question is does the vehicle  
6 have any effect, again the real test is what happens  
7 clinically. You recall that two of the studies that we had  
8 presented compared the total formulation to a vehicle  
9 control cell and to a sterile cold water control cell.  
10 Those were the earliest studies we had done. In those  
11 studies, there was no difference in antiplaque or  
12 antigingivitis activity between the vehicle control cell  
13 and the sterile water control cell. I think that's really  
14 the ultimate question in terms of whether the vehicle is  
15 exerting any effect.

16 DR. GENCO: I think it's still a legitimate  
17 question to know how many bacteria did you start with?  
18 Something like 10 to the 5th or 10 to the 6th? It says  
19 that the complete formulation produced a 2 to 3 log  
20 reduction in total CFU. So, the complete formulation on  
21 page 6 is 1.2 times 10 to the 2; 3 logs would be 1.2 times  
22 10 to the 5th. Is that what you started with, 10 to the  
23 5th organisms? In which case the vehicle would have  
24 probably very little activity at 2.4 times 10 to the 5th.  
25 It sounds like the error. I think that's what Bill is

1 asking. Is it a 10 percent, 20 percent reduction, or is it  
2 a log reduction for the vehicle?

3 DR. BARNETT: No. Pauline can answer that.

4 DR. GENCO: What's the input organism level?  
5 That really would help us understand this a little better.

6 DR. PAN: Mr. Chairman, members of the Plaque  
7 Subcommittee, I guess this is something that's very simple  
8 but it seems to be bothering all of you.

9 DR. GENCO: Yes. Let me just say that we were  
10 given this this morning.

11 DR. BARNETT: May I just comment on that?

12 DR. GENCO: So, you have to bear with it.  
13 We're trying to understand it. There's no challenge here.  
14 We're just trying to understand it.

15 DR. BARNETT: I just want to clarify. I know  
16 that it was given you this morning. In all fairness, I  
17 should comment that this actually had been sent for  
18 submission to you guys a couple of weeks ago. So, there  
19 was a little bit of a lapse in terms of how you got it.  
20 And I appreciate that you haven't had time to look at it in  
21 detail.

22 DR. PAN: I guess I have three things to say,  
23 which I hope will make things much clearer for everyone in  
24 this room.

25 The first is it's well-known from many

1 | investigators and in our own laboratories at Warner-Lambert  
2 | that the full formula of Listerine, if one exposes it under  
3 | usage conditions of 30 seconds, all representative  
4 | microorganisms in in vitro tests will be killed completely.  
5 | Therefore, using undiluted Listerine, one would not be able  
6 | to discern and show contribution of the individual actives.

7 |           In the report you have in front of you, several  
8 | microbes were selected and it's not by any chance that  
9 | these were selected. These were selected, as Mike reported  
10 | this morning, to be representative of plaque and  
11 | gingivitis. These were also selected for their inter-  
12 | bacterial contribution towards plaque and gingivitis  
13 | development.

14 |           Now, putting all this into context, how would  
15 | one look at this in a most direct and meaningful manner?

16 |           The most direct manner that is presented in  
17 | this report is to set all the cells at the same percent  
18 | transmission. Granted, that would mean that they all have  
19 | somewhat slightly different CFUs. For instance, a larger  
20 | organism would give you greater turbidity, but there would  
21 | be fewer organisms.

22 |           Having set all these organisms to the percent  
23 | transmission, one dilutes this Listerine and then looks at  
24 | a trial to see what is the relative contribution for each  
25 | of the ingredients.

1           It is entirely possible from an academic  
2 perspective to keep on adjusting dilutions of cells and  
3 their relation and adjusting the dilutions of Listerine  
4 until what one gets, as I would describe from my previous  
5 work at Princeton, is a perfect academic number which is a  
6 great spread. That spread would be an entirely so-called  
7 in vitro model to show it. To show similarities, all these  
8 organisms were tested under as similar conditions as  
9 possible.

10           The next point is to your question about the  
11 vehicle. I think under the combination consideration that  
12 we're discussing today, one has to look at not just one  
13 organism, for instance, the Strep or the Actino, but one  
14 has to look at the panorama of all the organisms that were  
15 presented. If one looks at all of this across the board  
16 for vehicle effects and total Listerine effects, one can  
17 see very clearly that the full combination of Listerine is  
18 the most effective germ kill formula, more than each of the  
19 n minus-one contributions and the vehicle is, after all,  
20 just a vehicle. Over and above this, each one of the  
21 ingredients contributes to the activity.

22           I hope that this clarifies things for you and  
23 makes it easier.

24           DR. GENCO: What would be the count of the  
25 positive control with no vehicle? Is it in the range of 10

1 to the 6th?

2 DR. PAN: For each organism, of course, it  
3 would be different. There was no negative negative. There  
4 is just a vehicle. The most direct is, is there a  
5 difference between vehicle, n minus-one, and total  
6 Listerine?

7 DR. GENCO: So, you didn't measure the actual  
8 killing by the vehicle.

9 DR. PAN: No.

10 DR. GENCO: Just versus a broth.

11 DR. PAN: Correct.

12 DR. GENCO: I think that's what Dr. Bowen was  
13 asking. So, you didn't measure that.

14 DR. PAN: No.

15 DR. GENCO: Okay.

16 DR. PAN: Thank you.

17 DR. GENCO: Further comments, questions? Dr.  
18 Listgarten?

19 DR. LISTGARTEN: I'm a little confused about  
20 how killing organisms affects turbidity. Was turbidity the  
21 criterion for microbial survival?

22 DR. PAN: The criteria for survival were plate  
23 counts.

24 DR. LISTGARTEN: They were plate counts.

25 DR. PAN: Right.

1 DR. LISTGARTEN: So, these mean values --

2 DR. PAN: Are plate counts.

3 DR. LISTGARTEN: Those are plate counts.

4 DR. PAN: These are plate counts.

5 DR. LISTGARTEN: Okay.

6 DR. GENCO: Just so we understand, the  
7 turbidity was how you established the input dose of  
8 bacteria by turbidity.

9 DR. LISTGARTEN: Turbidity was used to  
10 standardize the suspensions.

11 DR. PAN: That's correct.

12 DR. LISTGARTEN: And then survival was measured  
13 in terms of colonies on plates after treatment.

14 DR. PAN: That's correct.

15 DR. LISTGARTEN: Okay, fine.

16 DR. WU: Now, if you start out with testing  
17 with the same turbidity, then you're actually starting out  
18 with testing -- I mean, each sample would not consist of  
19 the same amount of cells. Right? Usually we do an MIC or  
20 MBC test. Usually the cell number is defined and we look  
21 for a difference.

22 DR. LISTGARTEN: I guess if you had to, you  
23 could give us how many organisms were found at a certain  
24 turbidity for each species. Presumably *Prevotella*  
25 *intermedia* may not be the same size as *Fusobacterium*

1 nucleatum. So, given the same turbidity, they would give  
2 you different cell counts.

3 DR. PAN: Yes.

4 DR. LISTGARTEN: But you know what that cell  
5 count is.

6 DR. PAN: For each organism, of course, if you  
7 have the same turbidity for each organism, the cell count  
8 may be slightly different because of large or small size  
9 cells.

10 DR. LISTGARTEN: Yes, but it would be in the  
11 same ball park.

12 DR. PAN: Right.

13 DR. LISTGARTEN: Do you have any idea what that  
14 cell count is that corresponds to a certain turbidity for  
15 the various species?

16 DR. PAN: These were not counted. They were  
17 just adjusted to the turbidity. But what is known very  
18 clearly, the cells that were used for the test, these are  
19 logarithmic cultures, very vibrant and active. So, one  
20 would expect fully that when one is testing for germ kill,  
21 if one sees effect, it would have worked against fully  
22 viable cells.

23 DR. GENCO: Is the issue then the effectiveness  
24 of thymol? In other words, we're given in vitro data to  
25 show the essentiality of each one of the ingredients. Are

1 | you questioning to try to understand the role of thymol?  
2 | Because on page 6 with Prevotella, the mean for thymol is  
3 | about the same as the vehicle. The same for page 7 for  
4 | Actinomyces viscosus and the same for Strep. sanguis.  
5 | They're comparable. Is this what you're challenging?

6 |           But for Fusobacterium, it looks like there is  
7 | some reduction.

8 |           DR. D'AGOSTINO: This may not help but if this  
9 | were a clinical trial setting and you had a vehicle, in  
10 | some studies for example, you ask the question is there  
11 | down-side sensitivity. Do the ingredients beat out the  
12 | vehicle, do they beat out the placebo? After you've  
13 | resolved that, then you ask how do the drugs compare among  
14 | themselves.

15 |           I think our problem here is that looking at  
16 | this for the first time, we're not convinced that they're  
17 | beating out the vehicle. Is that what the problem is, that  
18 | some of these formulations look like the vehicle? And do  
19 | we want them all to beat out the vehicle before we can go  
20 | on to looking at the individual ingredients?

21 |           DR. SAVITT: I think the problem is, as Bill  
22 | pointed out, that we'd like to know what numbers they  
23 | started out with, and they don't have that. So, it's just  
24 | a question of whether the vehicle is active, and the way to  
25 | resolve that is to find out how many cells they started out

1 with and they don't have that info.

2 DR. GENCO: But to pursue Ralph's comment,  
3 assuming the vehicle is active -- and that probably is the  
4 case -- could be the case -- and it looks like the total  
5 Listerines are active against all five bugs. So, the total  
6 product is active.

7 The next question then, I'm rephrasing it. The  
8 minus-one, are each of them active? One of the questions  
9 is the minus-thymol -- or excuse me -- without thymol. It  
10 looks like four out of five are not beating out the  
11 vehicle. Am I interpreting that right? Regardless of what  
12 the vehicle is, active at some level. If the vehicle was  
13 90 percent active, you'd be concerned, but it probably  
14 isn't.

15 DR. D'AGOSTINO: If I read this, we're going to  
16 be making a jump from this type to what would have happened  
17 in the clinical. So, we'd have to be somewhat convinced  
18 that things are really sharp, I would think, in this or  
19 not. We aren't going to go to clinical trials, obviously,  
20 so how much of this can we infer would happen in the  
21 clinical? How much confusion, how much delineation would  
22 have appeared in the clinical?

23 DR. GENCO: So, I think Ralph has helped us  
24 focus. It's clear from the in vitro that the total product  
25 is active against all five organisms, and it looks like the

1 minus-menthol/methyl salicylate/eucalyptol is active,  
2 beating out the vehicle, but the question is the thymol.  
3 Have I rephrased that again? Does everybody see the same  
4 thing I'm seeing or that was pointed out by Max and  
5 Christine?

6 DR. SAVITT: It's the other way around. The  
7 thymol is the one that appears to be active, and when you  
8 take the thymol out --

9 DR. GENCO: No. W/O, without, thymol is not  
10 beating out the vehicle.

11 DR. SAVITT: Right, okay.

12 DR. GENCO: Mike, do you want to address that?

13 DR. BARNETT: Yes, I'd like to comment about a  
14 couple of things.

15 First with regards to whether or not the  
16 vehicle is active, I think it should be recalled that in  
17 the just handling of these organisms in the tests, since  
18 some of them are rather anaerobic, there will be a certain  
19 amount of kill, loss of organisms just in the course of  
20 running these tests. So, I think that should be borne in  
21 mind in terms of asking the question is the vehicle active  
22 or not.

23 The second thing which Pauline mentioned was  
24 that this test was done in such a way as to increase the  
25 sensitivity of what would normally be a bond test, to be

1 | able to tease out, to demonstrate differences among these  
2 | various formulations, and that in fact the level of oils in  
3 | all these formulations, although they started out as a  
4 | level in Listerine, have been diluted to some extent in  
5 | order to be able to have organisms survive in order to show  
6 | differences.

7 |                 So, if you were to look at these same  
8 | combinations at the levels that one would find it in  
9 | Listerine, in fact you would be beating the vehicle in  
10 | every single case. That is, you would have a sufficient  
11 | degree of activity. I think that should be borne in mind,  
12 | that there's a difference in levels between what we're  
13 | looking at here in order to be able to show these  
14 | differences and in fact what would happen if you were using  
15 | them at full strength.

16 |                 DR. GENCO: Before you leave the mike, could I  
17 | just ask a question? What is the final dilution? Is it 40  
18 | percent?

19 |                 DR. BARNETT: It's in the range of 40 percent,  
20 | Bob.

21 |                 DR. GENCO: So, it would be comparable to  
22 | what's happening in the mouth. You take 30 cc's of  
23 | mouthrinse and stimulate salivary flow, and you're going to  
24 | get at least a two-fold dilution probably within seconds?

25 |                 DR. BARNETT: Yes. Bob, of course, the

1 difference in the mouth is that we're not using  
2 combinations of only three. We're using --

3 DR. GENCO: No, no, I understand. I'm trying  
4 to think about the model in vitro, how well it reproduces  
5 what happens in the mouth. And you're convincing me that  
6 it does reproduce reasonably well what's going on in the  
7 mouth.

8 DR. BARNETT: Well, I guess to some extent --

9 DR. GENCO: There is some dilution.

10 DR. BARNETT: Yes. The fundamental question in  
11 the mouth, of course, is what happens with the total  
12 combination.

13 DR. GENCO: Well, nothing in vitro is ever  
14 going to reproduce completely what goes on.

15 DR. BARNETT: That's right.

16 DR. GENCO: But at least it's not two logs  
17 dilution versus a one to two dilution.

18 DR. BARNETT: Yes.

19 DR. GENCO: Okay.

20 DR. LISTGARTEN: If I understand correctly, if  
21 you were to use the product straight from the bottle, you  
22 would kill everything. Even if you took out one ingredient  
23 at a time, there would be nothing surviving for you to  
24 assay relative effectiveness. Is that correct? And so,  
25 you have to dilute it in order to create something that you

1 | can measure.

2 |           DR. BARNETT: Yes. I think what we've seen as  
3 | a result, of course, is in fact there are different  
4 | susceptibilities of different organisms, and this is one  
5 | way of demonstrating that.

6 |           DR. LISTGARTEN: Yes, but you understand what  
7 | bothers the panel, that having diluted it to the point  
8 | where you can actually see differences, it turns out that  
9 | the vehicle has exactly the same activity as the whole  
10 | thing minus thymol, suggesting that thymol is contributing  
11 | little, if anything, to the formulation.

12 |           DR. BARNETT: No, no. It's just the opposite,  
13 | Max. It's just the opposite; that is, if you take the  
14 | thymol out, the effectiveness is reduced.

15 |           DR. LISTGARTEN: No. If you take the thymol  
16 | out, it doesn't kill as well.

17 |           DR. BARNETT: That's right, which suggests that  
18 | the thymol in fact has a rather significant contribution.

19 |           DR. LISTGARTEN: No, no. Listerine in its full  
20 | formulation, you end up with about 120 cells, 1.2 times 10  
21 | to the 2. That's your most effective formulation, the full  
22 | concentration. You end up with 100 measurable cells  
23 | surviving.

24 |           If you take out thymol, you have 2.3 times 10  
25 | to the 5th cells surviving. So, it's not as effective.

1 DR. BARNETT: That's right.

2 DR. LISTGARTEN: Okay. Now, the vehicle all by  
3 itself has the same effect. All by itself the vehicle will  
4 kill to the same extent as the full formulation minus the  
5 thymol.

6 DR. BARNETT: For that particular organism.

7 DR. LISTGARTEN: For that particular organism.

8 So, what does the thymol contribute beyond the  
9 vehicle?

10 DR. BARNETT: Max, Max, the thymol contributes,  
11 in this case looking at it simplistically, the difference  
12 between the vehicle and the complete formulation. It's  
13 just the opposite.

14 DR. LISTGARTEN: Okay, all right.

15 DR. GENCO: So, are you comfortable with that?  
16 The interpretation then is the thymol contributes to the  
17 effect beyond the vehicle. If you take it out, it doesn't  
18 beat the vehicle in, what, four out of five or five out of  
19 six cases, and really close in the sixth. With  
20 Fusobacterium, it's 9.3 times 10 to the 5th, and with the  
21 thymol out, it's 8.1. That may not be statistically  
22 different. It's a log, but it may or may not be.

23 DR. LISTGARTEN: I'm happy.

24 DR. GENCO: Lew?

25 MR. CANCRO: If Max is happy, I don't have a

1 comment.

2 (Laughter.)

3 DR. GENCO: Bill?

4 DR. BOWEN: I think that it's a kind of a pity  
5 that you didn't standardize on the numbers of organisms  
6 because I think by not doing so, you may well have  
7 underestimated the effectiveness of the product because  
8 clearly the more microorganisms you have in there, the more  
9 product you're going to need to kill them off. You've  
10 already indicated correctly that it was diluted 1 in 4.  
11 So, my guess is that in all probability that in some  
12 instances -- obviously I don't know because I don't know  
13 the number of organisms -- you're actually underestimating  
14 the effectiveness of the product.

15 DR. PAN: That's entirely possible, but  
16 nonetheless they were standardized this way in order to  
17 provide a uniformity across the board.

18 DR. GENCO: Further comments, questions?  
19 Christine?

20 DR. WU: I have a question for Mike. If I  
21 remember correctly, your clinicals that were done using the  
22 vehicle control, wasn't the vehicle control made of hydro-  
23 alcohol, or was it the true vehicle, the 27 percent  
24 alcohol?

25 DR. BARNETT: Yes, it was the true vehicle,

1 Chris.

2 DR. WU: Okay.

3 DR. GENCO: Fred?

4 DR. HYMAN: I'm still a little unhappy with  
5 this. Again, this is the first time that I've seen this  
6 too, but when I look at these tables, I'm starting to think  
7 that the thymol is really the effective component and the  
8 others are inhibiting it. That's one way of concluding  
9 about this data, that if you had just thymol, you might be  
10 just as effective as the Listerine. Every time you add  
11 these eucalyptol, menthol, or methyl salicylate, you're  
12 less effective than the Listerine, but without the thymol,  
13 it's the same as the vehicle. I find this data very  
14 confusing.

15 DR. GENCO: Does anybody want to address that?  
16 Dr. Barnett?

17 DR. BARNETT: Yes. Could I just make one  
18 comment? I think we're maybe getting a little bit off  
19 track here. The question that was originally raised by  
20 Stan I think had to do with effectiveness of the total  
21 product, and that I thought we had demonstrated quite  
22 clearly in all the eight clinical studies that we had  
23 presented, Stan.

24 So, I think what we're talking about here is a  
25 continuation of this morning's discussion where we're

1 | really asking the question not whether the total  
2 | formulation is safe and effective for clinical use, but  
3 | rather what the combination -- this is really an extension  
4 | of this whole question of combination.

5 |           We had approached it from the other extent this  
6 | morning in terms of asking the question, if you look at the  
7 | effectiveness of the total fixed combination in terms of  
8 | killing organisms, what happens when you begin to take out  
9 | individual components? Does it change? Is it significant?  
10 | Are the differences significant?

11 |           I think we saw this morning that in fact thymol  
12 | was responsible probably for the bulk of activity, but  
13 | certainly not all the activity, because when you took out  
14 | other components as well, it was still significantly less  
15 | effective than the total formulation.

16 |           I just reiterate what I said before in terms of  
17 | a comparison in this context to the vehicle and that is one  
18 | of dilution, looking at these ones where you would expect  
19 | to have less effectiveness because the thymol was out.

20 |           Basically I think the assumption is not that  
21 | each of the components contributes equally to the  
22 | effectiveness of the formulation, but each makes some  
23 | contribution with some making a greater contribution than  
24 | others. This is not surprising, particularly in view of  
25 | some of the data we presented last time looking at some

1 | clinical studies in which we looked at single-ingredient  
2 | formulations compared to the total formulation.

3 |           Now, with respect to activity of these oils, I  
4 | think if we looked at saturated solutions of each oil  
5 | individually, the kill times for three representative  
6 | organisms -- we have it up on the slide here. This was  
7 | actually in one of the research reports presented to you --  
8 | was certainly within 2 minutes and, in some cases, within 1  
9 | minute.

10 |           If you look at the level of the oils within the  
11 | fixed combination which are approximately one-tenth or so  
12 | of the levels of saturated solutions, the fixed combination  
13 | in fact has a kill time within 30 seconds. So, there's a  
14 | dramatic difference in terms of activity when you put them  
15 | all together as opposed to looking at them individually  
16 | even at higher concentrations.

17 |           So, really I think the question that's being  
18 | asked is whether or not -- this is again a continuation of  
19 | this morning's discussion -- each of the four oils  
20 | contributes to the total formulation. I think all the  
21 | bodies of data we have presented suggest that in fact each  
22 | of them does contribute, although admittedly some  
23 | contribute to a greater extent than others. In fact, if  
24 | you were to look at the phenol coefficients of these  
25 | various oils, you would not be surprised to see, for

1 | example, that thymol has a higher phenol coefficient than  
2 | any of the others. So, all this is very consistent in  
3 | terms of effectiveness.

4 | DR. SAXE: Yes. Jack, could you put that last  
5 | overhead back on again please?

6 | You said I was concerned about the efficacy of  
7 | the fixed combination. That wasn't my concern. My concern  
8 | was what role? We're talking about the four essential oils  
9 | as ingredients, and I just wanted to point out that my  
10 | opinion today was then that perhaps the vehicle is playing  
11 | a greater role than we thought it did and that was my  
12 | concern. It wasn't the full combination.

13 | If we look at this slide, the test solutions  
14 | with the four essential oils, as you pointed out, Mike, the  
15 | kill time in minutes is less than 1, less than 2. Now,  
16 | with the fixed combination, it isn't simply that the four  
17 | ingredients are now pooled together or put together in a  
18 | fixed amount, but there's also a vehicle in there. Isn't  
19 | that correct? So, it may well be the vehicle is  
20 | contributing in some fashion, and that of course may be all  
21 | to the good except that the magical element may not simply  
22 | be in the full combinations but also the vehicle itself  
23 | which plays a role. It's fine in a fixed combination.

24 | DR. BARNETT: I'm informed here that the same  
25 | vehicle was used in each of these solutions.

1 DR. SAXE: Okay.

2 DR. GENCO: Dr. Barnett, is this study  
3 summarized in the handout, the kill time study?

4 DR. BARNETT: It is not in this one. I think  
5 it was in the original submission to this panel.

6 DR. GENCO: Further comments, discussion?  
7 Christine?

8 DR. WU: Would it be possible that you obtain a  
9 standard suspension of all of your organisms and then  
10 determine the viable counts and then give us a percent kill  
11 and so forth, give us that kind of data? Is that possible?

12 DR. GENCO: Does somebody want to answer that?  
13 Jack?

14 DR. VINCENT: Jack Vincent from Warner-Lambert.

15 Christine, for these particular studies, I  
16 can't give you those numbers today. However, as you  
17 recall, we presented some data last time on the Staph.  
18 aureus that was tested in the same model. In that one we  
19 reported surviving counts that were incubated in sterile  
20 distilled water, the vehicle, the four minus-one  
21 formulations, and the complete formulations.

22 The difference between sterile distilled water  
23 and the vehicle, as I recall, was .03 log, and I may be in  
24 error there. It may have been .07 log, but it was between  
25 .03 and .07, a very, very, very small difference. Whereas,

1 the difference between either sterile water in the complete  
2 formulation or the vehicle in the complete formulations, as  
3 I recall, was in the neighborhood of 3.95 logs.

4 So, in one you're talking about the difference  
5 -- I think it was in the area of, let's say, 1.3 times 10  
6 to the 7th survived in water; 1.25 times 10 to the 7th  
7 survived in the vehicle, and then it was 1 times 10 to the  
8 3, roughly, in the complete formulation.

9 DR. GENCO: Further comments, questions? Lew?

10 MR. CANCRO: Yes. I think the issue is that  
11 this is what the combination is. It has been on the market  
12 for 100 years. Data has been presented regarding its  
13 clinical efficacy against appropriate controls, and the  
14 manufacturer has now gone to a series of tests to  
15 demonstrate that none of the components taken out  
16 individually, put together equal the total product. So,  
17 the burden now of suggesting that one of these ingredients  
18 may have more activity than another, or what would happen  
19 if the concentrations are increased I believe is  
20 irrelevant.

21 DR. GENCO: That last comment we dealt with  
22 this morning. I asked the question and it was dealt with.  
23 We're only talking about the fixed combination, not if one  
24 or another were increased.

25 MR. CANCRO: Okay.

1 DR. GENCO: We have to make a judgment here.  
2 The law says that each one of these has to be active,  
3 otherwise we can't really say that they should be in the  
4 monograph -- that contribute to the activity. I'll read  
5 it. We read it several times this morning. So, I think  
6 that's what we're groping with.

7 MR. CANCRO: Yes, they have to make a  
8 contribution.

9 DR. GENCO: Contribution to the activity. So,  
10 that's what we're groping with.

11 We've got one in vitro experiment and a  
12 previous experiment of kill time. It seems that there's at  
13 least two experimental approaches that have been used in  
14 vitro. One is the kill time and the other is this static,  
15 constant time comparison, minus-one experiment. So, I  
16 think that's where we are. We're trying to understand  
17 those experiments.

18 Max?

19 DR. LISTGARTEN: I guess what's probably most  
20 confusing on these tables is the fact that there's a role  
21 called vehicle which in a way confuses the issue here. I  
22 think if you just ignore the vehicle for the time being and  
23 just look at the rest of the data, surely enough every time  
24 you remove one active ingredient, the killing power is  
25 decreased compared to the full combination. So, on that

1 | basis each one contributes something even if you assume  
2 | that thymol does most of the killing, the fact is if you  
3 | keep thymol in and only take one of the other ones out,  
4 | eucalyptol, menthol, methyl salicylate, it does decrease  
5 | the killing power of the whole combination. So, they must  
6 | be contributing something to the killing power.

7 |           Now, testing the vehicle alone, I'm not sure if  
8 | that's relevant to this particular experiment here. I  
9 | think it just helps to confuse the issue because you can't  
10 | remove the vehicle all by itself. The vehicle role here  
11 | really doesn't belong to that experiment is what I'm trying  
12 | to say. It tends to confuse the issue.

13 |           DR. GENCO: Bill?

14 |           DR. BOWEN: Although I might have done the  
15 | study a little differently, I think the evidence shows to  
16 | me, at any rate, fairly convincingly that each ingredient  
17 | is making a contribution to the killing of specific  
18 | microorganisms. And clearly they are individually more  
19 | effective against some microorganisms than others and that  
20 | comes hardly as a big surprise. It's clear also that the  
21 | total product is more effective than any combination minus-  
22 | one. So, I think the data is convincing.

23 |           DR. LISTGARTEN: And the vehicle role is really  
24 | confusing because you have nothing to compare it to. It's  
25 | like a fish out of water here.

1 DR. SAXE: I think the vehicle alone does have  
2 some killing power. So, it is significant to include it  
3 because if the vehicle was entirely innocuous -- but it  
4 isn't. So, the vehicle in combination with thymol --  
5 because none of the studies were done -- only one was taken  
6 out at a time. If you took the vehicle with thymol, maybe  
7 in terms of killing power, which is just some kind of a  
8 surrogate measure which we don't know how effective it is  
9 of what we're really concerned about the clinical result,  
10 but if you just took the vehicle with thymol, maybe if you  
11 looked at killing power, it's even better. Maybe the  
12 methyl salicylate or the menthol or eucalyptol really  
13 diminishes it a little bit. We can't tell that, but that's  
14 okay.

15 What we're looking at is the whole product  
16 effective clinically, and it appears to be so. Here in the  
17 laboratory if you're trying to find out which of the  
18 ingredients is the one with the most zing, it certainly  
19 appears that thymol is. In this study, one could find out  
20 maybe these other things are inhibiting thymol a little bit  
21 instead of enhancing it, but the product as a whole is  
22 efficacious and the product as a whole has the best killing  
23 power.

24 DR. LISTGARTEN: So, it's less effective if you  
25 remove one of the ingredients at a time. That comes back

1 to the discussion we had this morning. Anybody is free to  
2 go out there and create a new formulation of vehicle plus  
3 thymol if they want to. Then they have to run these  
4 clinical trials all over again.

5 Now, this is not what we're here to discuss.  
6 The way the data looks in these tables, each ingredient  
7 does in fact contribute something when compared to the  
8 whole mixture regardless of what the vehicle by itself  
9 shows which, as I said, seems to be irrelevant to this  
10 experiment.

11 DR. GENCO: Ralph?

12 DR. D'AGOSTINO: I guess if you thought that  
13 it's only the combination minus-one to resolve the problem,  
14 but one could have asked the question, the combination  
15 minus-two. I guess you'd want to see something compelling  
16 with the combination minus-one. At least I guess for some  
17 of us, there's some questions about letting it rest on  
18 combination minus-one as being the final way of handling  
19 the problem.

20 DR. LISTGARTEN: I think we'd like to know some  
21 answers which don't seem to be relevant to the task at  
22 hand. I think that's what we're beginning to discuss. The  
23 minute you're saying, well, I'd like to see what happens if  
24 you remove two, I'd like to see what happens --

25 DR. D'AGOSTINO: But in any other arena --

1 DR. LISTGARTEN: Those are very interesting  
2 questions.

3 DR. D'AGOSTINO: But in any other arena that I  
4 know of in drugs, that's what's done. It's not combination  
5 minus-one. If you have four ingredients, you ask how do  
6 they individually act. Then you ask how they act together,  
7 how do they act as threes. And I'm not arguing that it's  
8 not a way of doing it, but we're making an assumption in  
9 this group that the total minus-one is somehow rather  
10 sufficient for what would otherwise be asked, all possible  
11 combinations.

12 I'm not sure I understand why the menthol would  
13 inhibit something, but if we thought that it had an effect  
14 of inhibiting, then there's a question that isn't resolved  
15 by this experiment.

16 DR. LISTGARTEN: Would we go about it  
17 differently -- if we were reviewing a brand new product,  
18 one which is now being submitted with four active  
19 ingredients, that's never been used before, would we be  
20 reviewing this differently from a product that has been  
21 around for 100 years and where we want to --

22 DR. D'AGOSTINO: I think that's a different  
23 answer. I think that's a good question, but I think that's  
24 a different answer to saying that we have sufficient data  
25 with the four minus-one. We can say because it's been

1 around 100 years, we want to look at it in a somewhat  
2 different fashion, and I'm not averse to that argument.  
3 But I am averse to the argument saying that somehow or  
4 other this is sufficient for all the possible combinations.

5 DR. GENCO: Dr. Barnett?

6 DR. BARNETT: I wonder if I can make two  
7 comments. One is in terms of the appropriateness. I'd  
8 just like to remind you of something I mentioned this  
9 morning and that was mentioned last time, and that is that  
10 with respect to this same combination but perhaps a  
11 different monograph, the FDA had in the past made the  
12 judgment that this was an appropriate way of showing the  
13 contribution of each.

14 With respect to whether some of these  
15 ingredients were actually inhibiting thymol -- I forget who  
16 raised that question. But I think if you look at the kill  
17 times with saturated solutions of individual oils where the  
18 kill times were in some cases 2 minutes or less, in other  
19 cases 1 minute or less, and then look at the total  
20 formulation where the kill times for these same organisms  
21 within 30 seconds, I don't understand how anybody could  
22 conclude that in fact something is inhibiting the thymol.  
23 If anything, it would seem to be helping the effect from  
24 those data.

25 DR. GENCO: Fred and then, Bill, did you want

1 | to make a comment?

2 |           DR. HYMAN: I think I had actually raised the  
3 | question about inhibiting. I think now that I've looked at  
4 | this a few more minutes, I think one of the problems is  
5 | that these five tables actually raise a lot of questions.  
6 | I think that you really could have different arms,  
7 | different combinations to get more information.

8 |           I think what this also raises to me, it  
9 | indicates to me that the vehicle probably has a relatively  
10 | strong activity.

11 |           In answer to the question of how would we do  
12 | this if this were a new drug coming in now, I review new  
13 | dental drugs and I can say I would probably have a real  
14 | question about what's in the vehicle. So, it would be done  
15 | differently than this task.

16 |           DR. LISTGARTEN: Could you pursue this and tell  
17 | us whether we should review this differently?

18 |           DR. HYMAN: No. I'll turn that over to someone  
19 | from OTC if they'd like.

20 |           DR. GENCO: Linda, do you want to make a  
21 | comment?

22 |           DR. KATZ: I think given the precedent with  
23 | what has been done for OTC and what has been done with this  
24 | product and some of the prior comments that have been  
25 | published in the Federal Register regarding this

1 combination, to go back and have it looked at as if it were  
2 a new drug I'm not sure is entirely appropriate.

3 I agree with Fred, if it were to come in under  
4 an IND and later go on to an NDA, things may be done  
5 differently in that arena.

6 DR. GENCO: Bill?

7 DR. BOWEN: Weren't we asked to judge on  
8 whether the individual components in this mixture make a  
9 contribution to the effectiveness of the overall product?

10 DR. GENCO: Yes.

11 DR. BOWEN: While I don't think that's possible  
12 clinically, I think the submitters have in my opinion shown  
13 that each individual ingredient does indeed make a  
14 combination to killing these microorganisms.

15 When I look at the data, I'm not too sure how  
16 one can conclude that the vehicle is making a contribution.  
17 I kind of suspect that it is, but I don't think one could  
18 conclude it from the data that was presented here in the  
19 absence of a complete negative control.

20 DR. GENCO: Chris?

21 DR. WU: Now, if I look at your report, Mike,  
22 page 4, it says how the test solution was diluted. So,  
23 they were diluted anywhere from 20 to 50 percent, and on  
24 page 5, a different concentration of the test solution was  
25 used for different organisms, if I understand correctly.

1                   Now, if the mouthrinse was diluted 40 percent  
2 and 30 percent, when you did the vehicle control, was the  
3 vehicle also diluted to that similar concentration or was  
4 that a straight vehicle?

5                   DR. BARNETT: No. Everything was diluted to  
6 the same concentrations.

7                   DR. GENCO: Ralph?

8                   DR. D'AGOSTINO: I'm all caught up with the  
9 discussion of the individual components and so forth, but  
10 I'd like also -- and maybe it's inappropriate, but I'd like  
11 also that there's a statement to be made about the product  
12 as it is too. We've had an awful lot of data about the  
13 effectiveness of the product as it is, and we don't  
14 necessarily want to get too carried away with all these  
15 individual components.

16                  DR. GENCO: Is the issue from the FDA's  
17 standpoint -- what's the rationale for the law requiring  
18 that each in a combination be active? This goes to your  
19 comment here. If a combination is active for 100 years,  
20 safe, does it really matter -- I'm not saying this is the  
21 case here, but one is not really contributing very much to  
22 the activity or at all?

23                  DR. KATZ: The intended purpose for the policy  
24 was basically to prevent ineffective active ingredients  
25 from being combined or to prevent products together that

1 | would not be safe if they were combined.

2 |           The policy itself, as the guidelines, give  
3 | somewhat of a leeway as to what is the measure of  
4 | effectiveness. It doesn't really say you have to be  
5 | better. It just says equivalent. It's up to the  
6 | determination, in a sense, of this panel to say that, yes,  
7 | it would be equivalent in terms of effectiveness and safety  
8 | and also weighing in the risk/benefit profile and the  
9 | intended purpose and the target population. So, there are  
10 | a variety of factors that would go into it rather than just  
11 | is it more effective than or is it as effective or is it  
12 | effective. That is sort of a degree of interpretation.

13 |           DR. GENCO: Thank you.

14 |           I have a suggestion. It's almost 2:30. I  
15 | suggest that we take a break and then come back and further  
16 | discuss and, if appropriate, take a vote.

17 |           Does anybody want to comment before we do that?

18 |           DR. D'AGOSTINO: Yes. If we do that, which I'm  
19 | all for, we as panel members can't caucus to decide on what  
20 | we're going to do.

21 |           DR. GENCO: Cannot.

22 |           DR. D'AGOSTINO: We cannot. Right.

23 |           DR. GENCO: No, I didn't mean that for caucus.

24 |           DR. D'AGOSTINO: I know you didn't, but the  
25 | audience may think we --

1 DR. GENCO: I was thinking about a physiologic  
2 imperative that we all have.

3 (Laughter.)

4 DR. GENCO: Thank you. Let's get back here in  
5 15 minutes, which will be 25 to 3:00.

6 (Recess.)

7 DR. GENCO: I'd like to welcome you back. Can  
8 we start the proceedings?

9 We're going to have some comments by Andrea  
10 Neal, Dr. Neal, regarding a process. As you know, we are  
11 now under CDER and there's some minor differences in  
12 protocol.

13 DR. NEAL: I just wanted to clarify that the  
14 contact person for CDER meetings is the person who's listed  
15 in the Federal Register notice. That was me. I'm sorry  
16 that the meeting materials didn't get to people until this  
17 morning, but they actually weren't sent to me, nor were  
18 they sent by the date that was listed in the Federal  
19 Register. So, in the future, just keep those things in  
20 mind.

21 The other thing is that in CDER, the chairman  
22 is a voting member, and that may be different from what it  
23 is in CDRH. I'm not that familiar with their rules. They  
24 run under a whole different set. So, I'd like to go back  
25 and actually get Dr. Genco to provide his vote for the last

1 ingredient that we reviewed.

2 DR. GENCO: Thank you. For the record, with  
3 respect to safety for C-31G, I vote yes for Category III,  
4 and with respect to efficacy, I vote yes for Category III.  
5 Thank you.

6 In fact, I recall not voting before, so I think  
7 it must be different.

8 Let's proceed now with the discussion of  
9 Listerine and particularly the issue of the minus-one  
10 experiments. Does anybody else want to make any further  
11 comments about that or anything else that you would like to  
12 discuss before we proceed to what could very well be a  
13 vote? Stan, do you want to make some final summary  
14 suggestion or comments?

15 DR. SAXE: No. My own opinion would be to move  
16 to a vote on efficacy and safety of the four essential oils  
17 combination, i.e., Listerine.

18 DR. GENCO: As you reviewed it, would you share  
19 with us your feelings about the categorization?

20 DR. SAXE: I would suggest that in terms of my  
21 recommendation, in terms of efficacy the product Listerine  
22 be Category I, that it is efficacious, and second, for  
23 safety, Category I, that is demonstrated to be safe.

24 DR. GENCO: Okay. Are we ready to vote? Any  
25 further comments, discussion? Gene?

1 DR. SAVITT: I'll vote yes on both, or do you  
2 want to handle it separately?

3 DR. GENCO: Let's handle it separately, yes.

4 DR. SAVITT: For safety, Category I, I vote  
5 yes.

6 DR. LISTGARTEN: Yes.

7 DR. WU: Yes.

8 DR. D'AGOSTINO: Yes.

9 DR. GENCO: Yes.

10 DR. SAXE: Yes.

11 DR. BOWEN: Yes.

12 DR. GENCO: For efficacy, and the  
13 recommendation is Category I. Let's start with Stan this  
14 time.

15 DR. SAXE: I vote yes.

16 DR. GENCO: Bill?

17 DR. BOWEN: Yes.

18 DR. GENCO: Gene?

19 DR. SAVITT: Yes.

20 DR. GENCO: Max?

21 DR. LISTGARTEN: Yes.

22 DR. GENCO: Chris?

23 DR. WU: I abstain.

24 DR. D'AGOSTINO: Yes.

25 DR. GENCO: Yes.

1                   Let's proceed --

2                   DR. D'AGOSTINO: I'd like just to have it  
3 somewhere in the record that there is the clarification of  
4 this n minus-one or the particular ingredients and that the  
5 efficacy vote, at least that I gave, was realizing that  
6 that's a discussion point, but I still think the total  
7 product is efficacious.

8                   DR. GENCO: Thank you.

9                   Well, Stan has been given quite a workout here.

10                  (Laughter.)

11                  DR. GENCO: Why don't we go out of order here  
12 to hydrogen peroxide/povidone iodine and let Gene give us  
13 his summary.

14                  DR. SAVITT: To summarize my review from I  
15 believe it was the last meeting, the combination of  
16 ingredients I felt the submitted information raised a  
17 number of toxicity issues, both acute and chronic, neither  
18 of which were adequately addressed by the presentation or  
19 following discussion by the industry representatives from  
20 the company submitting this particular product.

21                  I also felt that the efficacy data was  
22 contradictory and did not provide adequate information, nor  
23 were the studies adequately designed or appropriately  
24 designed to allow for an interpretation in terms of  
25 efficacy.

1           There was a number of comments raised that the  
2 product appeared to be poorly designed as an OTC product,  
3 and based upon my review, I felt that the safety issues  
4 were not adequately addressed to permit anything other than  
5 a Category II for safety. I'm sorry. Category III. I'm  
6 sorry.

7           DR. GENCO: And do you want to make some  
8 comments about efficacy and a suggestion for  
9 categorization?

10          DR. SAVITT: In the same vein, I felt that the  
11 studies submitted did not permit an adequate evaluation of  
12 efficacy, and I would also recommend a Category III for  
13 efficacy as well.

14          DR. GENCO: Any comments, discussion?

15                 (No response.)

16          DR. GENCO: Are ready to vote? I don't want to  
17 rush the vote if there are comments or further questions  
18 here.

19                 Let's deal with safety first. The  
20 recommendation is for hydrogen peroxide/povidone iodine to  
21 be in Category III. Do you want to start the vote?

22          DR. SAVITT: Yes.

23          DR. LISTGARTEN: Yes.

24          DR. WU: Yes.

25          DR. D'AGOSTINO: Yes.

1 DR. GENCO: Yes.

2 DR. SAXE: Yes.

3 DR. BOWEN: Yes.

4 DR. GENCO: Okay, that's seven yeses.

5 For efficacy, the recommendation is for  
6 hydrogen peroxide/povidone iodine to be Category III.  
7 Bill, do you want to start?

8 DR. BOWEN: Yes.

9 DR. SAXE: Yes.

10 DR. GENCO: Yes.

11 DR. D'AGOSTINO: Yes.

12 DR. LISTGARTEN: Yes.

13 DR. WU: Yes.

14 DR. SAVITT: Yes.

15 DR. GENCO: Thank you. Seven.

16 Okay, Stan, you've had your rest.

17 (Laughter.)

18 DR. SAXE: Zinc citrate was reviewed by me at  
19 the last meeting of this subcommittee on May 8th of this  
20 year, at which time I reported that there was fairly  
21 extensive study of zinc citrate but basically as an  
22 inhibiting agent for dental calculus formation, as we have  
23 in the minutes that have been supplied to us today, and  
24 that there had been study done on the safety of the use of  
25 zinc citrate, and indeed it was extensive and zinc citrate

1 in my opinion is safe.

2           However, for efficacy, there simply was  
3 insufficient evidence that was in the material which was  
4 submitted to this subcommittee to make any determination of  
5 its effectiveness as an antigingivitis agent.

6           So, therefore, I recommend that zinc citrate  
7 for safety be Category I; for efficacy, zinc citrate be  
8 Category III.

9           DR. GENCO: Comments, questions about zinc  
10 citrate?

11           (No response.)

12           DR. GENCO: Okay. I take it you want it to go  
13 to a vote. Any objection to that?

14           (No response.)

15           DR. GENCO: The recommendation for safety is  
16 Category I. Stan, do you want to start?

17           DR. SAXE: yes.

18           DR. GENCO: Yes.

19           DR. D'AGOSTINO: Yes.

20           DR. WU: Yes.

21           DR. LISTGARTEN: Yes.

22           DR. SAVITT: Yes.

23           DR. GENCO: Bill?

24           DR. BOWEN: Yes.

25           DR. GENCO: That's seven yeses for Category I.

1 Zinc citrate efficacy. The recommendation is  
2 Category III. Gene, do you want to start?

3 DR. SAVITT: Yes.

4 DR. LISTGARTEN: Yes.

5 DR. WU: Yes.

6 DR. D'AGOSTINO: Yes.

7 DR. GENCO: Yes.

8 DR. SAXE: Yes.

9 DR. BOWEN: Yes.

10 DR. GENCO: That's seven yeses.

11 We're finished with the official agenda, and as  
12 I understand it, we really can't start the next topic until  
13 tomorrow morning because of its being announced.

14 Is there anything that you folks from the FDA  
15 would like to say about how we're proceeding or anything  
16 that's happened today? Can we be of any further help?

17 MR. SHERMAN: I just wanted to mention that  
18 I've distributed a handout having to do with tomorrow's  
19 presentation on final formulation testing -- or tomorrow's  
20 discussion, rather. There are a few general questions  
21 listed, as well as one submission with a particular point  
22 of view about final formulation testing. I believe another  
23 one was included in the background package. I just suggest  
24 that you review that, if you can, tonight to help with  
25 tomorrow's discussion.

1           Also in the background package that you were  
2 supplied with, there were some examples of testing  
3 requirements and discussion from other advisory panels. It  
4 would be a good idea to look at that too.

5           If any of you do not have the background  
6 package, I'll see if I can get you another copy.

7           DR. GENCO: Lew, do you have a question?

8           MR. CANCRO: I'm sorry. Was that distributed,  
9 Bob, the background package?

10          MR. SHERMAN: That should have come to you  
11 previously. The one that you got several weeks ago. It  
12 was a rather thin package this time. It should be in  
13 there. Because there were no new reviews.

14          DR. GENCO: Lew told me if it doesn't weigh a  
15 pound and a half, he doesn't even look at it.

16          (Laughter.)

17          DR. NEAL: Before Dr. Genco adjourns the  
18 meeting, I'd just like to have the Plague Subcommittee  
19 members stay so that we can talk about future meeting  
20 dates. I have a set of dates that I've polled you for, and  
21 we need to choose those because I don't think you want to  
22 meet seven or eight times.

23          DR. GENCO: Any further comments, discussion?

24          (No response.)

25          DR. GENCO: The meeting is adjourned. See you

1 tomorrow at 8:30.

2 (Whereupon, at 2:50 p.m., the subcommittee was  
3 recessed, to reconvene at 8:30 a.m., Thursday, October 30,  
4 1997.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25