

1           We obviously do not want to disregard  
2 interventions and logged events at lower heart rates.  
3 On the other hand, we certainly do not wish to use  
4 them as endpoints in the trial because the ready  
5 removal of such patients from the trial will degrade  
6 the power of the trial in the sense that patients  
7 persisting in the trial will be too few to allow the  
8 mortal contribution to the endpoint to be fully  
9 appreciated or securely appreciated.

10           I think ICD supported trials are valuable.  
11 They are a neat idea. They are not, however, as easy  
12 as we once thought they might be. We have to bear in  
13 mind that our cozy, comfortable view that they may  
14 protect our patients from proarrhythmia in particular  
15 is not necessarily true. Thank you.

16           DR. PACKER: Thank you very much, John.  
17 Questions from the committee? We may all be shocked.

18           DR. THADANI: I have a question. Although  
19 your rate is important, there are some patients who  
20 tolerate the slow rate when they are supine. That's  
21 where when they stand up they get syncope. That might  
22 be again a tricky issue. Your last comment is very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 valid because you don't want to lose those, you know,  
2 the guys that come in with a heart rate of 140 which  
3 is not yet threshold for 240. Yet, they go to 150,  
4 they stand up and they pass out on you because the  
5 pressure just dropped by itself.

6 DR. CAMM: I fully understand that.

7 DR. THADANI: When you interrogate them in  
8 the supine position when they are sleeping, you may  
9 not count it. That's a dilemma.

10 DR. CAMM: I think that the whole ballpark  
11 is full of dilemmas and I acknowledge that is very  
12 much one of them.

13 DR. TEMPLE: You didn't actually read the  
14 last item on your last slide. What sort of evidence  
15 of safety did you have in mind?

16 DR. CAMM: I had in mind that you know  
17 something about whether the drug effects the  
18 defibrillation threshold in particular. I think you  
19 need some information probably about what effect it  
20 will have on heart rate and how that will interact  
21 with the device. Some idea of what it will do to the  
22 tachycardia rate, whether it will render fibrillation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 still identifiable by the devices that you use.

2 I think the only one of those concepts  
3 that I think is firmly understood at the moment is the  
4 defibrillation threshold. I don't know that there are  
5 a lot of drugs out there that do much adversely to  
6 defibrillation. The one that we came to think of as  
7 potentially being a disaster in that regard is  
8 amiodorone. Even that is controversial with biphasic  
9 shock devices of the new order.

10 DR. TEMPLE: Actually, that leads to my  
11 other question which is that among the trials that  
12 have been carried out so far, I guess they would be of  
13 your design III which is to use the effect from these  
14 patients as a predictor of how we do in patients who  
15 didn't have defibrillators. Have there been any that  
16 appeared to adversely affect survival apart from  
17 whatever effects they had on surrogates for survival?

18 DR. CAMM: I'm not treated to the results,  
19 Bob, but Craig I know has seen two sets of results.  
20 I haven't seen any.

21 DR. TEMPLE: Okay. I guess dofetilide was  
22 something of a watch on survival and maybe that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 because it --

2 DR. CAMM: Yes. I'm aware of that sort of  
3 headline but I don't know the details.

4 DR. DiMARCO: John, can I ask you just two  
5 questions. One, for your heart rate VT's or  
6 arrhythmias you said the first shock should be 35  
7 Joules. Do you think that's important or do you think  
8 you could just set it with a 10 Joule safety margin  
9 like we clinically did?

10 DR. CAMM: Oh, I have absolutely -- on the  
11 left could be any detail at all. I didn't mean to  
12 offer that as a prescription. On the right it was  
13 purely nominal. I think, yes, you could -- I think we  
14 are going to be forced into using the devices in a  
15 clinical mode. To stray very far from that I think  
16 would be inappropriate.

17 The question, however, is if we can seek  
18 some standardization within the trial, that I think is  
19 just about possible. But to suggest any trial  
20 specific programming such as let's wait only for  
21 fast arrhythmias and let's wait a long time to make  
22 sure that they are equivalent at death, I think is, of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 course, not possible.

2 DR. DiMARCO: Do you think you could  
3 program some type of duration, say, like in your lower  
4 VT zone could you set in a 30 second delay?

5 DR. CMM: Yes.

6 DR. DiMARCO: Because one of the problems  
7 with ATP accelerating arrhythmias is it accelerates  
8 these things after a few beats. I think that may be  
9 a major problem. But if you programmed in a 30 second  
10 delay, some of them may still stop but it might well  
11 be a good way to do it.

12 DR. CMM: Yes. I think that would be  
13 very helpful if one could eliminate device  
14 interventions for unnecessary arrhythmia by extending  
15 the duration of the lowest ends.

16 DR. PACKER: I just wanted to clarify your  
17 response to John's question. You could if you decide  
18 to only use very high rate VT/VF program all devices  
19 only to recognize that or leave the programming of the  
20 device to the investigator and only count those.

21 DR. CMM: Yes.

22 DR. PACKER: The former has more appeal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 than the latter, but the former is unethical?

2 DR. CAMM: Yes. I think it is. That's  
3 the problem.

4 DR. PACKER: The reason it is unethical is  
5 because it just wouldn't be accepted by the community  
6 or because you would genuinely put people at risk if  
7 you did that?

8 DR. CAMM: Well, I think one could  
9 genuinely put people at risk by allowing the  
10 ventricular tachyarrhythmias to degenerate to  
11 ventricular fibrillation to increase, for example, the  
12 ischemic insult consequent upon the fast arrhythmia  
13 and so on. I think there would be many voices raised  
14 against doing that. I agree, it's very attractive to  
15 do that.

16 If you come to the other side and say,  
17 well, let's just count them, that's fine provided you  
18 don't use the time to the first event of that nature  
19 as a reason to abandon the patient in the trial. Once  
20 you do that because the numbers shrink quickly as the  
21 trial goes by and your opportunity to count more  
22 significant events whether it's farce effective

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 arrhythmia or death becomes less and less secure.

2 DR. PACKER: And you could ethnically say  
3 that although it might be uncomfortable for the  
4 patient to undergo these interventions that, in fact,  
5 you could make the statement that the patient remains  
6 in the trial, has all of their data collected as long  
7 as they are alive regardless of what happens even if  
8 they experience a high rate VT/VF.

9 DR. CAMM: Indeed, you can. Yes. I would  
10 like to see that happen but it's inevitable, I think,  
11 in many of those instances the trial medication may be  
12 abandoned and the new medication introduced. Not  
13 necessarily, of course, but it would happen in a  
14 logical fashion.

15 DR. THADANI: Another issue comes up, sir.  
16 You have very early incidents of 25 percent within a  
17 week of inserting ICD. Yet, unless patients are  
18 having recurring VT, that's really unusual. You say  
19 it could be the device which is doing it. Is it true  
20 also with the percutaneous devices?

21 DR. CAMM: It's in part true but it's not  
22 as big an effect as the effect that I've shown you on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that slide. I showed the effect both in terms of the  
2 events during the first month and then to show that  
3 there was more do it than simply cracking the chest or  
4 causing destabilization from defibrillation testing.

5 I showed you that if you followed those  
6 patients up in the months that followed, their event  
7 rate was very much greater. My implication was that  
8 we want to recruit patients as early as possible  
9 following the implantation of the device in order to  
10 maximize the opportunity to see the drug effect.

11 DR. THADANI: Although it could be  
12 artifactual because your discharge rate is so high,  
13 you might to wait for two weeks before you start the  
14 trial.

15 DR. CAMM: Yes. If we still had the  
16 situation which I demonstrated on the slide. I was  
17 seeking to explain that was no longer the case with  
18 nonthoracotomies leads.

19 DR. TEMPLE: I guess one implication of  
20 one of your suggestions is that if you only counted  
21 events that occurred after a very rapid rate as  
22 mortality endpoints, you could have a hierarchical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 series of endpoints where perhaps the main one being  
2 actual data plus these rapid event rates and that  
3 would be sort of a lower estimate of your benefit.  
4 Then you could look at the others in addition and  
5 observe whatever skepticism one wanted associated with  
6 those endpoints.

7 DR. CAMM: That's very much the position  
8 that I take.

9 DR. PACKER: Okay. Why don't we do this.  
10 Today is different than most days because there is the  
11 possibility of a continued interaction and, in fact,  
12 there is the desire for a continued interaction  
13 between the presenters and the committee during the  
14 A&A which would not necessarily be typical for the  
15 sponsor's presentation. I get the impression that  
16 Jeremy is going to try to summarize.

17 What we'll do is take a break now for 10  
18 or 15 minutes, bring Jeremy back and use Jeremy's  
19 presentation as a segue into the questions and  
20 encourage all of the presenters to help us through the  
21 questions and to attempt to provide some answers.  
22 We'll take a 10, 15 minute break.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Whereupon, at 11:10 a.m. a recess until  
2 11:29 a.m.)

3 DR. PACKER: If we can have everyone take  
4 their seats. We are getting really depressed up here.

5 DR. RUSKIN: Well, I would like to thank  
6 Ray Lipicky and Bob Fenichel and Craig Pratt for  
7 inviting me to participate.

8 Having watched this field since the early  
9 '80s and having expected a somewhat different outcome  
10 to these trials, not the results of the trials but  
11 their interpretability, I am humbled by everybody's  
12 experience. I am not going to answer the questions  
13 obviously but I'll put my head on the block up front  
14 I suppose as a way of avoiding repeating what  
15 everybody else has said which is in large part what  
16 I'll end up doing.

17 Let me just say that I think at the  
18 present time I can't imagine a situation in which an  
19 ICD trial alone will constitute the basis for a sudden  
20 death or arrhythmic death claim. I think that it will  
21 end up being part of a package of studies that may be  
22 useful in a number of respects. Unlike what was hoped

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 perhaps 10 or 15 years ago, I don't see these trials  
2 as standing alone as a substitute for a mortality  
3 trial.

4 I'm going to just make some general  
5 comments about the devices and some of the issues that  
6 have been raised by previous speakers and then spend  
7 one minute talking a bit about future technology  
8 because if you think it's complicated now, wait until  
9 you see what we're going to be dealing with in about  
10 two or three years.

11 The number of devices being implanted  
12 worldwide is really quite extraordinary. It will  
13 exceed 70,000 worldwide in 1999 and will exceed  
14 100,000 new implants by 2002. The population of  
15 patients with ICD's is becoming quite large and they  
16 are accessible. Certainly in addition to gaining  
17 protection from these devices, they serve as a useful  
18 resource in terms of clinical research. The question  
19 is how to do that safely, ethically, and productively.

20 DR. PACKER: Jeremy, was that cumulative  
21 or annual?

22 DR. RUSKIN: No. Those are new implants.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. PACKER: Those are new implants.

2 DR. RUSKIN: New implants. In excess of  
3 70,000 worldwide this year and in excess of 100,000  
4 worldwide in 2002.

5 As has been alluded to by several people  
6 including Udho, who made this point quite early on,  
7 the use of ICD's is largely dependent upon substrate  
8 so that since we're using endpoints here of shocks for  
9 arrhythmias, knowing which patients are entered into  
10 the trials becomes critically important.

11 These are old data from our cardiac arrest  
12 survivor series in which you see the frequency of ICD  
13 shocks for VT or VF as a function of left ventricular  
14 ejection fraction. You can see that patients with  
15 impaired ventricular function use their ICD's for  
16 appropriate reasons twice as often as patients with  
17 well preserved LV function. In fact, most of the  
18 survival benefit is in this subset. We also found out  
19 from uncontrolled observations years ago and those  
20 observations have been confirmed by the AVID subset  
21 analyses based on EF at 40 or 35.

22 This is important obviously in terms of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient selection because when you do small studies,  
2 it's entirely possible that one could have an  
3 unbalanced randomization. I would be in favor when  
4 the trials are relatively small in size of limiting  
5 enlargement for this subset of patients although one  
6 could argue that point.

7 The other is that the presenting  
8 arrhythmia is a very powerful determinative of ICD  
9 utilization. Here these are data from the AVID trial  
10 showing you ICD shock rates, cumulative shock rates at  
11 three months, one year, two and three years as a  
12 function of the presenting arrhythmia, the clinical  
13 arrhythmia.

14 You can see that people with ventricular  
15 tachycardia use their devices far more frequently than  
16 people in whom VF is the primary or initial presenting  
17 arrhythmia. Again, this may be very important in  
18 small trials with regard to patient selection.

19 So in selecting subsets of patients for  
20 drug trials, the etiology of the heart disease, and in  
21 particular the degree of LV dysfunction I think are  
22 very important. The ICD systems, which have also been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussed by several people, are important. To the  
2 extent to which these can be used in a uniform way,  
3 the trials will be easier to interpret.

4 First and foremost it is important to know  
5 now that about 30 percent of ICD's being implanted  
6 worldwide are dual chamber devices. Although I have  
7 some concerns about overuse of these devices, when  
8 they are in the fact is that they provide a much  
9 higher level of discriminatory power with regard to  
10 separating supraventricular from ventricular  
11 arrhythmias than do single chamber devices.

12 The detection algorithms differ among  
13 different devices. Again, their sensitivity and  
14 specificity varies. The lead configuration that is  
15 used to looking at electrograms, and John DiMarco got  
16 at this question a little earlier, can also be  
17 important because the earlier devices had only bipolar  
18 local electrograms from the rate sensing lead to look  
19 at.

20 Some of the newer devices allow you to use  
21 far field signals between a right ventricular coil or  
22 a right atrial coil and an active pulse generator

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 electrode that gives you something that looks a lot  
2 more like a surface ECG and one's ability to  
3 discriminate supraventricular from ventricular  
4 arrhythmias may differ.

5 In addition, the experience of the  
6 investigator in programming these devices may have an  
7 important impact on shock rates.

8 What about endpoints? Well, I agree very  
9 much with what John Camm said in his talk which is  
10 that I think one has to use a broad range of endpoints  
11 here and that one cannot simply rely on a single gold  
12 standard. Time to first shock I don't think is going  
13 to be an acceptable or adequate surrogate for  
14 anything.

15 In using these devices to test drugs, it's  
16 going to be important to look at a whole host of  
17 variables including all ICD discharges; shocks for  
18 ventricular fibrillation, or fast VT as John detailed  
19 for you; antitachycardia pacing or shocks for slower  
20 VT; atrial fibrillation events, something that we are  
21 getting much better at diagnosing particularly with  
22 dual chamber systems; the whole issue of potential

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 proarrhythmic effect which is a very complex issue.  
2 I'll come back to it in a minute; and defibrillation  
3 energy requirements.

4 This is a real life example of one of the  
5 ways in which you can be led astray by looking just at  
6 shock rates. These are composite Kaplan Meier curves  
7 from two different mortality trials, both of them  
8 primary prevention trials in which the ICD was tested  
9 against conventional therapy to determine whether it  
10 would reduce all-cause mortality in two high-risk  
11 subsets of patients.

12 The first trial is the MADIT trial which,  
13 as you know, comprised patients with LV dysfunction  
14 and nonsustained ventricular tachycardia who had  
15 inducible sustained VT at ET study that was not  
16 suppressed with procainamide. They were randomized  
17 either to an ICD or to conventional therapy which was  
18 about 70 percent of the time amiodorone. This trial  
19 was markedly positive with a 54 percent reduction in  
20 all-cause mortality in the defibrillated group at  
21 about 18 months. This was a sequential design and the  
22 study was rather small by the time that the boundary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 was crossed.

2 This is another trial that was designed  
3 for prophylaxis and it's the CABG patch trial. It was  
4 carried out in patients with coronary artery disease  
5 and comparable degrees of LV dysfunction to the MADIT  
6 population. This was a group of EF less than 36  
7 percent who also had a positive signal average ECG.  
8 I think they required frequent VPP's on Holter.

9 This was a group thought to be a very high  
10 risk for sudden death and half of them got ICD's and  
11 the other half didn't. You can see that the mortality  
12 curves here were absolutely superimposable. In fact,  
13 the mortality rate in both groups was fairly close to  
14 what was seen in the ICD population in MADIT.

15 Yet, if you look at the shock rates in the  
16 two studies, you see a very different picture and that  
17 is they look the same. These are ICD shock rates in  
18 MADIT and ICD shock rates in CABG patch. At a year  
19 you can see that 50 percent of patients in both trials  
20 had been treated by the defibrillator.

21 Now, obviously the controlled group in  
22 these studies answers the question with regard to a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mortality benefit. But if you had used these two  
2 populations, the ICD recipients in these two  
3 populations to carry out a drug study, you might have  
4 been very seriously misled. For example, in the  
5 MADIT trial a reduction in shock rate. The shock rate  
6 here, in fact, reflected a real endpoint that  
7 translated into a mortality benefit. So if a drug  
8 reduced that shock rate significantly, one might have  
9 been close to being on target in terms of a clinically  
10 important effect.

11 In CABG patch you saw that there was no  
12 difference between the drug treated group and the  
13 device treated group; that is, conventional therapy  
14 and device. Yet, there was a very high shock rate in  
15 the device population.

16 A reduction in shock rate in this group  
17 couldn't have offered any mortality benefit. Not only  
18 would you have missed the target, you would have  
19 missed the barn all together in this particular  
20 situation.

21 Much of this is dealt with by having  
22 intracardiac electrograms and knowing a lot about the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 circumstances in which the shocks occur. I think the  
2 important point is that we have to be very careful  
3 about using time defer shock as a soul method of  
4 evaluating efficacy.

5 What about all-cause mortality? Well,  
6 this is, I think, a critical issue. It was raised by  
7 Milton and also by Bob Temple. I think the critical  
8 points have been made, and that is that it is possible  
9 to die from a variety of mechanisms. We are dealing  
10 with competing risks all the time. Not only in this  
11 population but in all populations with heart disease.

12 The modes of death, or the mechanisms of  
13 death in people with ICD's don't only involve  
14 ventricular tachycardia fibrillation but they may  
15 involve ischemia, heart failure death,  
16 bradyarrhythmias, and EM dissociation, and perhaps  
17 proarrhythmia.

18 It's not difficult to imagine a  
19 circumstance in which a composite endpoint of ICD  
20 shocks or time defer shock plus mortality might look  
21 very favorable for a drug that had an antiarrhythmic  
22 effect under some circumstances and reduced the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 frequency of shocks, but increased mortality by this  
2 mechanism or this mechanism or this mechanism.

3 I think both Bob and Milton got at this  
4 point, and that is you could miss a very significant  
5 adverse effect of the drug with that kind of design.

6 Clearly one has to look very closely at  
7 mortality and perhaps both in a composite way and  
8 separately to get a clear sense of whether or not you  
9 could be reassured by what a drug is doing or whether  
10 you ought to be worried about it.

11 What about proarrhythmia? Well, I think  
12 this issue was addressed very incisively by John Camm.  
13 I just want to offer one slightly different  
14 perspective, or perhaps a real life example, in which  
15 one might have gotten into serious trouble using ICD's  
16 to assess the potential adverse effects of an anti  
17 arrhythmic drug.

18 It gets to the question of ethics. The  
19 issue is is it ethical to use devices to evaluate  
20 drugs for proarrhythmia? Now, it might be fine if the  
21 drug is an antihistamine. But I'm not sure that it's  
22 always fine if the drug is really a potent sodium

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 channel blocker or does other things that may have  
2 consequences that the device cannot deal with.

3 These are data from the CAST trial. I  
4 suspect these are familiar to most people in the room.  
5 Let me just take you through some of it to remind you  
6 of the fact that if you look at nonfatal ischemic  
7 events in the past. There were far fewer on active  
8 drug than there were on placebo.

9 Interestingly, and as you all know, there  
10 were far more sudden cardiac deaths and cardiac  
11 arrests on active drugs than there were on placebo.  
12 There were also quite a few more nonsudden deaths and  
13 arrests. That is, fatal myocardial infarction or  
14 myocardial infarction with cardiogenic shock on drug  
15 than there were on placebo.

16 In fact, if you add these columns up you  
17 come up with almost an identical number suggesting  
18 that these drugs were converting nonfatal ischemic  
19 events to either fatal arrhythmic events or fatal pump  
20 dysfunction events. If you did this study in an ICD  
21 population, it is possible, but not known with  
22 certainty that the ICD might have saved these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients. It is very unlikely that it would have done  
2 anything for these patients.

3 In fact, one third of the excess mortality  
4 in CAST was nonarrhythmic. In that circumstance the  
5 device would not have been protected. It's not at all  
6 clear to me that CAST would have been an ethical trial  
7 were we to do it today in an ICD population.

8 What about cost and quality of life? In  
9 the AVID trial it is clear that the ICD is a very  
10 expensive therapy. Certainly a lot more expensive  
11 than drug therapy. It's a lot more effective, too,  
12 but there is a price to be paid for it. We all know  
13 that patients with ICD's get rehospitalized for a  
14 variety of reasons, device related and sometimes drug  
15 related.

16 In this particular instance what you are  
17 looking at is time to rehospitalization in AVID. You  
18 can see that there is a statistically significant  
19 increase in hospitalization rate in the ICD group  
20 compared to the antiarrhythmic drug group.

21 Well, would an intervention that improved  
22 this so that it moved the ICD curve down to here be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 useful separate and apart from a mortality benefit?  
2 The answer is sure, it might be if it reduced  
3 morbidity and cut costs and did so with an acceptable  
4 mortality profile. That might be another reason  
5 perhaps to look for an indication. This is very  
6 similar to what you saw in one of John Camm's slides.

7 This is an example of a very problematic  
8 clinical situation in which a patient with atrial  
9 fibrillation has a rapid ventricular response that  
10 exceeds the rate cutoff of the device. This is  
11 detected as VT. There is a burst of pacing here. It  
12 converts the atrial fibrillation to ventricular  
13 tachycardia, delivers another burst of pacing which is  
14 ineffective. If VT continues, it is redetected.  
15 Another burst of pacing, the VT continues, and we then  
16 get to an initial shock which converts the patient  
17 back to atrial fibrillation. This is normal device  
18 function. It's a clinical problem that happens and it  
19 is one in which perhaps an antiarrhythmic is one of  
20 the commonest indications for antiarrhythmic drug  
21 therapy. If you had a drug which in this situation  
22 completely prevented this sequence of events, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be a real benefit provided it did so without any  
2 evidence of significant proarrhythmia or an adverse  
3 effect on mortality.

4 I think everybody has agreed that  
5 antiarrhythmic drugs that can prevent this kind of  
6 event and do so reliably are important agents to add  
7 to our armamentarium. This endpoint is obviously a  
8 very useful endpoint. The difficulty is knowing how  
9 often we really are measuring this as opposed to other  
10 things. I think other speakers have addressed that at  
11 great length.

12 Just a couple of words about lesser  
13 endpoints. We've talked a lot about monomorphic  
14 ventricular tachycardia and antitachycardia pacing for  
15 that problem. That is a lesser painless intervention  
16 for perhaps a less significant endpoint.

17 This is an example of a patient who was in  
18 sinus rhythm and had a very short burst of ventricular  
19 tachycardia. This is a device that has a hair trigger  
20 for responding to VT within about 10 or 12 beats.  
21 There's a burst of pacing and the patient's rhythm  
22 reverts to sinus.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   This event in this particular patient  
2 occurs 10 to 30 times a month. This is always the  
3 response. Does a drug that prevents this constitute  
4 a real benefit? I don't know. It certainly doesn't  
5 to this patient that I can see but there are  
6 circumstances in which it might to patients in whom  
7 the ATP is not highly effective.

8                   I think again we have to be very cautious  
9 about evaluating the impact of drugs on lesser events  
10 and knowing the clinical settings in which they occur.  
11 I think a drug that only improved a situation in this  
12 kind of patient and did not have a similarly favorable  
13 effect on fast VT and VF would not hold a lot of  
14 interest.

15                   The other point that I want to make is  
16 that when you use ADP as an endpoint in your trials,  
17 you really have to be very careful about looking at  
18 the distribution of events over time and over patients  
19 because we all have patients who do this 30 times a  
20 month and there are some people who do it 300 times a  
21 month. If you have a drug that shuts off those 300  
22 events in a couple of patients, it can have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 profoundly favorable appearance in a trial but may  
2 turn out not to be very clinically relevant in a broad  
3 population of patients. Again, patient selection and  
4 distribution of events are very important and they  
5 complicate this enormously.

6 I think that at least for me the  
7 limitations of ICD endpoints are that clearly we are  
8 asking them to be a surrogate for arrhythmic death.  
9 I think right now they probably can't be. The reasons  
10 for that have been elucidated clearly by other  
11 speakers.

12 The rate cutoff of 240 beats per minute I  
13 think is as logical as any one that I can think of and  
14 it makes sense to use. The truth is that it remains  
15 arbitrary and it may overestimate or underestimate the  
16 benefit of devices.

17 Finally, drug efficacy may vary with  
18 substrates so, again, we need to know what populations  
19 we're talking about. Most important, I think, the  
20 duration of drug benefit may differ significantly from  
21 that of the ICD. If we use only time to first shock,  
22 we may be very seriously misled.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think it's important that patients not  
2 be censored and dropped from studies and that they be  
3 followed for as long as possible. Certainly if there  
4 is any hope of using these kinds of studies to  
5 substitute from mortality trials, that is absolutely  
6 necessary because even a 12-month study is very, very  
7 short in the life of a patient who ought to be around  
8 for five or 10 years. Those are patients in whom the  
9 substrate is changing.

10 The one thing about ICD's is they don't  
11 care very much about changes in substrate. They tend  
12 usually to keep working until end stage heart failure  
13 has developed. But drugs do change with changing  
14 substrates. If you add a little ischemia or some  
15 ventricular dilatation or more hypertrophy, a drug may  
16 go from antiarrhythmic to proarrhythmic. A drug that  
17 is beneficial at three months may not be beneficial at  
18 two years.

19 I am finished with my general comments.  
20 I want to just say a few words about where the future  
21 of this technology is heading because I think it holds  
22 a lot of promise. But also perhaps the makings of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lot more conferences like this with perhaps fewer  
2 answers about appropriate endpoints.

3 The reason that we're able to do the kinds  
4 of studies that we are now doing with ICD's is that  
5 patients can get small pectoral systems with simple  
6 lead systems that can be implanted in the EP labs  
7 rather than in operating rooms. The reason for that  
8 primarily are changes in capacitor size that have  
9 allowed manufacturers to create their own capacitors  
10 and downsize devices tremendously.

11 There will be in the near future ceramic  
12 capacitors that have much higher capacity for energy  
13 storage than aluminum capacitors and that will cut  
14 device size probably by another 50 percent over the  
15 next five to 10 years allowing not only smaller  
16 devices but inclusion of other technologies into  
17 pectoral systems that will dramatically enhance the  
18 complexity and the diagnostic and therapeutic power of  
19 these systems. And that's really the only comment I  
20 wanted to make about this.

21 The other area of great interest and  
22 excitement is that of biosensors; that is, physiologic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sensors that will give us tremendous amounts of  
2 diagnostic information primarily, I think, with regard  
3 to hemodynamics, but also electrical information and  
4 QT interval being one of those that will be used to  
5 assess patient status and will be very powerful tools  
6 for evaluating the impact of the variety of  
7 pharmacologic interventions, not necessarily  
8 antiarrhythmic.

9 We are now dealing with dual chamber  
10 pacing almost as a rule, as you know, and dual chamber  
11 defibrillators comprise about 30 percent of ICD  
12 implants worldwide and that number is increasing.  
13 Pacing is now involving to three and four chamber  
14 pacing in patients with heart failure, although  
15 mortality benefit is clearly not established there.

16 We have, or will have very shortly, access  
17 to hemodynamic sensors and perhaps in the future  
18 metabolic sensors. The technology for incorporating  
19 drug delivery systems within these implantable devices  
20 is already here. What isn't here is a logical way to  
21 use them, or the knowledge of which drugs to use and  
22 under what circumstances. But the technology to do it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is already here.

2 The areas in which these devices are  
3 likely to be applied include not only the treatment of  
4 ventricularly arrhythmias but certainly the treatment  
5 of atrial fibrillation. This has already happened  
6 with atrial defibrillators and a variety of pacing  
7 technologies that are being used to attempt to prevent  
8 atrial fibrillation. They will certainly be used in  
9 congestive heart failure and in ischemia as well.

10 I think the one area perhaps over the next  
11 couple of years where you will see the most data will  
12 be in this combined population. Most of the patients  
13 with congestive heart failure, but also those with AF.

14 It is important to emphasize that these  
15 two are fellow travelers and this is a unique  
16 opportunity, I think, to get information about both of  
17 these problems with regard both to understanding the  
18 physiology better, understanding pharmacological  
19 inventions better, and providing therapies that may  
20 actually prolong life.

21 What is happening is that you will, in  
22 fact within a year, be seeing merging of ICD and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pacemaker platforms so that what we will be using will  
2 be arrhythmia controlled devices. Not pacemakers or  
3 defibrillator, but rather arrhythmia controlled  
4 devices capable of three or four chamber pacing and  
5 dual chamber defibrillation equipped with very  
6 sophisticated long life physiologic sensors and  
7 perhaps three or four years down the pike with drug  
8 infusion systems.

9           The diagnostic power of these systems is  
10 extraordinary and they will provide us with not just  
11 intracardiac electrograms but hemodynamic data as well  
12 presented in a format that will allow us to follow the  
13 status of patients over days to weeks or months.  
14 These devices will communicate seamlessly with  
15 patients and physicians both by warning systems and  
16 headless telemetric systems. So we will have access  
17 to an extraordinary amount of not only therapeutic  
18 power, but I think perhaps equally exciting diagnostic  
19 power.

20           Certainly the heart failure experts on  
21 this panel I'm sure know more about this than I do.  
22 But certainly the opportunity to evaluate the impact

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of pharmacologic interventions on the course of  
2 congestive heart failure will be a major aspect of  
3 these technologies in the future. I think it will  
4 begin to extend to other areas as well.

5 This discussion about endpoints perhaps  
6 couldn't come too soon. It will not solve any  
7 problems or provide any gold standards. If there is  
8 one thing I can tell you with certainty, this is just  
9 the beginning.

10 DR. TEMPLE: I presume they are going to  
11 have satellite linkages with appropriate consultants.

12 DR. PACKER: I can only comment that there  
13 used to be a time when something was wrong with your  
14 automobile, you would go to a mechanic who would use  
15 his or her judgment to diagnose the problem. Now your  
16 car is hooked up to a device that reads the computer  
17 in the automobile and makes all sorts of diagnostic  
18 evaluations similar to the pattern that you have  
19 described here.

20 The problem, of course, is sometimes the  
21 major reason for visiting the mechanic is that the  
22 computer isn't working very well. I happen to have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 such an automobile and I spend more time trying to  
2 correct the computer communications than fixing any  
3 real problem with my automobile.

4 DR. RUSKIN: Well, it's certainly an  
5 appropriate caution. I think one of the remarkable  
6 things about these technologies has been the  
7 reliability of the systems. The level of accuracy and  
8 the robustness of the algorithms and the precision  
9 with which the microprocessors function is really  
10 nothing short of dazzling.

11 The major weak links in these systems have  
12 been the lead systems; the lead factors and insulation  
13 breaks and so on. The fact is that technology, I  
14 think, is a lot smarter than we the physicians who are  
15 using it right now. That's my take on it. I think we  
16 are the ones who probably need the tuneups, not the  
17 devices.

18 DR. PACKER: You can just imagine that you  
19 come in and interrogate the device and you tell the  
20 patient, "You know, you don't know this but you had an  
21 episode of septic shock four weeks ago. It was  
22 asymptomatic and didn't require therapy just in case

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you wanted to know."

2 DR. RUSKIN: Well, I think in terms of the  
3 ability to use physiologic sensors, Milt, you may well  
4 be a decade or less from now telling patients that  
5 they were close to having pulmonary edema and that you  
6 aborted that based on information that you got or  
7 treated long before they ever had any symptoms.  
8 That's entirely possible if not probable.

9 DR. TEMPLE: That's the implanted  
10 dobutamine infusion, I suppose.

11 DR. RUSKIN: Well, I was just talking  
12 about diagnostics, Bob. I hadn't gone that far.

13 DR. TEMPLE: That's the next group.

14 DR. RUSKIN: I was just thinking about a  
15 change in the lasix prescription.

16 DR. PACKER: Okay. Any specific questions  
17 to Jeremy?

18 DR. TEMPLE: The study that basically can  
19 never be done without the implanted defibrillator, I  
20 think, is one in which people have a history of life-  
21 threatening arrhythmias. You can do studies on people  
22 who are at risk but it has become very difficult to do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and always was difficult to do, the so-called life-  
2 threatening arrhythmia trial. We had a lot of drugs  
3 labeled without ever having a proper trial in those  
4 settings.

5 I guess one question is even though no one  
6 wants to make final judgments is how plausible is a  
7 trial in that population? I guess one question is who  
8 would get the drug instead of the defibrillator.  
9 Leaving that question aside, if one took as an  
10 endpoint death with rates of over 240, how plausible  
11 does that seem for that special case which can't  
12 really be studied any other way? You can do  
13 comparative trials. That seems like the one way you  
14 can actually get a treatment/no treatment answer  
15 that's safe enough to do.

16 DR. RUSKIN: I think that's right. I  
17 think that's the most logical conclusion from today's  
18 discussion is what you've articulated. I think that  
19 the hope that those trials would actually substitute  
20 for mortality trials and allow one to perhaps approve  
21 a drug for a reduction in arrhythmic death is not  
22 going to happen. But in terms of reducing the

1 frequency of life-threatening ventricular arrhythmias  
2 in an appropriate population, I think what you have  
3 articulated is the right way to do that.

4 DR. TEMPLE: So you don't think preventing  
5 rates over 240 reflects a -- well, you obviously do  
6 think it reflects a likely survival advantage but that  
7 would not lead you to think one could claim that?

8 DR. RUSKIN: Yes.

9 DR. PACKER: Can we just have  
10 clarification of that, Jeremy? Again, if a sponsor  
11 were -- I just want to hit this on the head. If a  
12 sponsor were to come in with a trial with high-risk  
13 patients, say, sudden death survivors who had all  
14 received a device, the drug suppressed the combined  
15 endpoint of a debt and high rate VT/VF over 240 with  
16 90 percent of the events being nonfatal as opposed to  
17 deaths, and the P value was robust and the data were  
18 internally consistent and no serious questions were  
19 raised about whether that was actually what was found  
20 in the trial, you would not feel comfortable -- I hope  
21 I'm phrasing this correctly -- you would not feel  
22 comfortable indicating that represented a drug

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 benefit?

2 DR. RUSKIN: No. I would be very  
3 comfortable saying it represented a drug benefit.  
4 What I would be uncomfortable saying is that you could  
5 label this drug as reducing mortality in patients with  
6 life-threatening ventricular arrhythmias.

7 DR. PACKER: I see.

8 DR. DiMARCO: The labelling would only  
9 apply to people with defibrillators. And, you know,  
10 in my mind you would have to have the two groups where  
11 you would have significant better survival in the drug  
12 plus defibrillator group and ICD. That survival would  
13 have to be good enough that you could then anticipate  
14 a drug only study. Right now the evidence is the  
15 defibrillator beats every drug it's been looked at  
16 against. You wouldn't want a truly life-threatening  
17 arrhythmias a drug only recommendation.

18 DR. PACKER: So then in the example that  
19 we just spoke about, one, if I understand correctly,  
20 you would not provide a mortality claim for the drug.  
21 That's one. You might provide a drug benefit claim  
22 for the drug but only in conjunction with the use of

1 a device. John, that's the modifier that you added.

2 DR. DiMARCO: In this particular  
3 situation.

4 DR. PACKER: Of that were the case, one  
5 would almost be implying that the drug didn't have so  
6 much an impact on the disease process, although it may  
7 have, but it was really used as a way of suppressing  
8 a function of the device which is definitely  
9 unpleasant and potentially dangerous.

10 I don't want to over read this but I'm  
11 trying to figure out whether the nature of the claim  
12 because it would be put in on people already receiving  
13 an ICD. John, I take your point very seriously. You  
14 are calling this adjunctive therapy, (1) because of  
15 the patient population studied, and (2) because of the  
16 need for adjunctive therapy in the first place.

17 The patient benefit is more likely to be  
18 described as an ICD adjunct. That could be just the  
19 prevention of symptomatic shocks. That would be the  
20 primary thrust of the claim as opposed to a claim that  
21 was more linked to the prevention of a process related  
22 to the underlying disease. I hope I'm describing that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 accurately.

2 DR. RUSKIN: I think it would have to be  
3 taken in the context of the whole picture of the drug.  
4 For example, if it was a drug that had a salutary  
5 effect on ATP events as well so that it reduced the  
6 frequency of slower monomorphic VT but had this very  
7 favorable effect that you described on fast VT and VF  
8 and the mortality went in the right direction, then I  
9 think you could say a lot more about the drug.

10 For example, you might use it with a lot  
11 more comfort in patients with slower VT's in whom you  
12 don't want to use a device. Or you might say to a  
13 patient who doesn't want a defibrillator that, "This  
14 drug has a extraordinary profile.

15 It doesn't look quite as good as a  
16 defibrillator but it's the best pharmacologic agent  
17 we've got and these are the data." I take a lot of  
18 comfort in that. The question I think where it gets  
19 really dicey is where you start to claim equivalence  
20 to a defibrillator in terms of prevention or death.  
21 That gets very difficult.

22 DR. PACKER: My understanding is that the

1 design we're talking about would never allow you to  
2 get there.

3 DR. RUSKIN: Right. Never. I don't think  
4 it would allow you to make a mortality claim. The  
5 other area where it might be helpful would be a drug  
6 that had some efficacy and atrial fibrillation. If  
7 you saw those kind of data and you had some efficacy  
8 in AF, that would be a wonderful surplus.

9 DR. TEMPLE: There are a number of  
10 circumstances. My presumption is not everybody wants  
11 an implanted device. One doesn't like to think about  
12 cost in this but it seems likely that everybody who's  
13 at risk of sudden death is going to actually get one.  
14 One possible claim that someone might seek is for use  
15 in patients at risk of sudden death to be defined when  
16 they don't want, can't tolerate, whatever, a  
17 defibrillator. That raises the question of whether  
18 this kind of data would support that kind of use and  
19 whether, you said, if the prevented answer is death is  
20 sort of a minor consideration in some ways.

21 Of course, the other implication is that  
22 in parts of the world where defibrillators are not



1 everybody's expectation, these kind of data could be  
2 considered pretty important. You would still say that  
3 until you actually have shown mortality improvement,  
4 you would still be uncomfortable actually saying  
5 anything about it even though one might expect a  
6 favorable result.

7 DR. PACKER: So that the only claim that  
8 they would get would be a claim for the patient  
9 population studied as opposed to the extrapolated  
10 claim?

11 DR. TEMPLE: No. I didn't hear that. For  
12 example, maybe you shouldn't say this specifically.  
13 What about for people who don't want an implanted  
14 defibrillator? Wouldn't this be a basis for a claimed  
15 -- I mean, would this or would this not be a basis for  
16 saying this is a reasonable thing to do in people at  
17 risk of sudden death because of whoever can't get a  
18 defibrillator?

19 DR. RUSKIN: Yes. I think it would with  
20 the kind of uniformly positive profile that Milton  
21 described. I think it would.

22 DR. RODEN: But if you had a drug with

1 that kind of uniformly positive profile in an ICD  
2 trial, the logical next step for a sponsor would be to  
3 go ahead and do the known ICD based trial. They would  
4 be comfortable with that in certain parts of the world  
5 perhaps or in certain other populations to do that.  
6 That would, it would seem to me, provide very  
7 important data to support such a trial.

8 DR. TEMPLE: That's a tricky question  
9 though. For example, it's going to be very hard to  
10 beat the ICD and it's going to be hard to even match  
11 it. If you do a direct comparison you'll lose.

12 DR. PACKER: He's not saying do a direct  
13 comparison.

14 DR. TEMPLE: You do the placebo control.

15 DR. PACKER: John, you can step up and say  
16 anything at any point in time because this is really  
17 the purpose. Can you just clarify this? If a sponsor  
18 intending to do a large scale trial in a geographical  
19 area in which ICD is not uniformly available or  
20 utilized says based on a uniformly positive profile in  
21 an ICD trial -- I want to make it as clean as possible  
22 because clearly most databases will not be as clean as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this -- would you allow the claim that in addition to  
2 an adjunct to ICD therapy, which is what John was  
3 saying before, that the drug could be used where an  
4 ICD was deemed to be undesirable or not feasible?

5 DR. RUSKIN: Yes. I think I would.

6 DR. FENICHEL: Well, there is a problem  
7 here in sequence it seems to me. If the intended  
8 claim is that the drug be used in people who don't  
9 want ICD's, and one believes for various reasons that  
10 have been described that efficacy in that population  
11 can only be uncertainly derived from a trial in the  
12 ICD population, then it might be not only incompletely  
13 effective but, in fact, unwise for a sponsor to begin  
14 with the credibility increasing, although not  
15 establishing effort of the ICD trial because once  
16 there's a very strong impression that the drug will  
17 indeed be effective in this ICD rejecting population,  
18 if that impression may be so strong, although not  
19 perfect, that trial is no longer ethical.

20 Necessary but no longer ethical. The  
21 sponsor might have shot himself in the foot and it may  
22 be if that is, indeed, the intended target population,

1 the thing to do is to go after it.

2 In the case of an unproved therapy in a  
3 population rejecting ICD's abenicio, there's no  
4 ethical problem in doing a placebo controlled trial.

5 DR. PACKER: Yes, Bob.

6 DR. TEMPLE: Well, there could be. The  
7 history with these drugs is that a lot of them make  
8 arrhythmias worse. This would provide some assurance  
9 that the drug you are putting in the trial didn't do  
10 that. I guess maybe I didn't understand what Jeremy  
11 was saying.

12 What I was asking was wouldn't the data we  
13 just described, as Milton said, bullet proof, perfect,  
14 wonderful data showing a reduction in unequivocally  
15 nasty arrhythmic events that are reversed by the  
16 defibrillator and a satisfactory endpoint on death  
17 plus those events, could that support use in a  
18 population of this drug in a population of patients  
19 who didn't have implanted defibrillators and couldn't  
20 get them because that's the best therapy without  
21 further data?

22 DR. TEMPLE: Now, that might or might not

1 lead to a survival claim but that might still support  
2 that use. When this all turned up 10 or 15 years ago,  
3 that was clearly the plan. We thought this would be  
4 a way to pass drugs for life-threatening arrhythmias  
5 in an ethical way that would lead to a conclusion that  
6 would say, yes, it's a good thing without doing the  
7 placebo controlled trial that everybody thought would  
8 never be done and, in fact, never was done in people  
9 with life-threatening arrhythmias.

10 DR. PACKER: Bob, could I just take the  
11 offer to your question and ask Jeremy to clarify an  
12 answer to a previous question.

13 DR. RUSKIN: I feel like I'm back on the  
14 committee.

15 DR. PACKER: You said you wouldn't give  
16 the mortality claim but you would describe a drug  
17 benefit. Can you tell us what the wording would sound  
18 like? Because if you could tell us what the wording  
19 would sound like, it would then help Bob get from  
20 where he is to where he wants to go. Can you take a  
21 stab at it?

22 DR. RUSKIN: I'm not sure that I can give

1 you labeling right now but let me see if I can clarify  
2 my response a bit. Bob asked a very specific question  
3 about whether or not one could justifiably extend the  
4 results of this perfect looking drug within an ICD  
5 trial to benefit in the same population who would not  
6 be candidates for the defibrillator for whatever  
7 reason.

8 I think you could word it like that with  
9 appropriate caveats. One of the caveats would be that  
10 equivalence or comparable degree of mortality  
11 protection to the ICD has not been demonstrated with  
12 this drug. Essentially every other element that you  
13 need in place for protection is there. That is, there  
14 is reduction in life-threatening events. There is  
15 reduction in slower VT events. There's no evidence of  
16 proarrhythmia. There's a favorable mortality trend.  
17 All of those things fit and atrial fibrillation goes  
18 away.

19 That would be the context in which I would  
20 describe. I can't give you the precise wording. I  
21 think it would have to contain a caveat, though, that  
22 this study had not established the drug as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 substitute for the defibrillator in all candidates,  
2 but that's not what I heard Bob say.

3 DR. TEMPLE: No. Right. It would just be  
4 that, well, there is more than one flavor of this. It  
5 could say that it looks like it's useful and prevents  
6 the nasty events. It could also go further and say  
7 this appears likely to correspond to a survival  
8 benefit but that has not been specifically tested.  
9 Don't think for a minute this is as good as having  
10 your own laboratory.

11 DR. FENICHEL: Yes. Well, this is a  
12 classic situation of a second line therapy. Isn't it?  
13 At best it would come out synthesizing all the data  
14 which says that we never found anything as good as  
15 ICD. It says. "Look, if you can't take ICD's, if you  
16 re allergic to devices or if you don't want people  
17 cutting you or whatever your reason, or you can't  
18 afford it or whatever, this is a second line therapy."

19 DR. PACKER: I'm still confused. Is the  
20 indication -- I understand that, Jeremy, you don't  
21 necessarily want to go there but I still want to know  
22 is the nonmortality component of the indication the

1 suppression of the arrhythmia that triggered the  
2 device or the suppression of the operation of the  
3 device?

4 DR. RUSKIN: It's the former.

5 DR. PACKER: It's the former.

6 DR. DiMARCO: Don't we already have sort  
7 of in a compressed form the indication? If you look  
8 at intravenous amiodorone my interpretation, or my  
9 memory of the data is it decreased the frequency of  
10 arrhythmia events in several different measures, but  
11 the long-term survival at whatever measure you looked  
12 at was unaffected so that it was thought that it was  
13 clinically reasonable to decrease the frequency of  
14 events even though competing therapies and the disease  
15 process didn't affect overall mortality or didn't show  
16 a change in mortality.

17 DR. TEMPLE: That's true. The only life-  
18 threatening arrhythmia setting where there has ever  
19 been any study is short term while people are  
20 monitored so they didn't have any planted  
21 defibrillator but they had defibrillator access from  
22 outside. There aren't any long-term studies of that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 kind. There are high-risk patients but not people who  
2 are known to have frequent nasty arrhythmias.

3 Yes, you are right. We concluded there it  
4 was useful to suppress the events. The hope would be  
5 in implanted defibrillators that you could get closer  
6 to actually establishing mortality benefit because  
7 you're not in the laboratory so you would really be  
8 preventing things that are reasonable surrogates for  
9 that.

10 DR. PACKER: Okay. Please, I would like  
11 to invite all of the guests to jump up at anytime.  
12 Many of the issues that we have just been discussing  
13 in the last few minutes, in fact, are dealt with in  
14 questions No. 1 and 2. I think we can reach consensus  
15 on one and two very quickly. In fact, my sense is  
16 that we could probably go through all the questions  
17 fairly rapidly because there has been a discussion on  
18 all the issues except for No. 7.

19 The first question is to support approval  
20 for symptomatic claim that a new drug therapy reduces  
21 the frequency of ICD shocks. It's not just the  
22 frequency of ICD shocks that Jeremy has clarified.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 It's the suppression of the arrhythmia that the ICD  
2 shocks represent.

3 DR. FENICHEL: No. No. Wait. Because  
4 that's the mortality, the required mortality claim.  
5 The symptomatic claim is the patients feel better and  
6 they can have lots and lots of arrhythmias not  
7 suppressed or even more arrhythmias presumably. This  
8 is a pure symptom claim for patients who are walking  
9 around with one of these boxes.

10 DR. PACKER: As I understand it, there are  
11 three levels of claims that are being discussed. One  
12 is a mortality claim, self-evident. Two is a  
13 suppression of lethal arrhythmias which is not  
14 equivalent to a mortality claim but is considered to  
15 be clinically very relevant and represents a  
16 prevention of IDC shock which has a benefit other than  
17 through prevention of a uncomfortable symptomatic  
18 event.

19 Then there is the prevention of the ICD  
20 shock which itself can be viewed as benefit because  
21 the shock delivered by the device is unpleasant. Am  
22 I correct, sir?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FENICHEL: That's right. This  
2 question deliberately dealt with the last one.

3 DR. PACKER: It's only the last one.

4 DR. FENICHEL: Patients feel better  
5 because they're not getting zapped.

6 DR. PACKER: Okay. So if a sponsor were  
7 to come in -- as I understand now question 1 which I  
8 didn't understand before -- could a sponsor come in I  
9 suppose is really what the question is. What would be  
10 the basis for a sponsor's claim only for level three?  
11 That is, for the suppression of ICD shocks? Could  
12 someone do that?

13 DR. FENICHEL: The spirit of the question  
14 is exactly the spirit with which we've approached  
15 other symptomatic claims, which is that it is okay in  
16 congestive heart failure for a drug to make one feel  
17 better even if as with proscramen, for example, it  
18 makes one lives shorter and the key message was the  
19 patient has to know that that's the bargain.

20 Perhaps if the mortality cost were  
21 sufficiently high, then we might decide no, it doesn't  
22 matter if the patient says he knows that. That cost

1 is prohibitive. We will not approve a drug that is  
2 where the symptomatic benefits associated with that  
3 cost in mortality.

4 The question here is given that one might  
5 have a symptomatic claim, we should perceive that as  
6 a benefit. How much of the cost side in terms of the  
7 true mortality or mortality quasi equivalent that has  
8 been discussed on and off during this morning, how  
9 much of that must be understood and to what extent  
10 must it go in the right direction? That was the  
11 spirit of the question.

12 DR. PACKER: Does it not presume that the  
13 committee as well as the experts agree that a level  
14 III claim per se is achievable? You are presuming  
15 that it is. You are presuming that the prevention of  
16 shocks in itself is good and many of the experts have  
17 suggested that might be. But a level III claim has to  
18 be viewed as being somewhat disappointing to a sponsor  
19 that might have been pursuing at a minimum a level II  
20 claim.

21 In other words, when the sponsor did their  
22 trials, they weren't shooting for mortality. They

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were going for a level II claim which is the  
2 suppression of lethal arrhythmias which have they not  
3 been sought would have reasonably been associated with  
4 a bad outcome. They missed that because if they had  
5 hit that, they would be asking for it.

6 DR. FENICHEL: Well, I suppose that's true  
7 that is a nicer claim but there is nothing wrong with  
8 the claim that here is a adjunct. I mean, it's  
9 carbadopa. It's paramtenine. It's something that  
10 comes in to deal with the specific gap in the  
11 discerning capability of this device which is that  
12 this device does just fine with lethal arrhythmias but  
13 we couldn't figure out a way to design its algorithm  
14 to avoid picking up on this peculiar version of atrial  
15 fib.

16 Well, this stuff does nothing to the  
17 device's response or, indeed, for the patient's  
18 generation of serious arrhythmias. The only effect it  
19 has is this peculiar version of atrial fib. is  
20 suppressed and, therefore, the patient feels a lot  
21 better because he's not getting zapped all the time.  
22 That's a perfectly good claim. It's not as good as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some you can make up.

2 DR. PACKER: Tom.

3 DR. GRABOYS: I may be missing something.  
4 I'm just not clear. Also it sounds a little cavalier  
5 to be talking about separating these three. I don't  
6 really understand how we can do that. The population  
7 that Jeremy underscored is precisely that benefits of  
8 EF less than 40 percent is precisely the group that is  
9 going to be prone to proarrhythmia.

10 I don't know how we can allow a  
11 pharmaceutical company to come here with a drug that  
12 may make the patient feel better transiently but is  
13 associated with an understandable enhanced mortality.  
14 What have I missed here?

15 DR. TEMPLE: I believe that for someone on  
16 a defibrillator any enhancement of mortality, even if  
17 you reduce the total number of shocks, would be  
18 largely considered unacceptable. A second part of  
19 Bob's question is how much assurance would you need if  
20 it didn't increase mortality? I mean, people on  
21 defibrillators have pretty -- well, if it's just for  
22 arrhythmia they have very low annual mortalities so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you're going to need a fair number of patients to know  
2 that it's not doubled.

3 If the only benefit is decreasing the  
4 number of shocks, one question is, well, how many  
5 people do you have to have to be sure that you're not  
6 making something else worse and we are going to have  
7 to come to grips with that. We might need 4,000 or  
8 5,000 patients to be reasonably sure. I don't know.

9 DR. FENICHEL: I would assume that in this  
10 programming, and I would like Jeremy to speak to this,  
11 that this tradeoff is made all the time. As one  
12 increases the sensitivity and makes the device more of  
13 a hair-trigger device, patients feel worse and live  
14 longer and that decision must be made all the time.  
15 I don't think this is so exotic.

16 DR. RUSKIN: I'm having trouble  
17 understanding why anybody would have any interest in  
18 a claim for a drug that reduced shocks without  
19 reducing the arrhythmic events that cause the shocks.  
20 I mean, it strikes me as -- I agree with Tom  
21 completely. It's just logically inconsistent.

22 The only way a drug could do that would be

1 by perhaps converting sustained VT to nonsustained VT  
2 or just making the VT slower. It's unlikely that you  
3 would find a drug that would do that and have a  
4 powerful impact on time to all-cause shocks and not  
5 have some favorable impact on the underlying  
6 arrhythmia. If that were the case, that indication  
7 would hold no interest for me as a clinician. I don't  
8 see why you would want to even consider it.

9 DR. TEMPLE: I'm surprised to hear that  
10 because five years ago this was widely talked about.  
11 That is, reducing partly to maintain battery life.  
12 That was one reason it was given. Maybe that's all  
13 irrelevant now because the batteries are better. In  
14 the past it was. The idea was that being shocked is  
15 bad. If you're driving a car it can make you lose  
16 control and having spurious unnecessary shocks was all  
17 by itself a bad thing.

18 If you added amiodorone or something like  
19 that at a lose dose and could reduce the number of  
20 shock events by 50 percent, even if you didn't change  
21 survival, which is not easy to do to change survivals  
22 since everybody is protected, that would have been



1 considered a benefit.

2 DR. RUSKIN: I couldn't agree more. I am  
3 not arguing that point.

4 DR. TEMPLE: That's the answer to your  
5 question.

6 DR. RUSKIN: No. I guess I'm hearing  
7 something different from Milton then. What I heard  
8 was a drug that actually decreased ICD shocks but that  
9 didn't have a demonstrated improvement in arrhythmia  
10 frequency. I don't see how you could get that.

11 DR. TEMPLE: Well, for someone --

12 DR. RUSKIN: One, I don't know how you  
13 could get there in the first place and, two, if you  
14 did, why would you have any interest in such an agent?

15 DR. TEMPLE: Bob gave an example. It  
16 might be decrease the likelihood of having sinus  
17 tachycardia sufficient to trigger the thing. Let's  
18 just take a trivial benefit. Nonetheless, despite  
19 programming the thing so it wouldn't respond to that  
20 was leading people to have shock events.

21 Let's put the question in its most naked  
22 form. That is obviously not a survival benefit. It's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not going to tell you to use the drug in a unprotected  
2 population but it decreases the number of shock events  
3 materially without apparent cause. Wouldn't that be  
4 reasonable?

5 DR. RUSKIN: In that particular case it  
6 wouldn't because that's just not a clinical problem.  
7 I mean, that's a problem that was dealt with by  
8 reasonably sophisticated programming so it's not a  
9 clinical reality. The reality is that shocks occur  
10 because of atrial fibrillation and ventricular  
11 tachycardia and ventricular fibrillation.

12 Those are the reasons that are amenable to  
13 any sort of therapy. The others are lead  
14 discontinuities and fractures. I think to have an  
15 agent that will have a clinically relevant important  
16 impact on shock frequency, it will have to effect  
17 atrial fibrillation, ventricular tachycardia,  
18 ventricular fibrillation, or all three.

19 DR. TEMPLE: Okay. So any drug that could  
20 ever pass any test would probably have a favorable  
21 effect on results.

22 DR. RUSKIN: I would think so. Even

1           though it wouldn't affect survival in someone with a  
2           defibrillator.

3                   DR. PACKER: Essentially the concept would  
4           be that if level III, my hypothetical level III, were  
5           considered to be the only claim that the sponsor could  
6           make because they hadn't shown level II, that it is  
7           likely to be a lot of discussion as to why level III  
8           was achieved asymptomatic reduction in shocks but  
9           level II wasn't enough to raise concerns about the  
10          safety. In other words, was there a reduction in some  
11          kind of an event but an increase in another kind of  
12          event.

13                   DR. TEMPLE: I think what we're hearing is  
14          that the only way to achieve level III is to either  
15          reduce true ventricular arrhythmias of some kind.  
16          Maybe not the greater than 140 ones but at least the  
17          others or atrial fibrillation. People would generally  
18          not argue that it's good to do those things.

19                   DR. DiMARCO: I think we shouldn't  
20          underestimate the problem that comes up with  
21          arrhythmia frequency even in people with  
22          defibrillators. If you look at AVID where there was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 specific rules that you were supposed to have had  
2 several shocks before you could have an antiarrhythmic  
3 drug I believe was about a 22 percent crossover to  
4 drug. Even in a study where there was a prohibition  
5 and you had to call the center to get permission to  
6 use a drug, you did that.

7 We see the people often present with  
8 flurries and if you look at the AVID registry there  
9 were 4,500 people with eligible arrhythmias. Only  
10 1,000 ended up in the trial. The two most common  
11 reasons were physician refusal and patient refusal.  
12 I don't think all of that was because people just  
13 believed that the defibrillator was better.

14 I think a lot of it was because physicians  
15 were unwilling because of arrhythmia frequencies that  
16 they detected in people to commit somebody to just a  
17 defibrillator from the start. They were already on a  
18 drug to suppress things and, therefore, they couldn't  
19 be randomized to device only. It's not an uncommon  
20 problem.

21 DR. TEMPLE: The implication of that is  
22 that level III benefit would be highly worthwhile.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. RUSKIN: Just another comment. I  
2 agree with what John says. In fact, across the board  
3 about 50 percent of defibrillator recipients are on  
4 antiarrhythmic drugs precisely for the reason that  
5 everybody here has articulated. The way that they do  
6 that, the way they achieve that efficacy is by  
7 arrhythmia suppression.

8 DR. PACKER: Bob, maybe the best way in  
9 trying to synthesize it, level III is a perfectly  
10 reasonable claim in association with level II.  
11 Pursuit of level III without the evidence for level II  
12 raises too many questions and inconsistencies.

13 DR. TEMPLE: Remind me what level II  
14 means.

15 DR. PACKER: It's arrhythmia suppression  
16 without mortality. It's arrhythmia suppression  
17 represented by a shock. In other words, the  
18 suppression of the high-rate VT or VF. No mortality  
19 claim.

20 DR. TEMPLE: But not necessarily high  
21 rate. You get zapped by your defibrillator even if  
22 you're not at 240. There's usually a built-in lower

1 response rate. If I understand, you could not have a  
2 -- as I understand it, the plausible effect, although  
3 not necessarily one that anybody is going to stave,  
4 mortality would be found if you reduced high-level  
5 arrhythmias that elicited shocks and mortality and won  
6 on that. That might have implications for people who  
7 wouldn't take defibrillators and so on.

8 A lesser degree of effectiveness would be  
9 that you prevent what appear to be appropriate shocks  
10 but not these death surrogate shocks, if you like.  
11 Ones where you are not sure what the consequence would  
12 have been. It might have been nonsustained. It might  
13 have been a lot of things. It seems probable from  
14 everything that anybody has said that the reduction of  
15 discharges would occur because you suppress those  
16 things plus atrial events. Maybe the distinction  
17 isn't all that helpful because nobody can quite  
18 imagine how to achieve level III without achieving  
19 level II.

20 DR. PACKER: I think that's a point. If  
21 you showed level III but didn't show level II, people  
22 would ask all sorts of questions about the integrity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and internal consistency of the data.

2 DR. CAMM: I'm sure that you are quite  
3 right that level II and level III go hand in hand.  
4 One is mechanistic explanation of the other so to  
5 speak. I'm sure you would expect them to run together  
6 in order to justify the claim.

7 We had a preliminary conversation earlier  
8 about whether or not one would have to demonstrate  
9 that there was no loss of life associated with  
10 achieving this level II or level III claim. We have  
11 a slight difference of opinion between the two Bob's  
12 with Bob Fenichel telling us that we could perhaps  
13 accept a little extra mortality but let's give  
14 patients their freedom. Bob Temple is telling us it  
15 wouldn't be very much if at all.

16 I wonder if we need to now consider how  
17 helpful the surrogate mortality endpoint of shocks for  
18 very fast tachycardias would be in helping us come to  
19 some comfort level about whether or not mortality was  
20 going to be adversely affected in the face of improved  
21 symptomatology.

22 DR. PACKER: John, let me just ask a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question and ask you to clarify. Jeremy, I think,  
2 made the point that there are mortality issues related  
3 to drug therapy which are not related to sudden death  
4 which I guess would not be dealt with in a proposal to  
5 use as that surrogate based on arrhythmias.

6 DR. CAMM: Yes. I understand that. I'm  
7 assuming that we are looking at mortality to a degree.

8 DR. PACKER: The second, which is the  
9 biggest problem of all is what Bob Fenichel I think is  
10 driving at in question No. 1, is that the number of  
11 lethal events is likely to be sufficiently small but  
12 the confidence intervals will be very wide both for  
13 sudden and nonsudden events if one could even clearly  
14 distinguish between the two. Consequently, you would  
15 be left in the final analysis with a high degree of  
16 uncertainty. I don't think it would be a problem if  
17 there was a mortality reduction which was highly  
18 comforting, although not statistically significant.

19 I think what we're concerned about is that  
20 we are more likely than not to find mortality rates in  
21 the two treatment arms which are precisely on top of  
22 each other if not numerically slightly adverse in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 drug group but with so few events over the period of  
2 observation that the competence intervals will led one  
3 to believe that no reasonable conclusion could be  
4 made. That problem is unavoidable.

5 DR. CAMM: I understand every point you've  
6 made and agree with it right down the line. All I'm  
7 asking is would it be helpful to ask the data about  
8 the mortality shocks to increase the level of comfort  
9 about the claim to level II and III.

10 DR. TEMPLE: I'm sure it would. One of  
11 the other things you could do to give yourself comfort  
12 is to make sure there are enough people with bad heart  
13 disease and not just arrhythmia problems in it. I  
14 mean, the unnerving figures generally show that there  
15 is plenty of opportunities to see if, in effect, it  
16 was a three-fold increase. Even in a relatively low-  
17 risk population you might well be able to see it if it  
18 were that magnitude. The trouble is how will you rule  
19 out a 30 percent increase when the rates are very low.  
20 Part of it is to try to figure out what you're most  
21 worried about which I would think exacerbation of  
22 heart failure is a big candidate and make sure there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is a reasonable number of people with defibrillators  
2 who also have fairly advanced heart failure for one  
3 thing. Technically speaking, you'll have enough  
4 events to help foresee something. Second, you are  
5 addressing the area of probably greatest concern other  
6 than proarrhythmia. That one you ought to be able to  
7 see.

8 DR. DiMARCO: Bob, could you envision an  
9 antiarrhythmic drug coming in with just an ICD trial?  
10 I mean, I think that from what you've just said and my  
11 own feelings would be that almost any antiarrhythmic  
12 drug is going to have to have a heart failure  
13 population or some high-risk primary prevention trial  
14 that is placebo controlled to also give us an idea in  
15 that population. It's hard for me to imagine a drug  
16 coming in just with this secondary prevention ICD  
17 group.

18 DR. TEMPLE: I could imagine a drug, for  
19 example, with some torsade potential that people would  
20 say, "I don't think I want to study this as a primary  
21 prevention drug. I want the protection of a  
22 defibrillator there. I think in that setting we will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actually net out with a considerable advantage. The  
2 few cases where I might make things worse will be  
3 protected, but the many cases I'll suppress I won't."

4 I don't know. I can imagine that. The  
5 goal would be to use it only in people protected with  
6 the defibrillator for two reasons. First of all, the  
7 arrhythmia suppression potential may be of much more  
8 importance than in populations likely to have  
9 arrhythmias. The proarrhythmic potential may be less  
10 important than in the primary prevention situation  
11 where you are preventing fewer events and provoking  
12 more events. As someone said earlier, the risks and  
13 benefits could be highly population dependent. I can  
14 imagine it but it would be a little odd.

15 DR. CAMM: Milton, I think it's important  
16 in this context to appreciate the rate at which ICD  
17 implantation is increasing. We are still dealing with  
18 a highly unpenetrated market and a highly undeveloped  
19 market. If industry projects that, we won't be  
20 implanting 100,000 units per annum in the United  
21 States, but within a few years from now it will be  
22 400,000 or 500,000 units. This represents a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 significant patient cohort. An adjunctive therapy for  
2 that cohort will, I'm sure, form a reasonable basis  
3 for claims to this agency.

4 DR. PACKER: Okay. We have covered to a  
5 significant degree many of the issues surrounding 1  
6 and 2, I think, to the degree that we have addressed  
7 all of the issues in 1 and 2. I'm not certain that we  
8 have answered all the issues but I think we have  
9 addressed all the issues.

10 DR. FENICHEL: Well, answers per se were  
11 not really expected. The short preamble uses the word  
12 "suggest" some of the topics, etcetera. I think the  
13 questions should be taken in that spirit.

14 DR. PACKER: Okay. Let's move on to No.  
15 3. I think this has already been addressed as well.  
16 Is it plausible that ICD patients could be recruited  
17 as patients in trials of a noncardiovascular drug, for  
18 example, an antihistamine, as an ethical means of  
19 coping with the suspicion that the drug was  
20 proarrhythmic? Now, it was referred to briefly that  
21 it may be a crazy idea. Dan, what do you think?

22 DR. RODEN: Well, you know, the problem is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a different one from the problem of arrhythmias in  
2 patients with heart failure or patients with ongoing  
3 arrhythmias. I think, first of all, the ethics of  
4 giving a drug with the expressed goal of seeing  
5 whether that drug is proarrhythmic are dubious in my  
6 mind, No. 1.

7 No. 2, I think the event rates for some of  
8 those drugs are relatively low. While you could  
9 enrich those event rates by studying them in patients  
10 with heart failure and what not, I think the  
11 reassurance that you would get from such a trial would  
12 be almost negligible.

13 In other words, if I wanted to know  
14 whether my antihistamine has a risk of 1 in 100,000 of  
15 being proarrhythmic, I think doing a trial in a large  
16 cohort of patients with heart failure and not seeing  
17 anything wouldn't reassure the others.

18 DR. FENICHEL: Let me explain the spirit  
19 of the question. My idea was that we commonly tell  
20 people with drugs -- actually, we in the division work  
21 through intermediaries. We tell people in other  
22 divisions to tell people coming forward with

1 antihistamines or antibiotics or antinflammatory drugs  
2 and so forth that the thing to do is to give mega  
3 doses of this somewhat suspect drug to your healthy  
4 volunteers and see if the QT prolongation or whatever  
5 other phenomena you think you've detected really get  
6 significant.

7 The answer commonly is, "Well, they are  
8 healthy but they are not that healthy." These people  
9 are destructible and so you can't give these mega  
10 doses. We know what doses we want to give to achieve  
11 histamine blockade or whatever.

12 The idea behind the question is that these  
13 people with structural heart disease, with everything  
14 else, who have been given these devices because they  
15 are at much greater risk than the general population  
16 of sudden death nevertheless are in their way the  
17 healthiest possible volunteers.

18 The spirit of the question was isn't this  
19 the population in whom to try out -- might this not be  
20 the population in whom to try out something like that.  
21 I think it's a pretty wild idea too. I was not saying  
22 this is a sure thing. I wanted people more expert

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 than I to speculate upon it.

2 DR. RODEN: Well, I guess there is  
3 conceptually probably not much difference between  
4 giving very, very large doses of the drug to normal  
5 volunteers and giving largest or normal doses of that  
6 same drug to a group that for some reason happened to  
7 be particularly sensitive, or you think might be  
8 sensitive. The kind of result that you might see is  
9 similar in both trials.

10 I guess the heart failure group has the  
11 virtue that they might be more susceptible to  
12 arrhythmias. My answer is not very different from --

13 DR. FENICHEL: The claimed virtue was not  
14 that they might be more susceptible. I'm willing to  
15 say that they might be equally susceptible. The point  
16 is that if they get the toxic effect they are  
17 protected.

18 DR. RODEN: I understand what you're  
19 saying. You would do the mega trials with normal  
20 volunteers under some monitored condition anyway. I  
21 guess the discussion is not very different from the  
22 old discussion we were having this morning, and that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is if you did this and if you saw such and such a  
2 result, what might you do. I guess the answer is I  
3 would love to see the result and then I might be able  
4 to think more rationally about what I would do.

5  
6 DR. DiMARCO: Yes. I think that John Camm  
7 outlined it. Even though we say they are protected,  
8 it's not an absolute thing and there are bad things  
9 that could happen. I think you would have to design  
10 the consent form. You know, if you had a drug that  
11 was effective in gram negative sepsis in people with  
12 defibrillators, that would be a nice population but,  
13 boy, I would hate to see any many centers you would  
14 have to have to try to evaluate that.

15 For a no benefit trial or a minimal  
16 benefit trial to expose someone, which even with a  
17 defibrillator would have a substantial mortality risk  
18 on purpose would be hard for me to design.

19 DR. TEMPLE: I think the assumption is you  
20 have already -- you don't do this as your first trial.  
21 You have already done trials and you push the dose a  
22 little bit and you haven't seen any QT prolongation or



1 anything much but your animal data makes you worried  
2 and now you want to do something to really pin it down  
3 before you launch it on a large population in the U.S.

4 I think the question really asks can you  
5 think of these people for some circumstances as normal  
6 volunteers even though they have an underlying disease  
7 and invite them to participate in a study that you are  
8 applying adequate protections to because they can  
9 probably do it somewhat more safely than other people.

10 DR. PACKER: And be paid?

11 DR. TEMPLE: Uh?

12 DR. PACKER: And be paid?

13 DR. TEMPLE: In the way that normal  
14 volunteers are.

15 DR. PACKER: They are usually paid.

16 DR. TEMPLE: Some. It can't be excessive.

17 DR. PACKER: You could have a whole long  
18 four-day ethical conference on that.

19 DR. RODEN: Right. Bob, I think just to  
20 sort of be concrete for second, if you wanted to, for  
21 example, decide whether ordinary doses of terfinidine  
22 without metabolic inhibitors cause arrhythmias in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients with heart failure. Just like high doses of  
2 terfinidine or high concentrations might cause  
3 arrhythmia in volunteers, then you might do such a  
4 study. I think the numbers required might be enormous  
5 and the ethics required we've already touched on.

6 DR. TEMPLE: Well, the ethics there  
7 actually are more straight forward because those  
8 people have an interest in the answer to that  
9 question. There are people with heart failure you  
10 might want to use an antihistamine.

11 DR. RODEN: I understand that but you can  
12 always make that argument about many drugs. You can  
13 make that argument about a drug that has efficacy  
14 (indiscernible) and more susceptible to that too.  
15 When it comes time for them to have that drug, they  
16 may not be in a position to sort of discuss it with  
17 you.

18 I still think the likelihood that you get  
19 useful information is small and the efficacies are  
20 large, which is not to say somebody might not want to  
21 try it.

22 DR. PACKER: It just strikes me it leads

1 one to the most interesting and complex. I can just  
2 imagine that if this became widespread because a  
3 number of drugs that prolong QT became so common place  
4 and the issue became so important that one could  
5 imagine high school counselors talking to people,  
6 "Well, what do you want to be when you grow up?" They  
7 say, "Well, I don't know." They said, "Have you  
8 considered having an ICD implanted and charging as a  
9 volunteer?" Never mind.

10 DR. RODEN: Well, you've got to make a  
11 living.

12 DR. PACKER: Okay. I think we've covered  
13 No. 4 and we've covered No. 6. We have 5. Are there  
14 other observations, drug induced changes and  
15 fibrillation thresholds that can be made during trials  
16 in ICD patients and then extrapolated to non-ICD  
17 patient populations? My question is for what purpose?  
18 Dan?

19 DR. RODEN: Yes. Well, there are  
20 observations and we've talked about them.  
21 Fibrillation and defibrillation, energy requirements  
22 are of interest. But you're right. I'm not sure they

1 would be of interest to other populations. Slowing of  
2 tachycardias might be of interest. Again, that  
3 assumes that there will be some population that  
4 eventually doesn't get implanted with an ICD -- fitted  
5 with an ICD. I like that word better. Fitted with an  
6 ICD and you would have some sense that the  
7 tachycardias would be slower or something like that.  
8 I can't think of anything else off hand.

9 DR. PACKER: John.

10 DR. CAMM: I think that one of the  
11 parameters that was going to be explored in many of  
12 these trials was the ability of program stimulation  
13 using perhaps an ICD in this kind of trial to predict  
14 long-term events with the ICD with the implication  
15 that such information might be qualitatively useful in  
16 applications in non-ICD patients.

17 DR. PACKER: Okay. Before we go onto 7,  
18 I just want to ask Bob Fenichel have we adequately at  
19 least discussed and addressed all the issues you  
20 wanted us to address in questions 1 through 6?

21 DR. FENICHEL: Well, as i said before, the  
22 questions were essentially a menu, a smorgasbord menu

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to be chosen among as you desire. I think you have  
2 done that.

3 DR. PACKER: And I think we have gone  
4 through actually in reading this all the issues  
5 including the concept of surrogacy, the concept of  
6 what kinds of observations might lead to what kinds of  
7 claims, the kinds of patient population, the  
8 extrapolation of ICD studies to non-ICD studies.

9 Although we would all hasten to add that  
10 all of the thoughts are going to be modifiable based  
11 on future data, and especially modifiable based on  
12 when they are applied to a specific data set, many of  
13 the situations we talked about had been described a  
14 priority as being "ideal" and data sets are rarely  
15 ideal. These are simply thoughts that provide some  
16 sense of guidance.

17 DR. FENICHEL: I think there is something  
18 more about 6 before you get to 7.

19 DR. PACKER: Sure.

20 DR. FENICHEL: People have made various  
21 illusions in the course of the day to the -- Jeremy  
22 certainly referred to a number of coming developments

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and so forth. What was behind 6 was the idea that  
2 there might be possible advances in ICD's which really  
3 were not especially valuable to ICD therapy per se  
4 that really didn't help the physician taking care of  
5 the patient with the ICD an awful lot, or not in any  
6 obvious way, but might be of considerable value when  
7 patients walked around with that ICD and then were  
8 used as subjects for other drug development.

9 So the idea was is it something that the  
10 antiarrhythmic community might somehow unite around  
11 and come and say, "Look. This is the thing that we  
12 really want." Every one of these things has a certain  
13 cost, although the cost now of some things like  
14 additional memory is very small. Every one of these  
15 things has an evolutionary cost so that anything you  
16 have to carry around has a cost.

17 Is there something that the antiarrhythmic  
18 community might unite around saying, "Look. This is  
19 something that ought to be in ICD's. Some particular  
20 feature. Not all these other potential features  
21 necessarily. You were thinking about features with  
22 exact purposes obscure. Here's where to put your

1 money and we perhaps will even subsidize putting this  
2 into devices on the grounds that they allow data to be  
3 collected which is, indeed, in the patient's interest  
4 although it's not especially in the interest of the  
5 people who make devices. It's not against their  
6 interest but it doesn't really serve their immediate  
7 purpose."

8 That was really the idea around 6. Are  
9 there specific device enhancements that might serve  
10 the drug community which the device community might  
11 not be aware of.

12 DR. CAMM: I think that there are a few  
13 enhancements of this kind. For example, the  
14 generation of atrial fibrillation can be logged by a  
15 device. It is of very little relevance to the device  
16 manufacturers in their building of pacemakers, for  
17 example, or defibrillators but it could well be a  
18 parameter put to the agency as an indicator of the  
19 usefulness of a particular therapy.

20 I think we ought to be well aware that the  
21 implantation of devices for monitoring purposes will  
22 become greater and greater. Some of these devices

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will have therapeutic arms to them, therapeutic  
2 potential to them, which may perturb the signal in the  
3 same way that we've been discussing this morning with  
4 defibrillators.

5 Some will not have such therapeutic  
6 potential. All of them will have some degree of  
7 difficulty associated with the interpretation of the  
8 data which they collect in exactly the same way that  
9 we have discussed today. I can foresee in the next  
10 five years that the agency will be presented with many  
11 data sets of information derived from implantable  
12 diagnostics and it will be critical that the  
13 limitations of those data sets are well understood by  
14 the agency.

15 DR. RUSKIN: Just to add a few specifics.  
16 There are a number of things that are being looked at.  
17 I think that John's comment about the duration of  
18 monitoring is very important. Since memory is getting  
19 a lot easier and cheaper to install, we will have the  
20 ability to do much more closely -- excuse me, get data  
21 that is closer to Holter monitoring than it is to the  
22 kinds of isolated events that we get now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 QT sensing, heart rate variability  
2 assessment, hemodynamic monitoring. The technology  
3 for all those things in implantable devices is here.  
4 The key is, I think, as John suggested, is to learn  
5 how to use them productively in a way that alters our  
6 care of patients. I think you will see them in  
7 devices and their use is primarily diagnostic right  
8 now.

9 DR. DiMARCO: Yes. I think that the other  
10 thing is there is not enough market just in drug  
11 development to cause people to introduce something  
12 that has no clinical value. Many of the things that  
13 we use in drug development have some clinical value  
14 and the device companies are in competition to provide  
15 things that are of clinical value so that if something  
16 gets established as being clinically relevant, it will  
17 be both useful in a device for a physician who is  
18 using it in a clinical setting as well as for some  
19 company that wants to use that parameter in their drug  
20 development program.

21 DR. PACKER: Especially if the primer was  
22 something that was a very common comorbid condition in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients who get a device like heart failure or  
2 coronary artery disease that you would monitor.  
3 Something that is relevant to those, then it would  
4 provide, I guess, a marketing advantage and people  
5 would go out and do it.

6           Lastly, is it plausible ICD's will become  
7 so effective, inexpensive, easily implanted that  
8 antiarrhythmic drug therapy for life-threatening  
9 ventricular arrhythmias will no longer be of interest  
10 to developers. If not, what is to be done. I have no  
11 idea what the last sentence means because it is sort  
12 of like saying if people declared peace on earth, what  
13 would happen to people who made military equipment?

14           We've already heard some specific  
15 descriptions of what antiarrhythmic drug therapy would  
16 still be used for including -- well, Jeremy?

17           DR. RUSKIN: I think the answer is that  
18 drugs won't go away. The reason is that the devices  
19 as wonderful as they are in aborting these events;  
20 that is, converting a sustained event to an aborted  
21 sudden death, they do not prevent arrhythmias and  
22 there is nothing on the horizon that suggest that they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will. This will continue to be a hybrid therapy that  
2 involves both devices and drugs certainly for the  
3 foreseeable future. Drugs that decrease the events  
4 will continue to be needed.

5 DR. PACKER: Bob, any other issues to the  
6 committee? Does anyone on the committee have any  
7 other comments or questions or points to raise? Bob.

8 DR. TEMPLE: I guess the only thing I  
9 would say is that there seems to be a fairly urgent  
10 need for updated -- actually, I'm not sure what the  
11 state of our guidelines is on all this. I think  
12 nothing too recent. It seems an urgent matter to  
13 start to put some of these thoughts into writing even  
14 where we are not quite sure what to do.

15 DR. PACKER: Let me just echo that by just  
16 indicating I think the sense that the committee has  
17 that the data which has been described today has been  
18 of enormous interest and I think represents a major  
19 educational experience at least to the  
20 nonelectrophysiologists and maybe to the  
21 electrophysiologists on the committee. I know of no  
22 general knowledge of all of these issues in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cardiology community and it would be really valuable  
2 to write this up in documents other than guideline  
3 documents so that the issues are clearly understood by  
4 cardiologists who would prescribe devices as well as  
5 antiarrhythmic drug therapy. That seems to be a  
6 general purpose for practitioners as well as from a  
7 regulatory purpose.

8 With that, we are adjourned.

9 (Whereupon, the meeting was adjourned at  
10 12:58 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

CERTIFICATE


This is to certify that the foregoing transcript in the  
matter of:                    Cardiovascular and Renal Drugs  
                                  Advisory Committee Meeting #88

Before:                        DHHS/PHS/FDA/CDER

Date:                         April 30, 1999

Place:                         Bethesda, MD

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

A handwritten signature in black ink, written over a horizontal line. The signature is cursive and appears to be "K. M. Anderson".