

1 we had seen after ablation of these other compounds.

2 This shows the pattern from front to back
3 with the axons growing in, heading back, and then
4 sprouting into the cerebral cortex. And this is a
5 very slow, gradual process. It takes from one to six
6 months where there's this sprouting of these normal
7 fine axons, it takes a couple of months to start. And
8 then it's this rostral to caudal gradient that I've
9 mentioned with this progressive re-innervation
10 gradually reaching the posterior regions of cortex
11 between six and nine months.

12 However, then we come to another bit of
13 bad news. The re-innervation isn't complete. It
14 never quite reaches the most posterior regions of
15 cortex. And between 8 months and 18 months -- and
16 this has been shown with both PCA and MDA. We have
17 not studied this with fenfluramine, but we think that
18 it's likely to be very similar. There is a
19 significant problem that occurs.

20 There is a subsequent accelerated decrease
21 in the density of serotonin axons throughout the
22 cortex with the appearance of abnormal, tortuous, slow
23 axon tangles that are present throughout the cortex,
24 seen with antibodies to serotonin and the serotonin
25 uptake carrier, somewhat similar to the tangles that

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 can be seen in other degenerative disorders, like
2 Alzheimer's disease.

3 Let me show you some examples of those.
4 Here are these swollen tangles. These are from a
5 MDA-treated rat essentially from a group that had
6 recovered and now at slightly over a year has these
7 tangles.

8 The next slide shows another example of
9 that, these odd tangles. Here's another example of
10 that. That's a pattern that we never seen in the
11 adult. And appearance and incidence of these
12 gradually increases as the overall density decreases
13 of the serotonin axons.

14 And here's a computer graphic image. This
15 is actually a video photograph that was intensified to
16 show what these tangles look like in the cerebral
17 cortex.

18 Similar tangles have been reported in much
19 older rats, three to four years of age by this time.
20 But we suggest that the amphetamine-induced injury may
21 lead to, while there may be recovery, progressive and
22 precocious aging and degeneration of the sprouted
23 serotonin axons. So that there's accelerated aging in
24 second phase of degeneration that occurs much later.

25 Let me stop and summarize, then. Evidence

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 for 5-HT axon degeneration associated with the
2 amphetamines, the 5-HT axon terminals are not detected
3 after treatment for months. There's a loss of
4 immunoreactivity to serotonin.

5 There are swollen axons more proximal to
6 that. So the pre-terminal axons remain, but by
7 showing signs of other degeneration and subsequently
8 an attempt at sprouting, where the serotonin may dam
9 up in the stumps of these axons.

10 The cell bodies, however, are completely
11 spared and make abundant serotonin, indicating that
12 the synthesis of the serotonin and the enzymes for
13 serotonin synthesis as well as the uptake carrier, are
14 intact in the cell bodies. And we have seen that the
15 cell bodies retain the ability to synthesize enough
16 proteins to make new axons so that axon transport in
17 these cells up to the terminals remains intact.

18 Now, further evidence is that we have
19 observed with PCA and MDA an almost complete loss of
20 retrograde axonal transport in the RAPHE neurons
21 following treatment with these other amphetamine
22 derivatives.

23 Now, it was mentioned earlier today that
24 there were no effects on axon transport. I presume
25 that Dr. Moore meant that that had not been seen with

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 fenfluramine. And we have not studied it with
2 fenfluramine, but since fenfluramine is so similar to
3 these other compounds and all the other phenomena are
4 essentially identical, we would expect that the same
5 thing would occur. And that is we have seen an almost
6 complete loss of retrograde axonal transport from the
7 cortex back to the dorsal RAPHE nucleus.

8 And, in contrast, the median RAPHE
9 nucleus, whose axons are spared, shows completely
10 normal retrograde transport. So the toxicity of these
11 amphetamine derivatives is focused on the dorsal RAPHE
12 nucleus. There's positive evidence of toxicity, such
13 as acute structural damage. You saw all those
14 pictures of fragmented, swollen axons.

15 And then, further, the regenerator process
16 itself I think is one of the strongest pieces of
17 evidence in the process. And that is these axons are
18 seen to grow in and gradually move and extend back
19 from frontal back to occipital cortex over a period of
20 months and months and months, eight months or so,
21 further evidence.

22 And then after we made this slide, we have
23 the additional rather strong evidence that serotonin
24 uptake carrier itself using an antibody to dye also
25 shows that the serotonin axons are swollen and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 ablated. It's not that serotonin itself as a marker
2 is gone.

3 So we think that, in fact, when you look
4 at the serotonin axons in the cortex several months,
5 two months, after treatment, that virtually all
6 properties of axon viability of the terminals are
7 gone.

8 There's no transmitter storage. The
9 serotonin isn't present. There's a loss of re-uptake
10 in the carrier sites as well as a loss of the carrier
11 protein. So the plasma membrane of the terminals
12 appears to be gone.

13 There's a loss of synthesis in the
14 serotonin in the terminals in the cerebral cortex. So
15 the synthetic enzyme of cytoplasmic protein is gone.
16 And, in fact, there's a loss of axon transport. So
17 the cytoskeletal elements must be gone. In fact, so
18 this latter point we've seen we've not observed.

19 We haven't looked at dexfenfluramine, but,
20 again, with MDA and PCA, it's a striking loss. And we
21 presume that the same thing would be true for
22 dexfenfluramine. It's a rather tedious long-term
23 study that needs to be done quite carefully on that.

24 So what we have here is a diagram showing
25 what we think is a similar effect. Here are RAPHE

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 neurons with the serotonin axons, heading up to cortex
2 with fenfluramine, MDA, PCA, and other amphetamines
3 that bind to the serotonin uptake carrier attacking
4 the axon terminals leading to release depletion and
5 terminal degeneration.

6 Degeneration is shown here as these
7 terminals shrink back and swell. And then there is
8 this they swell and shrink back here. Then there is
9 abundant regeneration.

10 And then we project based on this data
11 with MDA that there may be, despite the regeneration,
12 a delayed phase, year to year and a half later, of
13 accelerated aging and degeneration of serotonin axon
14 terminals that remains to be verified for
15 dexfenfluramine but is certainly most likely and
16 should be done by a group that has a particular
17 interest in this product. Well, we don't. And it's
18 a very labor-intensive study to proceed with.

19 Well, thank you very much.

20 CHAIRMAN BONE: Thank you very much. And,
21 Dr. Molliver, if you'd like to take your seat, I think
22 what we'll do is have questions and discussion by the
23 Committee.

24 And then I think there clearly would be
25 benefit for us to have some discussion between the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 neuroscientists representing the sponsor and those who
2 have been invited by the FDA regarding some of the
3 questions that undoubtedly will arise about
4 suitability of models and interpretation of some of
5 these results because obviously some of the comments
6 made earlier by the sponsor anticipated some of the
7 discussion. I think we'd like to at least clarify the
8 differences and see if there's any overlap there.

9 But now if panel members would like to ask
10 questions of either of the two speakers? Dr. New and
11 then Dr. Illingworth?

12 DR. NEW: Have any of the experiments been
13 conducted with doses that are similar to those
14 proposed in the human trials? That is, Professor
15 Molliver, you said five milligrams per kilo. And I
16 calculate that the human dose is somewhere in the
17 range of .3 to .4 milligrams.

18 DR. MOLLIVER: Right. We had used a much
19 higher dose. We were at that time not trying to
20 develop this drug for commercial use but looking at
21 the mechanism of toxicity until we picked a dose that
22 was quite toxic. And I'm quite sure that lower doses
23 have similar toxicity.

24 The toxicity appears to be dose-related.
25 Dr. Ricaurte, as Dr. Seiden pointed out, showed that

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the dose of one and a half milligrams per kilogram had
2 very similar toxic effects.

3 In addition, as Dr. Seiden also pointed
4 out, in the rat the ED₅₀ for an anorexic effect is the
5 same as the five-milligram per kilogram dose that
6 produced the toxicity.

7 DR. SEIDEN: If I could just add a few
8 words to that? I think when you're comparing between
9 species due to the differences in metabolism and drug,
10 the rate of excretion, the smaller the animal gets,
11 the more drug you give to achieve effect in rats and
12 mice, dose goes up.

13 The point that's crucial is the effective
14 dose and toxic dose are very close together. I would
15 suspect the same thing might be true in humans.

16 CHAIRMAN BONE: Dr. Illingworth was the
17 next person to have a question.

18 DR. ILLINGWORTH: I'm just thankful for my
19 colleague's comments. I think the data on MDA -- you
20 haven't studied fenfluramine or dexfenfluramine. So
21 to include that is irrelevant to this discussion on
22 fenfluramine in my opinion at the dose used.

23 The second question I think is the studies
24 in monkeys using 10 milligrams per kilogram per day,
25 by my calculations, the rat data is similar, 10

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 milligrams per kilogram per day. That's 20 to 30
2 times greater than the human dose. I again question
3 the relevance of this to the use in humans.

4 DR. SEIDEN: Well, I really --

5 DR. ILLINGWORTH: Steady the dose that's
6 being used in humans and show comparable data, and
7 you'll convince me that's there's neurotoxicity.

8 DR. SEIDEN: Well, look, you have to have
9 a benchmark, it seems to me, of an effective dose.
10 And you have to have a ratio between effective dose,
11 the toxic dose.

12 Very frankly, in some of the studies that
13 were included in the handout, they were using 60
14 milligrams of d-fenfluramine twice daily. Now, in my
15 view, that does get up into the range of what might be
16 a toxic dose even in a monkey.

17 DR. ILLINGWORTH: But the information that
18 we've heard this morning, the dose that's being asked
19 for is 15 milligrams twice a day.

20 DR. SEIDEN: Yes.

21 DR. ILLINGWORTH: So to consider higher
22 doses, which the company isn't asking for, is
23 irrelevant.

24 DR. SEIDEN: I don't think it is quite
25 irrelevant. I think the point is that people may

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 decide to use higher doses. You can't necessarily
2 control that.

3 And, secondly, in my view the smaller
4 doses that were presented in the handout weren't
5 efficacious at all.

6 CHAIRMAN BONE: Maybe we could just have
7 one comment here. It's typical in toxicology studies
8 to look for toxic effects at multiples of the
9 projected administered dose in order to provide some
10 margin of safety.

11 Perhaps Dr. Troendle or one of the other
12 people from the agency would comment on what a typical
13 safety margin you would look for in relationship
14 between dose and toxicology studies versus expected
15 clinical dosage.

16 DR. SOBEL: We have pharmacologists here,
17 but usually we try to push a dose in preclinical
18 toxicology to a dose where there is an effect. The
19 exact ratio of multiples becomes one of judgment and
20 risk-benefit.

21 Whether there's a threshold effect is
22 really the issue, in other words. If it's a
23 continuum, then in a large population, even a fairly
24 large ratio will manifest itself. If there is a
25 threshold effect, then we're out of the woods. But we

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 don't know that.

2 Then I think perhaps you would like to
3 comment on that. We have a person, Dr. Contrera, who
4 works with neurotoxicity.

5 DR. CONTRERA: Yes. I'll be speaking
6 later this afternoon for the agency in this regard.

7 We have been attempting to do a comparison
8 of systemic exposure and, even more important, in
9 other words, blood levels in experimental animals,
10 both rats and the squirrel monkey, -- those are the
11 two main species -- and also brain concentrations,
12 micromoles of drug in brain of rat, squirrel monkey,
13 and the human at clinical steady state levels. This
14 information has just become available due to the
15 application of magnetic resonance spectroscopy studies
16 that the sponsor graciously contributed to try to
17 address these issues.

18 And so from that basis, I think the
19 sponsor and the agency probably agree that the brain
20 concentration of drug -- and that drug includes the
21 dex and the nordexfenfluramine -- is the pivotal
22 factor in assessing the potential for neurotoxicity.

23 And right now the estimates for -- and
24 this is based on the most recent study that the
25 sponsor did, a 13-week dosing study in rats. We

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 estimate that for 8 milligrams per kilogram oral,
2 probably the brain margin of safety is only about 10
3 -- it may be slightly less than that -- for the lowest
4 toxic effect. For a nontoxic effect, it's probably
5 four.

6 CHAIRMAN BONE: Thank you.

7 Are there questions, further questions,
8 from the Committee members? Dr. Kreisberg?

9 DR. KREISBERG: I hope that the two
10 experts will be patient with me. I'm way in over my
11 head here. But two questions come up. One is: Do
12 you have any experience with other drugs that are
13 currently used that have similar properties, such as
14 peroxetine or fluoxetine? And do we know whether they
15 have similar effects?

16 And the second question is: If we use PET
17 scanning and demonstrate the achievement of a brain
18 steady state concentration of the drug, would you
19 predict in the face of degeneration of neurons that we
20 would not have a steady state, that, in fact, the
21 level would go up and then come back down as the nerve
22 endings degenerated?

23 DR. MOLLIVER: Let me start, and then I'll
24 let Dr. Seiden take over from there.

25 We have looked at interactions and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 comparisons with serotonin uptake inhibitors, such as
2 citalopram, peroxetine and fluoxetine. These drugs
3 are remarkable in that they bind to the same site on
4 the serotonin axon terminal, as does dexfenfluramine.

5 But they protect the serotonin axons
6 against toxicity. So that, for example, if you
7 co-administer any of these compounds with
8 dexfenfluramine, you completely abolish the toxicity.

9 In fact, we have actually gone so far as
10 to propose, not in writing, that fluoxetine might be
11 useful as an antidote for an overdose of
12 dexfenfluramine since it affords essentially complete
13 and extensive protection, even if it is given 24 to 36
14 hours after the fenfluramine, which we have done.

15 DR. SEIDEN: Our experience has been very
16 similar. We have pushed doses of the compounds that
17 solely block re-uptake that do not cause release, as
18 does fenfluramine, to very high levels and never have
19 seen any neurotoxicity from these compounds. And,
20 again, we think that it has something to do with the
21 fact that fenfluramine and MDMA-like compounds block
22 release but promote release as well as block
23 re-uptake.

24 And that's the difference. The circuline,
25 fluoxetine just block the transporter and don't

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 promote release. That's a crucial difference between
2 the drugs.

3 Now, your second question was?

4 DR. KREISBERG: Steady state levels in the
5 brain in the face of degenerating neurons. Would you
6 expect there to be achievement of a steady state and
7 maintenance of that steady state as the neurons
8 degenerated?

9 I mean, if, in fact, they have a specific
10 site of action in these neurons, then you would think
11 that there would not be any localization and,
12 therefore, no retention of the drug or is that not
13 fair?

14 DR. SEIDEN: Well, it's fair, but I just
15 don't know. My offhand opinion is that there are
16 relatively few serotonin neurons in the brain,
17 relative to the other types of neurons. Maybe one to
18 two percent of the neurons in the brain contain
19 serotonin.

20 I think when fenfluramine crosses the
21 blood brain barrier or when its metabolite crosses the
22 blood brain barrier, it's not looking for serotonin
23 neuron. It happens that it can act on a serotonin
24 neuron.

25 So my offhand guess, there wouldn't be any

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 significant changes in the concentration between the
2 drug or its metabolite, but I have no evidence one way
3 or the other about this.

4 DR. ILLINGWORTH: Thank you.

5 CHAIRMAN BONE: Are there other questions
6 from the Committee?

7 (No response.)

8 CHAIRMAN BONE: I think what would be
9 useful at this point is to have a very concise summary
10 from the company regarding their obvious difference of
11 opinion about some of these models. And then perhaps
12 there might be one or two very brief questions. And
13 Dr. Molliver perhaps would have a further discussion,
14 if he has one, to the discussion of the models. And
15 then we'll have a lunch break, which will probably do
16 everybody some good.

17 DR. COOPER: Thank you. Mindful of the
18 lateness of the hour, we will be brief, but we
19 appreciate the Chairman's giving us some time to
20 respond to a very complex set of data that you have
21 seen.

22 I think we don't want to respond to the
23 questions that were raised with respect to efficacy
24 that the first speaker raised because the data that
25 was presented to my mind bears very similarity, I

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 think, relationship to the efficacy database that was
2 presented to the Committee this morning. And we
3 certainly haven't used doses of 60 milligrams twice a
4 day of dexfenfluramine. I'm not sure where that's
5 come from.

6 But in commenting specifically on the
7 neurotoxicity issue, there really are three very brief
8 points I'd like to make and then allow the real
9 neuroscientists to make a few specific points.

10 First of all is the issue of clinical
11 exposure. This drug has been used by over 40 million
12 patients in the form of fenfluramine, the racemic
13 drug, and dexfenfluramine, the isomeric drug.

14 We have seen the power of post-marketing
15 surveillance to detect possibly very rare signals,
16 such as primary pulmonary hypertension. And there has
17 been absolutely no evidence in clinical trials or in
18 post-marketing surveillance of a neurological
19 syndrome, clusters of events, that seem to talk to an
20 issue of a neurotoxic potential of this highly used
21 and highly studied drug.

22 The second issue relates to the scientific
23 interpretation, scientific validity of some of the
24 data that was presented. That's not really for me to
25 comment on. I'll leave that to Dr. Moore and Dr.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Wurtman.

2 But I will say that it's going to be
3 almost impossible in a period of three or five minutes
4 or the half an hour that's been allotted for the
5 Committee I think to feel completely comfortable with
6 the nuances of neurochemistry and the special
7 techniques that are used.

8 Although we will make specific comments,
9 I think the third part is the most important. it's
10 the margin of exposure issue. And I think that, as
11 Dr. Contrera has alluded to, we have made great
12 efforts to try and define margins of exposure and show
13 that, in fact, there is a very large margin of
14 exposure if one accepts serotonin depletion to be a
15 marker of neurotoxicity.

16 If one doesn't accept that, -- and I think
17 some of the scientists do not accept that -- then the
18 margin of exposure is infinite because there is no
19 other objective evidence for neurotoxicity of this
20 drug.

21 So let me just ask Dr. Moore to make some
22 comments.

23 DR. MOORE: Thank you.

24 As my old friend Dr. Seiden said, I will
25 also try to disagree but not be disagreeable. He

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 pointed out there is a long history of changes in
2 serotonin neurons that have been related to the use of
3 fenfluramine.

4 Indeed there was an FDA hearing in 1978
5 that I participated in that went over some of the
6 early studies that reported changes in serotonin cell
7 bodies. And these were I think at that point clearly
8 demonstrated to be artifact and things that had
9 nothing to do with the drug.

10 I don't mean that to imply that any of
11 this is, but, rather, that this has a very long
12 history. Essentially it has been a series of
13 demonstrations of decreases in serotonin content.
14 Those are the major things that are found.

15 I want to point out to you that these
16 effects depend upon a lot of different things. They
17 depend very much upon the dose of drug that is used.
18 They depend very much upon the route of
19 administration. And they depend very much on the
20 schedule of administration. It is much more difficult
21 to obtain effects with oral dosing than it is with
22 parenteral dosing. If the dosing is given over time
23 and particularly if there is a buildup in dosing, then
24 it's very difficult to get effects.

25 Dr. Contrera pointed out a very important

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 observation that has come from the continued efforts
2 to try to understand the relationship between the drug
3 and changes in serotonin content. And the one thing
4 that is clear is that the concentration of the
5 dexfenfluramine and dexnorfenfluramine taken together
6 are a predictor of changes in brain serotonin content
7 independent of species. That is that the species does
8 not make a difference if you look at the concentration
9 of the drug and its metabolite.

10 And this allows you then to have some
11 predictive statements to be made. And we have tried
12 to do this with respect to the brain concentrations
13 and relate that to the human.

14 Let me now go over a couple of other
15 issues. Dr. Molliver made the point that fenfluramine
16 should be like the MDMA and PCA. That is not what has
17 been found in our study. As I pointed out to you in
18 my earlier presentation, PCA, MDMA, and 5-7
19 didroxy-tryptamine produce hydrophilium. They produce
20 glioses. And, as he said, they produce changes in
21 retrograde transport.

22 The very careful studies of Dr. Kalia that
23 are included in the NDA show quite substantially that
24 dexfenfluramine does not produce changes in retrograde
25 transport in doses up to 16 milligrams per kilogram.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 We are now in the process of repeating
2 those studies over a long term, but in the initial
3 part of the studies it's clear that the same is true,
4 that dexfenfluramine does not alter retrograde
5 transport, which indicates that the terminal plexus
6 must be there because axons cannot take up very
7 substantial amounts of a retrograde tracer. It must
8 be the axon terminals that take it up.

9 I would also emphasize for you the
10 long-term mouse study that I reported. Mice were
11 given 27 milligrams per kilogram per day of
12 dexfenfluramine for 2 years. In those animals, there
13 was no change in either serotonin content or in the
14 content of the transporter, as shown by the fluoxetine
15 finding. Thus, over a very long time in a mouse's
16 life, this drug has not produced any significant
17 changes in this system.

18 CHAIRMAN BONE: For about 30 seconds.

19 DR. WURTMAN: It can't be done. Look,
20 calling something neurotoxicity does not make itself.
21 All the changes that have been described are changes
22 in serotonin neurons themselves.

23 It is certainly true that if you give a
24 rat or a monkey a dose of the drug which will raise
25 brain levels to 10 times or more the dose of the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 levels you see in people, you will indeed deplete
2 serotonin. Therefore, you can't save the cell.

3 You will indeed suppress the synthesis of
4 macromolecules. And we have shown this in the cell
5 body. You stop making tryptophan hydroxylase. You
6 stop making the transporter. These are proteins that
7 are characteristic of the cell.

8 The reason that fluoxetine works, by the
9 way, is that the dexnorfenfluramine has to get into
10 the nerve terminal. And it gets in via the serotonin
11 transporter. So, of course, any drug that blocks the
12 transporter is going to block the entrance and,
13 therefore, the release of serotonin.

14 Last statement, I would point out three
15 laboratories have now shown that if you give rats high
16 enough doses of fluoxetine or fluvoximine or any of
17 the SRIS drugs, you also will deplete serotonin. But
18 you don't do it as much, and it won't last as long.
19 It will last a couple of weeks, not a couple of
20 months. And the reason, again, is that you don't also
21 release serotonin from the nerve terminal. And so you
22 don't have the mega activation of the presynaptic
23 receptors.

24 Last word, you can call something
25 neurotoxicity until you're blue in the face. But, in

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 fact, there's a community out there of
2 neurotoxicologists. And what they look at is glioses.
3 And they look at silver staining. And they look at
4 loss of cell bodies. They don't look at pharmacologic
5 changes in transmitter levels.

6 CHAIRMAN BONE: Thank you.

7 I think perhaps if Dr. Molliver at this
8 point might want to do -- would you want to comment on
9 some of these questions about validity and
10 interpretation of models? Because I think it
11 addresses particularly the material you presented.
12 And then there might be one or two further questions
13 or comments from the Committee before we have our
14 intermission.

15 DR. MOLLIVER: Sure. Be happy to briefly
16 comment on that.

17 The lack of data that was presented here
18 in the initial rebuttal showing evidence of clinical
19 syndromes resulting from loss of serotonin axons is
20 probably something that is uninterpretable since,
21 first of all, it's not clear exactly what serotonin
22 depletion does except for we know causing, leading to
23 clinical depression with in some cases suicides. And
24 I understand that there have been anecdotal reports of
25 patients, but I don't know of anything in the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 literature about depression.

2 Again, the problem in assessing clinical
3 syndromes is two things. First, the effects of
4 serotonin are extremely so and are related to mood,
5 vigilance, and alertness. And I don't know if anyone
6 has actually gone about attempting to characterize
7 changes in those factors. So, therefore, it's hard to
8 know what that would mean.

9 With regard to the comments by Dr. Moore
10 regarding the neurotoxicity studies, first, it is true
11 that in 1958 there was such a meeting here, at which
12 Dr. Harvey showed that there was a loss of serotonin
13 and serotonin axons. And he thought that there was a
14 change, not a loss, in some of the serotonin cell
15 bodies.

16 It turns out that there wasn't a loss,
17 but, in fact, he was probably right since we have now
18 seen not yet published data showing a change in mRNA
19 for the serotonin uptake carriers in the cell bodies.
20 And the change that he saw was a subtle change in the
21 cell bodies, which might very -- it was an increase in
22 the Nissl staining, which stains ribosomal RNA. And
23 it's probably that.

24 And that was probably a real response to
25 the loss of the axon terminals and not, as we now

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 know, degeneration of the cell bodies since they
2 don't, they clearly do not, degenerate.

3 Let's see. It's also been emphasized by
4 all of the speakers here that changes in serotonin
5 levels are the sign of neurotoxicity. Again, I would
6 contest that and would never rely upon the changes of
7 levels of any transmitter or, in fact, any single
8 parameter as a sign of neurotoxicity.

9 The important issue here is that all of
10 the parameters of viability of these axon terminals
11 are gone, not just one, all. So that, indeed, these
12 are somewhat ghastly. If they're alive, they're
13 rather ghastly terminals.

14 But they're probably not there since
15 there's no evidence using any markers at all that the
16 axon terminals remain present after treatment. It is
17 not just loss of serotonin, the loss of the enzyme,
18 the loss of the uptake carrier in 24 to 48 hours,
19 which is much too soon to result from an effect on the
20 cell body when, in fact, we have seen that the cell
21 body is then making an increased amount of mRNA for
22 the uptake carrier for several days following the
23 treatment.

24 CHAIRMAN BONE: Lew, would you like to,
25 just a word or two?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. SEIDEN: A short comment. My comment
2 on the efficacy was 60 milligrams come from Table 7.

3 CHAIRMAN BONE: Okay. Well, let's focus
4 on the specific questions of neurotoxicity. I think
5 --

6 DR. SEIDEN: I just wanted to note that I
7 didn't make that number up.

8 CHAIRMAN BONE: Fair enough. Thank you
9 for bringing that out.

10 DR. MOLLIVER: Another piece of data that
11 may be important is that the emphasis that was given
12 to the mouse data is of interest and that that seems
13 not to be neurotoxic.

14 There are two points about that. First,
15 it's been known for many years, for 15 years now, that
16 mice are extremely resistant to the effects of any of
17 the amphetamine derivatives and do not show toxicity
18 to MDA, MDMA, PCA, or fenfluramine. So there's
19 something completely different. And, therefore, I
20 think the mouse is not an acceptable animal model for
21 use in these studies.

22 The other results that Dr. Moore alluded
23 to was that someone had given 16 milligrams per
24 kilogram of fenfluramine over a long period of time
25 and found no changes in axon transport. It seems to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 me to be close to unbelievable or -- I shouldn't say
2 that -- most extraordinary.

3 If that's true, then the protocol and
4 regimen should be very carefully examined because that
5 laboratory has probably come up with a remarkable new
6 neuroprotective agent that was somehow added
7 inadvertently and unknowingly to this system since
8 it's so unequivocal in our minds that the toxicity is
9 there.

10 I do not doubt that there is a low dose at
11 which the toxicity would not be found. I think, as
12 rational people, we ought to look at this comfortably
13 and easy. As you accelerate the dose to these large
14 doses, I would not call them mega doses, but 5 or 10
15 times the dose that humans take as a reasonable
16 toxicological dose.

17 I think that any reasonable person would
18 agree with all of the data that at those doses, there
19 is toxicity. So the issue becomes then: At the lower
20 dose, is there not toxicity?

21 The evidence, presenting evidence, that
22 there's no toxicity at high doses I think is not very
23 meaningful.

24 CHAIRMAN BONE: Thank you very much.

25 Are there further questions that we need

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 to go into? Dr. Kreisberg?

2 DR. KREISBERG: Yes. I hate to prolong
3 this, and I know it's late, but I'm going to have to
4 vote and I want to be absolutely certain on this very
5 serious issue that I've got as much as I can possibly
6 get out of it.

7 What I heard Dr. Wurtman say is something
8 that I seem to understand very well. And that is as
9 an endocrinologist, if you increase the concentration
10 of something, you get a lot of negative feedback and
11 suppression or decreased expression of various enzymes
12 and proteins. And I actually find that coinciding
13 with some of the early structural data.

14 But what worries me is what appears to be
15 irreconcilable. And that is I would think that the
16 animals would recover because that's a functional
17 suppression of activity. And, yet, we still see
18 prolonged structural abnormalities. So the question
19 is: How can we reconcile that?

20 CHAIRMAN BONE: All right. I think we're
21 going to get into this probably further in the
22 discussion. If Dr. Wurtman would want to take 30
23 seconds or so and --

24 DR. BORHANI: I have a procedural
25 question.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN BONE: Okay.

2 DR. BORHANI: It's very important.

3 CHAIRMAN BONE: Well, wait a second.

4 DR. WURTMAN: The brain is a strange and
5 wondrous place. Some of the feedback loop has taken
6 an enormous amount of time. This is not an answer,
7 but just to throw out. Consider tardive dyskinesia,
8 which I guess we've all seen, to which you can
9 administer dopamine receptor blocking agents to a
10 patient for months and months and months. And the
11 suddenly wham. You start seeing changes.

12 Again, there is the example of depression.
13 The answer is I don't know, but I think that the kinds
14 of studies that we and others are now doing on the
15 time course for genetic expression will probably lead
16 to an answer.

17 DR. BORHANI: I have an important
18 question.

19 CHAIRMAN BONE: Yes, Dr. Borhani?

20 DR. BORHANI: Like Bob, I am concerned
21 because if you want to ask me to vote, I'm very
22 disturbed now, to put it mildly, because I received
23 volumes of documents. And I faithfully read and went
24 to the library and reviewed practically every
25 reference that every one of these documents had given

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 me. And I have not received any document on the
2 argument that was just presented by two distinguished
3 neuroscientists.

4 And my question is: Why? Why did we not
5 receive a written document that we would have studied
6 this issue, we could have checked the reference?

7 I would like to know the methodology. I
8 would like to know the exact studies that these
9 structural changes were observed. To me this is very
10 disturbing. And the amount of the time you're
11 allowing for this kind of a discussion is not going to
12 give me any answer.

13 And to put either of these two gentlemen
14 or the sponsors on the spot to come up with the answer
15 to me is at best unfair. And I want to know why we
16 did not receive these documents in advance.

17 CHAIRMAN BONE: Thank you for pointing out
18 that problem, Dr. Borhani. I think probably we won't
19 be able to answer that question during this
20 discussion. But it's a point worth noting.

21 I think we are going to spend quite a bit
22 more time on this issue during the discussion period
23 this afternoon. So probably it's a fair time to take
24 our lunch break. It's now 1:25. The scheduled
25 resumption time is 2:00 o'clock, and we're going to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 try to stick to that.

2 (Whereupon, a luncheon recess was taken
3 at 1:26 p.m.)
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (2:13 p.m.)

3 CHAIRMAN BONE: Dr. Reedy read the
4 conflict of interest statement at the beginning, which
5 covers the current and ongoing activities of the
6 members of the panel as well as the invited guest
7 speakers. A question arose concerning the fact that
8 we had information about prior activities of the
9 speakers on pulmonary hypertension, but a question
10 arose about those dealing with neurotoxicity.

11 And if I can just ask Drs. Molliver and
12 Seiden to make a quick statement to that, certainly
13 addressing that question. Dr. Molliver?

14 DR. MOLLIVER: I have screened a number of
15 drugs derived from a number of different drug
16 companies at various times in my career. I have never
17 received financial support from any drug company for
18 which we have screened drugs. In fact, that is
19 expressly prohibited by Johns Hopkins University.

20 CHAIRMAN BONE: Thank you.

21 And, Dr. Seiden?

22 DR. SEIDEN: I have never done any
23 neurotoxicity work for drug companies, but I have
24 screened antidepressant drugs on screening models that
25 I have in my lab for drug companies, for which they

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 paid the expenses for the rent and the labor to screen
2 them.

3 CHAIRMAN BONE: Are you aware of any of
4 those projects being involved in this indication?

5 DR. SEIDEN: Absolutely not.

6 CHAIRMAN BONE: We'll proceed now with the
7 FDA presentations. First will be the medical review,
8 which will be presented by Dr. Leo Lutwak of the
9 Division of Metabolism and Endocrine Drug Products.

10 DR. LUTWAK: Thank you, Dr. Bone.

11 This morning we heard very apt and apropos
12 discussions from public representatives and from
13 Interneuron's consultant group, Dr. Bray and Dr.
14 Lasagna and Dr. VanItallie and Dr. Manson, about the
15 severity of the problem that we are considering today,
16 the provision of drugs for weight loss. And we heard
17 a great deal about the severity of the problem of
18 weight loss, of weight gain and obesity in terms of
19 its impact on total health care, total costs of health
20 care, total morbidity, relationship to other diseases.

21 From the point of view of the FDA, we have
22 to consider the balance between the benefits of a drug
23 or an indication and the potential risks that the drug
24 may carry. Obviously if we're going to expect certain
25 benefits, we want to make sure that these are not at

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the cost of producing disease that might be worse than
2 the disease we're trying to control.

3 The drug under consideration today,
4 dexfenfluramine, which is part of a molecule of --
5 part of the compound that's been approved, last
6 approved anti-obesity drug in the United States,
7 fenfluramine, which was approved in June of 1973, I
8 believe. Dexfenfluramine is half of that capsule.
9 And this is the next one that we're considering.

10 I agree with the early speakers this
11 morning that I wish we had a larger armamentarium to
12 present to the public and to physicians for the
13 treatment of this very severe disorder.

14 Now, for dexfenfluramine, we have to
15 consider the potential benefits, which should include:
16 first of all, a significant weight loss; secondly, and
17 equally important, decreased co-morbidity, as we heard
18 of the serious co-morbidities that one sees with
19 obesity; and a long-term effect, which obviously we
20 can't demand as part of the validation procedure for
21 approval of a drug, prolongation of life. On the
22 other side of the coin, we want to evaluate today the
23 risks that taking this drug might produce to the
24 individuals who will be taking it.

25 We've had a discussion of the

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 neurotoxicity, hypertension that's been associated
2 with the use of other drugs that have been advocated
3 for appetite suppression. Stroke has been listed as
4 a potential risk factor; pulmonary hypertension, of
5 which we heard a good deal this morning; and possibly
6 unpredicted risks that may not have become apparent,
7 despite the fact that 40 million people -- and 40
8 million people, whether Frenchmen or not, can be wrong
9 sometimes, and Americans.

10 (Laughter.)

11 DR. LUTWAK: And we should add to these 40
12 million all the millions who have taken fenfluramine
13 as well over the years.

14 Now, you have in your kits the questions
15 that we're asking you to help us with. I'm going to
16 go over these four questions. I think we feel very
17 strongly that one cannot ask these questions too
18 frequently to keep your mind on what we're after, what
19 we want help with.

20 Question Number 1, is the evidence of
21 efficacy sufficient to warrant the approval of
22 dexfenfluramine for long-term; that is, in definite,
23 use, as has been proposed?

24 Remember, all of the drugs that we have
25 approved to date, including fenfluramine itself, have

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 been approved for short term, which has generally been
2 interpreted as three to four months' use. So today
3 we're asking whether the efficacy is sufficient to
4 warrant approval for indefinite use.

5 And we saw the results. Dr. Sandage
6 presented the efficacy data for dexfenfluramine. I'm
7 going to concentrate just on the one index study since
8 that was the only one, really, that looked at
9 long-term usage. The others were relatively short.

10 And we see that with dexfenfluramine the
11 weight change with the drug, the solid bars, exceeded
12 the weight change, the weight loss, since these lines
13 are going down, seen with placebo at each of the
14 points that were examined in the 48 weeks of study.
15 And then at eight weeks after the drug was
16 discontinued, there still was a difference, although
17 much less.

18 What I was interested in is that the
19 placebo loss remained about the same eight weeks
20 later, as it had been at the end of the study. But
21 the loss with the drug was somewhat less. People had
22 started gaining weight, which answered in part one of
23 the questions that the Advisory Committee asked
24 earlier today.

25 And if we look at the categorical

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 response, we see the same thing that Dr. Sandage
2 showed this morning -- and I'm emphasizing the 48-week
3 study particularly -- that with the drug, it was a
4 greater number of subjects who lost between 10 and 20
5 percent of initial body weight than did with placebo
6 alone. It's not much of a difference, but this was
7 statistically significant.

8 But in lower weight loss, zero to 10
9 percent, and weight gain, the placebo actually showed
10 greater numbers of subjects. This suggests that in
11 the 48 weeks a somewhat larger number of subjects
12 showed weight loss in response to drug.

13 If we look at the actual numbers, we begin
14 to question the clinical significance of this
15 statistically significant difference. Again just
16 looking at the 48-week data, on drug an average of
17 9.64 plus or minus the standard deviation of 7.71
18 kilos was lost compared to 6.91 plus or minus 8.0
19 kilograms with the placebo group. In other words, use
20 of the drug provided approximately three-kilogram
21 greater weight loss than the placebo alone.

22 And the reason I'm bringing this up is, as
23 I threatened the last time we met with this Committee,
24 coming back to you again and again with the same
25 issues and the same questions, and we raised that last

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 time.

2 What also is interesting is the dropout
3 rate at 48 weeks was about the same in both groups.
4 There was a slightly higher dropout rate in the
5 placebo group than in the drug group, but, at least
6 superficially, this suggested that the drug didn't
7 produce any greater causes for dropout.

8 Now, the important issue is that little
9 line on the bottom: effects on mortality and related
10 morbidity. Now, we heard a great deal about the
11 strong epidemiologic evidence associating increased
12 obesity with increases in cardiovascular disease,
13 coronary artery disease, Type II diabetes, possibly in
14 cancer.

15 There have been one or two rather
16 fascinating epidemiologic studies recently reported
17 indicating that voluntary weight loss; in other words,
18 weight loss not associated with disease, may provide
19 improvements in some of these conditions, particularly
20 non-insulin-dependent diabetes.

21 We heard quoted some studies that have not
22 been submitted to the NDA with dexfenfluramine
23 indicating improvement in glucose tolerance, blood
24 pressure. These are data that we haven't had an
25 opportunity to examine. We don't know how many of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 these were short-term, how many were long-term, how
2 many subjects were involved, whether these were
3 placebo-controlled.

4 As I say, we haven't had an opportunity to
5 examine them. But, if true, these are interesting and
6 attractive concepts to bear in mind in considering
7 this drug because the effect of a drug on these
8 diseases is not quite the same as an effect of weight
9 loss carried out by means of exercise and other
10 hygienic controls, such as diet.

11 The drug is always suspect until proven
12 otherwise of producing increase in co-morbidity. And
13 this has to be clearly shown that the drug does
14 contribute to the decrease in co-morbidity. And these
15 are data that we do not have at present for
16 dexfenfluramine.

17 Now, the second question that we're asking
18 you is probably more significant. Is the evidence of
19 safety sufficient to warrant approval for long-term
20 use, as proposed?

21 Now, for proof of efficacy, we demand very
22 strict criteria: placebo-controlled, double-blind
23 studies conducted under very careful conditions for
24 long periods of time.

25 Safety data generally are much softer. If

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 evidence of lack of safety, suspicion is often enough
2 to raise questions. And the reason for that, of
3 course, is the way safety data are collected.

4 Obvious deaths are easily measured. But
5 other data are generally reported in anecdotal form.
6 Other data except for those that are derived directly
7 from the controlled studies, the studies that are
8 submitted as part of the NDA, the other data are
9 obtained by spontaneous reporting. And these
10 generally are quite soft.

11 I have assembled here the data that I've
12 managed to pull out of the most recent submission,
13 about a month ago, from the sponsor, which contained
14 post-marketing safety data, the use of dexfenfluramine
15 from August 1984 through December 1994. Events are
16 classified by the reporters as either serious events
17 or non-serious events.

18 The obvious drawback to this type of data
19 from both the point of view of the sponsor and the
20 point of view of those trying to evaluate it, the
21 regulatory agency, is that we do not have a
22 denominator. The denominator is a very guessed-at
23 number.

24 Now, serious events, there are a total of
25 162 events reported that could conceivably be

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 considered related to CNS. These included things such
2 as stroke. They included events such as serious
3 enough sleep disturbances, suicide -- no. I'm sorry.
4 Suicides were kept out of it. No. That includes.
5 Suicides were included with overdose, memory losses,
6 other events that caused the patient to either be
7 hospitalized or drop out from the study.

8 The non-serious events, of which there
9 were many more, were generally not accompanied by any
10 anecdotal information or any hysterical information,
11 included: 227 sleep disturbances, which were
12 primarily problems with nightmares and daytime
13 somnolence; 115 reports of dependency, which was not
14 spelled out more, in greater detail; and 39 cases of
15 amnesia or short-term memory loss.

16 The most significant event that appeared
17 in the serious events was primary pulmonary
18 hypertension. And there were 101 reported reports
19 included in this post-marketing safety database that
20 was submitted to the agency this month.

21 I heard somebody make a statement that
22 there were only 30 cases known with dexfenfluramine,
23 but there were 101 in this particular report. Now, I
24 parallel that with 27 cases of non-serious events that
25 appeared to be related to the pulmonary system, such

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 as shortness of breath that was unexplained, and other
2 vague symptoms that at least raise suspicion of
3 possible early pulmonary hypertension.

4 There were 79 instances of serious
5 overdose or suicide that led to discontinuation of use
6 of medication and/or hospitalization, 61 GI events
7 that were sufficiently severe to require
8 discontinuation or hospitalization, and 377 that
9 included primarily diarrhea that were not considered
10 serious.

11 There were 50 cardiac events in this group
12 and 78 in the other group. And this ranged all the
13 way from peripheral edema to cardiac arrhythmias,
14 which is probably not to be unexpected in this type of
15 population.

16 There are 15 that were labeled as severe
17 withdrawal symptoms; 44 in this, in the non-serious
18 ones; 16 instances of hypertension, sufficiently
19 severe to require discontinuation; 41 that were not
20 that severe; 12 instances of musculoskeletal events,
21 such as muscle pain, myositis; and 34 that were not
22 considered severe; and 32 instances of syncope, which
23 was somewhat alarming. Now, remember, though, these
24 are anecdotal spontaneous reports.

25 Primary pulmonary hypertension, which

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 we've heard a good deal about this morning, is
2 deserving of a little bit more emphasis. In 40 years
3 of medical practice, I've seen only one case. And it
4 was frightening. It is a bad disease. And when I
5 came across approximately 100 cases of primary
6 pulmonary hypertension in association with the drug,
7 this was frightening.

8 These 100 cases were primarily women, as
9 Dr. Abenham pointed out and Dr. Rich. There were 14
10 deaths reported in the case reports that we received,
11 6 lung transplants.

12 The average age was 49, with a range of 18
13 to 78, very similar to the cases that were reported in
14 the case-controlled study of Dr. Abenham's. BMI in
15 this group was generally higher than the average of
16 the patients that were reported in some of the other
17 studies, about 31 plus or minus 5.8, with a range of
18 about 19 to 44.

19 And this was a skewed group. In this
20 population of 100 that was randomly assembled through
21 spontaneous reports, we see demographic data very
22 similar to those in the better controlled,
23 case-controlled, study of Dr. Abenham that there is
24 an association with excess body weight, with obesity.

25 And what is particularly interesting is

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that 18 percent, about one-fifth, had BMIs that
2 generally would be considered not warranting treatment
3 with potent drugs. And about 20 percent had very high
4 BMIs of 35, the population that Dr. Bray treats, the
5 population that I would treat, population we
6 considered at high risk.

7 Also of interest are some other
8 parallelisms with the findings in the case-controlled
9 study in this random group of 100 spontaneous reports.
10 Twenty-seven percent used other anorexiate. This
11 suggests to me that this population who develops
12 primary pulmonary hypertension are those that are
13 reaching out for help and are willing to take as many
14 drugs and whatever drug is available on the market.
15 Twenty-seven percent of them were taking other drugs.

16 These other drugs included fenfluramine;
17 -- a surprising number of subjects with pulmonary
18 hypertension were taking both fenfluramine and
19 dexfenfluramine, simply because the two were available
20 at the same time -- diethyl-propion, which is known in
21 Europe as amfepramone; phentermine; and other
22 amphetamine derivatives, many of which are not
23 available in this country.

24 Approximately 30 percent of the patients
25 had known hypertension and were on various

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 anti-hypertensives, which answers your question of
2 this morning, disassociation. About 18 percent were
3 taking some psychomometric drugs, antidepressant
4 agents, antianxiety agents, frank antipsychotic
5 agents.

6 Only six percent of these were on
7 hypoglycemics. Only six percent of those that
8 developed primary pulmonary hypertension were taking
9 drugs for Type II diabetes, suggesting that they were
10 really a population that was not at high risk to begin
11 with for Type II diabetes. And only five percent were
12 on drugs for dyslipaemias.

13 Coming back to the point that
14 dexfenfluramine is the dextro inantimere of
15 fenfluramine, which has been available in this country
16 for approximately 20 years under the name of Pondimin,
17 we have very few reports of primary pulmonary
18 hypertension that have come to the agency in patients
19 taking Pondimin alone. And, as I said, we have 100
20 cases with dexfenfluramine.

21 The fenfluramine population, with an n of
22 7, really cannot be compared statistically to the
23 population with dexfenfluramine. But this does raise
24 another interesting question.

25 Is there something about the L-inantimere

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that Servier has eliminated from dexfenfluramine that
2 might be protective against other side effects?
3 Because we do not have any data on L-fenfluramine or
4 any studies comparing the dL-fenfluramine with the
5 d-fenfluramine, which leads us to the third question.

6 Would a large simple at least two-year
7 randomized trial be required to provide us with
8 additional information on weight, mortality, serious
9 morbidity, such as heart disease, diabetes, and
10 stroke? And if the Committee feels that such a study
11 would be of value, should this trial be a commitment
12 in Phase IV or should this be a requirement for
13 initial approval?

14 And, the last question, are there any
15 other issues or are there any specific issues that are
16 coming up today in our discussions that would make the
17 Committee think there should be specific comments in
18 the labeling as protection, as a safety factor, or for
19 other factor?

20 I think we'd like to at this point also
21 get a little bit out of the order of the schedules you
22 have there. Dr. Nevis has some evaluations of the
23 data that led to the efficacy statements from the
24 point of view of the agency. He has some additional
25 evaluation of this that may be of some help in

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 considering the data presented.

2 CHAIRMAN BONE: Are there any questions?

3 (No response.)

4 CHAIRMAN BONE: Dr. Lutwak has suggested
5 that the questions for the agency presentation be
6 pooled if the Committee is agreeable.

7 DR. NEVIS: Thank you.

8 The sponsor did a good job presenting the
9 primary results this morning. I don't want to repeat
10 anything, but I do have a couple of overheads,
11 slightly different ways of looking at the data.

12 The Advisory Committee originally in
13 talking about guidelines had suggested that a five
14 percent difference in placebo was a clinically
15 meaningful change. Dr. Taneja at the last meeting in
16 July suggested several alternative ways of looking at
17 the data: responder analyses and categorical
18 analyses. and those were presented this morning.

19 The Committee may still want to see how
20 the data looks in terms of differences in percent
21 change from baseline. I don't believe this has been
22 presented yet today.

23 The Committee has copies of this in the
24 handout that was given to them early this morning. So
25 you can follow along. This is the INDEX study. At

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the top of the table is completers, people who
2 completed the whole 48 weeks of treatment.

3 And at the bottom is the LOCF, carrying
4 forward the last data available, to include every
5 patient. And the numbers that would be pertinent for
6 the Committee would be the differences between drug
7 and placebo. And we see this range between 3.11 up to
8 the median of the completers is 5.43 percent.

9 The Noble study, I have presented similar
10 results here. Again, the differences between drug and
11 placebo at the end of the study -- this is a 24-week
12 study -- ranged from 3.20 to 5.44 depending on whether
13 you're using people who have completed or carrying
14 forward the valleys.

15 And finally the similar results for study
16 IP92003. This is only a 12-week study. And the
17 differences were as marked on the transparency, which
18 the Committee has a copy of.

19 One other thing that came up this morning
20 was the idea of carrying forward last valleys. Now,
21 you know, Dr. Taneja at our meeting in July mentioned
22 some of the pitfalls of analyzing clinical trials
23 where you have a lot of dropouts. and he suggested
24 obtaining data from dropouts as protocols specified
25 into the study. Of course, these studies were done

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 before that advice was available.

2 So we have to make do with what we have.
3 Now, carrying forward last valleys, which is a
4 question this morning, is one way of having some data
5 to analyze for every patient under the general idea of
6 an intent to treat analysis, but it does rest on some
7 assumptions which might not always hold. So we always
8 try to look at various methods of handling dropouts,
9 including the LOCF and looking at completers, and look
10 for consistency.

11 Now, one thing that was, one graph that
12 was shown this morning, the Committee has a copy of
13 this. This has a little more on it than the one shown
14 by the sponsor this morning. But this does give some
15 way of seeing what happened to every patient that was
16 randomized in the trial.

17 Theoretically every patient should be in
18 one of these cohorts that are graphed over time. For
19 example, these two lines here show what happened over
20 time to the patients who completed the study. Those
21 two orange lines graph over time the placebo and the
22 drug patients who were in the study until month 10 but
23 then were not available after that.

24 And, similarly, going back to each point
25 you can for drug and placebo track over time every

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 patient, every group of patients, and see how those
2 groups are doing over time.

3 Now, one thing that we can see from this
4 particular -- also, by the way, in the legend you can
5 see actually how many patients there were in each one
6 of these cohorts. So this is the idea of intent to
7 treat. You can actually see what happened to all the
8 patients over time depending on what their dropout
9 status was.

10 Now, one thing that this tells us about
11 consistency is that you have the similar magnitude of
12 drug-placebo differences for each of the various
13 cohorts as well as a relative balance in how many
14 people are in each one of these cohorts doing drug and
15 placebo. So this gives us some assurance that a
16 consistent magnitude of drug effect is seen over all
17 the patients.

18 One other type of analysis was suggested
19 in July by Dr. Taneja and alluded to by the sponsor
20 this morning. Without getting into the details, -- I
21 think it's getting late in the day -- I'll just
22 mention that the longitudinal data analyses which we
23 did perform showed that completers and incompleters
24 had different time trends in each treatment group.

25 So we had to analyze completers and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 incompleters separately. And in each case, however,
2 statistically significant differences were seen in
3 time trends favoring drug over placebo with similar
4 differences between drug and placebo as seen in the
5 various other analyses that you've been shown. I
6 could show more details if anyone is interested, but
7 I'll stop here for now.

8 One other question this morning had to do
9 with what happened to patients after they were taken
10 off drug. I did want to mention that the Committee
11 does have some graphs which speak to this.

12 If you look in Tab 4 of your FDA mailing
13 of the statistical review, it's the second part of Tab
14 4. There's a yellow piece of paper in between the two
15 parts of Tab 4. If you look on Pages 17 and 27,
16 you'll find graphs for the two studies which did
17 follow patients after the drug was discontinued.
18 Maybe the sponsor has a slide or can speak to that
19 more, but you do have some information available on
20 those two pages, 17 and 27.

21 Thank you.

22 CHAIRMAN BONE: Thank you, Dr. Nevis.

23 Did Dr. Lutwak have anything further? No.
24 The next speaker, then, will be Dr. Contrera, who will
25 talk about the review of the neurotoxicology, which

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 seems to be a subject of some interest today.

2 DR. CONTRERA: Well, I have the decided
3 privilege of reviewing 20 years of a very
4 controversial area for the agency. And my review was
5 submitted to the division and should be in your
6 packages.

7 Most of the information that was presented
8 by Dr. Molliver and Dr. Seiden, a good deal of it
9 anyway, that was published is in the agency reviews.

10 First thing is the legal definition anyway
11 of neurotoxicity. That's any adverse effect on the
12 structure and function of the central peripheral
13 nervous system related to a chemical exposure. And,
14 of course, the big adverse is a tough one for
15 pharmaceuticals, obviously. What is adverse? And
16 what is beneficial? And how do you define those
17 things?

18 We try to make a distinction between the
19 pharmacological effects of the drug that we're all
20 aware of. It's the neurochemical changes after
21 treatment, many of which are associated with efficacy,
22 and other effect. Every drug has a beneficial and a
23 not so beneficial effect.

24 So the neurotoxic factors I think are
25 really the duration of completion of 5-HT if we use

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 5-HT as a biomarker for neurotoxicity. And, as Dr.
2 Molliver stated, it should not be used certainly as
3 the only biomarker. It's the most convenient and
4 effective, at least for preliminary screen for this
5 kind of an effect.

6 And then more importantly are operations
7 in axonal morphology, degree and quality of recovery
8 of serotonergic neurons. And these, again,
9 coincidentally were things that were already mentioned
10 this morning. I want to state that Dr. Molliver and
11 I have not discussed either one of our talks today.

12 The evidence as we see it, then, goes to
13 these factors: the long-lasting, -- and you've heard
14 about this already, and we're talking about weeks and
15 months as the parameter for long-lasting -- depletion
16 of 5-HT, and reduction of 5-HIAA, which is the
17 metabolite of 5-HT, after very short exposures in
18 animals.

19 We're not talking about chronic exposures
20 here. We're talking about four-day paradigms. And,
21 in fact, there is quite a bit of evidence of one-day
22 exposure doing similar things in animals.

23 There is -- I won't dwell on this, but at
24 roughly more than five kilograms per kilogram for four
25 or five days in just about every species tested. So

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I don't think there's any issue there.

2 Then the loss of the fine axonal
3 serotonergic fibers and the appearance of these
4 abnormal swollen, fragmented, or beaded axons that
5 were alluded to by Dr. Molliver and then the
6 long-lasting loss of axonal 5-HT re-uptake sites that
7 could be linked, could be interpreted as loss of
8 serotonergic terminal axonal degeneration. And these,
9 already stated, are similar to what you get with other
10 blatantly neurotoxic means.

11 The other issue, the other factors are one
12 that concerned us the most was of the evidence in a
13 squirrel monkey of a very long -- I mean, this is
14 going now past a year -- lack of recovery in 5-HT
15 levels after 10 milligrams per kilogram per day for 4
16 days and also a very high-dose study in rats. But it
17 did show that after 31 weeks there was still a lack of
18 recovery.

19 The other one point that was mentioned
20 today was that two-year studies, you don't get any
21 depletion with chronic exposure for two years as part
22 of the standard carcinogenicity studies that are
23 required for all chronically used drugs.

24 In other words, drugs are exposed to both
25 rats and mice for two years as part of a

1 carcinogenicity study. It is not a neurotox study.
2 But you do look at several sections of the brain and
3 as part of a very standard simple histopathological
4 assessment with HNE stains as part of this protocol.

5 And it's true that -- and the company and
6 Servier monitored the drug levels in the rats and the
7 mice during the two-year study and also for the mouse
8 only looked at depletion. Depletion wasn't evaluated
9 in the rat study, unfortunately.

10 And there was an absence of 5-HT
11 depletion. When you look, though, at the
12 concentrations that were attained in the mouse in this
13 study, you realize that the low dose, the plasma
14 levels of dexfenfluramine and nordexfenfluramine in
15 the low dose are below the clinical plasma
16 concentration. And the mid dose is at the human
17 clinical plasma concentration. And only the highest
18 dose is roughly 10 times the human plasma
19 concentration.

20 So even though at first glance this study
21 implies that the mice were dosed with high doses of
22 dexfenfluramine, 27 milligrams per kilogram per day,
23 in the feed -- and this is we don't have many feed
24 studies with dexfenfluramine.

25 The systemic exposure of drug substance is

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 a lot less than you would have guessed. So that this
2 is something that you have to factor into your
3 interpretations of the lack of depletion.

4 One possibility, they weren't dosed
5 enough. The second possibility, there was recovery.
6 And the only factor, though, in looking at these
7 studies more carefully, we saw that we did get an
8 unusual finding in the mouse and the rat studies.

9 And that is of statistically significant
10 brain calcification in all the male mice, low, mid,
11 and high dose, and also in the rat study, including
12 those doses that were well below the human clinical
13 exposure. This was surprising because, as I said,
14 this is across in a genicity study.

15 There are only four or five sections that
16 are generally taking of the brain during these
17 studies. And only HNE staining is used. So it's not
18 a detailed analysis of a brain histopathology by any
19 stretch of the imagination.

20 So with the low power of this study, for
21 this kind of an effect to be identified makes one
22 thing that if we did step sectioning of these animals,
23 this would be an underestimate of the calcification
24 effect. The calcification effects that were these, if
25 we looked in the mouse studies, again the 3, 9, and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the 27.

2 Now, the three is about a quarter to a
3 half of the human plasma level. Nine is about at the
4 human plasma level. And this is about 10 times.

5 Females we didn't see it. It was just in
6 the male. And in rats it was a different pattern. So
7 we don't know what to make of those.

8 We went back and looked at amphetamine and
9 looked at ephedrine. Both of these have
10 carcinogenicity studies in the same strains of animals
11 that were done by the NTP of NIHS NTP studies. And
12 none of these showed calcification findings for these
13 amphetamines.

14 So the only thing we could say about this
15 is that calcification is associated with aging,
16 especially in mice. And it sort of rings a bell with
17 what Dr. Molliver said, that perhaps this is a
18 manifestation of enhanced aging, CNS aging, a
19 drug-related CNS aging effect that we're seeing. The
20 other thing is that these calcifications could be
21 micro emboli, micro infarcts. But at this point we
22 cannot tell from this study.

23 So, moving on, I think in terms of
24 relative margin of safety, clinical margin of safety,
25 I think the sponsor has stated and I think we agree

1 that the concentration of drug and drug metabolite,
2 dexfenfluramine and nordex, in the brain is a
3 determining factor for neurotoxicity in all species.

4 And this is just a threshold brain
5 concentration of dexfenfluramine and
6 nordexfenfluramine where neurotoxicity may exist.
7 This is my hypothesis, has yet to be really looked at
8 more carefully, but it's possible based on what we
9 know.

10 The brain concentration of dexfenfluramine
11 plus nordexfenfluramine in rats at the highest dose
12 not associated with long-term depletion. And that's
13 the four milligrams per kilogram per day in the most
14 recent, the rat study that the sponsor has applied, is
15 only about four times the human brain concentration at
16 the maximum recommended daily clinical dose that we
17 now have because of the MRS study.

18 The brain concentration for
19 dexfenfluramine and nordexfenfluramine at the lowest
20 dose in rats associated with long-term depletion --
21 and, again, this is from the doses used in the
22 sponsor's most recent study, which were 4, 8, and 16
23 -- using 8 and 16 as roughly the effect dose give us
24 approximately 10 to 15 times. That's for the toxic
25 dose, the lowest toxic dose estimate.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Some estimates of AUCs based on lots of
2 data from different sources is difficult to estimate,
3 but these are rough estimates. Plasma AUCs for the
4 rat at neurotoxic doses would range from one to four
5 times the human steady state AUC levels roughly by our
6 estimate.

7 Now, Dr. Moore went over the reassuring
8 qualities in terms of the standard paradigm for
9 assessing neurotoxicity that are used. And these are
10 the GFAP in gliosis, the lack of gliosis in GFAP, cell
11 death as measured by silver staining, axonal
12 degeneration by retrograde transport study.

13 Well, there is a controversy about whether
14 GFAP in gliosis is really intimately associated with
15 neurotoxic agents in the literature, that the
16 serotonergic nervous system may not be the best place
17 to get gliosis in GFAP. Even though there are some
18 studies that show that they can measure it, there are
19 others that show that they can't. And so this is a
20 controversial area.

21 In terms of silver staining, it's a good
22 classical method, but it's not very sensitive,
23 especially for the fine fibers and the fact that the
24 fine fibers are associated with the axonal
25 degeneration that we're talking about here and the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 very small population of serotonergic nerves in the
2 brain to begin with.

3 And also timing is everything, you know,
4 just like in the stock market. When these studies
5 were done, when the animals were sampled are critical.
6 If, as Dr. Molliver states, it takes six-eight months
7 to get degeneration and the silver staining is done at
8 two weeks, you don't expect it to be positive. But at
9 the time the silver staining studies were done, no one
10 knew that. So it's no one's fault. It's just that we
11 learn more in this area as we move along.

12 And it looks like the issues never end.
13 They never end because every experiment leads to other
14 experiments. And in this area the knowledge is just
15 exploding. So you wind up going back again and again.
16 But the fact remains that that may not be a conclusive
17 piece of evidence right now. These are all equivocal
18 kinds of findings.

19 The retrograde studies are being redone by
20 the sponsor. And right now we would characterize them
21 as equivocal. And then, of course, the lack of
22 depletion in the two-year mouse I already dealt with.

23 Trying to sum up here, then, the questions
24 that remain to be answered or that are pivotal --
25 maybe they have been answered in some people's minds,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 but these are pivotal questions from the animal
2 neurotoxic point of view. And that is the
3 reversibility and slow retrograde neuronal
4 degeneration.

5 These are the phenomena we're most
6 concerned about. and if long-term depletion of 5-HT
7 is a result of axonal degeneration, can this lead to
8 an eventual irreversible degeneration? In other
9 words, there may be an incomplete regrowth of these
10 axons.

11 Again -- and we have referred to the
12 observation these effects really last a long time. I
13 don't know of any drugs in which one dose gives you an
14 effect or four doses give you an effect a year or two
15 from now.

16 If this was associated with weight loss,
17 this would be something. That would be good. But
18 it's not, unfortunately. Then we would have a
19 one-treatment effect for appetite suppression. And I
20 think the benefit-risk would be totally different.

21 There is a one-year study, as I said,
22 going on right now to address these issues, exact time
23 course, dose-response. The sponsor gave a little of
24 the preliminary data on the six-month. We have to go
25 out to a year.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 We have to look at the retrograde
2 transport and all the other biomarkers, in addition to
3 the depletion parameter, and finally the quality of
4 recovery. And, again, Drs. Molliver and Seiden
5 alluded to this.

6 If the 5-HT content goes back to normal,
7 does this really mean that this is recovery? Is the
8 normal axonal morphology an innervation reestablished
9 or are these mainly the beaded fibers which some
10 people say are non-junctional? In other words, these
11 are not synaptic fibers. So now you have lots of
12 serotonin in fibers that don't make connections with
13 anything.

14 And then, finally, -- and this is a
15 concern I have -- more widespread use of this,
16 fenfluramine, with other appetite suppression means.
17 Potential adverse consequences of a combination of
18 dexfenfluramine with other marketed
19 appetite-suppressing drugs, which are likely to be
20 used to enhance appetite suppression, are unknown.

21 And such combinations may potentiate
22 neurotoxicity and reduce the margin of safety of
23 dexfenfluramine because if we have a 10 or a 15 margin
24 of safety and a concomitant drug shifts the
25 dose-response curve to the left, then you have a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 different story with a clinical margin of safety.
2 And, in fact, if I'm not mistaken, Dr. Seiden has
3 studies underway that show that very potentiation of
4 phentermine with fenfluramine 5-HT depletion.

5 So that is the end of my remarks in this
6 area, and I'll entertain questions.

7 CHAIRMAN BONE: Shall we do that after the
8 completion of the FDA comments altogether or should we
9 proceed with that now? We had decided we would go.
10 I think we're going to take your questions after we
11 get the rest of the FDA --

12 DR. CONTRERA: Very good.

13 CHAIRMAN BONE: -- because there is some
14 overlap between the substance there.

15 The next speaker scheduled is Dr. Stadel
16 from the Division of Metabolism and Endocrine Drug
17 Products, who will discuss epidemiology and
18 considerations or aspects of a Phase IV study.

19 DR. STADEL: I only have one transparency.
20 So I'll speak primarily initially. I think it's
21 worthwhile maybe to touch on a couple of key issues
22 that are involved in looking at the epidemiologic
23 data. That is, we do use stronger criteria for
24 evaluating drug benefits than risks, but we do use
25 criteria for evaluating risks.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I think it's important to mention Dr.
2 Lutwak showed you the list of spontaneous reported
3 events that have come in. And it's very important for
4 us to go through those kinds of things, to screen them
5 to look for things that look like they ought to be
6 pursued further.

7 However, those lists themselves may well
8 represent simply the background occurrence of events
9 in the population treated. And I think he meant to
10 convey that, and I just wanted to emphasize as we look
11 through, screen through reported associations, adverse
12 events during use, we've got to look for what merits
13 further investigation, how should it be investigated,
14 and so on.

15 There's been fairly extensive use of
16 dexfenfluramine in France primarily. And the one
17 thing that has come out clearly that needed to be
18 investigated was primary pulmonary hypertension.

19 In my opinion the risk data there haven't
20 met criteria for causality that are appropriate to a
21 safety issue. I sort of don't want to debate that.
22 That's my opinion.

23 I think the findings of specificity with
24 regard to recency of use and duration of use and
25 apparent synergy, some synergy with the independent

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 effect of obesity fit together in a way that outweighs
2 the likelihood that the findings are simply a function
3 of one of the various forms of bias that you can
4 speculate as a possible explanation.

5 That's on the one hand. On the other
6 hand, I think it's important to recognize that the
7 absolute risk of this is quite small. Now, I've
8 obtained some data from Dr. Abenhaim and have looked
9 at: If you consider the data themselves, what is the
10 absolute annual incidence of primary pulmonary
11 hypertension that you would attribute to use of
12 dexfenfluramine for longer than three months within
13 the past year?

14 And during that computation, now, that
15 there takes all durations that are longer than three
16 months lumped together. And it averages effects in
17 heavier and lighter women. But it's a starting place.
18 And I came out with one in 45,000.

19 Now, that's a point estimate. There's no
20 way I can compute a confidence interval around that.
21 But it gives you an illustration that we are talking
22 about something that does appear to be in my opinion
23 definitely precipitated by the drug in certain
24 individuals. But the absolute risk in the experience
25 in those countries thus far has been rare.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Now, there does appear to be some synergy
2 with the effects of obesity. So that overall average
3 might represent one in 20,000 at the heavier end of
4 the phrase and a lower figure at the smaller end.

5 The more difficult topic I think is the
6 degree to which that may increase with increasing
7 duration or use. Whether it does or not is a
8 suggestion. I don't think there's enough data to
9 evaluate that in depth. And I think that's an issue
10 for long-term consideration.

11 I'd like to ask if Dr. Abenhaim agrees
12 with the figures I'm saying since I'm talking about
13 his.

14 DR. ABENHAIM: Yes, I completely agree.
15 Yes.

16 DR. STADEL: Thank you very much.

17 So I think that I would say that on the
18 risk side. This is the one thing that has come up.
19 The neurotoxicity questions, I listened with great
20 interest to material I don't know a great deal about.
21 All I can say epidemiologically is that based on the
22 international experience with the drug thus far, which
23 has been reasonably extensive, that issues have not
24 been brought up for investigation the way that
25 pulmonary hypertension has.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 As epidemiologists, that's the way we
2 function. Leads lead to case series, and case series
3 lead to debates. And those lead to studies and so on.

4 This has happened for pulmonary
5 hypertension. There just haven't been issued raised
6 about neurological abnormalities or behavioral
7 abnormalities that have appeared to warrant this kind
8 of inquiry. And that's my comment on that.

9 With regard to benefits, I would like to
10 just make the comment that I think that the American
11 Cancer Society study, which was the only one that I
12 know of that looks specifically at intentional weight
13 loss and separates it, does provide some substantial
14 reason to believe that intentional weight loss will
15 bring with it a reduction in mortality and morbidity.

16 And that kind of reduction if this is
17 causal between how the weight loss is accomplished
18 would greatly outweigh the risk of primary pulmonary
19 hypertension. They were talking 20 percent net
20 reduction in total mortality for the group with
21 obesity-related health conditions.

22 So, clearly, then the question comes,
23 though: Okay. There is evidence showing that weight
24 loss is being caused by this drug in a responding
25 group of people. There is no direct evidence,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 however, that that itself will lead to weight
2 loss-associated reductions in mortality and morbidity.

3 That's plausible, but it's not proven.
4 And there is a difference. Just as there is a
5 difference in speculating about bias and emphasis,
6 likewise on this side, I would emphasize in your
7 deliberations that that has not been established. It
8 seems plausible.

9 I would like to make one other comment
10 before talking about a possible Phase IV study. And
11 that is simply to note that fenfluramine is available
12 in the United States and that it has grown from about
13 60,000 prescriptions in 1992 to a projected estimate
14 of one million this year. So we need to understand
15 that this drug, at least the resuming form of it, is
16 already being used in a geometrically growing rate.

17 That usage in 1994 was 89 percent by
18 women, spread fairly evenly across the age range of 20
19 to 59. So I think that as a sort of context for
20 understanding what you're evaluating, the drug is
21 available. It is being increasingly used. And it is
22 predominantly used by women in the United States, as
23 is clearly the case from the control series and other
24 data in France and Belgium, that this data is a drug
25 almost exclusively used by women thus far.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 So, with that as background, I would like
2 to just comment briefly on if you decide to recommend
3 approval of the drug, one of the questions you have
4 been asked is: What are the possibilities by way of
5 a Phase IV study?

6 A somewhat related issue came up in the
7 sense of: What are possible needs for a Phase IV
8 study with the approval of Metformin for Type II
9 diabetes?

10 And at the advisory committee discussion
11 there, it was recommended that a Phase IV trial be
12 considered. We have since been actively developing
13 and are in the process of employing that trial.

14 The thoughts here are based upon the
15 general considerations that gave rise to that trial.
16 And that is the concept that what you get in small
17 studies that you can do before approval and what you
18 get in the real world of medical practice are not
19 always identical.

20 So that a compromise between the extreme
21 precision of the double-blind, fully controlled
22 smaller study, placebo control, versus the
23 desirability of getting data that are referable to a
24 larger population -- I'm talking a little bit about
25 the bridge between those two.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 The way this bridge has been evolving in
2 the thinking of a lot of people is that if you use
3 fairly tangible outcome variables, like death, that
4 you don't have to have double-blinding in these kind
5 of phase studies and that when your primary concern is
6 with an outcome that is not misinterpretable, that
7 your need for that is less, and that what you get from
8 open label, active control, randomization is that you
9 can do it in the context of medical practice. It is
10 much easier to deploy.

11 The care itself of different approved care
12 rests within the medical care system and the payment
13 structure that supports it. So it's much easier to do
14 these studies. One can I think get quite a lot out of
15 them.

16 So the concept would be one of a very
17 large simple trial, large numbers of physicians chosen
18 to be reasonably representative of physicians who
19 treat this type of patient, so that you get data that
20 tell you what's actually happening in the country for
21 regulating from a federal level, that you randomize,
22 that you get a large number of these physicians, that
23 you get patients numbered in the levels that make
24 randomization sure to control confounding so that you
25 do not have to debate the distribution of baseline

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 characteristics of the n.

2 It's pretty well demonstrable
3 statistically that in studies that have hundreds of
4 people in the groups you can run into problems with
5 imbalances. When you add tenfold increase to that and
6 have thousands in the groups, it's a simple matter of
7 averages. Baselines work out. So one sacrifices some
8 complexity of study for size.

9 Open label randomization. In this case I
10 just put this up as illustrating what would have to be
11 a negotiated protocol. I want to emphasize the first
12 part of this, very, very simple design. Either you
13 add this to how you manage the patients or you don't.
14 And you randomly do that. And you say, "What happens
15 if the physician goes down this pathway of adding this
16 to management of the patient or does not?"

17 Now, in this case there would have to be
18 some restrictions on that. You couldn't have
19 fenfluramine mixed in with it, for example. It's not
20 quite so simple as this, but the basic principle is a
21 very simple bifurcation: What happens if we go down
22 this road versus that one?

23 I think given the length of time in the
24 approval studies that one would want something on the
25 order of two years or more. One gets again into

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 what's negotiable and what's feasible and so on, but
2 I think one would want longer than has been done.

3 The outcomes need to be very simple in
4 large trials of this type. Obviously we could get
5 compliance with the recommended regimen, which is
6 important in terms of assessing how people are
7 responding to what's being marketed.

8 You get continuation rates. You get
9 weight loss. And you get as the bottom line in the
10 main issue mortality and serious morbidity.
11 Mortality, the most important outcome, obviously, and
12 all-cause mortality is the most important outcome from
13 the oversight point of view. Is there or is there not
14 an impact on mortality?

15 So this clearly can be done. One doesn't
16 even have to sort out clearly the cause of death if
17 one sees clear differences. One would like to, but it
18 is secondary.

19 The way we have evaluated proposals in
20 this way is that I have begun this process with
21 Metformin of formally soliciting a proposal through a
22 written communication to the sponsor that sets forth
23 categorical criteria; that is, that the study be
24 reasonably representative of the intended marketed
25 population, that they describe the procedures that

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 would be used to detect and control confounding, go
2 through the powered calculations, procedures for
3 validation of data reporting, discuss the timeliness
4 of the proposed study mobilization in relation to the
5 marketing plan, the feasibility.

6 One very important issue that comes up as
7 the drug is approved for marketing, will you be able
8 to get people to enroll patients in the trial or will
9 the docs do it? That has to be dealt with up front as
10 a feasibility issue; and, finally, investigator
11 qualification.

12 So if you choose to recommend approval of
13 this drug, I clearly am very much asking support for
14 the idea that we work with the sponsor to negotiate a
15 plan for a large-scale Phase IV trial, which would
16 gather much more data on basic outcomes, continuation
17 plans for treatment. That's my first point.

18 I thought to put up as a second point that
19 I think it is an issue as: Well, okay. What do we do
20 if it goes to market about surveillance for primary
21 pulmonary hypertension?

22 Obviously we're going to look at the
23 spontaneous reports that come in. Sometimes that
24 creates for me as many problems as it does answers.
25 They're very difficult to quantitatively interpret

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 spontaneous reports.

2 Publicity about an issue will raise the
3 reporting rate. Then it will fall off. And you
4 really have quite a bit of difficulty once you have
5 identified an issue.

6 So mortality surveillance, we trying to
7 work with CDC to work out a national mortality
8 statistics in the national death index, some way of
9 following mortality in the categories that would cover
10 primary pulmonary hypertension to see if one would
11 detect a large rise only after a lag time. It is,
12 however, very nice in terms of its being national and
13 a fairly definite endpoint.

14 And the last possibility that would I
15 think need to be discussed with the sponsor and
16 ourselves that's really kind of come to me recently
17 was: Would there be value to try to look within the
18 U.S. in case control comparisons, especially if one
19 could identify co-factors that would sort out the
20 people who get this? Is there any practical point to
21 get out of it? And I haven't given much thought to
22 that other than to put it on the list.

23 Thank you.

24 CHAIRMAN BONE: Final comments from the
25 agency before we discuss and ask questions about the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 various agency presentations will be from Dr. Gloria
2 Troendle, Deputy Director of the division.

3 DR. TROENDLE: In the face of an
4 increasing incidence of obesity in this country, we
5 are anxious to have new therapies, including new
6 weight control drugs. So far all approved weight
7 control drugs act by decreasing appetite, as does
8 dexfenfluramine.

9 Dexfenfluramine is the active inantimere
10 of a racemate that has been marketed in this country
11 for 23 years. It is not known to differ from
12 fenfluramine. Dexfenfluramine is not known to differ
13 from fenfluramine, the racemate. And it is not a
14 unique addition to our armamentarium for weight
15 control.

16 What is unique about this drug is the
17 proposal to label it for long-term use. So benefits
18 and risks must be evaluated with a long-term drug
19 administration in mind.

20 First I'll discuss benefits a little bit.
21 At our July Advisory Committee meeting on statistical
22 methods to evaluate efficacy of weight control drugs,
23 Dr. Marcus described a display that he had found
24 impressive. I have prepared displays similar to what
25 he described using dexfenfluramine data.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 At the top is the completers, and at the
2 bottom is the LOCF, last observation carried forward.
3 There were some questions asked about that last
4 observation carried forward. It does seem that the
5 patients who drop out may be dropping out because
6 they're beginning to regain weight or lose their
7 effectiveness. So I think that there is some bias,
8 but I think there's bias in any way that we look at
9 the data because of the dropouts.

10 This shows that the patients who gain
11 weight: the total number, percent of patients who
12 respond by weight gain; then the ones who have less
13 than a 5 percent gain; then the 5 to 10 percent gain;
14 and, lastly, the 10 percent gain. So on the right we
15 have the most responders. And that is where we have
16 the most effectiveness.

17 In an obese population, dexfenfluramine
18 produces a small mean weight loss; that is, less than
19 five percent between drug and placebo at one year,
20 less than four percent difference.

21 However, in controlled trials, a subgroup
22 of the treated population sustains a more substantial
23 weight loss. If we define responders as those who
24 lose at least 5 percent of initial body weight, the
25 responders are -- 77 percent of drug-treated

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 completers were responders. In the placebo group, 50
2 percent of the subjects were responders.

3 The difference between drug and placebo
4 was 27 percent. And that is the effect that can be
5 attributed to drug, at least a five percent weight
6 loss for one-quarter of the treated patients.

7 When we use, to the right, the right side
8 of the slide, the last observation carried forward
9 population, one-fifth of the subjects lose at least
10 five percent. And, surprisingly to me, the results
11 are just about the same if we define responders as
12 those with a 10 percent weight loss.

13 The differences are not very great. Those
14 with a 10 percent weight loss have a drug-attributable
15 10 percent weight loss of 25 percent in the
16 completers, 19 percent in the LOCF.

17 The responders cannot be identified
18 prospectively, but it might be possible to
19 discontinue. And it was proposed that they
20 discontinue treatment in those patients who are not
21 responding after two or three months.

22 However, the responders are 77 percent of
23 the treated patients. So drug would be continued in
24 three times the number of patients who require drug
25 for a response.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Adverse effects. Adverse effects are not
2 very impressive. They are relatively benign. The
3 drug can always be discontinued if the side effects
4 are bothersome.

5 The brain serotonin depletion seen in
6 animals has no identified clinical correlates. And I
7 wanted to ask Dr. Molliver if he could suggest any if
8 he is aware of any effects that might be expected from
9 the disturbance of the RAPHE that he was pointing out
10 to us.

11 We have a few reports of neurological
12 findings, such as the short-term memory loss. And
13 there are no studies that are adequate to detect a
14 relationship to drug so far done.

15 The anorectic drugs taken for a period of
16 at least 90 days appear to produce pulmonary
17 hypertension, but rarely. Dr. Stadel mentioned that.

18 We do not know whether patients treated
19 for 12 to 24 months or longer will have a
20 substantially greater risk. It will be of interest to
21 observe whether the great increase in use of anorectic
22 drugs in recent years will be associated with any
23 increase in the overall incidence of pulmonary
24 hypertension.

25 Thank you.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN BONE: Thank you, Dr. Troendle.
2 We're, as everyone can see, a little behind our
3 original schedule. But I think that it's essential
4 that we have the time here to discuss the FDA
5 presentations while they're fresh in our minds. And
6 then we'll take our break and start the discussion and
7 question period.

8 If it's necessary to go a little past 5:30
9 to complete this, we will, but I'm hopeful that the
10 fact that we've had a very good discussion of a number
11 of points during the course of the day will permit
12 that allotted time to be used sufficiently.

13 I'm going to ask the members of the
14 Committee if they have questions for the FDA
15 presenters. And maybe we could start with the medical
16 review by Dr. Lutwak in particular. Dr. New?

17 DR. NEW: Dr. Lutwak, this may seem very
18 naive, but you said that fenfluramine, the racemic
19 mixture, has been in use since 1973. And I don't know
20 how many people. You said a million prescriptions
21 were written in --

22 DR. LUTWAK: No, no.

23 DR. NEW: I'm sorry.

24 DR. LUTWAK: It almost hasn't been used at
25 all.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. NEW: Fenfluramine has hardly been
2 used?

3 DR. LUTWAK: Right, until a few months
4 ago, until the past year. There are no available
5 figures in the database before 1990 because the
6 numbers were too small, the prescriptions written.

7 DR. NEW: So you mean this drug was on the
8 market and nobody used it?

9 DR. LUTWAK: Nobody used it until '94,
10 364,000 prescriptions written. And the projected
11 estimated number for this year is 1,100,000.

12 Now, if we do a little bit of speculation
13 using Dr. Abenhaim's numbers and Dr. Stadel's numbers
14 of an incidence of one in 20,000 and the fact that it
15 takes a duration of use before pulmonary hypertension
16 is seen, we can predict approximately 100 cases of
17 pulmonary hypertension as a result of this year's
18 prescriptions.

19 DR. ABENHAIM: I'm sorry. Just a comment
20 on this last thing. Are you talking about
21 prescriptions or individuals? Because the one in
22 20,000 that Dr. Stadel proposed was per patients, not
23 --

24 DR. LUTWAK: Per patient. I'm sorry.

25 DR. ABENHAIM: Oh, this is prescription?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. LUTWAK: This is prescriptions, but
2 then you figure one prescription for three months.

3 CHAIRMAN BONE: All right.

4 DR. NEW: I guess I'm not getting my right
5 answer headway. So I want to just pursue this a
6 minute. Okay. So do you agree that it's been used
7 for five years?

8 DR. LUTWAK: Yes.

9 DR. NEW: Okay. Do we know what the
10 reporting has been in terms of neurological,
11 cognitive, other brain damage, suicide, pulmonary
12 hypertension? What's the story on fenfluramine? And
13 why is it not applicable to what we're hearing now on
14 dexfenfluramine?

15 DR. LUTWAK: Well, part of the problems is
16 I think that there were very, very few prescriptions
17 written for it until this year. We're talking about
18 a very low denominator. We're talking pulmonary
19 hypertension is a relatively rare disease and requires
20 a large denominator to be able to see it.

21 DR. NEW: Was this not used in Europe
22 either?

23 DR. LUTWAK: We have very few reports.
24 There are very few reports that have come to the
25 agency on fenfluramine.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. NEW: Okay. So, then, your response
2 to my question is the experience with fenfluramine
3 cannot be used to evaluate the toxicity or the
4 efficacy reports of dexfenfluramine?

5 DR. LUTWAK: Yes because the pattern of
6 use has been different than the pattern of use that's
7 projected for this, for dexfenfluramine.

8 DR. NEW: Thank you.

9 CHAIRMAN BONE: Other questions that would
10 be directed particularly to Dr. Lutwak? Yes, Dr.
11 Sherwin?

12 DR. SHERWIN: Just to remind me, there was
13 one slide that you showed us about pulmonary
14 hypertension. And there were 100 cases in a post-drug
15 phase. Which drug was that? I just want to be sure
16 I get this straight.

17 CHAIRMAN BONE: Wasn't that from the
18 sponsor's most recent safety report?

19 DR. LUTWAK: Yes, the sponsor's report for
20 dexfenfluramine.

21 DR. SHERWIN: Okay. Now, how were those
22 100 cases? How many patients are we talking about?
23 And how is it documented? That was the thing that
24 struck me as being kind of high, and I didn't
25 understand that.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. LUTWAK: These were 100 cases that
2 were reported to the agency by the --

3 DR. SHERWIN: U.S. treated patients?

4 DR. LUTWAK: No. These are worldwide.

5 DR. SHERWIN: Worldwide treated patients?

6 DR. LUTWAK: Yes.

7 DR. SHERWIN: But we don't --

8 DR. LUTWAK: But we don't know what the
9 denominator was.

10 DR. SHERWIN: But it can't be that much;
11 right? I mean, in other words --

12 DR. LUTWAK: These are 100 case reports.

13 DR. TROENDLE: Something that happens with
14 reporting of adverse effects is that something will
15 become public knowledge. And people begin looking for
16 cases. And they may even report some that happened a
17 few years ago. I'm not sure what is accounting for
18 this, but I know there are some factors.

19 DR. SHERWIN: There's a clinical diagnosis
20 not based on anything specific to make the diagnosis.
21 Is that right?

22 CHAIRMAN BONE: I think the sponsor
23 evaluates the quality of information. Those are the
24 sponsor's cases that they have recognized. Perhaps
25 the sponsor could help us by answering the following

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 specific question: In any year how many patients
2 worldwide that would be the way the denominator's for
3 that take this drug for more than three months? How
4 many people, in effect, that are on dexfenfluramine?

5 DR. SHERWIN: That's what we've been
6 talking about.

7 DR. COOPER: Well, with spontaneous
8 post-marketing experience, there's no way to track the
9 duration of usage. So we don't know for a patient
10 population or for given individuals how long the drug
11 is being used.

12 The number of 101 cases of primary
13 pulmonary hypertension represents a 10-year
14 post-marketing experience involving approximately 10
15 million or 10 million plus patients who have treated
16 with dexfenfluramine.

17 Those cases have been evaluated carefully.
18 There's quite a bit of clinical data that has been
19 captured because of the fact that most of these
20 diagnoses require cardiac cauterization.

21 So data is captured. We have done an
22 extensive analysis of these cases. And I think if I
23 can ask Dr. Thompson to make a very brief comment
24 about these cases because I think it's --

25 CHAIRMAN BONE: We're really discussing

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the FDA's presentations here. I absolutely insist on
2 one sentence. I mean, just be limited to one
3 sentence.

4 DR. COOPER: Well, Dr. Faich stood up. So
5 I'll let him give the one sentence.

6 DR. FAICH: Okay. One sentence. The 101
7 cases consisted of 63 who had exposure prior to
8 dysthymia.

9 That is, there were a number of these
10 cases that may well have had onset of primary
11 pulmonary hypertension before they got the drug.

12 That is, this is protopathic. This is an
13 obese individual, develops shortness of breath, and
14 then gets treated for the obesity.

15 Of those 63, 43 were known not to have
16 underlying cardiac or collagen disease. So it is 43
17 that look like they are unconfounded.

18 CHAIRMAN BONE: Dr. Abenheim had a
19 comment.

20 DR. ABENHEIM: I might maybe give you some
21 information on your question about the percentage
22 which I -- more than treatments.

23 CHAIRMAN BONE: Please.

24 DR. ABENHEIM: From our study, and from
25 other data that I have seen, you can count around 10

1 to 15 percent of the users of the prescription for
2 dexfenflamine in Europe, to be more than three months
3 total durations. I mean, over one year.

4 So I think if you would apply this to the
5 denominator, I think it would count about 10 or 15
6 percent of the total prescriptions.

7 CHAIRMAN BONE: It sounds like more than
8 the 2 million people exposed for that length of time
9 then, and that would be a fair estimate of the
10 denominator, which wouldn't be too far off on the
11 ratio from the other discussions.

12 I think Dr. Rich had a comment about that.
13 The medical impact of this? In terms of the cases,
14 also on this same subject, and then we will move on.

15 DR. RICH: If the issue is the risk, I
16 really think there has been a lot of confusion about
17 risk versus benefit.

18 I think the only person who gave an
19 estimate of lives saved was Dr. Faich. Didn't you
20 have a table that had if you have a 5 percent weight
21 reduction, lives saved per million per year equal 10
22 percent.

23 Do you recall what the number was for the
24 5 percent?

25 DR. FAICH: Well, the total, it is 280 for

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the package. You don't just get 5 percent.

2 DR. RICH: I understand, but I am just
3 asking for the 5 percent. Do you recall what that
4 was, because the point is, when you say that there
5 will be a reduction in heart disease of 10 or 15
6 percent, you are talking about over an indefinite
7 period of time.

8 If we talk about lives saved, per million,
9 per year, and deaths per million, per year, getting
10 PPH is a death sentence in this country, and I think
11 you have to project that there will be a minimum of 20
12 cases of PPH per million, per year in this country,
13 and I am not sure that there is compelling evidence
14 that there will be more than 20 lives saved per
15 million, per year, and I think that needs to be put in
16 perspective.

17 CHAIRMAN BONE: All right. We have had
18 quite a bit of discussion on that. Thank you very
19 much.

20 Let's return to discussion of the FDA
21 presentations. Dr. Borhani had a question for --

22 DR. BORHANI: I have a question about
23 neurotoxicology for whoever would like to answer. I
24 was under the impression that some PET and MRS study
25 was done in man by sponsor.

1 Am I correct on this? Can you give me
2 your feelings or results or what opinion you have on
3 the results of those two types of studies?

4 DR. CONTRERA: All of our concentrations,
5 in other words, all of the estimates that I made on
6 the basis of -- in humans, the brain concentrations of
7 the drug that I made in humans used for our estimates
8 of relative effectiveness and exposure came from the
9 clinical MRS study because I think with fenfluramine we
10 just were lucky in that the structure is a
11 trifluoromethyl structure.

12 So you could do MRS and estimate the
13 concentration in the brain. If we didn't have that
14 structure we couldn't have done it.

15 So, yes, that was used.

16 DR. BORHANI: Are you comfortable with the
17 methodology and the conduct, just generally speaking
18 of those two --

19 DR. CONTRERA: Well, the company did a --
20 I think -- a good job. They ran concurrent and
21 alongside the human study a Rhesus, no, a baboon, no,
22 a Rhesus monkey study in which they did the MRS, and
23 did sacrifice and chemical analysis, so that you had
24 a validation of the concentrations and error limits of
25 the MRS estimates in humans based on a parallel

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 primate, you know.

2 So that seemed fair to me.

3 CHAIRMAN BONE: All right, other questions
4 or comments from the committee?

5 I should say specifically questions that
6 would relate to the clinical review, Dr. Lutwak's
7 review, what about in relation to the neurotoxicology
8 review.

9 Any further questions about that? Dr.
10 Illingworth has a question.

11 DR. ILLINGWORTH: Just one question. Are
12 there any good means of assessing long term clinical
13 users?

14 Let's say there is a two year trial, what
15 would be the best methods of assessing clinically,
16 methods for serotonergic depletion of neurons or
17 depletion of serotonin in patients who have been on
18 this drug long term?

19 DR. CONTRERA: That is a problem I think
20 we are all struggling with, coming up with a protocol
21 which is reasonable.

22 Even though it has been proposed, for
23 example, spinal 5HD and 5HIAA could tell you, but that
24 is not something you do lightly, and maybe perhaps,
25 and this is just off the top of my head, if people

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that were on it for many years have similar kinds of
2 effects, like the calcification, those, there are
3 clinical ways of assessing that clinically, in
4 literature for neurotoxins you do see some clinical
5 data on using PET or some other imaging, but perhaps
6 Professor Seiden, I think has a --

7 PROFESSOR SEIDEN: There is a couple of
8 views. If you stimulate certain nerves in the brain
9 with a serotonergic you get a proactive release and
10 the kind of proactive release you get will be
11 proportional to the seroton available to be released
12 in the hyperthalamus.

13 So that is one indirect measurement of
14 whether or not all of the serotonergic neurons are
15 intact.

16 The second method is she has, as you
17 mentioned, looking at metabolized, and the third
18 method which is under development would be as right as
19 the PET scan if you can find a reliable ligand that
20 binds specifically to the seroton transporter
21 molecule, you should be able to see in a quantitative
22 way how many transporters there are in the brain of a
23 person who has been exposed to fenfluramine.

24 There is three different methods that all
25 have some promise. They all have some problems, but

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 it is a thing that is doable and in my view, should be
2 done.

3 CHAIRMAN BONE: All right. I have a
4 question for doctor -- oh, excuse me, Dr. Sherwin,
5 please go ahead.

6 DR. SHERWIN: I am struck by the fact that
7 I haven't heard anything about performance on
8 neuropsych testing, for example.

9 There must be some information perhaps.
10 Have you seen any information provided with respect to
11 performance in some way?

12 DR. CONTRERA: No. I have seen
13 information in animal studies, like I haven't focused
14 on the clinical data.

15 I know in the animal studies, even in the
16 rodent studies, there was enhanced aggression and
17 problems in dealing with that affected animal.

18 I do not know with the --

19 DR. SHERWIN: However, there are studies
20 in humans for MDMA that Dr. Brigant has provided. It
21 would be applicable if it has to do with fenfluramine,
22 I am not saying that they have been done, and it is
23 surprising to me again that no neuropsychological
24 tests have been applied to these individuals, given
25 the amount of time that this has been an issue and we

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 don't have the data on hand.

2 DR. COOPER: It has been done. There has
3 been some neuropsychological testing done like you are
4 describing.

5 CHAIRMAN BONE: How about if we get into
6 that at the discussion period. I would like to get
7 through discussion of the FDA presentation at this
8 point, and then we have substantial amount of time set
9 aside for discussion, and there will be some
10 questions, obviously, that we will go back to the
11 company with, and additional questions may come up as
12 we go along.

13 I had a question for Dr. Stadel, and that
14 had actually, it was prompted by one comment that he
15 made, which was that he felt that if you could
16 randomize his patients in a phase IV study who were
17 seeking treatment for obesity to treatment versus no
18 treatment without placebo control or blinding, if I
19 understood correctly, and it strikes me as a clinician
20 and clinical investigator that there would be a very
21 major problem with the subjects or patients in this
22 case who would be assigned to no drug, removing
23 themselves from the participation or seeking
24 alternative medications from other physicians.

25 DR. STADEL: I think yes. There are two

SAG, CORP

4218 LENOIRE LANE, N.W.
WASHINGTON, D.C. 20008

1 issues raised. One is placebo, and the intent of a
2 phase IV study -- if a drug is approved is to see how
3 it racks up against what is currently being done, not
4 how it racks up against doing nothing.

5 So the randomization would be to adding
6 dexfenflamine versus the other ways that the people
7 manage obese patients.

8 CHAIRMAN BONE: So you would just compare
9 them with whatever other treatments?

10 It wouldn't be -- the way you rephrased it
11 at one point it was a question of adding on to
12 whatever else they were taking as opposed to just
13 using that as an alternative to other treatments.

14 DR. STADEL: No. Let's see, let me try to
15 put it in a language that is best -- language that is
16 specific to how we have written the protocol otherwise
17 is randomization to adding dexfenflamine to the
18 treatment of the patient versus "usual care" of that
19 physician or those patients.

20 DR. BORHANI: Excuse me.

21 CHAIRMAN BONE: Yes, Dr. Borhani.

22 DR. STADEL: We take an individual
23 physician's patients and bifurcate them, either they
24 manage them as they have been managing them, you would
25 have to exclude here fenflamine or they add

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 dexfenflamine.

2 It is as simple as that.

3 CHAIRMAN BONE: Well, the point is that it
4 isn't just a question of adding it or not adding it
5 because the patients who don't have it added will feel
6 that they are missing something.

7 DR. STADEL: That is an issue of
8 validation. I did address that one of the things one
9 has to do in developing a protocol for such a study is
10 address the issue of validation of protocol analysis
11 and feasibility.

12 Those have to be addressed and I think
13 there is a question that arises in these kind of
14 things and those are legitimate issues to be concerned
15 about.

16 DR. BORHANI: There is a precedent that
17 easily, relatively easily, can be repeated and the
18 precedent is in the clinical trials in the secondary
19 prevention of coronary heart disease, with approved
20 "methods and drugs" for lowering serum cholesterol and
21 patients can be randomized and they are indeed being
22 conducted now in this country.

23 They are randomized into the routine
24 treatment accepted medically using the drugs even if
25 they have to, that are approved by the FDA, and then

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 randomizing the participants into cases and controls;
2 controls will receive placebo, and the cases will
3 receive, in his case, DF.

4 I think that kind of randomized clinical
5 trial can be conducted, there is a precedent for it,
6 and if we talk about phase IV or whatever.

7 I hope that is what you have in mind.
8 That is what we can do.

9 CHAIRMAN BONE: Thank you. Dr. Troendle
10 and then Dr. Kreisberg.

11 DR. TROENDLE: Okay. I was going to
12 comment on this. It seems to me that we should find
13 out what interneuron did exactly with their patients,
14 because 50 percent of their placebo patients were 5
15 percent of body weight loss responders, and if you
16 have that high maybe you will have enough patients
17 left on the regimen to compare even if it is an open
18 study. They are effective.

19 CHAIRMAN BONE: Thank you. Dr. Kreisberg.

20 DR. KREISBERG: Dr. Bone, I assumed that
21 Dr. Stadel's presentation was simply an example, and
22 that the specifics in it were not specific.

23 CHAIRMAN BONE: Fair enough.

24 DR. KREISBERG: Because I think there is
25 a lot that could be argued about over the trial that

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 he has proposed.

2 CHAIRMAN BONE: I was just trying to make
3 a general comment to the effect that that was one of
4 the sorts of things.

5 I think it is probably not fruitful to
6 pursue the details.

7 DR. STADEL: May I just make one sentence
8 that is true?

9 CHAIRMAN BONE: Yes, please.

10 DR. STADEL: The specific is a procedure
11 of solicitation and review. I simply described, very
12 briefly, what we have done with another problem.

13 It might not apply exactly. The procedure
14 is to solicit a written proposal, have it address
15 certain criteria, establish an ad hoc written peer
16 review panel, put the feedback back and forth, and
17 that is the procedure that we have followed and I had
18 meant to have a line on there for procedure.

19 CHAIRMAN BONE: All right. So you were
20 really trying to use that as an illustration of an
21 approach rather than a specific design. Thank you.

22 Are there other questions that are
23 directly related to the presentations by the agency
24 staff from the committee.

25 Thank you, what we will do then is I have

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 3:47, we are really going to reconvene at 3:55 and
2 start talking at that time.

3 (Whereupon, a 10 minute break was taken at
4 3:57 p.m.)

5 CHAIRMAN BONE: The committee is back in
6 session or will be in a couple of seconds here, just
7 to let these people sit down.

8 Shortly I will give you the company a
9 moment to be thinking about how they would like to
10 respond to this.

11 In connection with a couple of questions
12 that came up in earlier discussions, and a comment
13 that we wanted to hear from the company, this all
14 relates to this whole neurotoxicity business.

15 Two questions, sort of asked on behalf of
16 the committee, I think. They will be one, the
17 clinical information we have from the clinical trials,
18 and particularly the long term clinical trial, the one
19 year clinical trial, so far does not address
20 neuropsychological testing.

21 I understood from a comment a moment ago
22 that the company has some information on this subject.
23 I would like to ask them to describe, concisely, we
24 are really short of time, and it is not -- just the
25 facts, please -- their findings, and I want them to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 indicate which of those findings have been submitted
2 to the NDA.

3 The second question which I would like to
4 have addressed second will have to do with the
5 toxicology and the brain anatomy, the brain structural
6 changes, long term follow up and looking at the
7 tangles questions and wither they have found a zero-
8 effect dose in studies done along those lines.

9 The first question has to do with please
10 describe the information that you have, if you have
11 it, about neuropsychology testing, under what
12 circumstances and, first of all, please state whether
13 this has been submitted to the NDA.

14 DR. COOPER: Okay. There have been two
15 levels of studies done that I think address some of
16 the questions that the committee raised.

17 One, specifically, neuropsychological
18 testing, a battery of neuropsychological testing was
19 performed in one of our double-blind, placebo control
20 trials, a six month study of the so-called Noble
21 trial, and I will hand over to Dr. Rudy Noble who
22 performed that study, that data has not yet been
23 submitted because it is a relatively recent analysis
24 that has just been completed.

25 The other data relates to, I think a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 comment that perhaps Professor Seiden made about using
2 surrogate measures, prolactin levels, ACTH, that data
3 has been published.

4 It has been submitted in the NDA, and Dr.
5 Bruce Campbell will say a very brief comment about
6 that.

7 DR. NOBLE: Hello, I am Dr. Noble. I will
8 make this very short because my voice is giving out
9 and it is late in the day.

10 Anyway, I am the director of the obesity
11 clinic in San Francisco, have been so for the past 30
12 years, and have personally treated 10,000 overweight
13 patients, and done about 100 different studies testing
14 various anorectic agents.

15 A lot of these studies have turned out
16 negative, but let me tell you about a study I just
17 finished, which is very pertinent to what Dr. Sherwin
18 asked, and perhaps this will answer your question.

19 We took 80 obese patients. We treated
20 half of them with dexfenflamine, 15 milligram, twice
21 a day, and the other half, matching placebo.

22 We followed them for six months. They
23 were either treated or not, and then a one year follow
24 up where everybody got placebo.

25 So six months of treatment, one year

1 follow up, eighteen month's study. Along the way we
2 did a whole battery of psychiatric tests.

3 We consulted with all sorts of
4 psychiatrists to see what will tell us is there any
5 neuronal damage. What would be a good marker here?

6 So are we to test like the MMS where we
7 asked them to spell the word "world" backwards,
8 something I don't think I could do at this point,
9 along with a whole host of other questions.

10 Sixty-five little questions about mood.
11 Twenty-one other questions about mood. Different mood
12 scales, Stanford sleepiness scale, and to make it very
13 brief, as I said, we did this while they were treated
14 for six months, then we followed them for a year later
15 and as a clinician, not a neuroanatomist.

16 Let me assure you all, we saw absolutely
17 no evidence of any impairment of any cognitive
18 function.

19 The scores stayed pretty much the same,
20 same as placebo, and I am just speaking as a
21 clinician, I don't know anything about neuroanatomy.

22 We saw no evidence of any loss of
23 cognitive function.

24 CHAIRMAN BONE: Right. Now is this the
25 study that was, if I understand correctly, the weight

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 data from this --

2 DR. NOBLE: No. That was another study.

3 CHAIRMAN BONE: In other words, no part of
4 this study has been submitted to the FDA?

5 DR. NOBLE: No, not at all, no. We just
6 finished it.

7 CHAIRMAN BONE: Okay. So there had been
8 no agency review at that point?

9 DR. NOBLE: Absolutely.

10 CHAIRMAN BONE: Thank you. Maybe I should
11 ask one follow up question just to that. In the 30
12 milligram b.i.d., it will be to Dr. Cooper, probably,
13 in the 30 milligram b.i.d. dosage there was a
14 significant excess.

15 I realize that is not the dosage you are
16 making a claim for. There was a significant excess of
17 patients with abnormal thinking described in your
18 results.

19 Can you characterize what that means and
20 would that not be an appropriate agenda, let us say,
21 for doing neuropsychological testing in the future to
22 look for more subtle examples of the same sort of
23 problem.

24 DR. SANDAGE: The coating convention we
25 used was code starts, codes is abnormal thinking. The

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008