

1 thing.

2 DR. EAGLSTEIN: Right. But in this point, they say
3 -- they do discuss pregnancy. It says, "The possibility that
4 you may be pregnant should be ruled out by you and your doctor."
5 Is that sufficient?

6 DR. CHANCO-TURNER: That's what we decided, isn't
7 it?

8 DR. EAGLSTEIN: You don't want to say it should be
9 ruled out by taking a test?

10 DR. TABOR: Well, that's done on the next page.

11 DR. EAGLSTEIN: Okay. Anything else on that page?

12 DR. RASMUSSEN: Yes. They have about the third
13 sentence down, it says, "An effective form of contraception
14 (birth control) should be discussed with your doctor and used
15 during and for up to one month after Accutane therapy."

16 That statement as it stands now sounds like everybody
17 has got to be on IUD, birth control, diaphragm, jams, jellies,
18 whatever. What about the 16 year old kids who is virginal and
19 isn't having any sex? It sounds like there that you are
20 telling them that they have to go on birth control pills
21 and I don't think that is appropriate. Or even for 25 year
22 old people. What difference does it make how old you are.
23 If you are not having sex and you don't want to take birth
24 control pills or some other form of contraception, why should
25 you have to?

DR. CHANCO-TURNER: Isn't abstention the best form
Baker, James & Burkes Reporting, Inc.

1 of birth control?

2 DR. RASMUSSEN: I agree, but it doesn't say that in
3 there. If you tell a patient that you have to use birth control,
4 they are not going to think of abstention. They are going to
5 think of pills, jams, jellies, foams.

6 DR. EAGLSTEIN: Well, do you want to propose alternate
7 words? Do you want to start out saying "sexual abstention or
8 an effective form of birth control?"

9 DR. RASMUSSEN: Sure.

10 DR. EAGLSTEIN: All right.

11 DR. RASMUSSEN: I think if you don't, it implies
12 that everybody who uses the drug has to use birth control.

13 DR. EAGLSTEIN: I hear what you are saying. I don't
14 know that everyone agrees with you.

15 DR. RASMUSSEN: Well, let's discuss it. If nobody
16 thinks it is an objection, I won't --

17 DR. EAGLSTEIN: How do others feel? Do you feel that
18 this suggests that a person who is not having sexual activities
19 now needs to take birth control pills?

20 DR. CHANCO-TURNER: Well, in the discussion with the
21 physician, it will certainly come out and abstention is --

22 DR. EAGLSTEIN: Is satisfactory.

23 DR. CHANCO-TURNER: -- satisfactory, I would think.

24 DR. EAGLSTEIN: Dr. Rasmussen, I'm not hearing support
25 for your view, but you can propose --

1 (Laughter.)

2 DR. EAGLSTEIN: -- an alternative; otherwise, we'll
3 go on.

4 DR. RASMUSSEN: Well, it seems to be saying that
5 everybody who is on Accutane has to use some form of birth
6 control, and this is not the message that is going to the
7 doctor. This is what you are going to have patients read,
8 most people when they see birth control think of the things
9 that I've already mentioned. They don't think of abstention.

10 DR. EAGLSTEIN: Well, Dr. Turner feels the doctor
11 will straighten them out. Some patients are smart enough to
12 know that.

13 Okay. I'll be happy to entertain a proposed change,
14 but otherwise we will move on.

15 DR. POMERANZ: It's indicated.

16 DR. RASMUSSEN: These documents will have some
17 medical/legal weight. Not as heavy perhaps as the physician's
18 package insert, but this leaflet will have some publicity.
19 I mean, people will read that. And I would prefer that it not
20 be there without some modifier stating that if you are not
21 planning to have intercourse --

22 DR. EAGLSTEIN: Please make a proposal to that effect?

23 DR. RASMUSSEN: -- I think you would wind up -- for
24 instance, if people are on birth control pills, I would wager
25 you would have more people getting sick on birth control pills,

1 who hadn't been on them before than you would from the Accutane.

2 DR. EAGLSTEIN: Please make a proposal?

3 DR. HASERICK: If it is possible that you may become
4 pregnant --

5 DR. RASMUSSEN: Sure. If it is possible that you
6 may become pregnant an effective form of contraception should
7 be discussed with your doctor and used during and for up to
8 one month after Accutane therapy.

9 DR. EAGLSTEIN: All right. Okay.

10 If it is possible you may become pregnant.

11 Second?

12 DR. KENNEY: I'll second it.

13 DR. EAGLSTEIN: He is moving that we add these words.
14 Further discussion?

15 (No response.)

16 DR. EAGLSTEIN: All those in favor of that motion,
17 raise your hand?

18 (A show of hands.)

19 DR. EAGLSTEIN: Those opposed?

20 (No response.)

21 DR. EAGLSTEIN: It's passed.

22 MR. BOSTWICK: I've got it.

23 DR. EAGLSTEIN: Okay. Next page. The next page
24 is where there is going to be an addition for females,
25 "Accutane should not be taken until you are sure you are not

1 pregnant, and you are using an effective form of contraception."

2 Any changes in this page?

3 DR. CHANCO-TURNER: You've got the very same
4 problem.

5 DR. HASERICK: We've already covered that in the
6 previous paragraph.

7 DR. RASMUSSEN: Yes, I think that's fair.

8 DR. EAGLSTEIN: Again, a pregnancy test isn't
9 indicated.

10 DR. BILSTAD: That's one of the things in the FDA
11 proposal.

12 DR. EAGLSTEIN: And we don't say one month after-
13 wards. It doesn't tell them that they should use it for one
14 month afterwards.

15 DR. POMERANZ: It had to be in the previous warning.
16 The previous warning says it.

17 DR. EAGLSTEIN: Oh, for a month after it?

18 DR. BILSTAD: Yes.

19 DR. EAGLSTEIN: Okay. All right. Is everyone happy
20 with this?

21 DR. BILSTAD: I would like to make one additional
22 suggestion for this page. It is not included in the FDA
23 version. The insert doesn't really say that the treatment
24 is meant for severe cystic acne. One of the previous pages
25 does refer to a severe cystic acne, but it may be appropriate

1 in the first sentence on this page that we were just looking
2 at, instead of saying, you're condition, say, "Accutane is
3 a medicine for use in treating severe cystic acne that has not
4 responded to other treatment." I believe that is a message
5 that is important to get to the patients that this is for
6 the severe disease and not for the garden variety of acne.

7 DR. GOLDNER: I would agree with that suggestion.

8 DR. EAGLSTEIN: Would you make that motion?

9 DR. GOLDNER: And I would make that a motion. That
10 is a perfect place for it, I believe, right --

11 DR. EAGLSTEIN: It should be used for treating --

12 DR. GOLDNER: Before treatment. It is under before
13 treatment. "Accutane is a medicine" -- it states, "For use
14 in treating your skin condition."

15 DR. EAGLSTEIN: Right.

16 DR. GOLDNER: We should delete that and have it state,
17 "Severe cystic acne" --

18 MR. BOSTWICK: That has not responded to other treat-
19 ment.

20 DR. GOLDNER: -- "other therapy."

21 DR. EAGLSTEIN: That is Dr. Goldner's motion.

22 Is there a second?

23 DR. KENNEY: Second.

24 DR. EAGLSTEIN: Discussion?

25 (No response.)

1 DR. EAGLSTEIN: All those in favor?

2 (A show of hands.)

3 DR. EAGLSTEIN: All those opposed?

4 (No response.)

5 DR. EAGLSTEIN: It passes.

6 Further recommendations on this page?

7 (No response.)

8 DR. EAGLSTEIN: The next page. During treatment,
9 the during treatment page?

10 DR. KOEHN: Are we in during treatment, are we?

11 DR. EAGLSTEIN: Yes, the next page.

12 DR. KOEHN: Could we possibly add about sunburn
13 on that? It is in the physicians' warning, but I haven't seen
14 it in here.

15 DR. EAGLSTEIN: Okay. A sunburn warning.

16 DR. KOEHN: There seems to be more than 5 percent.
17 It is certainly more than 5 percent.

18 MR. BOSTWICK: I can't remember --

19 DR. EAGLSTEIN: This is a page that doesn't mention
20 names or use scare tactics of diagnoses. Do you think that
21 the symptoms, as outlined, are satisfactory, to alert the
22 patient to these problems?

23 (No response.)

24 DR. EAGLSTEIN: Where shall we add the sunburn?

25 DR. KOEHN: Some other reactions that have occurred

1 are:

2 DR. POMERANZ: Exaggerated sunburn and sensitivity.

3 DR. KOEHN: Yes, increased sun sensitivity, or some-
4 thing like that.

5 DR. EAGLSTEIN: Would that be one of the ones
6 proceeded by a little dot, severe sun sensitivity, or sun
7 sensitivity?

8 DR. GOLDNER: Put it right where a headache was --
9 where headache was stricken out. The rash thing, hair thinning --

10 DR. POMERANZ: Sensitivity to the sun?

11 DR. GOLDNER: -- yes. Peeling of the palms.

12 DR. EAGLSTEIN: Do you want to move that, Dr. Koehn?

13 DR. KOEHN: I move that we add, "Some other reactions
14 have occurred are: mild nose bleed, aches and pains," and
15 so forth -- "rash, increased sensitivity to the sun."

16 Does that sound right?

17 DR. KENNEY: Yes.

18 DR. EAGLSTEIN: Increased sensitivity to the sun
19 and peeling of the palms, and so on?

20 DR. KOEHN: Yes, okay.

21 DR. EAGLSTEIN: All right. Second?

22 DR. KENNEY: Second.

23 DR. EAGLSTEIN: Any discussion?

24 (No response.)

25 DR. EAGLSTEIN: No question about this.

1 All those in favor?

2 (A show of hands.)

3 DR. EAGLSTEIN: Opposed?

4 (No response.)

5 DR. EAGLSTEIN: It passes.

6 I should point out that now the FDA had several
7 suggestions here for the during treatment section. And they
8 were concerned that this booklet say, "Serious neurological
9 disorders, visual loss." Headache and blurring vision, that
10 is in here?

11 MR. BOSTWICK: Some of that is included and some of
12 it isn't.

13 DR. EAGLSTEIN: Some is and some isn't. It seems to
14 be that in this case they discuss a serious neurologic dis-
15 order whereas in the booklet, as it stands, it says, "You
16 should be aware and if any of these things happen, you should
17 see your doctor."

18 DR. CHANCO-TURNER: It says add old print. So, it
19 is in bold print.

20 DR. EAGLSTEIN: In this case, we are disregarding
21 this suggestion, "It had serious neurologic disorder which
22 may lead to visual loss if undiagnosed and untreated."
23 And the second point in the FDA position paper was that it
24 should state, "Accutane may be associated with severe
25 inflammatory bowel disease," which again isn't said. It

1 says severe stomach pains.

2 DR. BILSTAD: Severe stomach pain, diarrhea.

3 DR. EAGLSTEIN: The difference, in general, seems to
4 be the word "disease" or the word -- all right. I think we
5 just need to be aware of what we're doing and to knowingly
6 not comply, or knowingly comply.

7 And the third one is this skeletal thing. "Accutane
8 taken for longer periods," and here it is the same story.
9 They do say severe aches, pains and stiffness.

10 All right. Anybody, if you change your mind based
11 on this, speak up as we go on through this.

12 So, any more on that page where we added sunburn?

13 DR. KOEHN: Just one thing, and that is -- it says,
14 "Your eyes may also feel irritated," under common side
15 effects. That is hard, I think, for a patient to interpret
16 irritation like a conjunctivitis, which is common from the
17 beginnings of pseudotumor cerebri. I am concerned that that's
18 there, yet, I realize we're having a lot more calls, but maybe
19 we should be responsive to those. And I don't know if that
20 should be there under common side effects.

21 DR. EAGLSTEIN: You think it shouldn't say, your
22 eyes may --

23 DR. KOEHN: Your eyes may also feel irritated --
24 under common side effects -- so, I think, well, my eyes are
25 irritated and so you let it go a while and it may be the

1 beginning.

2 DR. EAGLSTEIN: Of pseudotumor cerebri?

3 DR. KOEHN: Yes.

4 DR. EAGLSTEIN: I thought that was a headache and
5 blurred vision?

6 DR. KOEHN: Well, blurred vision -- then, you were
7 asking the patient, you know, are your eyes irritated or do
8 you have blurred vision. I don't know.

9 DR. EAGLSTEIN: Other thoughts on this issue?

10 DR. HASERICK: The same thing goes about aches and
11 pains. You've got it in one paragraph and then in a second
12 paragraph. I don't hink it is necessary to put them in both.
13 The second black dot, the middle of the paragraph.

14 DR. KOEHN: There it says, "severe muscle aches
15 and pains."

16 DR. HASERICK: Yes.

17 DR. KOEHN: Which would be helpful.

18 DR. EAGLSTEIN: So, you would think you should
19 delete it from under the common, is that what you want to do?

20 DR. BILSTAD: Could I make a comment?

21 DR. KOEHN: I think so.

22 DR. EAGLSTEIN: Sure.

23 DR. KOEHN: I would like to hear what everybody
24 else thinks, but it seems misleading to a patient who doesn't
25 know blurring from conjunctivitis, or whatever. I mean, they
don't know what to look for. And if they start to have

1 some symptoms, you know, it will heal, they'll let it go for
2 a while. I wonder if that doesn't deserve a call at least.

3 DR. EAGLSTEIN: All right. You have a comment?

4 DR. BILSTAD: I would like to point out in general
5 in this section that I think the difference between the FDA
6 version and the version that the company is proposing is more
7 than simply one of sophistication of language. The intent
8 is to -- rather than to simply list some symptoms that they
9 may occur, it is to indicate that this is some kind of entity
10 that we're talking about. And if you give it a little more
11 meaning, a little more substance, rather than to simply
12 refer to some symptoms without giving them any context.
13 And that particularly is true for the -- in talking about the
14 joint pains and the muscle pains. There are certainly the
15 incidence of muscle and joint pains is, I think, relatively
16 high. As I recall, it is about 15 percent of patients who
17 have those pains unassociated with anything else. The intent
18 of the third part of the FDA version was to point out the
19 possibility that some patients, at least treated for longer
20 periods, have had skeletal changes.

21 This is quite different. And one of the questions
22 is: should that be mentioned specifically in the package
23 insert. This is quite different from muscle aches and pains
24 not associated with skeletal changes.

25 Along those same lines, I would like to ask Dr.

1 Cunningham -- he mentioned this morning that there were 30
2 patients who had been treated with -- cystic acne patients
3 who had been treated with Accutane with the visual doses
4 on the package insert and did not have any skeletal changes
5 when examined. I would like to ask him how long in fact those
6 patients were treated and when were they examined, I presume
7 with X-rays?

8 DR. CUNNINGHAM: I would like to respond to that,
9 because it is a very important point. The changes produced
10 by Accutane in long-term therapy I think are unquestionable.
11 You've seen the results of both, as I pointed out this morning,
12 in a retrospective fashion and a prospective fashion the
13 disorders of keratinization patients. I think that the, as I
14 pointed out this morning, cystic acne population that we studied
15 was a population of 50 patients in the Pacific Northwest. They
16 had baseline exams of their skeleton and follow-up exams at
17 end of therapy and will have follow-up exams at one year
18 intervals thereafter.

19 Thirty of the 50 patients; that is, those who
20 have finished the course of therapy had had no changes on
21 skeletal X-rays at end of therapy with package insert dosing
22 and duration; that is, either 15 to 20 weeks and 1.0 to 2.0
23 mg/kg/day dosing.

24 Now, I do question -- there is no question in my mind
25 that this is an effect of the drug in long-term therapy, and

1 on the other hand, I do question whether a patient understands
2 skeletal changes. Of course, I question whether some of us
3 to understood that a few months ago until we went into it in
4 a little more detail.

5 Furthermore, the signs and symptoms of this disorder
6 in most of the published literature and in our experience, are
7 rather minimal so that I am not sure that we can properly
8 address that even with our statement about severe aches and
9 pains. That does come the closest to it, however, because
10 that makes sense that then one with severe pains who see their
11 doctor. Now, whether that would be due to skeletal pain or
12 muscular pain, that would be for the doctor to determine, I
13 think.

14 We are satisfied that the wording that we presently
15 have is about as much information as we can give at the
16 present time to the patient. And the rest of it, I think,
17 will have to be developed and given to the physician and the
18 patient concurrently when we have more information.

19 At the present time, however, we don't see a problem
20 with the cystic acne population.

21 DR. BILSTAD: I would just like to point out
22 that the package insert, of course, allows treatment for up to
23 40 weeks and we've been told earlier that with the disorders
24 of keratinization that changes were being seen at about 26
25 weeks, at least some changes were being seen. So, I think

1 you need to keep that in mind that this did not include the
2 two courses of therapy, but was simply the one course of
3 therapy.

4 DR. EAGLSTEIN: Dr. Del Vecchio, very briefly.

5 DR. CUNNINGHAM: One other point I would like to make
6 is that the mean dose of these patients -- that the patients
7 received who had skeletal X-ray changes was 2 mg/kg/day
8 That is different from the mean dose in clinical practice.
9 Our dose range in the package is 1.0 to 2.0 mg/kg/day and
10 the practitioner in general is staying toward the lower end
11 of that range in our experience. I don't have the figures
12 on that, of course, but --

13 DR. EAGLSTEIN: Okay.

14 DR. DEL VECCHIO: I would just like to address a
15 couple of points very briefly.

16 We would object to deleting the irritation of the
17 eyes. If you wish to change it to redness of the eyes, if
18 that is more clear perhaps, we could do that. But we are
19 -- conjunctivitis does occur and we want to be sure the
20 patient recognizes it. It is different than visual disturbances.
21 This is not simply a listing of symptoms. We have taken out
22 the most important symptoms. We have added the word severe.
23 We have said be alert to these things. They are more serious
24 conditions. They may be permanent if they are not treated.
25 That is already in there. We have been very careful to put
that in and separate them out. That's what we took headache

1 out of the less common symptoms and put it down into the other
2 area because we feel without saying severe, we're just saying
3 headache.

4 The other point about hyperostosis, let me just
5 ask you hypothetically, what will the patient practically do
6 with that information? Does the patient go into the physician
7 and say, I wish to be screened for that? And then how does
8 the physician screen them? I mean, we are talking about a
9 totally different area now. Certainly, if we are suggesting
10 that they look for serious musculoskeletal symptoms which are
11 more severe than the usual, fine, but the only way that
12 a physician can respond to a patient, in my mind, would be to
13 say well, if you wish to, we can begin to do routine X-rays.
14 And, of course, that is not recommended. And then follow-up
15 X-rays. Practically speaking, what will they do with that
16 information?

17 DR. BILSTAD: I think one of the purposes of the
18 package insert is also to make the patient aware of things that
19 can happen. In other words, what are the risks of taking
20 this drug and, in turn, I think that may influence behavior
21 and may influence behavior, of course, in one sense, which we
22 wanted to in terms of pregnancy. It may influence behavior,
23 for example, in getting longer treatment. Patients have ways
24 of getting treatment beyond what the package insert indicates.
25 I think this is a way of saying, or this is one place where it

1 can be said that if treatment is given beyond what is recom-
2 mended that there may be serious side effects. Simply saying
3 that there are severe muscle aches and pains, stiffness of
4 the joints and that if you have that, you should go see your
5 doctor does not give that message.

6 DR. DEL VECCHIO: That is true. As a matter of fact,
7 those are not really the symptoms of the early stages of
8 hyperostosis, and I think that is the problem. I think you
9 are raising another issue though in terms of longer treatment.
10 It means you're going back to the dosing issue again if you're
11 talking about shortening treatment, which is another totally
12 separate issue. But I just raised that question as to what
13 practically the patient will do with that information.

14 DR. EAGLSTEIN: Thank you.

15 Dr. Koehn?

16 DR. KOEHN: I would certainly prefer rather than
17 saying your eyes may feel irritated that you may experience
18 some redness of the eyes.

19 DR. EAGLSTEIN: Do you want to move that change?

20 DR. KOEHN: Well, okay. Yes, under common side
21 effects.

22 DR. EAGLSTEIN: All right.

23 DR. KOEHN: You may experience some redness of the
24 eyes.

25 DR. EAGLSTEIN: You want to delete the --

1 DR. KOEHN: And delete your eyes may also feel
2 irritated.

3 DR. EAGLSTEIN: -- okay. You may see some redness.

4 DR. KOEHN: Uh-huh.

5 DR. EAGLSTEIN: And you --

6 DR. KOEHN: Experience some redness.

7 DR. EAGLSTEIN: -- experience some redness and delete
8 also feel irritated.

9 Discussion?

10 (No response.)

11 DR. EAGLSTEIN: All in favor?

12 (Show of hands.)

13 DR. EAGLSTEIN: Opposed?

14 (No response.)

15 DR. EAGLSTEIN: Passes.

16 Dr. Haserick, you were -- you had some other concerns
17 you were just saying a minute ago in the mild.

18 DR. HASERICK: I think all of this gets back to --

19 DR. EAGLSTEIN: Now, do you want to deal with this
20 issue which has just been placed in better perspective for us
21 that as it stands now, the people are being told about
22 muscle pains and aches which may not even be related to
23 hyperostosis. As the FDA position is outlined, the patient
24 would be told that they could have skeletal changes and they
25 also say to see your doctor if you have joint pain or difficulty

1 in movement.

2 Does anyone want to either change what we now have
3 as a proposed patient insert by adopting these, or other words?
4 The FDA words, or any other words?

5 DR. BILSTAD: I might add one further. It could
6 further be qualified if you want to particularly make the point
7 that the hyperostosis has not been reported in acne patients,
8 the second sentence could be changed then. Instead of
9 if you experience joint pain, simply say, "Although these
10 changes have not been reported in acne patients, you should
11 contact your doctor if you develop severe joint pain or
12 difficulty in movement." That would be an added qualification
13 or an added listing.

14 DR. EAGLSTEIN: Is that added to the FDA?

15 DR. BILSTAD: That's added to the FDA version. I'm
16 just offering it.

17 DR. EAGLSTEIN: In place of if you experience?

18 DR. BILSTAD: That is correct. It is simply making
19 the point clear that these findings have not been reported in
20 acne patients.

21 DR. EAGLSTEIN: Does anyone want to move to insert
22 some information about hyperostosis in this patient package
23 insert?

24 DR. KENNEY: I'll so move, Mr. Chairman, and move
25 that we strike the section, "severe muscle aches and pains,"

1 and so on, and replace it with this new version.

2 DR. EAGLSTEIN: I hear your motion. I think the
3 contention on the part of the sponsor is that these are not
4 the same. That this is one set of symptoms and it has nothing
5 necessarily to do --

6 DR. KENNEY: I see what you're saying.

7 DR. EAGLSTEIN: -- so if you want to strike it, let's
8 hear it as a separate motion?

9 DR. KENNEY: No, I'll leave it there.

10 DR. EAGLSTEIN: So you then want to insert words
11 about hyperostosis?

12 DR. KENNEY: Yes. I would like to insert this
13 section as it already reads here, with the recommended change
14 of the second -- or the last sentence there.

15 Would you repeat what you said again, please?

16 DR. EAGLSTEIN: The first sentence would stand.

17 DR. BILSTAD: "Although these changes may not been
18 reported in acne patients, you should contact your doctor if
19 you develop severe joint pain or difficulty in movement."

20 DR. EAGLSTEIN: Is that your motion?

21 DR. KENNEY: Yes.

22 DR. GOLDNER: Second.

23 DR. EAGLSTEIN: Discussion?

24 (No response.)

25 DR. EAGLSTEIN: Dr. Rasmussen, are you tuned into this?

1 This is a motion to insert additional words in this during
2 treatment section. The additional words would be the first
3 sentence of the fourth paragraph of the FDA's suggestions on
4 during treatment and the second sentence would be changed to
5 say, "Although not reported in acne, you should contact your
6 doctor if you have pains or difficulty in moving."

7 Any discussion on adopting this proposal? No one
8 is concerned about the argument that the patient doesn't
9 have something to do with this information except get a -- I
10 guess get a series of X-rays of the whole body.

11 DR. KOEHN: I'm sorry, could you read it, what the
12 whole thing is? I can't find the fourth paragraph.

13 DR. EAGLSTEIN: Okay. We're on during treatment --

14 MR. BOSTWICK: This is the FDA -- this is the
15 memorandum that --

16 DR. KOEHN: I've got --

17 MR. BOSTWICK: -- page 2.

18 DR. KOEHN: -- I don't know where you are.

19 DR. EAGLSTEIN: Page 2. And then it is called
20 2(b), the last paragraph that starts with a little box. That's
21 where we were. We're suggesting -- the motion is to accept
22 the first sentence, plus an additional sentence that says,
23 "Although it has not occurred in acne patients, if you have
24 problems moving and pain, tell your doctor."

25 Are you ready to vote. Okay. All those in favor,

1 raise your right hand?

2 (A show of hands.)

3 MR. BOSTWICK: That's five?

4 DR. EAGLSTEIN: Not, it's four -- two, four, five.
5 Opposed?

6 (A show of hands.)

7 DR. EAGLSTEIN: That carried.

8 So, that is the suggestion insertion. Where did you
9 want this inserted? Was that going to be in this -- we've
10 kind of been discussing under the more serious side effects.
11 If that where it is going to be?

12 DR. KENNEY: I thought it was going to be on this
13 same during treatment.

14 DR. EAGLSTEIN: Yes, but during treatment has a mild
15 and a common and a less common, but more serious section.

16 MR. BOSTWICK: Headed by add bold print.

17 DR. KENNEY: I suppose right after the sever muscle
18 aches or pains is a good place for it.

19 DR. EAGLSTEIN: All right. You'll move that effect?

20 DR. GOLDNER: Second.

21 DR. EAGLSTEIN: Dr. Goldner seconds.

22 Yes.

23 DR. RASMUSSEN: With that positioning, you have to
24 accept the fact that you consider this to be a more serious
25 side effect, which is what it says, and yet if you look at

1 the patients who have been reported, specifically that paper
2 by Ellis, which is unpublished, and which was included in the
3 last portion of the handout, none of the patients were
4 symptomatic at all, and the X-ray changes were so subtle
5 that the radiologist missed half of them at the 20-some week
6 check up. They could only find them by going back and comparing
7 them with the final check up. We are giving the impression
8 that we are talking about a serious problem here. We have
9 no definition of what serious is and certainly by the published
10 results, at least used in acne, to my knowledge, there hasn't
11 been a patient reported who has had a serious complication.
12 The patients who has a serious complication, to my knowledge,
13 are only reported in that article by Uher (phonetic) and his
14 associates in the New England Journal and those patients are
15 taking about 2.0 mg/kg and higher for period of up to two
16 years and none of them had acne.

17 DR. EAGLSTEIN: So, you voted against its adoption
18 anyway. Now, you would suggest, however, that we call it mild,
19 at best?

20 DR. RASMUSSEN: Well, I'm not making that as a
21 suggestion.

22 DR. EAGLSTEIN: Comment.

23 DR. RASMUSSEN: But if the people who voted for that
24 put it under this heading of "Accutane may cause some less
25 common, but more serious side effects," how are you going to

1 justify saying that this is a more serious side effect? I can
2 see that potentially it could be a problem, but, to my knowledge,
3 there has not been a patient reported that we have any access
4 to that has had any serious side effects from this.

5 DR. EAGLSTEIN: So --

6 DR. RASMUSSEN: It is a radiological change, which
7 is of concern in the future of what will happen, but to say
8 that it is a serious effect is quite debatable, in my opinion.

9 DR. EAGLSTEIN: -- we are voting where to place now.

10 DR. RASMUSSEN: That is exactly why I brought up
11 this problem.

12 DR. EAGLSTEIN: You would say not to place it here
13 certainly?

14 DR. RASMUSSEN: Yes.

15 DR. KENNEY: I have no objection, but where would
16 you like to put it?

17 DR. GOLDNER: It would go in the paragraph then
18 rather than in the bold print which is what you are really
19 saying? In order for it to be considered as the milder
20 of the side effects, we would put it in that paragraph.

21 DR. RASMUSSEN: In my opinion, this is such an
22 uncommon, rare and completely asymptomatic problem that I
23 wouldn't stick it in this patient handout at all.

24 (Laughter.)

25 DR. RASMUSSEN: First of all, the patient isn't

1 going to have the faintest idea of what the difference is between
2 the acute, mild reactions that a lot of people get with
3 Accutane as opposed to this change, which is what we are
4 talking about, which is something you would hardly pick up on
5 routine studies at all. So, here is a specific radiologist,
6 Sheldon Markel, who knew exactly where to look and what to
7 look for, you're talking about sending somebody out for spine
8 X-rays or tibial -- I mean, medial tibia plateau, wherever
9 they're looking and say, he doesn't seem to be finding anything
10 or just close to zero. So, you are putting this in a patient
11 handout where a patient will not, in my opinion, be able to
12 interpret that at all. I would put that in the physicians'
13 material, and leave this thing about muscle aches, joint pains,
14 and stiffness, that's fine, but that's what I presume these
15 people were referring to, just a common -- that I see in
16 probably 5 to 15 percent of the patients that I put on Accutane
17 which has nothing to do with X-ray appearances that occurs
18 within two to four weeks after you start the patient on the
19 drug. It usually decreases as the patient stays on the drug
20 and that's what I think they are referring to.

21 DR. EAGLSTEIN: I sense that you prevail in making
22 people think that it shouldn't go here, is that right? But
23 that is the motion. Do you want to vote on that motion?

24 DR. GOLDNER: Well, you can. I'm not sure I would
25 agree completely with Jim. I think that the warning does

1 belong in there even though I agree it is of less significance
2 than what we originally said. I really like the fact that
3 you are alerting a patient that this drug cannot be taken
4 for longer than the prescribed time. I'm sure you all know
5 that patients get drugs whether you prescribe them or not,
6 and once you've prescribed Accutane, it's easy for Joe to go
7 to his cousin, who is a pharmacist and get some more Accutane
8 and to continue taking it. I like the fact that this is in
9 the patient warning and that you are alerting him that longer
10 than what you prescribe is dangerous?

11 DR. RASMUSSEN: I think it could be solved for us
12 by just having it as a third paragraph. Not common and not
13 too serious.

14 DR. GOLDNER: Right, I agree. It doesn't have to
15 be --

16 DR. EAGLSTEIN: So, why don't we vote on whether or
17 not it belongs here with the serious. That's the motion.

18 DR. GOLDNER: I would agree that it doesn't belong
19 in the serious.

20 DR. EAGLSTEIN: Okay. All those in favor of adding
21 it to the serious category, raise their hand?

22 (A show of hands.)

23 DR. EAGLSTEIN: All those opposed?

24 (A show of hands.)

25 DR. EAGLSTEIN: Okay. Now, do you want to move

1 to suggest where it would fit?

2 DR. GOLDNER: I would like to move that that paragraph
3 be put in with the side effects, and I guess it would go into
4 the mild ones in that paragraph, in the appropriate part of
5 that paragraph.

6 DR. EAGLSTEIN: I think Jim is going to say it is
7 not common.

8 DR. RASMUSSEN: The first is common and mild and the
9 second is uncommon and serious.

10 DR. EAGLSTEIN: So, why don't we have a not common
11 and not serious?

12 (Laughter.)

13 DR. GOLDNER: If you have the last sentence there,
14 it says in clinical studies the side effects were temporary
15 and disappeared when treatment was discontinued.

16 DR. RASMUSSEN: I think that this is so rare and
17 so asymptomatic that it should not be placed in an insert
18 that patient's are going to get.

19 DR. EAGLSTEIN: Do you want to move that we strike
20 it. We recommended adding it. Do you want to move that we
21 reverse that vote? Go to it, because you've discussed that.

22 DR. RASMUSSEN: Okay. Then I would like to move that
23 this paragraph in a box which starts out, "Accutane or any
24 variation thereof not be included in the handout that's provided
25 to patients."

1 DR. EAGLSTEIN: Can we get a second. He is voting
2 that this warning not be in the patient handout.

3 DR. KOEHN: I'll second that.

4 DR. KENNEY: I'll have to call a parliamentary
5 procedure here, sir. In order to vote to reconsider, which is
6 what this this, you have to have been on the favorable side to
7 do that.

8 (Laughter.)

9 DR. EAGLSTEIN: We'll let that be a motion even though
10 it is irregular.

11 We'll vote on this again. All those who favor the
12 motion that we've already accepted, you will not for this
13 motion.

14 DR. RASMUSSEN: No, we've got to have everybody vote
15 on this.

16 DR. EAGLSTEIN: I said everyone was going to vote.
17 The motion is that we not adopt -- that we strike the motion
18 we've already adopted, is that right? That we reverse our-
19 selves basically?

20 DR. RASMUSSEN: Instead of making it a negative,
21 why don't we just say, we not put the paragraph in?

22 DR. EAGLSTEIN: Good. All right. That we not put
23 the paragraph in.

24 All those in favor of not putting the paragraph in?

25 (A show of hands.)

1 DR. EAGLSTEIN: All those against that motion?

2 (A show of hands.)

3 DR. EAGLSTEIN: I think the question of where it
4 goes -- I think we've solved that.

5 DR. GOLDNER: That's the significant part.

6 DR. EAGLSTEIN: It has been suggested that we just
7 put it at the bottom.

8 DR. POMERANZ: Put it in here.

9 DR. EAGLSTEIN: Do you want to move to put it at the
10 bottom?

11 DR. POMERANZ: Move that it goes to the bottom.

12 DR. EAGLSTEIN: Second.

13 DR. RASMUSSEN: Second.

14 DR. EAGLSTEIN: All in favor?

15 (A show of hands.)

16 DR. EAGLSTEIN: Opposed?

17 (No response.)

18 DR. EAGLSTEIN: After treatment. Any suggestions
19 here?

20 (No response.)

21 DR. EAGLSTEIN: Any suggestions on after treatment?

22 DR. KOEHN: Is it true that about one-third of the
23 patients treated with Accutane have needed the second course?

24 DR. EAGLSTEIN: The question is: is it true that
25 that one-third of the patients treated once with Accutane need

1 a second course?

2 DR. GOLDNER: Is it a third? Is the third a correct
3 percentage.

4 DR. DEL VECCHIO: I believe that was true in the
5 original NDA data. I suspect it is higher now because people
6 are adjusting the dosages better and that it is lower in terms
7 of the need for retreatment. But that is in there to indicate
8 to patients that it may be necessary for them to be retreated.
9 I don't think the number is. We might be able to adjust that
10 to a more current number.

11 DR. CHANCO-TURNER: Shouldn't you be referring to
12 the recommended dose?

13 DR. DEL VECCHIO: I'm not sure what you mean.

14 DR. CHANCO-TURNER: I mean, is it as high as 30
15 percent? One-third of the patients on the recommended dose
16 require retreatment?

17 DR. DEL VECCHIO: No, Dr. Strauss' study just showed
18 today that 90 percent did not need retreated with the recommended
19 dose; so, it is actually -- it is actually more negative
20 than is truly the case. I'd have to ask you what your
21 experience has been in treating these patients. I don't know.
22 These were general averages with more studies.

23 DR. CHANCO-TURNER: I haven't had to retreat anybody.

24 DR. RASMUSSEN: I think it is going to depend on the
25 period of follow-up. The longer you go, the more patients

1 who are going to relax. And since we have not had use of this
2 drug for more than a year, I don't know how we can pick any
3 number. Even the protocol patients were very limited, less
4 than 1000, something like that.

5 I'm certainly starting to see people who aren't
6 getting good results and I'm certainly starting to see people
7 who have been treated and come back for a second course. It's
8 certainly isn't anywhere near a third, but we've only had the
9 drug out for a year.

10 DR. DEL VECCHIO: Perhaps we should just say that
11 some cases may need retreatment rather than specify.

12 DR. RASMUSSEN: Yes. I think that's easier rather
13 than trying to to put a percentage on it.

14 DR. EAGLSTEIN: Marie, do you move that?

15 DR. CHANCO-TURNER: Yes, I move that we change
16 that sentence to -- instead of saying about one-third of the
17 patients treated, we should say, some of the patients treated
18 with Accutance have needed a second course of therapy.

19 DR. EAGLSTEIN: Second?

20 DR. GOLDNER: Second.

21 DR. EAGLSTEIN: Discussion?

22 (No response.)

23 DR. EAGLSTEIN: All those in favor?

24 (A show of hands.)

25 DR. EAGLSTEIN: Opposed?

1 (A show of hands.)

2 DR. KOEHN: I was in favor.

3 DR. EAGLSTEIN: Okay. And that passes.

4 Additional suggestions on the after treatment of
5 patients, entitled, "after treatment." The next page is the
6 page called general guidelines when taking medicine.

7 Any suggestions here? Alterations, amendments?

8 DR. KOEHN: This second to the bottom paragraph, it
9 says, "Do not give it to others who have similar symptoms."

10 I wonder if it should say, "Do not give it to others."

11 It says it somewhere else, too, but I think patients do read

12 about this general medicine being used in psoriasis, and

13 different things, and sometimes people do -- are tempted to

14 to give medicines not only for cystic acne, but for other

15 things that they've read about that it has been used for.

16 DR. EAGLSTEIN: So, do you want to move to strike
17 "who have similar symptoms?"

18 DR. KOEHN: I move to strike, "who have similar
19 symptoms" be struck.

20 DR. EAGLSTEIN: Do we have a second?

21 DR. KENNEY: I'll second.

22 DR. EAGLSTEIN: Dr. Pomeranz?

23 DR. POMERANZ: I agree with that, but I just wonder
24 if it doesn't dilute it a little bit. Do not give it to
25 others. That's just give it to anybody else.

1 DR. CHANCO-TURNER: Don't share it.

2 DR. POMERANZ: Don't share it. I wonder if you should
3 say, don't give it to anybody else who has acne?

4 DR. KOEHN: Well, psoriasis is very common and --

5 DR. POMERANZ: Yes, I know.

6 DR. KOEHN: -- and patients do know --

7 DR. POMERANZ: But all of this is promoted for it.

8 DR. EAGLSTEIN: Any other discussion?

9 (No response.)

10 DR. EAGLSTEIN: Ready to vote. All those in favor
11 of the motion that we suggest striking the words, "who have
12 similar symptoms" out of the paragraph second from the bottom
13 on this page, raise their hands?

14 (A show of hands.)

15 DR. EAGLSTEIN: Those opposed?

16 (A show of hands.)

17 DR. EAGLSTEIN: Four to three.

18 DR. KENNEY: Somebody wants to add "may" to that,
19 too?

20 DR. POMERANZ: Or who has.

21 DR. CHANCO-TURNER: Suppose you say, "Don't share
22 your medication with anybody."

23 DR. EAGLSTEIN: That's what passed.

24 DR. KOEHN: I already lost.

25 DR. POMERANZ: Didn't it carry?

1 DR. KOEHN: It failed; we lost.

2 DR. EAGLSTEIN: That failed to carry.

3 Other suggestions. The final page, I think.

4 Any desired alterations here?

5 DR. KOEHN: I've had several patients who read this.
6 I've given them this and they say, you should not take Accutane
7 if you are or may become pregnant. And they say, does this
8 mean that I can never have any children?

9 DR. CHANCO-TURNER: Yes, I've had that question.

10 DR. KOEHN: And yet if you go on and read it, it
11 becomes apparent.

12 DR. EAGLSTEIN: Do you want to add during treatment?

13 DR. KOEHN: "During Accutane therapy and one month
14 after."

15 DR. EAGLSTEIN: Okay. During Accutane therapy or
16 during therapy with Accutane?

17 DR. KOEHN: Okay.

18 DR. EAGLSTEIN: And for one month after?

19 DR. KOEHN: Uh-huh.

20 DR. EAGLSTEIN: Is there a second to that motion?

21 DR. CHANCO-TURNER: Second.

22 MR. BOSTWICK: This is in the warning to female
23 patients?

24 DR. EAGLSTEIN: Yes, the first sentence. "You
25 should not take Accutane" --

1 MR. BOSTWICK: Okay.

2 DR. EAGLSTEIN: -- "or may become pregnant during
3 Accutane therapy or the month thereafter."

4 MR. BOSTWICK: Okay.

5 DR. EAGLSTEIN: Discussion?

6 (No response.)

7 DR. EAGLSTEIN: Do we want to vote in favor of this
8 motion?

9 (A show of hands.)

10 DR. EAGLSTEIN: Those opposed?

11 (No response.)

12 DR. EAGLSTEIN: Carried.

13 Others?

14 (No response.)

15 DR. EAGLSTEIN: Now, do you want to look at the
16 petitioner's suggestions for this? I think it can be done
17 rather well at this point. Under E, page 7.

18 DR. CHANCO-TURNER: On the patient package insert,
19 referring that we did not adopt this women of child bearing
20 age should always obtain a negative pregnancy test?

21 DR. EAGLSTEIN: Right. That was --

22 DR. CHANCO-TURNER: That was not adopted?

23 DR. EAGLSTEIN: -- that was not adopted. Okay.
24 The first paragraph, it was suggested by the petitioner, but
25 not adopted. The second paragraph -- if anybody wants to

1 reconsider, speak up.

2 The second paragraph, the petitioner wants to amplify
3 much further on the pseudotumor. He wants the words, "serious
4 neurologic disorder," and he wants it to be named, more or
5 less what we discussed.

6 Page 8, corneal opacities. We did discuss this and
7 adopted the mild statement. The next is the Accutane with
8 the Chron's disease. I think, again, we've discussed this,
9 and opted for a different statement.

10 The next is Accutane --

11 DR. CHANCO-TURNER: Hyperostosis.

12 DR. EAGLSTEIN: -- hyperostosis. I think we did that.

13 The next is a long statement on the evils of the high
14 blood fats. Does anyone feel that we should consider this
15 further, or ask that this information be placed in the
16 patient insert?

17 (No response.)

18 DR. EAGLSTEIN: The next is the shrinking of the
19 testicles and the decreased sperm production and the atrophy
20 in one patient.

21 DR. CHANCO-TURNER: Do we have any information on
22 that?

23 MR. BOSTWICK: There's a study going on, but it
24 hasn't been completed yet.

25 DR. ROFSKY: Mr. Chairman.

1 DR. EAGLSTEIN: Would you identify yourself, please?

2 DR. ROFSKY: Dr. Helbert Rofsky, -- planning manager
3 at Roche Laboratories. Regarding that particular comment,
4 the one patient with testicular atrophy, I happened to be on
5 an emergency call and took that one call. That was from a
6 dermatologist in Pennsylvania who had a telephone report from
7 a patient that his testicles became smaller. The patient was
8 never seen, never heard from again.

9 DR. RASMUSSEN: Maybe he just vanished.

10 (Laughter.)

11 DR. ROFSKY: That would have been very small, the
12 report was just small. Regarding the report on the hamsters,
13 the testicular effects by Accutane, that is an incorrect
14 interpretation of the article. The article was on Golden
15 Syrian hamsters, I believe. It was the use of three retinoids,
16 one of which was Accutane. The other two retinoids did have
17 an effect on the Syrian hamster testicles, Accutane did not.
18 We at Roche do not feel that that should in any way be included
19 be it patient or physician labeling.

20 DR. EAGLSTEIN: Does anyone on the Committee want
21 to comment or move to include it?

22 DR. HASERICK: If you will recall, I raised this
23 question about the effects of testosterone. I have been running
24 testosterone, serum testosterone for lack of anything better
25 for our laboratory to do. I have found no changes whatever
in, I suppose, about 30 patients.

1 DR. EAGLSTEIN: All right. So, you have not been
2 able to detect.

3 DR. HASERICK: I'm not upset about it any more.
4 I was at the time. I wonder why they didn't include that
5 information.

6 DR. EAGLSTEIN: The next suggestion is that the
7 patients be told to ask the doctor to lower their dose of
8 medicine after the first few weeks.

9 Does anyone feel we should request that be included
10 in --

11 DR. CHANCO-TURNER: No.

12 DR. EAGLSTEIN: -- any thoughts on that? Discussion?

13 And I think that ends the petitioner's suggestions.

14 Now, we have to go back to the tetracycline
15 statement. Dr. Koehn, do you have that ready?

16 DR. KOEHN: Yes. I would leave in on page 3 --

17 DR. EAGLSTEIN: We're back on tab F --

18 DR. KOEHN: Yes.

19 DR. EAGLSTEIN: -- page 3.

20 DR. KOEHN: I would just put in there in the print
21 like it was before the third paragraph from the bottom, "Of
22 10 cases of pseudotumor cerebri that have been reported, five
23 patients were on concomitant tetracycline therapy."
24 That's the third paragraph up from the bottom. And right
25 now it just says, "Cases of pseudotumor cerebri."

1 DR. EAGLSTEIN: That's been moved, I think, hasn't
2 it, or been boxed? Wasn't that all changed?

3 MR. BOSTWICK: Yes.

4 DR. KOEHN: Well, that part was deleted. My suggestion
5 is to put just plain old tetracycline sentence under there
6 saying, "Of 10 cases of pseudotumor cerebri that have been
7 recorded, five patients were on concomitant tetracycline
8 therapy."

9 DR. EAGLSTEIN: What did happen to this? Didn't
10 it stay here, but it just got boxed in bold?

11 DR. KOEHN: No, tetracycline --

12 DR. CHANCO-TURNER: It got moved up to adverse
13 reactions.

14 DR. EAGLSTEIN: Did it?

15 DR. CHANCO-TURNER: Yes.

16 MR. GOLDSMITH: To warnings.

17 DR. CHANCO-TURNER: I'm sorry, to warnings.

18 DR. EAGLSTEIN: I thought it didn't make it?

19 DR. TABOR: The pseudotumor?

20 DR. EAGLSTEIN: The pseudotumor.

21 DR. TABOR: It's a box warning at the head of
22 the warning section.

23 DR. GOLDNER: Not at the beginning of the insert.
24 It didn't get put at the beginning of the insert.

25 DR. EAGLSTEIN: It moved up to the warning?

1 DR. GOLDNER: It got moved up to the beginning of
2 warning.

3 DR. EAGLSTEIN: Okay. So, this then would be much
4 further back and very much separate?

5 DR. KOEHN: Yes, where it is now.

6 DR. EAGLSTEIN: Okay.

7 DR. KOEHN: Or actually, it got deleted, but just
8 to put that sentence in.

9 DR. EAGLSTEIN: Let's hear the words. Vote on the
10 words and then vote on the place.

11 DR. KOEHN: "Of the 10 cases of pseudotumor cerebri
12 that had been reported, five patients were on concomitant
13 tetracycline therapy."

14 DR. HASERICK: Who have been reported. They are
15 humans, not animals.

16 DR. KOEHN: Okay, yes.

17 DR. GOLDNER: Were they all tetracycline rather
18 than minicycline/tetracycline? Just nit-picking, but is that --

19 DR. KOEHN: That's what he said.

20 DR. EAGLSTEIN: They were both.

21 DR. DEL VECCHIO: Yes, they were. The only problem
22 with putting the number in, of course, is that the number is
23 going to change, In fact, five of the first six were -- those
24 five were among the first six or seven. That's why the package
25 insert now says the majority of them were, or most of them were

1 concomitant tetracycline therapy. The latest ones were not
2 on tetracycline.

3 DR. EAGLSTEIN: So you would suggest that we say
4 "some?"

5 DR. DEL VECCHIO: Well, the only trouble with putting
6 a number in is that that's going to change.

7 DR. KOEHN: How about several cases of pseudotumor
8 cerebri who have been reported were on concomitant tetracycline
9 therapy.

10 DR. EAGLSTEIN: Several who have been reported.
11 Is that a motion?

12 DR. KOEHN: Yes.

13 DR. EAGLSTEIN: Are you moving?

14 DR. KOEHN: Yes.

15 DR. KENNEY: I'll second.

16 DR. EAGLSTEIN: Discussion? We had a hand down
17 there. Did you want to help us with this?

18 DR. ROFSKY: I would like to add that we really have
19 no data, and I think the important point here is we would like,
20 as much as you would, to have this insert as meaningful to
21 the physician as possible to help guide him and lead him in
22 the proper use of Accutane and any other drug that happens to
23 be up. The point is, there is no data to support the concomitant
24 use of tetracycline in Accutane therapy for patients. All these
25 patients had failed on previous tetracycline therapy. The

1 only data that has been done on concomitant antibiotic use
2 is a recently published paper in the United Kingdom which
3 shows no additional benefit from concomitant antibiotic
4 therapy; therefore, the question becomes: shouldn't the
5 physician just be warned in a just manner that there is no
6 data to support the use of additive or concomitant tetracycline
7 or minicycline therapy, and there is the possibility of added
8 risk.

9 I would also like to suggest that Hoffman-La Roche
10 work out the exact wording with the agency at some time in
11 the future rather than we try to hone out every word here.

12 DR. EAGLSTEIN: Dr. Koehn, would that be satisfactory
13 to you?

14 DR. KOEHN: That would be fine.

15 DR. EAGLSTEIN: Do you want to withdraw your motion?

16 DR. KOEHN: I will withdraw my motion.

17 DR. EAGLSTEIN: Withdraw the second?

18 DR. KENNEY: Yes.

19 DR. KOEHN: I sure would like to see tetracycline
20 mentioned though.

21 DR. EAGLSTEIN: Dr. Rasmussen?

22 DR. RASMUSSEN: I think that even though he has
23 stated that there is not a study showing that the concomitant
24 use of the two is any more effective, what I think is sometimes
25 commonly seen in practice is that somebody comes to you who

1 has been on tetracycline and is still on the drug, and then
2 you are faced with the decision, do you stop everything and
3 start Accutane and endure two to four weeks of flare, which I
4 think is very common, or do you slowly wind one down and step
5 into the other, and this is, I think, where you are going to
6 get into problems.

7 I have heard Jim Leyden (phonetic) speak on this
8 subject and I don't want to put words in his mouth, but my
9 understanding was that he suggested that one way to avoid
10 that initial flare was to phase one in and phase the other
11 out. So, that might be a potential problem even though you
12 wouldn't be using them for concomitant effect, you would still
13 have the overlap type of a syndrome; so, I would agree with
14 Dr. Koehn, I think that we should have something someplace in
15 there that tetracycline might be mentioned.

16 DR. EVANS: I think it is common practice. I was at
17 a meeting earlier this week --

18 DR. RASMUSSEN: It is in our institution.

19 DR. EVANS: -- yes. And that it was a wide spectrum
20 of usage. Some people used Accutane alone; some people kept
21 them on tetracycline for a period of two to four weeks and
22 then stopped, and some people kept them on tetracycline altogether
23 through the Accutane period. So, there's a wide variety of
24 usages, of combination.

25 DR. EAGLSTEIN: The proposal you made might be --

1 some might be upset that it is more of a -- kind of a anti-
2 tetracycline statement in a way to say that there's no proof
3 that it helps.

4 DR. ROFSKY: Believe me, we have no vested interest
5 in either accepting or denying the concomitant use of
6 tetracycline. We just want to have the best current informa-
7 tion available to people who have to use Accutane.

8 DR. EAGLSTEIN: Okay. Well, Dr. Koehn, are you
9 withdrawing it or do you want to submit it again?

10 DR. KOEHN: Well, if I withdraw it, there will be
11 no mention of tetracycline unless someone else on the
12 Committee has an idea of how to put it in there. I am open
13 to suggestions. I think it should be mentioned.

14 DR. CHANCO-TURNER: I agree with Dr. Koehn. I
15 think it should be mentioned. I personally stop
16 tetracycline or any antibiotic before I start anybody on
17 Accutane.

18 DR. EAGLSTEIN: Well, why don't you move it again?

19 MR. GOLDSMITH: I would like to make a point that
20 looking at the other side effects data that we have, we have
21 no information from the other side effects data, including
22 the general malformation data that tetracycline has any effect
23 in any of those. We don't know the percentages of patients
24 on tetracycline or minicycline, but the pseudotumor data
25 stands out in that there is -- the minicycline and tetracycline

1 data from the ADR forms. I am aghast, et cetera, there is
2 no such data.

3 DR. EAGLSTEIN: Dr. Koehn, you better move it again.

4 DR. KOEHN: "Several patients with pseudotumor
5 cerebri" -- just a minute.

6 DR. RASMUSSEN: "Several patients with pseudotumor
7 cerebri have been taking concomitant Accutane and tetracycline."

8 DR. EAGLSTEIN: It wasn't just tetracycline though.

9 DR. RASMUSSEN: Accutane and tetracycline or
10 minicycline.

11 DR. CHANCO-TURNER: It's non-judgmental

12 DR. RASMUSSEN: It just says that it is there.

13 DR. EAGLSTEIN: Well, we're not saying --

14 MR. BOSTWICK: Oh, okay.

15 DR. CHANCO-TURNER: That should be under precautions.

16 DR. EAGLSTEIN: -- you are seconding it, I suppose?

17 DR. CHANCO-TURNER: I am seconding.

18 DR. EAGLSTEIN: Any further discussion?

19 (No response.)

20 DR. EAGLSTEIN: All those in favor?

21 Yes, further discussion?

22 DR. TABOR: I really think that it dilutes the
23 psuedotumor warning to say that.

24 DR. KOEHN: We've got it way down here.

25 DR. TABOR: I think you have no data to support.

1 an interaction between tetracycline and -- or practically no
2 data between tetracycline and Accutane. The real concern, I
3 think, is on a theoretical basis and if you are going to put
4 it in there, you ought to say that it is on a theoretical
5 basis. Your real concern is based on your knowledge, perhaps
6 in the back of your back, that tetracycline can cause pseudo-
7 tumor.

8 MR. GOLDSMITH: I think it is right on your ADR
9 report.

10 DR. TABOR: You also have five cases that did not
11 have tetracycline. I mean, the argument can be carried, you
12 know, to other things that they might have been exposed to.
13 We have no animal data to indicate that there is an inter-
14 action.

15 MR. GOLDSMITH: There is no data that there's not
16 an interaction. It's never been studied. It is raising a
17 warning. It is not to dilute the Accutane.

18 DR. TABOR: Well, I'm not saying that you shouldn't
19 raise the warning, but I really think that talk about the
20 pseudotumor cerebri cases is diluting the pseudotumor warning.

21 DR. HASERICK: Is there a motion on the floor, Mr.
22 Chairman?

23 DR. EAGLSTEIN: There is. We're discussing that
24 motion, I think. He's discussing points against it.

25 DR. CHANCO-TURNER: But wouldn't the fact that you're

1 putting things in two separate places not dilute the pseudo-
2 tumor warning?

3 DR. TABOR: Well, obviously, it dilutes it less than
4 putting them side by side, but --

5 DR. RASMUSSEN: I think this is a concise statement
6 of what we know at the present time. It just says that some
7 of the patients have been simply on Accutane and others have
8 been on Accutane and concomitant with either tetracycline or
9 minicycline.

10 DR. CHANCO-TURNER: I think it should go under
11 precautions just like you tell them they should not take
12 extra vitamin A.

13 DR. TABOR: I'll rest it there, but I do feel that
14 it dilutes the pseudotumor warning.

15 DR. EAGLSTEIN: Further discussion?

16 (No response.)

17 DR. EAGLSTEIN: We will vote on the motion which is
18 to add the words, "Several cases of pseudotumor cerebri have
19 been in people taking either tetracycline or minicycline
20 concomitantly," something to that effect.

21 All those who favor adding those words?

22 (A show of hands.)

23 DR. EAGLSTEIN: All those opposed?

24 (No response.)

25 DR. EAGLSTEIN: Carried.

1 Now, do you want to propose where we will place
2 these words? Or suggest placing them?

3 DR. RASMUSSEN: How about just where that sentence
4 was deleted?

5 DR. EAGLSTEIN: Under adverse reactions?

6 DR. RASMUSSEN: Yes, under adverse reactions. It
7 was the third paragraph from the bottom on page 3.

8 DR. EAGLSTEIN: Okay. So, you move that these words
9 be placed in the third paragraph from the bottom on page 3?

10 DR. RASMUSSEN: Yes.

11 DR. EAGLSTEIN: Second?

12 DR. KOEHN: Second.

13 DR. EAGLSTEIN: Any discussion?

14 MR. BOSTWICK: I only have a question. It is only
15 going to be one sentence. It's not going to include the
16 second sentence concerning, "This disorder using..."

17 DR. EAGLSTEIN: No, that was all stricken.

18 MR. BOSTWICK: All right.

19 DR. EAGLSTEIN: All those in favor of that placement?

20 (A show of hands.)

21 DR. EAGLSTEIN: Opposed?

22 (No response.)

23 DR. EAGLSTEIN: It passes.

24 That completes our question four and brings us to
25 question five, which is: should a required patient package
insert be recommended? Maybe we could get clarification.

1 I don't know what that would mean?

2 MR. BOSTWICK: It is worded that way for a purpose.
3 The Food and Drug theoretically can't mandate a patient patient
4 insert, but it is -- the Committee is free to recommend that
5 that the firm issue a patient package insert and make it part
6 of their package with the drug. So, I guess what we're trying
7 to do is wheeze around the idea that even if the Committee does,
8 and the Committee is free to recommend that it be made
9 mandatory. It really isn't a regulation to do that.

10 DR. HASERICK: That sounds so appealing to me, there
11 must be something wrong with it.

12 (Laughter.)

13 DR. HASERICK: What do you say? What is your
14 attitude?

15 DR. DEL VECCHIO: It depends on what it is you are
16 recommending. If you're talking about packaging this particular
17 brochure with stock packages of Accutane, that's one considera-
18 tion. The problem in patient package inserts is that unless
19 they are packaged with the unit of use type of packaging, but
20 there is no guarantee whatsoever that the patient will get them.
21 You cannot legislate that. You can't make the pharmacist
22 give them out. The oral contraceptives are packaged in a unit
23 of use and each one of those contains a package insert for
24 the patient.

25 Accutane and most other medications do not come that

1 way because the prescriptions vary all over the lot in terms
2 of numbers and, therefore, what you are going to have is a stock
3 package with a series of brochures in them which may or may not
4 be given out by the pharmacists. Some pharmacists, I think
5 would gladly give them out. They feel they should be a source
6 of information with patients about the truck. Others, I think,
7 would totally ignore them. You run into the problems of
8 distribution of parts of a package and whether it will get
9 there or not. And our feeling is that making as much of an
10 impression as we can upon the physician to give that brochure
11 out that there is just as good a chance of the patient getting
12 that information from the physician as it is under such a
13 required format.

14 The other thing is, of course, that with a mandated
15 one that is given out with the prescription, the patient
16 receives the brochure only after they have the prescription
17 filled and they pay for it and they have it in the bag.
18 They may or may not be on their way home, but they could,
19 of course, request it before then if they knew that.
20 So, the problem is, they've already got the bottle, whereas if
21 they get it from the physician, they have the opportunity to
22 ask him or her questions. They can discuss it with the nurse,
23 and so forth.

24 I don't think that the -- that this is a totally
25 foreign idea. I think there are some very positive points

1 to it, but I don't -- our position is that it doesn't really
2 add to the possibility of the patient will get the required
3 information, but we feel it should be between the physician
4 and the patient.

5 DR. POMERANZ: I think it should be done both
6 places, as a patient package insert and also given by the
7 physicians. I don't think we should miss any opportunities
8 to make certain the patient gets this information.

9 DR. RASMUSSEN: Perhaps because I know physicians
10 too well and pharmacists not well enough, I have serious
11 doubts that a substantial percentage of physicians will give
12 this out for a variety of reasons. Some, because they are
13 philosophically opposed. Others, because they are not the
14 source of the drug, and consequently, there cannot be a
15 matching between the number of patients who are going to
16 receive the pamphlet and the number of pamphlets you actually
17 have, whereas if you provide it with the medicine as it is
18 sold, as you run out of medicine, you run out of pamphlet;
19 so, you cannot give medicine without pamphlet.

20 A physician could see somebody, run out of pamphlets,
21 and not have anything else to use. We happen to use almost
22 an identical sheet in the Dermatology Department of the
23 University of Michigan, which we give two copies, one of which
24 we have the patient read and sign and then we stick it in the
25 hospital chart, and the second one which actually goes home

1 with the patient; so, we would comply with that quite nicely,
2 but I have a feeling most people would not. There would be
3 a problem maintaining the supply and there would be a problem
4 giving it out.

5 DR. EAGLSTEIN: So, you are in favor of this
6 request that we recommend a required package -- patient package
7 insert?

8 DR. RASMUSSEN: I think that while it may be difficult
9 to do that nothing will be lost with an attempt to do this.
10 There will be no harm.

11 DR. EAGLSTEIN: Other comment?

12 DR. GOLDNER: Well, my pharmacy background makes me
13 object to that because I just feel that -- I object to the
14 concept of mandatory pharmacy package inserts. I believe
15 that that brings the questions back to the pharmacists rather
16 than the physician where they belong. Pharmacists can help
17 with a lot of the questions, but many of these problems he is
18 just not familiar with, and I don't really believe that these
19 dangers and these problems belong to the pharmacist. I believe
20 they belong to the physician and I would much rather see the
21 onus be put on the physician to educate the patient than to
22 have this patient folder that was so designed to be dispensed
23 by the physician. I object to the pharmacy --

24 DR. RASMUSSEN: I don't think it is designed as
25 the sole source of information. I think it is designed as a

1 check on the patient who may not have been -- who may not have
2 received this in the physician's office. I think the idea
3 is to get them --

4 DR. GOLDNER: You want them done by both?

5 DR. RASMUSSEN: -- I didn't mean to imply that this
6 was the only source of information after you paid your \$50
7 and then you get one of these things, because that's terrible.

8 DR. GOLDNER: Yes. That's correct. I object to
9 that kind of a viewpoint. You are not proposing that, but
10 in general --

11 DR. RASMUSSEN: No, no.

12 DR. GOLDNER: -- I really don't approve of patient
13 inserts through the pharmacy.

14 DR. DEL VECCHIO: I would just like to remind you
15 that all 60,000 retail pharmacists will receive these
16 brochures directly from Roche. Will be able to get more
17 of these brochures at any time whereas getting them with the
18 package they've gotten through a wholesaler, because most
19 pharmaceutical companies sell from wholesalers. They may
20 then be broken down. They do have the opportunity right
21 now, and will have to have that additional input with the
22 patient.

23 DR. EAGLSTEIN: All three places.

24 MS. LACHEEN: I'm from the Health Research Group
25 and I would just like a clarification.

1 It is my understanding that if a patient package
2 insert is mandatory that a pharmacist is required by law to
3 distribute it, and so even if there is no 100 percent guarantee
4 that you still have a majority of the pharmacists distributing
5 it for that reason, and that would be the most successful
6 way of making sure the patients get it.

7 Is that correct?

8 DR. EAGLSTEIN: You are asking if that is correct?

9 MS. LACHEEN: That's right.

10 DR. EAGLSTEIN: Can you answer that for her?

11 DR. BILSTAD: Well, I wanted to clarify that point
12 earlier that if FDA were to decide that patient package inserts
13 were mandatory and if we did not come to an agreement with the
14 firm on that, we can go through the comment rulemaking procedure
15 and require package inserts. That was just a clarification of
16 the point before. I agree with the point you are making.

17 DR. EAGLSTEIN: And her point is correct that if
18 you were to mandate it; then it would be somehow a law?

19 DR. BILSTAD: If we go through the rulemaking pro-
20 cedure, which we can do; then, that would be the case.

21 DR. EAGLSTEIN: Pharmacists would be obliged to.

22 Somebody had pointed out earlier that if you
23 know the pharmacists is going to do this, you are more likely
24 to in fact spend the time with your patient.

25 Is there any other comment before we vote on this

1 question? Any other discussion?

2 (No response.)

3 DR. EAGLSTEIN: Are you ready to vote. All those in
4 favor of number five, which is to say that we adopt the
5 resolution -- we suggest a a required patient package insert
6 should be recommended.

7 All those in favor?

8 (A show of hands.)

9 DR. EAGLSTEIN: All those opposed?

10 (A show of hands.)

11 DR. EAGLSTEIN: It carried.

12 That, I think, ends the session on Accutane. It's
13 3:15. We have a subcommittee report on Lindane, which we
14 might be able to get through very quickly. Shall we give it
15 a try.

16 MR. BOSTWICK: You've also got on your program a short
17 presentation.

18 DR. EAGLSTEIN: Oh, do we, I'm sorry.

19 The representatives for the National Pediculosis
20 Association, do you want to make your presentation, or would
21 you let us --

22 MS. KENNY: We need five minutes, I know we are
23 very pushed for time and everybody has to leave.

24 DR. EAGLSTEIN: We have a subcommittee report that
25 you are familiar with, and it was my impression that you were

1 satisfied with that report?

2 MS. KENNY: We are, but with one exception.

3 DR. EAGLSTEIN: Do you want to address that exception,
4 or do you want us to try to deal with the report? You are
5 saying that you can't wait for that?

6 MS. KENNY: We can condense our presentation and a
7 little bit of discussion.

8 DR. EAGLSTEIN: Okay. Please come to the podium
9 and discuss the one point that you would like to.

10 To clarify for the Committee, at the last meeting
11 a subcommittee was appointed by Dr. Arundell. I was the
12 chairman. Dr. Pomeranz and Rasmussen were the other members.
13 Dr. Pomeranz could not make the meeting, which was yesterday,
14 but Dr. Rasmussen and I were there, as were the representatives
15 of the Pediculosis Association and representatives of the
16 sponsor. And you have before you the proposed recommendations.
17 These are proposed by the subcommittee to the Committee
18 and the Pediculosis Association representatives agree with
19 one exception, or seem pleased except in one area, is that
20 correct? I don't want to misrepresent you?

21 MS. KENNY: Right.

22 DR. EAGLSTEIN: And in the interest of time, we're
23 going to try to address the area where they don't agree with
24 the subcommittee's proposal.

25 MS. KENNY: I guess we can live with most of this.

1 I guess where we differ is on the three part issue of contra-
2 indication for infants and for pregnant and lactating women.
3 And we think that the subcommittee hasn't really gone far
4 enough on their recommendation.

5 DR. EAGLSTEIN: Where is that now?

6 MR. BOSTWICK: It isn't in here.

7 MS. KENNY: It isn't here, because actually --

8 DR. EAGLSTEIN: We didn't adopt it.

9 MS. KENNY: -- they tended to leave the warning as
10 it was, which is essentially a caution for pregnant and
11 lactating women, or perhaps not even that strong. And we
12 think that this is just a common sense issue for women, and
13 in addition, it's a bottom line issue.

14 There was some discussion yesterday that the sponsor
15 was going to voluntarily restrict the product for use on pre-
16 mature infant, but we believe that they are not going to do
17 it for the even more premature infants, who are still in utero
18 and these are the infants whose immature and developing
19 central nervous systems are going to get whatever -- however
20 miniscule amount of Lindane that enters the mother's blood-
21 stream from application to herself. The developing fetus
22 is going to get this and we just can't condone that.

23 I think we are also asking you to act today as an
24 advocate for infants, and we're defining it for this purpose
25 as babies two years old and under, based on the fact that their

1 surface to volume ratio makes them more likely candidates for
2 CNS adverse reactions. And I guess that's our main point of
3 difference.

4 DR. EAGLSTEIN: You would like Lindane contraindicated
5 for those under two?

6 MS. KENNY: We would like it contraindicated for
7 children under two and for pregnant and lactating women, or
8 at least a strong caution on the package for consumers regard-
9 less of whatever is left on the PDR and for physicians. We
10 would like consumers to see it on contraindications or caution
11 on the package.

12 DR. EAGLSTEIN: Dr. Pomeranz?

13 DR. POMERANZ: In reading this over under number 3(d),
14 warn anyone. I would put in parenthesis, (particularly any-
15 body that is pregnant assisting in Lindane applications.)

16 DR. EAGLSTEIN: Okay.

17 DR. POMERANZ: If that is going in physician package
18 insert, that's one thing I thought might be helpful.

19 DR. EAGLSTEIN: Dr. Pomeranz was suggesting that
20 under I 3(d), it should read: "Warn anyone assisting,
21 or especially pregnant women?"

22 DR. POMERANZ: Yes. I warn anyone and in parenthesis
23 (particularly pregnant women.)

24 DR. EAGLSTEIN: Warn anyone and particularly pregnant
25 women.

1 DR. POMERANZ: Warn anyone, and particularly pregnant
2 women.

3 DR. EAGLSTEIN: Does the Committee want to take a
4 minute and read through this yourselves independently?

5 DR. CHANCO-TURNER: Yes.

6 DR. EAGLSTEIN: And then we can get to it.

7 I think a few of our Committee are leaving now
8 and the representatives from the Pediculosis Association --

9 MS. KENNY: We've got an hour.

10 DR. EAGLSTEIN: Is that right. We're considering
11 sending everybody home to think this over. I know that it
12 has been an expense to you to come here, but everybody is
13 tired. A few have left. I don't think that a proper evaluation
14 can be made, and I would suggest that your chances of getting
15 through to clear minded people are not good right now.

16 (Laughter.)

17 MS. ALTSCHULER: We definitely know we don't need
18 Accutane and Kwell at the same time.

19 DR. EAGLSTEIN: Is anybody going to be terribly upset
20 if we adjourn at this point and take this up at a future
21 meeting?

22 MS. ALTSCHULER: By a future meeting, are you
23 necessitating the presence of or --

24 DR. POMERANZ: How long -- I mean, it seems pretty
25 straightforward to me.

1 DR. EAGLSTEIN: Does it? It does to me, but I
2 spent all day yesterday working on it.

3 MS. KENNY: I've read it through and it just seems
4 straightforward.

5 The only problem I have is the infants under two
6 and absolute restriction against them. As far as the pregnant
7 women goes, I don't have any problem with that.

8 MS. KENNY: How about the pediculosis, and let it
9 stand on scabies.

10 DR. CHANCO-TURNER: You mean, under (1) and remove
11 pediculosis as an indication?

12 MS. KENNY: We just feel that there are safer, less
13 toxic possibilities and alternatives for pediculosis for any-
14 one of any age, and that it is not necessary to use Lindane
15 on really anyone for pediculosis, yet alone infants.

16 MS. ALTSCHULER: Or any pediculicide on a two-year
17 old or younger.

18 DR. EAGLSTEIN: The Committee yesterday --

19 DR. POMERANZ: What do you do with kids under two
20 that have them?

21 DR. EAGLSTEIN: -- that's the problem that was
22 addressed.

23 MS. ALTSCHULER: Comb them.

24 DR. EAGLSTEIN: Comb them. The thought yesterday
25 was they will receive some treatment and it may be equally

1 unsafe, as it were, unless you believe that combing will be
2 sufficient.

3 MS. KENNY: Well, that's for babies. Babies with
4 limited amounts of hair.

5 DR. KOEHN: May I ask a question as a new person
6 and not here last year. I noticed in your write up of the last
7 meeting that you are considering -- that you voted to take
8 the shampoo separately and make up a new -- a different warning
9 for that rather than for the cream and lotion. Has that been
10 done?

11 DR. EAGLSTEIN: The vote last time, I believe, was
12 to remove pediculosis as an indication for the cream and lotion.

13 DR. KOEHN: And you were going to change then the
14 shampoo so that it wasn't left on overnight, and so forth?

15 MS. KENNY: Right.

16 DR. EAGLSTEIN: That had already been done.

17 DR. KOEHN: That's done?

18 DR. EAGLSTEIN: That's done.

19 DR. EVANS: That was the reason that they elected
20 to use shampoo as the drug of choice because it was only used
21 for a matter of eight to ten minutes whereas the others
22 were left on overnight.

23 DR. KOEHN: So, we're saying now that we should not
24 even use the shampoo for four minutes?

25 MS. KENNY: No. I mean if the stuff is going to be

1 left on the market, you ought to use the shampoo for four
2 minutes --

3 DR. EAGLSTEIN: But not on people under two.

4 MS. KENNY: -- but not on people who are pregnant,
5 nursing or under two.

6 DR. KOEHN: Pregnant, nursing and under two.

7 DR. EAGLSTEIN: That's the issue. If you agree
8 to this --

9 MS. KENNY: For pediculosis, there are alternatives
10 that are safer.

11 DR. EAGLSTEIN: -- if this committee report is
12 satisfactory to you, it is to the committee obviously. The
13 Pediculosis group is not satisfied as regards one point,
14 and that point in particular is that they would like the shampoo,
15 at least, if not all the Lindane preparations to be contra-
16 indicated for people under two, pregnant or lactating. Is
17 that right?

18 MS. KENNY: Right. I think the numbers you are talk-
19 ing about are huge. There are millions of people who are
20 using just the shampoo and if you could separate the shampoo,
21 I think you would be helping millions of people if we could
22 contraindicate for those populations.

23 DR. EAGLSTEIN: The committee discussion centered
24 on the fact that in all likelihood, the people will get treat-
25 ment with shampoo of another sort, or hair treatment and that

1 that might not be safe.

2 DR. CHANCO-TURNER: They'll get A-200.

3 DR. EAGLSTEIN: Yes.

4 MS. KENNY: There are better OTC options than A-200.

5 MS. ALTSCHULER: Well, looking at the other side
6 of the coin, there really isn't any great advantage to using
7 the Lindane preparation. It doesn't have anything -- knowing
8 it's lack of ovacidal action, it is not like it's going to be
9 the be all and end all above everything else. It's efficacy
10 is pretty well -- not that much greater than anything else
11 available, if any. So, there's no reason to look to a poten-
12 tially toxic substance when you can get the same effect none-
13 theless.

14 DR. MCILREATH: Dr. McIlreath from Reed and
15 Carnrick. If you contraindicate this for shampoo, which is
16 left on for four minutes and allow it for scabies, which is
17 left on for 12 hours -- eight to 12 hours, you're talking
18 of -- saying that something is okay to -- which will produce
19 levels of X level. Something is not okay if it produces
20 levels of less than one-tenth X. We have 40 years experience
21 with never a report of any teratology or any fetotoxicity
22 reporting with the compound and a raft of animal studies,
23 which have shown a lack of evidence of any fetotoxicity even
24 in three generation studies, and it seems to us that there is
25 nothing but an emotional concern about the potential hazard.

1 MS. KENNY: I think it is clear that the studies go
2 both ways. I think there are studies on both sides. Studies
3 that point out both and that the evidence is not in on this
4 substance, and I think the substance is going to be controversial
5 for a long time.

6 DR. EAGLSTEIN: I don't think the committee found
7 evidence on both sides frankly, or they probably would have
8 reached an alternate conclusion. The evidence was that the
9 blood levels in utero and the blood levels after shampoo were
10 really quite low. And your view was that it would be better
11 if they were zero and that could be down by combing or --

12 MS. KENNY: Our view is that most people misuse.
13 Many, if not most, people misused this substance, and when I
14 say that I am not just going on my own experience with hundreds
15 and hundreds and hundreds of families, but also on the experience
16 to, for instance, Dr. Von Hanson (phonetic) at Allied Health
17 Center in Tucson, and he is also reported as saying that in
18 probably 60 percent of patients, people overuse and misuse
19 this substance so that you get a situation where you may get
20 blood levels on use as directed, but when you get overuse
21 and misuse, you get probably considerably higher blood levels
22 in the fetus.

23 DR. EAGLSTEIN: I think the committee agreed with
24 you and tried to address the area of overuse and misuse in
25 the ways outlined. Certainly, we all agreed that the sponsor

1 should take many steps to educate the physicians and the
2 literature should be clarified.

3 Your concern really is that overuse may still occur
4 and you want to prohibit these people from having the chance
5 to overuse it by prohibiting them from having it.

6 MS. KENNY: Would the committee consider saying
7 on the package insert, "Pregnant women should consult their
8 obstetrician before using the substance."

9 DR. EAGLSTEIN: I hope you are getting the sense of
10 this. I am trying to represent this issue as it evolved
11 yesterday that the idea here is that the Pediculosis Association
12 would like to preclude being pregnant, lactating or under two
13 a contraindication so that there would be no choice for them,
14 as it were, and so that they couldn't overuse or misuse.

15 DR. KOEHN: And that is just for lice and not
16 scabies?

17 MS. KENNY: Pediculosis.

18 DR. EAGLSTEIN: Well, they'd like it for everything,
19 but they --

20 MS. KENNY: We can't talk about scabies, we are
21 really not qualified.

22 DR. EAGLSTEIN: -- okay. They're sticking with lice.
23 For lice. And the point especially being that overuse is
24 apparently quite common. And the committee agreed -- the
25 subcommittee agreed, and I think the full committee last time

1 agreed that it was a terrible state and that there hadn't been
2 nearly enough attempt by the sponsor to make things clear.
3 And we were quite critical of the sponsor. And I think we
4 asked that we adopt a statement to that effect, a general
5 statement, number five, that the firms are encouraged to do
6 much more to educate.

7 But that was the remedy that the committee was
8 suggesting, the remedy being to give people the information
9 to use it properly rather than saying they can't use it since
10 they were going to use something.

11 DR. CHANCO-TURNER: But you were suggesting a
12 patient package insert too, weren't you, in number three?

13 DR. EAGLSTEIN: Number three?

14 MR. BOSTWICK: Well, we had that example.

15 DR. EAGLSTEIN: We have it.

16 DR. POMERANZ: Has the company made any progress in
17 a unit dosage system so that they are not giving it out in
18 large amount, 32 ounce bottle?

19 DR. McILREATH: As we pointed out yesterday, we can
20 do that. It's been done with several other drugs. Pharmacists
21 don't buy it. They want something that's convenient for them.
22 The unit dose, if you want to call two ounces a unit dose,
23 that is a common package now for us, but pharmacists still
24 prefer a larger one that they can dispense in the appropriate
25 amounts. We believe that we can and will increase -- improve

1 the directions for use to make it more clear about how much
2 you should use under various circumstances. But I don't think
3 we would come out with a one ounce unit.

4 MS. KENNY: We suggested a sliding -- we would be
5 satisfied with a sliding scale on the bottle.

6 DR. EAGLSTEIN: The Pediculosis group actually came
7 out against the unit dose feeling that it might lead to more
8 abuse and hoarding.

9 MS. ALTSCHULER: And also with the understanding that
10 there would be a sliding scale approach used in the doses; so,
11 that it is not encouragement to use a whole bottle just to wet
12 the hair.

13 DR. KENNEY: Mr. Chairman, I would like to move the
14 adoption -- that the full committee adopt the subcommittee's
15 report.

16 DR. EAGLSTEIN: Is there a second?

17 MR. GOLDSMITH: Second.

18 DR. EAGLSTEIN: Discussion?

19 MS. KENNY: What happens with the patient package
20 insert which is voluntary?

21 DR. EAGLSTEIN: We'll get to that next.

22 MS. KENNY: Can there be some resolution by the
23 committee that they strongly recommend to the sponsor that
24 they develop such a patient package insert?

25 MR. BOSTWICK: We had an example. And we thought
what we would do -- has gone through the floor -- we thought

1 we would discuss that and develop the sense of what the committee
2 felt about it.

3 DR. McILREATH: Perhaps I can save you some trouble.
4 We will prepare a package insert -- a patient package insert.

5 DR. EAGLSTEIN: Now, is that your question?

6 MS. KENNY: That's my question. I mean, the question
7 is, is there going to be a recommendation that they use some
8 of our guidelines -- our brochure as a guideline in doing so,
9 or not?

10 DR. EAGLSTEIN: My feeling as to what happened yester-
11 day was that the subcommittee agreed that this package --
12 that this information, which is developed by the Pediculosis
13 Association was very good and should serve as the basis for
14 what the company would develop as a patient package insert.
15 And that it would be recommended that there be a patient
16 package insert. And I think that the company agreed and we
17 wanted to -- we felt we would have more time for deliberation
18 and that people might amplify, but short of that, I think
19 adopting this should adopt the ideas that we are requesting
20 the company or the sponsors to make an insert based on this
21 insert.

22 MR. BOSTWICK: Right.

23 MS. KENNY: I don't know if they agreed to that.

24 DR. McILREATH: Well, I think, it will go beyond
25 that.

MR. BOSTWICK: That doesn't change the fact that
Baker, James & Burke Reporting, Inc.

1 the committee can adopt the recommendations and adopt that
2 package insert as part of them and then we will have to
3 negotiate with Reed and Carnrick over the content. But the
4 committee is certainly free to adopt this insert as its --

5 In other words, III, since we don't have time,
6 would really be that the subcommittee recommends the sponsor
7 develop a package insert based on the Pediculosis insert
8 and that this be available to the patient. I don't know that
9 that is as far as you want to go.

10 Did you hope we'd say it would be mandatory?

11 MS. KENNY: No. Obviously, I understand that it has
12 to be voluntary.

13 DR. EAGLSTEIN: Okay.

14 MS. ALTSCHULER: One last thing. On the physician
15 directions inside where you have on Part I, "Emphasize the
16 need for combing out the nits after shampooing to prevent
17 self-reinfestation."

18 DR. EAGLSTEIN: Right.

19 MS. ALTSCHULER: Would it read similar to that, or
20 would there be more specifics as to the ovicidal or lack of
21 ovicidal action by Lindane if used safely? Only because in
22 the sense of reeducating the physician, he thinks it does it
23 all. Explicit numbers perhaps?

24 DR. EAGLSTEIN: Do you recall what was the -- you
25 wrote this as what we --

1 MR. BOSTWICK: I wrote that as what we recommended.
2 We didn't specifically include the other portion.

3 DR. EAGLSTEIN: She is saying that since this is a
4 physician insert and it is part of educating the physician,
5 she would like to see it say something like, since Lindane
6 is not 100 percent ovicidal, it is important that the patient
7 be told to comb out the nits to prevent reinfestation.

8 MS. KENNY: A four-minute application is sometimes
9 less than 20 percent ovacidal.

10 MS. ALTSCHULER: Right. It's that low.

11 DR. EAGLSTEIN: Does the committee have any
12 feeling on this particular issue?

13 (No response.)

14 DR. EAGLSTEIN: I told you the committee wasn't
15 sharp right now.

16 MS. ALTSCHULER: That's all right. Well, we're
17 taking our chances.

18 DR. EAGLSTEIN: Jerry, you seemed tuned in to this.
19 Do you think that idea should be inserted?

20 DR. POMERANZ: I don't see any reason not to --

21 DR. EAGLSTEIN: That lack of ovacidal --

22 DR. POMERANZ: -- at this point.

23 DR. EAGLSTEIN: Does the sponsor have a problem
24 with that?

25 DR. McILRETH: No.

1 DR. EAGLSTEIN: No. So, you would concur?

2 DR. McILRETH: Yes.

3 MR. BOSTWICK: So, this statement, we just
4 include --

5 DR. EAGLSTEIN: Include the lack of 100 percent
6 effect.

7 DR. KOEHN: Lindane is not 100 percent ovicidal.

8 DR. EAGLSTEIN: Right.

9 Include less than 100 percent ovicidal.

10 Other points of agreement or disagreement?

11 DR. KOEHN: Just a question, do you know -- does
12 Lindane -- what about Lindane and wearing rubber gloves?

13 DR. EAGLSTEIN: That was brought up. No one knew.
14 We don't know if Lindane will penetrate the rubber gloves
15 in the time used during wash. We thought maybe in a brief
16 washing time it wouldn't, but in the long run, it would. We
17 didn't really know.

18 So, there is a motion -- somebody moved to --

19 MR. BOSTWICK: Dr. Kenney.

20 DR. EAGLSTEIN: -- accept this as it now stands.

21 DR. BOSTWICK: The recommendations are amended by
22 adopting the National Pediculosis Association patient package
23 insert.

24 DR. EAGLSTEIN: Right.

25 DR. BOSTWICK: And the additional statement is under

1 3(f).

2 DR. EAGLSTEIN: Less than 100 percent effective.

3 MR. BOSTWICK: Which would include the information
4 that Lindane is less 100 percent ovicidal.

5 DR. EAGLSTEIN: Is there a second to that?

6 DR. POMERANZ: What about the 3(d) that I suggested?

7 MR. BOSTWICK: 3(d).

8 DR. EAGLSTEIN: Right. And the pregnant women,
9 especially pregnant women.

10 DR. POMERANZ: More than anyone.

11 DR. EAGLSTEIN: Okay.

12 DR. POMERANZ: We could say especially pregnant or
13 nursing.

14 MS. ALTSCHULER: Yes. Yes.

15 DR. EAGLSTEIN: A second. I'm looking for a second.

16 MR. GOLDSMITH: Second.

17 DR. EAGLSTEIN: Now, you understand this does not
18 accept the area where you would like us to change.

19 MS. KENNY: I understand that.

20 MR. BOSTWICK: Contraindications for pregnant women.

21 DR. KOEHN: It says, "Patient package insert, please
22 see the accompanying example." If we vote for this, does it
23 mean that we're voting never use Lindane to prevent lice?

24 MR. BOSTWICK: Yes.

25 MS. ALTSCHULER: Right.

DR. EAGLSTEIN: To prevent.

Baker, James & Burkes Reporting, Inc.

202 347-8865

1 MS. KENNY: To prevent.

2 DR. KOEHN: Oh, to prevent. I'm sorry.

3 MS. KENNY: We did this in 20 minutes.

4 DR. EAGLSTEIN: All those in favor of the subcommittee'
5 report becoming the committee's report?

6 (A show of hands.)

7 DR. EAGLSTEIN: All opposed?

8 (No response.)

9 DR. POMERANZ: Can we take up again at another point,
10 the question of the under two?

11 MR. BOSTWICK: Oh, sure. We've been looking at
12 Lindane for seven years and we're not going to stop now.

13 DR. EAGLSTEIN: Well, I want to thank the committee.
14 You worked very hard. Thank everybody for being so indulgent.

15 (Whereupon, at 3:45 p.m., the meeting was
16 adjourned.)

17 - - - - -

18

19

20

21

22

23

24

25