

1 differences were small. And I'm not sure that
2 there were that many differences in the
3 different ways that you did the analysis, and
4 that's the data we had at-hand at the time.

5 DR. LINCOFF: Perhaps I can address
6 that because this I think is a key point and I'm
7 not trying to perseverate on something
8 relatively small.

9 But if you look at your Slide, I
10 guess, 15 -- it's really 14 and 15, and
11 compare it to Table 35 that's presented on
12 page 98 of the sponsor's packet -- or
13 sponsor's form. So if you look at the actual
14 number of events, any cardiovascular -- now,
15 the denominator's slightly different, but I
16 think relatively small differences and I'm
17 not completely clear. I mean, it's 1,800 as
18 compared to -- 1,807 in the active treatment
19 group compared to 1,728. But if you look at
20 the total number of any cardiovascular events
21 adjudicated, it's 13 versus -- I'm sorry, 26
22 versus 9, and that's 26 versus 4 for the

1 non-adjudicated. And that reduces the
2 difference quite substantially for the
3 non-adjudicated.

4 If you look at ischemic
5 cardiovascular events, it's 13 versus 6 as
6 compared to 14 versus 3.

7 That, again, because of the
8 differences in the treatment groups,
9 virtually eliminates the difference in the
10 point estimates.

11 So now, other cardiovascular events
12 were more similar, but -- so, again, it turns
13 out to be -- actually it's 14 adjudicated as
14 compared to 8 non-adjudicated, 3 in the
15 placebo compared to 2 non-adjudicated for the
16 other events, non-ischemic. So at least for
17 ischemic events and for total events, the
18 adjudication does change the point estimates
19 and the relative risks substantially.

20 So again, I think that the
21 adjudication process should be valid.
22 Certainly the people participating in it and

1 the methodology that they reported sound to
2 be valid and appropriate, similar to what we
3 would use in a cardiovascular trial. And so
4 I'm concerned that the non-adjudicated data
5 may give us a somewhat skewed result,
6 estimate of the cardiovascular risk.

7 I'm also interested, on a related
8 note, there's been concern about whether or
9 not longer-term follow-up of the short-term
10 POI studies would have shown a later
11 cardiovascular event. I'm unaware of any
12 precedent for a short-term drug that led to
13 long-term cardiovascular risk. I'm certainly
14 happy to -- be pleased to know of a
15 precedence that exists, but I don't know of
16 any where a five- to seven-day drug then
17 leads to an incremental risk of events out
18 beyond an immediate post-drug observation
19 period.

20 DR. DANNIS: I just want to make sure
21 that you're comparing -- this table is actually
22 patients experiencing the events. So there's

1 another table, I think the next slide, which is
2 events. I'm not sure if those numbers are more
3 similar.

4 DR. LINCOFF: So that's what I was
5 comparing to Table 35. They have all -- any
6 event, which seems to be what you have on your
7 previous, but perhaps if we just look at -- so
8 that's patients. But if -- so then, if you look
9 at your next slide, so ischemic events, 14
10 versus 3. Adjudicated ischemic events were 13
11 versus 6. Now, that makes a big difference.
12 Because 13 versus 6 comes out .7 percent versus
13 .7 percent.

14 DR. DANNIS: What we discovered while
15 doing these analyses is the sponsor did their
16 analyses, adjudication did their analyses, and
17 when we looked at what we had, which was
18 somewhat limited because we just had narratives,
19 we had -- we didn't have complete information.
20 We actually at times got different results.
21 However, what we found were that even though the
22 results were somewhat different, they were put

1 in different categories and moved around a
2 little bit, the end result was really the same.
3 And I think it's really difficult when you don't
4 have complete information to have a really great
5 investigation of what went on, but we did do the
6 analysis. And because the end result really
7 wasn't that different, we didn't want to kind of
8 fight over who had angina and who had this
9 because it just seemed like the end result was
10 the same.

11 DR. BUCHMAN: Dr. Pasricha?

12 DR. PASRICHA: I want to follow up on
13 the cancer signal. Since the majority of
14 patients in the POI study were being operated on
15 for colon cancer or GI cancer, and given the
16 concern about cancer, if there's any data on
17 survival of these patients -- they're presumably
18 all in a registry of some sort and we should be
19 able to get long-term at least cancer-related
20 outcome data on these patients, and if the
21 agency is thinking of trying to obtain that
22 information, it'd be helpful.

1 DR. BUCHMAN: Dr. Proschan?

2 MR. PROSCHAN: Yeah, again, I want to
3 go back to the comparison of 014 with the other
4 studies. And I notice that the FDA made some
5 different comparisons. One was versus the
6 non-cancer OBD trials, and the other one
7 combined cancer and non-cancer. And I'm
8 wondering whether you think that's reasonable to
9 combine the cancer and non-cancer. It seems
10 like those are quite different.

11 DR. HE: For combined non-cancer and
12 cancer patients, we combined them according to
13 the duration of treatment. For the long-term
14 therapy, for the long-term safety data, we have
15 very limited information, because they are both
16 cancer and the non-cancer patient treated,
17 duration is longer. Therefore, we want to do
18 different analyses to see if that more days are
19 still so the signal or not. That is one way we
20 do our safety analysis, so that is why we pooled
21 them together. But we also do the separate
22 analysis, and that is why we put them in here

1 differently.

2 DR. BUCHMAN: Dr. Chang?

3 DR. CHANG: I just wanted to follow up
4 on Dr. Kramer and others' comments about having
5 a short duration of therapy and then maybe
6 having a long-term effect. And I'm just kind of
7 surprised when Dr. Dannis presented the
8 follow-up. In person with the investigator, the
9 patients had so little contact.

10 I would think that after a bowel
11 resection, you would come back and see the
12 surgeon in person. So I thought that there
13 must be data out there on a follow-up visit
14 and how they're doing. And if there was
15 any -- if you ask the sponsor to go back,
16 even though it's not standardized and it's
17 retrospective, to go back and look at some of
18 the data.

19 And then also, I was thinking that
20 in the opioid bowel dysfunction, most of the
21 trials are short-term, and they may have had
22 follow-up later on in a month or two that you

1 could collect that data, or patients who
2 would rollover in the extension study who had
3 drug. And then, I don't know if there's any
4 of these people that had drug on a short-term
5 study, rolled over in the extension study and
6 had placebo. There's probably not that many
7 of them, but I mean, that's a way to follow
8 them, also. But there's probably ways to
9 collect some of that information out there.

10 DR. DANNIS: Yes. That was one of the
11 questions that I actually had for the sponsor in
12 one of our meetings. I think that what I was
13 presenting was the official protocol-defined
14 visit, where the official information was
15 collected. I'm sure that most of -- if not all
16 actually, probably every single person who had a
17 bowel resection was followed up, and I'm sure
18 that that information is somewhere.

19 However, I don't know if it was
20 collected in a standardized way and whether
21 we have entire information on all the
22 patients.

1 DR. BUCHMAN: Dr. Talamini?

2 DR. CHANG: You could probably get
3 that, though, couldn't you? I mean, that might
4 be something good to look at.

5 DR. DANNIS: Yes.

6 DR. BUCHMAN: Dr. Talamini? Last
7 question, Dr. Kramer. Did you have a question?
8 Dr. Epstein?

9 DR. EPSTEIN: Yes, I have a question
10 for Dr. Chakraborti. The mu-opioid receptor,
11 can you describe where that is in the body? Is
12 it in the smooth muscle? Because you mentioned
13 the Purkinje fiber study that the sponsor did,
14 but was there any evidence of any effect on
15 arteries? I know we use morphine, too, in
16 patients with congestive heart failure, et
17 cetera, so I wondered about that.

18 MR. CHAKRABORTI: Mu-receptors are
19 distributed in several organs and tissues. But
20 the -- I'm sorry, I did not follow your question
21 there.

22 Can you tell me one more time?

1 DR. BUCHMAN: The question was where
2 the opioid receptors are located, what part.

3 MR. CHAKRABORTI: Yeah. Opioid
4 receptors are almost located and distributed all
5 over the body, including the CNS. But for this
6 particular compound, they also did a
7 distribution study in rats, a radiographic
8 study, and this drug was not distributed. And
9 I've gone to the central nervous system because
10 I did not cross the (inaudible) barrier walls,
11 so -- because of its structure. So it was
12 mainly distributed in the gastrointestinal
13 tract, and actually locally acting on probably
14 the GI mu-opioid receptors in the gut, and
15 that's all.

16 DR. BUCHMAN: Was there any data on
17 systemic absorption and concentrations of the
18 drug in the bloodstream?

19 MR. CHAKRABORTI: Yes. In the
20 toxicology studies, there was about
21 6 percent -- about 10 percent absorption
22 following oral administration of this drug.

1 DR. BUCHMAN: And do you have any
2 concern with that in terms of opiate receptors
3 elsewhere outside of the CNS?

4 MR. CHAKRABORTI: They have done in
5 pharmacology studies -- the CNS effects, first
6 of all, in 70 (?) pharmacological studies there
7 is no CNS effects of alvimopan in rats at tested
8 doses, up to 2 milligrams per kilograms.
9 Besides that, they have actually demonstrated in
10 a pharmacological study in mice where the mice
11 were actually treated with morphine and it
12 causes the morphine-induced (inaudible) -- I'm
13 sorry, the (inaudible) morphine-induced infusion
14 of the (inaudible) transit. But it did not
15 cause any effect on the (inaudible)
16 acid-induced. Our writing reflects that is
17 actually morphine was exhibited in that, but it
18 did not actually cause any effect to that. So
19 the (inaudible) for that particular central
20 effect was about 8.7 milligrams per kilogram
21 compared to the morphine's (inaudible) effect
22 was about 0.7. And that gives us a

1 peripheral-to-central ratio of about 127. So
2 that demonstrated pretty much that it actually
3 acts through a peripheral mechanism, so the
4 central action is not our concern.

5 MR. DESEGTER: To answer your
6 question, we don't have any concern about
7 other peripheral opiate receptors.

8 DR. BUCHMAN: Could you identify
9 yourself, please?

10 MR. DESEGTER: Yeah, I'm Shoshan
11 Desegter. I'm the pharmacologist here at FDA.
12 And to answer your question, we don't have any
13 concerns about other peripheral opiate receptors
14 because in toxicology studies, there is no
15 target organs identified even at high doses.

16 DR. BUCHMAN: We're going to take a
17 break for lunch here. We'll be back at 1:00
18 p.m. For the committee, downstairs in the lunch
19 room, there is an area that's roped off with
20 tight security just for committee members.

21 (Whereupon, at 12:00 p.m., a
22 luncheon recess was taken.)

1 greatly from the sponsor on the
2 categorization of the individual patients in
3 terms of cardiovascular events. But what I
4 think what we're seeing with the different
5 analyses that have been presented today, some
6 instability in the data and in the risk
7 estimates that we're wrestling with and that
8 we're going to ask you to wrestle along with
9 us. And that's kind of where I'd leave it at
10 this point.

11 DR. BUCHMAN: Thank you very much.
12 What we're going to use this next period for is,
13 there are a lot of questions that committee
14 members had left for the sponsor. So we're
15 going to allow those to be addressed at this
16 point. And the sponsor can also add some
17 additional information as a rebuttal, if you
18 will. And if we have time in the hour, we'll
19 allow for a re-rebuttal.

20 So with that, I'd like to call on
21 Dr. Hennessy, if he recalls his questions
22 from this morning.

1 DR. HENNESSEY: Great, thank you. I
2 have two questions. One has to do with the size
3 of the population that's likely to be exposed to
4 the drug if it's approved. So one obvious
5 population is people who have had gut surgery.
6 How large a population is that likely to be per
7 year? And also, it seems likely that the drug
8 would be used for non-gut surgery. For example,
9 orthopedic surgery, where there's lots of opiate
10 use after surgery. And I'm wondering if the
11 drug is used off-label, how large the population
12 of people that is likely to get it off-label.

13 DR. BUCHMAN: Please identify yourself
14 when you speak for the transcriber.

15 DR. JACKSON: This is David Jackson
16 from Adolor. I'm going to ask Dr. Senagore to
17 address the question about numbers of potential
18 surgical patients.

19 DR. SENAGORE: Anthony Senagore,
20 Spectrum Health, Grand Rapids, Michigan. The
21 labeling is requesting for colectomy, and
22 national numbers are somewhere in the range of

1 about 400,000 per year for all diseases. And of
2 that, still in this country, about 90 percent of
3 those are done by open surgical techniques. So,
4 it would be about 350,000 to 360,000 patients.
5 In terms of the off-label, I'll leave that to
6 the sponsor to discuss.

7 DR. BUCHMAN: Thank you. Dr. Epstein?

8 DR. EPSTEIN: Yes, my question to the
9 sponsor is, was there any sub-analysis done of
10 patients with diabetes? One of the biggest
11 clinical problems we face is individuals with
12 diabetes having a significant risk to develop
13 prolonged motility disorders. And I wonder if
14 there was any look at the data regarding
15 diabetes, and how that impacted on the trial and
16 the clinical endpoints.

17 DR. JACKSON: Thank you. Dr. Techner?
18 There are significant numbers of patients in the
19 database who did indeed have diabetes.

20 DR. TECHNER: If I could just have the
21 slide on baseline cardiovascular risk factors
22 and POI population. I think that's an

1 interesting question. And one of the things we
2 have looked at is the proportion of patients who
3 in fact did have diabetes. And I think what you
4 can see here is that somewhere between 10 and
5 14 percent, whether it be the overall population
6 we're looking at or the bowel resection
7 population only, had recorded baseline
8 comorbidity of diabetes.

9 So proportionally, it was about the
10 same across treatment groups. We did not
11 look at the treatment effect specifically in
12 that subgroup. However, one would suspect
13 that it if that was a factor in any way,
14 shape, or form, it would be affecting both
15 the placebo and the alvimopan treatment
16 groups similarly. The other thing is, I
17 believe what you're referring to is not
18 really a narcotic-induced condition. And
19 again, alvimopan is a highly selective
20 mu-opioid receptor antagonist.

21 DR. EPSTEIN: Yes. And I guess
22 nevertheless, those patients do have a higher

1 tendency to get postoperative ileus regardless.
2 And I wonder if the clinical effect would be
3 stronger in that population or if you have any
4 data? Do you have any data on that
5 particularly?

6 DR. TECHNER: We do not have data on
7 that. But that's certainly something we could
8 look at in the future.

9 DR. BUCHMAN: Dr. Pasricha?

10 DR. PASRICHA: As sort of a related
11 question to that, can you please clarify whether
12 the outcomes were analyzed with your modified
13 intention to treat equally all patients whose
14 discharge was potentially delayed for non-GI
15 problems as well, or only included GI-related?

16 DR. TECHNER: No, our analyses
17 included all patients, regardless of whether
18 they were readmitted or their hospital stay was
19 prolonged for a GI or non-GI event.

20 DR. PASRICHA: So was that a
21 significant proportion of patients whose
22 discharge was delayed because of non-GI

1 complications?

2 DR. TECHNER: I believe that I would
3 really have to say that the majority of
4 patients, the primary reason for a delay
5 discharge was unresolved ileus, which is, as
6 you've heard from Dr. Senagore, consistent with
7 what surgeons see in practice.

8 DR. PASRICHA: I guess what I'm trying
9 to see is if the effect was even larger if you
10 carved out the non-GI complications.

11 DR. TECHNER: We did not look at the
12 data that way. But again, this is certainly
13 something we could look at in the future.

14 DR. BUCHMAN: Dr. Talamini, you had a
15 question regarding the use of ketorolac and
16 other -- perhaps a group of patients that did
17 not receive narcotics?

18 DR. TALAMINI: Yes, so my question
19 was, particularly in the European study, where
20 that drug was indeed used, whether you had
21 enough data to analyze that group separately,
22 and if so, what the effects were. Again,

1 because in context, I think in this country,
2 many surgeons use that as a strategy to reduce
3 overall opioid postoperative use and get the
4 patients out of the hospital a little bit more
5 quickly. So it's a similar strategy.

6 DR. TECHNER: How about -- I think the
7 way we'll answer your question is twofold. I'll
8 address it from a data perspective, and then I'd
9 like to have Dr. Senagore address it from what
10 is commonly used in practice today. You are
11 correct, in the European study -- in the
12 non-U.S. study, I should say, the range of
13 opioid use and opioid-sparing technique was
14 broad. It varied from country to country. So
15 we would have countries, for example, where we
16 saw virtually no opioids being used. And in
17 those situations, as you would expect, the
18 effect of Entereg was minimal to countries where
19 the use of opioids was comparable to what we see
20 in the States.

21 So I think -- and this goes back to
22 an earlier question -- is there a threshold,

1 if there is virtually no opioid on board,
2 then we would not expect this drug to have
3 much benefit.

4 I'd like to ask Dr. Senagore to
5 come up just to address common practice with
6 respect to pain management in these patients.

7 DR. BUCHMAN: You know what? Before
8 Dr. Senagore addresses us, I just want to follow
9 up on your comment with regard to a question I
10 had earlier --

11 DR. TECHNER: Sure.

12 DR. BUCHMAN: And something that we'll
13 perhaps discuss a little bit later. But what is
14 the sponsor's feeling in terms of the labeling?
15 Is this really a postoperative ileus that you're
16 treating? Or in view of your most recent
17 comment, perhaps that's incorrect. Perhaps it's
18 a narcotic-induced, specifically a
19 narcotic-induced postop ileus that you're
20 treating. And is that more appropriately the
21 indication that you seek?

22 DR. TECHNER: You know what? I think

1 that Dr. Senagore can address that as well. And
2 I think this goes back to what is the etiology?
3 What are the mechanisms involved in ileus? So
4 Tony, if you would address that, please.

5 DR. SENAGORE: Yeah, I think probably
6 the 001 study gives us guidance on that, because
7 there are truly no regimes that are devoid of
8 narcotic administration in patients undergoing
9 major laparotomy. But as I discussed, the
10 etiology of ileus is multifactorial. So it may
11 be that the group that gets an NSAID is actually
12 abrogating the effects of the inflammatory
13 component that leads to ileus, and now you're
14 seeing an added benefit from blocking the
15 narcotic component. So even in Europe, patients
16 still do get modest doses of narcotics, of which
17 you did see benefit in the 001.

18 DR. BUCHMAN: Dr. Kramer?

19 Dr. KRAMER: Judith Kramer from Duke.
20 Actually, my question is for Dr. Senagore as
21 well, but it's very similar. It's really a
22 follow-up on what Sean raised. And my question

1 is, as a surgeon who is very familiar with this
2 drug, would you expect that if this were
3 marketed, that surgeons would prescribe it to
4 prevent and treat postoperative ileus plus other
5 types of abdominal surgery besides bowel
6 resection?

7 DR. SENAGORE: Well, if you look at
8 the data, at least for laparotomy, what
9 operations lead to the highest rate of
10 postoperative ileus, it really is bowel
11 resections, both large and small. And so for
12 our general surgical community, that would be
13 the most common indication. Could this drug be
14 advantageous in other operations that use high
15 doses of narcotics, like spinal surgery or total
16 joint reconstruction? It's plausible, but I
17 don't know that we have data at this point to
18 say that.

19 DR. BUCHMAN: Would you foresee the
20 use of this medication in a postoperative ileus
21 in a patient that had a abdominal aortic
22 aneurism repair or had other baseline

1 cardiovascular risk issues?

2 DR. SENAGORE: Again, I don't think
3 that there's data to say convincingly that it
4 would work there, but certainly if you pull the
5 expectation that, again, these patients have a
6 major incision, high doses of narcotics, it's
7 plausible to believe there would be a benefit in
8 that population as well.

9 DR. BUCHMAN: Dr. Lincoff?

10 DR. LINCOFF: I'd just like to
11 continue the same line of questioning I was
12 discussing with the adjudicated endpoints. I
13 wonder if you have any more data that you can
14 show us specifically for Study 14 with the
15 adjudicated endpoints? I mean, given really
16 that Study 14 is the reason that we're having I
17 think all of this discussion on the
18 cardiovascular endpoints, and that there is a
19 small number of events that differ between the
20 adjudicated and the non-adjudicated that
21 nevertheless changed the odds ratios fairly
22 substantially. And the point estimates, which

1 is, of course, a good indicator of the
2 instability of these estimates in the first
3 place with small numbers. But how much of the
4 data that was in the table, that is in your
5 book, and that you had shown, how much of that?
6 Could we see that for 14, which is really where
7 most of the analyses that the FDA has done with
8 the unadjudicated data focused on? What can you
9 show us in terms of breakdown, the components of
10 the ischemic endpoints, et cetera?

11 DR. JACKSON: Let's try and get to it.

12 Dr. Camm?

13 DR. CAMM: Thank you very much,
14 Dr. Lincoff. First of all, I'd like to see the
15 data for the adjudicated events, the ischemic
16 events, for the entire OBD database, and I think
17 that's in OC 44. This is the data of the
18 adjudicated events for the whole OBD program.
19 Now, I mean by that not exactly the same
20 population as Dr. Dannis analyzed, because it
21 didn't include the clinical pharmacology
22 studies, and it didn't include the idiopathic

1 chronic constipation study, so it's strictly the
2 OBD population. It changes the denominators
3 slightly, and I think you recognized that when
4 comparing the graphs.

5 So here are the results expressed
6 in terms of events and patients, and this
7 relates to ischemic events. And I should
8 point out at this point that the ischemic
9 composite that was assigned prior to doing
10 this analysis was somewhat different to the
11 FDA ischemic composite, because it contained,
12 in addition to myocardial infarction,
13 unstable and new angina, and stroke, it also
14 contained ischemic heart failure and TIA and
15 sudden cardiac death and cardiac arrest,
16 which was deemed to be ischemic in origin.

17 So you can see here that any
18 ischemic event, in terms of events, was 8
19 versus 14 for the whole program. And the
20 number of patients was 6 versus 13. That is
21 roughly equivalent. But you can see that
22 there is a numerical imbalance in terms of

1 acute MI, which was contributed to very
2 largely by the GSK014 study, and that in
3 percentage terms was 0.24 with placebo and
4 0.44 with patients.

5 New onset unstable angina also
6 showed potentially an imbalance, at
7 0.12 percent versus 0.22 percent. But as you
8 can see, the numbers are very small, and any
9 oscillation in terms of the assignment would
10 make a big difference to the ratios in either
11 the acute MI or in terms of the new onset or
12 unstable angina.

13 I'm not sure whether you also have
14 a slide for the GSK014. Do you have that
15 available? Here, you can see just in the
16 number of studies, one by one, going from
17 011, 012, 013, and 014, the difference
18 between placebo and alvimopan with respect to
19 ischemic cardiovascular events. And you can
20 see in 014, it was 9 versus 0 ischemic events
21 when adjudicated by the IDMC.

22 And I think I shouldn't go past

1 this point without remarking on the fact that
2 zero events in the placebo group is pretty
3 unusual, given that this group of patients
4 was relatively high risk for cardiovascular
5 events. And the events seen with alvimopan
6 are not necessarily out of context with
7 chronic opioid bowel disorder.

8 So those, I think, answer the
9 question that you put to me.

10 DR. BUCHMAN: Ms. Corkery-DeLuca?

11 MS. CORKERY-DELUCA: Yes, my comment
12 and question would be related to Dr. Lincoff's.
13 Looking at the diabetes population, I think one
14 of the more popular upcoming surgeries is
15 bariatric, a bowel resection to alleviate
16 diabetes. So who handles that?

17 Who's in charge?

18 DR. JACKSON: Well, I'm going to have
19 a surgeon answer the question for you.

20 DR. SENAGORE: I don't do that surgery
21 anymore, but that population actually has a
22 very, very low rate of postoperative ileus. In

1 fact, if you look at the U.S. data, I think
2 probably the mix today is probably 90 percent or
3 greater laparoscopic versus open. And the rate
4 of ileus is very low. The length of stay is
5 under two days in the U.S. for that operation.

6 MS. CORKERY-DELUCA: So it would be a
7 move forward.

8 DR. SENAGORE: Well, again, I'm not
9 sure that this drug would be an advantage in
10 that population, because they're laparoscopic,
11 very small incisions, and they're home so
12 quickly that they're on to other alternative
13 treatments.

14 DR. BUCHMAN: Are you suggesting,
15 then, that the drug be limited to use in
16 patients with open bowel surgeries?

17 DR. SENAGORE: I guess I can leave
18 that to the sponsor to comment on what they're
19 asking for on the labeling.

20 DR. BUCHMAN: Dr. Proschan?

21 DR. PROSCHAN: I just wanted to follow
22 up on the question previously, because I don't

1 think that was quite what Dr. Lincoff asked for,
2 at least it's not what I was thinking. Because
3 what you didn't show was the MI, patients with
4 MI, in the 014 adjudicated. And I'm wondering
5 if you have that slide and that information?

6 DR. BUCHMAN: Do you have that, Eric?

7 DR. MORTENSEN: Eric Mortensen, GSK.
8 I'll see if we have a slide to bring up. But
9 essentially, I can say to you is that all seven
10 of the myocardial infarctions that occurred in
11 014 were positively adjudicated. I mean, I
12 wouldn't bother showing the slide. Essentially,
13 and as I noted before, they all occurred in
14 patients who were then confirmed to have had
15 pre-existing cardiovascular disease.

16 DR. BUCHMAN: Dr. Cullen?

17 DR. CULLEN: Joe Cullen from
18 University of Iowa. One question on the
19 postoperative ileus studies: Were the use of
20 prokinetics, like Reglan on a scheduled basis,
21 or antiemetics or suppositories allowed in the
22 study protocols? And if so, was there

1 equivalence between placebo and drug?

2 DR. TECHNER: In order to address your
3 question, let me answer it in two ways. One, in
4 general, the prophylactic use of antiemetics, et
5 cetera, generally was as per hospital standard.
6 So in general, we did not restrict to any
7 significant extent across the board the use of
8 those medications. However, if we look at the
9 use of those medications, in other words, all
10 medications where we feel their use may have in
11 some way, shape, or form impacted GI function,
12 5HT3s, metoprolamide, erythromycin, laxatives,
13 cathartics, 5HT4, and any other antiemetics, I
14 think you can see here that it was very
15 well-balanced across treatment groups. So if
16 there was some effect, we would basically expect
17 it to be a wash between a placebo and the
18 alvimopan treatment.

19 DR. BUCHMAN: A related question.
20 Electrolyte abnormalities have been demonstrated
21 quite frequently to have a role in the
22 development and prolongation of postoperative

1 ileus. I would assume that you have data on
2 potassium, magnesium, and calcium in these
3 patients, and if so, were they similar between
4 groups?

5 DR. TECHNER: We do have that data in
6 our adverse event database, and they were
7 similar across treatment groups.

8 DR. BUCHMAN: Dr. Levine?

9 DR. LEVINE: Just one possible
10 confounding variable with the cardiovascular
11 events. I wonder if you can tell me about the
12 geography of Europe? Was this Western
13 Europe-limited or was it all of Europe?

14 DR. MORTENSEN: I'm not sure. What do
15 you have in mind? What kind of a subissue is
16 it?

17 DR. LEVINE: I'm specifically asking
18 if there are any -- if Eastern Europe
19 investigators were involved in this.

20 DR. MORTENSEN: In Study 001 or in the
21 014 study?

22 DR. LEVINE: In any of the non-U.S.

1 Studies.

2 DR. MORTENSEN: Can I have the slide
3 that shows the distribution of sites for 014?
4 What I'll start out just by noting is I didn't
5 mention in my core presentation that of the
6 seven events, that five were Cluster II sites.
7 We don't know what it means, but we have known
8 that three of those events did occur at a site
9 in Glasgow, which is a region that is
10 particularly marked to have a very high rate of
11 cardiovascular disease incidence.

12 We did have sites also -- I'm still
13 not seeing the slide coming up -- we did have
14 sites extended across Eastern Europe, but we
15 did not have anything in the Soviet Union.
16 Are you done with the slide? Number 14. We
17 did include sites in both Eastern and Western
18 Europe, but we did not include the former
19 Soviet Union countries.

20 DR. LEVINE: I'd like to know the
21 number of the total subjects that were in
22 Eastern Europe versus Western Europe.

1 DR. MORTENSEN: We'll be happy to get
2 that information. I am sorry I don't have that
3 information for you.

4 DR. LEVINE: Was it a small number?
5 Was it a modest number? Can you give us some
6 idea?

7 DR. MORTENSEN: The total number of
8 patients randomized from Eastern Europe was
9 relatively small. The majority of the patients
10 overall for the entire 14 study, the majority
11 came from the United States. I don't have --

12 DR. LEVINE: No, I'm talking about the
13 non-United States studies.

14 DR. MORTENSEN: No, I understand it.
15 I'm just saying that the total composition for
16 014 -- did you say 001 or 014?

17 DR. LEVINE: Either one, actually.
18 I'd like to know the numerical number
19 approximately of the Eastern European
20 investigators versus the Western European
21 investigators, for possible obvious reasons.

22 DR. MORTENSEN: Okay. I don't have

1 that answer for you immediately for 014. I will
2 be happy to get that information by the time of
3 the second review. I'm not sure, Lee, if you
4 have a slide that speaks to the issue in 001.

5 DR. TECHNER: Let's see if this
6 potentially answers your question. How about
7 let's look at the slide of opioid use by
8 country. Yeah, that should do it.

9 So on Study 001, here is a list of
10 countries involved. What you see here is the
11 proportion of patients that came from that
12 country, and this is really the use of PCA
13 opioids within the first 48 hours by country.
14 So the purpose of the slide is a bit
15 different, but at least it gives you a
16 breakdown of where the patients were divided
17 across countries. You see certainly, if you
18 were in Greece, that might be a bit of an
19 issue.

20 DR. BUCHMAN: Dr. Kramer, did you have
21 a follow-up question on that?

22 DR. KRAMER: Yes, I just had a

1 follow-up question. The sponsor is pointing out
2 that three of the patients were at a single site
3 in Glasgow, and there was a high incidence of
4 cardiovascular disease. But is there any reason
5 to think that there weren't also placebo
6 patients of equal balance in that site? Was
7 that site somehow randomized such that they were
8 all alvimopan?

9 DR. MORTENSEN: No, we actually --

10 DR. BUCHMAN: Please state your name
11 for the record.

12 DR. MORTENSEN: Eric Mortensen,
13 GlaxoSmithKline. No, we did look to see whether
14 or not the two sites that represented the
15 majority of the myocardial infarctions showed
16 perhaps any alteration imbalance. There was no
17 evidence of an imbalance with regard to
18 randomization. We simply mention this to note
19 that it is a somewhat unusual clustering and we
20 cannot rule out potentially differences in
21 regional practice in terms of the number of
22 patients with high risk that may have been

1 randomized at the trial.

2 DR. BUCHMAN: Dr. Chang?

3 DR. CHANG: Hi. I have an efficacy
4 question and a safety question. The efficacy
5 question is about whether the treatment effect
6 is clinically meaningful. And I would think
7 that the unmet need is more of these patients
8 with prolonged postoperative ileus, and I
9 suppose that's your 75th percentile where you
10 show a one day earlier discharge. To me, that
11 seems clinically meaningful.

12 I don't think a half-day seems
13 clinically meaningful, but I was wondering
14 how the sponsor determined that. Is that
15 based on a survey with surgeons or with
16 patients or a cost-effective analysis? How
17 is that determined? That's the first one.

18 The safety issue is really based on
19 this issue about neoplasm. And I was
20 wondering if, like in colitis, immune cells
21 release opioids, and I don't know for tumors
22 if the opioid receptors, the mu-opioid

1 receptors, had some kind of tumor-inhibiting
2 effect, like it's believed that endorphins
3 may help cancer patients. But is there any
4 studies, either by the FDA or sponsor, that
5 people know of where the mu-opioid receptor
6 plays a role in tumor inhibition or growth,
7 and might that blocking that receptor may
8 play a role in enhancing tumor growth?

9 DR. TECHNER: Lee Techner, Adolor.
10 Let me address the first part of your question,
11 the efficacy part. And I'm going to do it, if
12 you don't mind, in two ways. I'll present our
13 thoughts, a bit about our thoughts, and then I'd
14 like to have either actually Dr. Senagore or
15 Dr. Delaney come up and give you their clinical
16 perspective. May I have my slide showing GI-2
17 recovery, the Kaplan-Meier curves, please?

18 I think one of the important things
19 to consider here is that when we set out to
20 design these trials and evaluate these
21 patients, we really wanted to look at the
22 10-day period where we knew things were

1 happening. They were recovering from their
2 ileus, if you will. And so we followed them
3 along this period. And I think what you can
4 see here is that clearly, regardless of
5 whether patients are down in this part of the
6 curve or up in this part of the curve, which
7 really corresponds to about Day 5 or 6,
8 which, as I think you've heard from
9 Dr. Senagore, is the period of time where
10 that red flag starts to go up in their heads,
11 that the alvimopan curve is always to the
12 left of the placebo curve.

13 And so yes, we do see what appears
14 to be the most robust difference at around
15 the 75th percentile, Day 5 and 6, which I
16 think is very clinically appropriate. But we
17 also see that patients all along this curve
18 are doing better.

19 And so I think certainly from our
20 perspective, we feel that if we can get
21 patients to achieve GI recovery earlier so
22 that they can eat earlier, so that their

1 nutritional status improves, they're up and
2 around earlier, that to us likely is very
3 important to the patient and likely important
4 to these guys.

5 So how about if we bring
6 Dr. Delaney up here and allow him to address
7 this from his perspective?

8 DR. DELANEY: Conor Delaney, Case
9 Western Reserve University. Actually, one day
10 is probably quite a clinically meaningful
11 endpoint. That's something that's really
12 evolved over the last decade in this type of
13 research. First, from the patient's point of
14 view, obviously every day less in hospital is a
15 nice thing for them. And from the institution's
16 point of view, it's useful as well. You have
17 not only that bed available, but you have the
18 opportunity to bring someone else into that
19 hospital bed. The one day is useful, and it's
20 become valid enough that it's now really the
21 endpoint that's been used for many of the other
22 studies that we do on postoperative ileus,

1 looking at different types of postoperative care
2 pathway. So one day has become reproducibly an
3 effective endpoint for that reason.

4 DR. BUCHMAN: One day is 24 hours.
5 Hospitals don't function like cheap hotels where
6 you pay by the hour. So is one day 24 hours; is
7 that the same as 22 hours? Is that the same as
8 25 hours?

9 Or in the current billing
10 structure, if we're going to save money and
11 get people out earlier, it seems to me that
12 we're really stuck at 24 hours here. Because
13 otherwise, if they're there for 24 hours and
14 30 minutes, they've paid for that second day.

15 DR. DELANEY: Right. And I think
16 that's a very important point to raise, whether
17 it's 12 or 18 or 20 or 22 or 24. I think what
18 we see with all the multiple types of data
19 analysis that have been presented is that
20 whatever way you look, whether it's recovery of
21 GI-2 or GI-3 or discharge order written or
22 average mean length of stay, which you also saw

1 presented, it approximates one day. What I
2 think you have to remember when you look at the
3 Kaplan-Meier curves is that it's not a shift to
4 the left for one day for every patient, but it's
5 particularly the patients who have the longer
6 complicated postoperative ileus that were
7 improving.

8 So yes, maybe for a certain
9 percentage of the patients, they only go home
10 or are ready to have a discharge order
11 written two hours earlier, and no, that's not
12 going to matter much for the hospital. But
13 for the patients who really make the
14 difference to shifting that mean, or the
15 patients who stay seven days instead of nine,
16 and that's opportunity for the hospital, but
17 particularly important for the patient. And
18 then the other spin on it is that they end up
19 being less likely to be readmitted with ileus
20 symptoms. So I think the effect is seen in
21 multiple places.

22 DR. BUCHMAN: If we contrast that 75th

1 percentile to the mean and median data, if
2 indeed there's this full-day benefit for the
3 75th percentile, which is quite different from
4 that which we see with a mean or median patient,
5 to me that suggests that there are patients on
6 the other end who actually stay longer with the
7 Entereg medication.

8 Have you evaluated -- what's the
9 25th percentile group, for example? Is there
10 a longer stay in some of those patients?

11 Because how do we see such a difference
12 between the 75th percentile and the mean?

13 And also, how do you explain the difference
14 between the mean and median? The median, of
15 course, would alleviate the outlier data.

16 DR. TECHNER: Let me see if I can
17 address that question for you. Can I please see
18 the core slide that I showed the committee on
19 the Kaplan-Meier curves for discharge order
20 written, please? Very much like the GI recovery
21 curves that I showed you, the same pattern
22 applies to the discharge order written curves.

1 And so I think what you're seeing here -- and
2 remember, as I discussed before, we see this
3 cyclical pattern in these curves just as a
4 result of the pattern of when discharge orders
5 were written clinically.

6 But I think you see the same thing.
7 And that is that all time points, from about
8 between Day 2 and Day 3, which is when some
9 patients do get out -- now, we don't know if
10 these folks are coming back with unresolved
11 ileus. Maybe they were discharged too early;
12 we don't know that. But from here all the
13 way through the entire 10-day observation
14 period, the alvimopan curve stays to the left
15 of the placebo curve. So there is no point
16 along here where we see patients receiving
17 Entereg doing worse than placebo. So I think
18 that addresses one point.

19 I think the other point that I'd
20 like to make is, you mentioned the difference
21 between the median, et cetera. Can we just
22 please leave that up? Thank you. Okay. I

1 see what you're trying to do. You know,
2 again, I think when you look at the median
3 versus when you look at the means, you're
4 looking at two different measures. The
5 median, you're looking at one time point
6 across this entire early perioperative
7 recovery period.

8 And it may be that at that
9 particular point in time, the curves are
10 either very close together or they're either
11 very far together, and that's going to have
12 an impact on your median. And that's why,
13 from our perspective, we believe that the
14 mean, the Kaplan-Meier mean, meaning the
15 difference between these two treatment groups
16 over the entire 10-day observation period, is
17 more appropriate for looking at what Entereg
18 is really doing with respect to either GI
19 recovery or discharge order in this
20 particular population.

21 And the third thing I'd like to
22 add, in follow-up to Dr. Delaney's statement,

1 is that we don't know who's going to be here
2 and who's going to be here. And I think
3 that's the biggest dilemma that these guys
4 face, not only from a GI recovery
5 perspective, but also from a discharge
6 perspective.

7 I think if you asked Drs. Delaney
8 or Senagore to predict which one of their
9 patients is going to have earlier GI recovery
10 or later GI recovery or earlier discharge or
11 later discharge, they will tell you they
12 cannot do that. So I think that's also an
13 important item to remember.

14 DR. BUCHMAN: Dr. Talamini?

15 DR. TALAMINI: I'm not exactly sure
16 how to ask this, but the construct that we're
17 dealing with today is built upon the belief that
18 once a patient is having bowel movements after
19 an anastomotic procedure, that they're okay and
20 they can go home. And all the surgeons in the
21 room have been trained to believe that because
22 we believe that once the bowel's functioning,

1 the anastomosis is okay. That probably isn't
2 really true.

3 And the reason I bring it up is
4 that that right now is what keeps patients in
5 the hospital, and if that turns out not to be
6 true, there will be a push to send bowel
7 anastomosis patients home when they're on
8 liquids, much like your hysterectomy patients
9 went home when they were on liquids, which
10 would change this whole idea of this drug
11 only being given when patients are in the
12 hospital.

13 I wonder if you've thought about
14 that or anticipated it, because there are
15 some early studies of bowel surgery patients
16 going home before they have their first bowel
17 movement.

18 DR. TECHNER: I think that's an
19 important question. And I think I'd like to ask
20 Dr. Delaney to respond to that from his clinical
21 perspective. I can tell you that certainly, in
22 our studies, in polling all of these surgeons as

1 to what criteria they use to discharge their
2 patients -- now understand, this spans a period
3 of time between 2001 and 2006 -- consistently,
4 consistently, their definition of GI recovery
5 usually includes both tolerating solids and the
6 occurrence of a bowel movement.

7 So I'll let Dr. Delaney address
8 that for you.

9 DR. DELANEY: Conor Delaney, Case
10 Western. I think Dr. Techner has really partly
11 addressed your answer. But I think we also have
12 to remember that the GI-2 or GI-3 endpoint
13 includes tolerance of diet. And while yes,
14 there are protocols to discharge patients early
15 from hospital while they're just on liquids,
16 first, it certainly would be routinely accepted
17 and it would be quite an aggressive discharge
18 policy to follow.

19 And second, that that depends on
20 the patient's being able to adequately
21 tolerate oral intake sufficient to be able to
22 maintain hydration at home. So this would

1 suggest that this is still going to help from
2 that point of view. It's not just passing a
3 bowel movement, but also being able to
4 tolerate diet earlier, that this medication
5 can help that.

6 And then finally, the concern with
7 being too aggressive about discharging people
8 is that they may be more likely to be
9 readmitted. And so that's perhaps I think
10 why many people do wait for GI function to
11 occur before they discharge patients. And
12 again, this is somewhere this may be able to
13 help us in practice.

14 DR. BUCHMAN: Dr. Kramer?

15 DR. KRAMER: Judith Kramer from Duke
16 University. I'd like to follow up on
17 Dr. Buchman's question again concerning if you
18 could go back to that slide, CA 38, where you're
19 trying to show the medians in the different
20 studies. If I understood your presentation
21 correctly in the packet, 314 and 313 are major
22 efficacy studies in your application.

1 Is that correct?

2 DR. TECHNER: That is correct. And
3 the reason, because they contain either all, or
4 mostly all, bowel resection patients.

5 DR. KRAMER: Bowel resection, right.
6 The next slide, the one that has the actual
7 individual studies.

8 DR. TECHNER: The actual mean, median,
9 et cetera.

10 DR. KRAMER: That's CA 38.

11 DR. TECHNER: Yes. Go ahead and put
12 that up.

13 DR. KRAMER: I'm concerned about the
14 representation of how you counted the median
15 there. If we just look at Studies 313 and 314,
16 the median difference from placebo is 7.8 and 6
17 hours; is that correct?

18 DR. TECHNER: That is correct.

19 DR. KRAMER: And the mean is clearly
20 affected by outliers, and the 75th percentile by
21 definition are the outliers. So I just feel
22 like when we consider the risk and benefit, we

1 really need to consider how many patients we're
2 asking to take this drug with an unknown
3 cardiovascular risk, I would say at this point,
4 in order to obtain the benefit in the patients
5 at one end of the spectrum. So I don't think we
6 should discount the median benefit. So if you
7 line up all the numbers, it's right in the
8 middle, and the most common kind of response is
9 going to be on order of magnitude less in terms
10 of the clinical meaning of it.

11 DR. TECHNER: Let me address your
12 question two ways, if you would. I'll give you
13 just a brief perspective for myself. And then
14 I'd actually like Dr. Koch to come up and give
15 you a perspective of the mean, the median, et
16 cetera, from a practical standpoint. I think
17 that we certainly are not discounting the
18 median. And in no way, shape, or form, and if
19 it came across that way, I will certainly
20 apologize, that the median is not valid
21 statistically. I think what we're trying to say
22 is in order to evaluate the effect, the

1 treatment effect of alvimopan in this
2 population, we believe that the mean is one
3 important measure that we focus primarily on,
4 and that the median, and at the 75th percentile,
5 provide additional information to support the
6 mean based on the differences between the two
7 treatment groups.

8 So we're not dismissing the median.
9 We're trying to look -- and as a matter of
10 fact, we're trying to present you with all
11 the data. But I think maybe it would help to
12 have a little more of a perspective from
13 Dr. Koch as far as the practicalities of
14 looking at medians and means to help you
15 understand this maybe a little differently.

16 DR. KOCH: Gary Koch, Biostatistics
17 Department, University of North Carolina. Can
18 we go back to CA 31, with the area filled in?
19 So as you can see, Kaplan-Meier curves wiggle.
20 And when you pick a particular quantile like the
21 median, you make pick a quantile where they are
22 randomly somewhat closer together, or you may

1 even pick one up down here, where they may be
2 randomly somewhat further apart. So picking a
3 single quantile to emphasize isn't really that
4 much different than picking a particular time
5 point, like 72 hours in comparing proportions,
6 or 96 hours in comparing proportions, or 120
7 hours in comparing proportions.

8 The different hours along the time
9 course are arbitrary landmarks, although some
10 may be more meaningful than others. And
11 there has been some mention here that five
12 days was a meaningful landmark along the time
13 course. And some quantiles may be of more
14 interest than others. And we've had
15 discussion of the 25th percentile, the 50th
16 percentile, which is the median, and the 75th
17 percentile.

18 Now, we also have been emphasizing
19 more the difference between the means than
20 the means per se. When you have a
21 time-to-event curve, the mean is actually the
22 area under the Kaplan-Meier survivorship

1 curve or non-event curve. And when you have
2 two groups that you're comparing, the
3 difference in means is the area between the
4 Kaplan-Meier curves. Now, when we work with
5 the difference in means, we're actually
6 looking at the horizontal distance between
7 the curves at every quantile, and then
8 averaging them together as we move up. And
9 we're taking into account what the
10 differences are at every quantile and
11 averaging them together.

12 The difference in means is actually
13 an underestimate of what the actual
14 difference is, because the difference in mean
15 estimate is truncated at 264 hours. So it is
16 not leveraged by outliers beyond 264 hours.
17 It actually is a truncated mean calculated
18 through 264 hours. And because alvimopan is
19 still better through 264 hours, the estimates
20 that you're seeing for the difference in
21 means is actually an underestimate of what
22 the means would be if you went the full

1 distance.

2 So the main advantage of the mean
3 is that it's basically integrating all of
4 these horizontal distances between the two
5 curves at their respective quantiles
6 together, and producing what can be
7 interpreted as the average amount of benefit
8 that a patient might expect, comparing one of
9 the arms to the other arm.

10 DR. BUCHMAN: In terms of -- leaving
11 this up for a minute, the number needed to
12 treat, I think there's been some perhaps
13 misunderstanding of that.

14 It was suggested that this was to
15 get the average 75th percentile patient out
16 early. But what's actually the number needed
17 to treat from the get-go, with an
18 intent-to-treat analysis to get the median
19 patient out 24 hours earlier?

20 Did you understand my question?

21 DR. TECHNER: Sort of.

22 DR. BUCHMAN: Let me rephrase it then.

1 DR. TECHNER: Go ahead.

2 DR. BUCHMAN: Simply, what is the
3 number needed to treat? How many patients from
4 an intent-to-treat analysis have to be given the
5 medication in order to get a single patient out
6 24 hours earlier, regardless of which percentile
7 they fall into?

8 DR. TECHNER: I think in order to
9 answer your question, let's look at the
10 responder analysis for discharge order written,
11 and I believe that will provide a range of NNTs
12 that you can use to judge. As you remember, we
13 did do a responder analysis. And if you recall,
14 that responder analysis was based on patients
15 who achieved the endpoint of interest between
16 any of Postsurgical Days 3 through 8, and then
17 had no subsequent reports, adverse event reports
18 of ileus, that either led to prolonged
19 hospitalization or readmission within seven days
20 of discharge.

21 No, sorry. Wrong slide. Why don't
22 you go back to my core slide? Percentage of

1 patients discharged by Postsurgical Day 7. I
2 think that's what I was looking for; I'm
3 sorry. Yes.

4 So I think when we look across the
5 studies, using that responder definition I
6 just defined, we can see here that the NNTs
7 to get patients out, in the pooled data for
8 bowel resection only, within seven days
9 ranged from five to nine. And this is across
10 each of the individual trials. And so this
11 is looking at responders in the pooled data
12 from each individual study. And I think what
13 you can see, one, is a higher proportion of
14 alvimopan responders. And when you look at
15 the absolute difference between these in each
16 study, the NNTs you get are between five and
17 nine.

18 DR. BUCHMAN: Dr. Hennessy?

19 DR. HENNESSY: Thank you. Given that
20 alvimopan, at least as far as we know, doesn't
21 save any lives, and given that the size of the
22 potential market is at least 400,000 patients

1 per year even if it's used strictly on-label,
2 I'm wondering whether you think a safety
3 database and POI of about 2,600 patients is
4 adequate to address the safety signal of MI?

5 DR. JACKSON: Dr. Alexander, may I ask
6 you if you would respond to that question for
7 Dr. Hennessy?

8 DR. ALEXANDER: John Alexander from
9 Duke University. The patient population that's
10 enrolled in these clinical studies, and in fact,
11 the patient population that undergoes elective
12 bowel resection surgery is at generally
13 relatively low risk for cardiovascular events.
14 And so the perioperative myocardial infarction
15 rate in this population is likely to be less
16 than 1 percent.

17 So even enrolling substantially
18 larger numbers of patients on the orders of
19 10- to 20,000 in a safety database is
20 unlikely to eliminate or exclude modest
21 increases -- 25, 50 percent increases -- in
22 myocardial infarction with alvimopan. So

1 with rare cardiovascular or other safety
2 events, there's a real challenge in low-risk
3 populations of excluding them, even with
4 large safety databases.

5 In the totality of evidence from
6 the POI population studies, and the analyses
7 that we've gone over quite extensively from
8 the OBD populations, there's risk, there's
9 possible risk, increased risk of myocardial
10 infarction that showed up in one OBD
11 population study that -- where there was no
12 such signal for MI or any other rare event in
13 the POI studies or in the other OBD studies.

14 DR. BUCHMAN: Dr. Epstein?

15 DR. EPSTEIN: Yes, question for
16 Dr. Techner. Dr. Epstein from Annapolis. Could
17 we go back to slide CA 31? In this pooled study
18 or, for that matter, in 314, for example, did
19 you get a chance to look at the different age
20 brackets by decade? Perhaps to see if -- you
21 know, elderly patients obviously are less mobile
22 and they may have more of an ileus, so your

1 effect may be greater in that population. I'm
2 just wondering if you had a chance to look at
3 that group and see if there was any clinical
4 difference maybe by decade.

5 DR. TECHNER: We did, and it brings up
6 I think a very interesting point. So we broke
7 down the population for you here. This is
8 looking at GI-2 by age in the pooled North
9 American trials: Less than 65 years, greater
10 than or equal to 65 years, and greater than or
11 equal to 75 years. I think what you can see
12 here is that regardless of where we cut the age
13 group, we see consistent benefit throughout.
14 And yes, the numbers are not quite as large, but
15 we tend to see somewhat of a more robust
16 response in patients that are elderly.

17 DR. BUCHMAN: Dr. Pasricha?

18 DR. PASRICHA: I had a couple of
19 questions, one of them related to preclinical
20 data.

21 Do you have any preclinical data on
22 the effects of this drug on vascular tone?

1 Have you done isolated blood vessels and seen
2 if there's any change in vasomotor activity?
3 I know you looked at blood pressure in intact
4 animals. But have you specifically looked at
5 that, because that's one of the preclinical
6 screening tests for --

7 DR. JACKSON: Yes, I'm going to ask
8 Dr. Garver to address that preclinical question.

9 DR. GARVER: Deanne Garver, a
10 non-clinical consultant to Adolor. There have
11 been no systematic studies done for localization
12 of the mu-receptors in the cardiovascular
13 itself. There's some limited data with respect
14 to the distribution in heart, which is largely
15 kappa- and delta-receptors, and not the
16 mu-receptor.

17 DR. BUCHMAN: Dr. Proschan?

18 DR. PROSCHAN: Yeah, I'm a
19 statistician, so I'm trying to get the clinical
20 understanding in terms of the mean and the
21 median and so forth. And I'm thinking, from a
22 clinical standpoint, to me as a statistician, it

1 makes sense that what you'd really want to
2 reduce the time in are the patients in whom
3 there's a problem. So a patient who only stays
4 for one day, it doesn't matter as much whether
5 you reduce their time.

6 On the other hand, someone who
7 takes five or six days, maybe it's a lot more
8 important to reduce their time. And
9 likewise, if you went to the other extreme
10 and took people -- I know the maximum here is
11 only 10 days, but if you had data going out
12 to 30 days, then maybe a one-day difference
13 wouldn't be very important. So it seems to
14 me that the 75th percentile actually might be
15 fairly reasonable in terms of clinically
16 important. But this is coming from a
17 non-clinician.

18 And the other thing I wanted to ask
19 about was this decision about going to GI-2
20 instead of GI-3. You know, I'm worried that
21 that hindsight may have been driven by
22 results a little bit. And I'm wondering if

1 you're so convinced that GI-2 is really the
2 better endpoint, then why did you decide on
3 GI-3 at the beginning of some of those
4 studies?

5 DR. TECHNER: You know, the clinical
6 development program for Entereg really spanned
7 almost seven years, a long seven years. And
8 we're still here. And I think, to be quite
9 frank with you, it's a learning experience. I
10 mean, we have to understand a couple of things.
11 One, there is no precedent here. There's no
12 guidance document to tell a sponsor how to
13 develop a drug to manage postoperative ileus in
14 patients undergoing bowel resection.

15 So in essence, Adolor and GSK kind
16 of were carving the path. And so we really
17 relied on I think two very important
18 things -- three important things. One, our
19 data as we accumulated it. Two, our
20 surgeons, our anesthesiologists, our
21 statisticians, our physicians who really
22 helped us understand the condition, and what

1 really matters from their perspective and
2 from the patient's perspective. And third,
3 the FDA, who we've been collaborating with
4 over this entire period of time.

5 And I think when we looked at
6 everything, the data, what's important to the
7 surgeons, what's important to the patients,
8 what really gets to the treatment effect of
9 alvimopan, and our ability to really assess
10 that so that we can be able to give you data
11 that you feel confident in making your
12 decision, it really came down to GI-2. And
13 that really is the honest answer. It was a
14 learning experience. We took input from
15 everybody, and that's how we got there.

16 DR. BUCHMAN: Dr. Pasricha?

17 DR. PASRICHA: I just had a couple of
18 questions about the cancer signaling, because I
19 remain a little concerned about that.
20 Dr. Dannis mentioned that there was a fairly
21 large difference in the Karnofsky scores between
22 the two groups receiving the drug and the

1 placebo in the OBD study; is that correct? And
2 if you correct for that variable, do you still
3 see a risk, an increased risk for cancer?
4 Because there's a question over this immune
5 surveillance may be related to that effect and
6 it's not truly a drug effect.

7 DR. BUCHMAN: Microphone, please?

8 DR. DANNIS: I'm not sure we looked
9 into that.

10 DR. PASRICHA: And the question of the
11 sponsor is, it's probably been at least two
12 years since you've completed the study or
13 enrolled your last patient in the study; is that
14 correct?

15 DR. JACKSON: No, 014, the data are
16 not quite as mature as that. And we did -- if
17 you'd like an answer from the sponsor to that
18 question, I think we can provide it.

19 DR. PASRICHA: What I would like to
20 see, especially since most of these patients
21 were treated for cancer, with this new
22 information on the signaling, I'd like to see

1 some information on two-year survival after
2 exposure, even though brief, to this drug. And
3 that should not be very difficult to get.

4 DR. MORTENSEN: Eric Mortensen, GSK.
5 Let me first speak to your direct question about
6 the multi-event analysis, and I'll ask us to put
7 that up.

8 Understandably, we wanted to know
9 why we were seeing this gross imbalance, the
10 20 versus 30 that we saw in the continuum of
11 008, 101, 684. And so in conjunction with
12 our external consultants, we suggested that
13 we had to consider that, given that we had
14 not made any effort, because that was not the
15 objective of the study, to try to balance
16 patient Z severity or prognostic factors,
17 that we should investigate some very
18 well -- clinically well-established
19 prognostic factors for death and disease
20 progression in patients to see whether or not
21 we really had balance between the treatment
22 groups.

1 Now, what I'm showing here, this
2 first, just looking at the initial unadjusted
3 hazard ratio for the risk of death in the
4 continuum of 008, 101, 684, and we see
5 there's a 2.1 alvimopan to placebo, again,
6 broad confidence in embracing one because
7 we're talking about small numbers here. The
8 next steps were then to look at the influence
9 of those factors that were thought to
10 potentially be related to what we saw as the
11 imbalance.

12 Again, we note we had a numerical
13 increase in the number of patients who had
14 with non-small cell lung cancer in the
15 alvimopan treatment group. And we saw here
16 that we ended up doing this in a sequential
17 step stages of looking at a multi-variant
18 model, and that actually showed the most
19 significant risk factor for patients' death.
20 So imbalances based upon their underlying
21 diagnosis would potentially significantly
22 impact the outcome of patients.

1 But in addition, we also then
2 looked at two other factors. One is
3 Karnofsky score. Now, Karnofsky scores are a
4 patient performance score that is I guess
5 commonly used in many oncology studies. And
6 what we found is that each additional
7 10-point decrease in Karnofsky score is
8 associated with additional worsening of
9 patient's outcome and greater probability of
10 the patient being moribund.

11 And so we see that for each
12 10-point decrease, we then see a hazard ratio
13 increase of 1.5. And I'm emphasizing that
14 because it's not saying that it was an
15 arbitrary cut. Each cut, from 100 to 90 to
16 80, you're seeing each of those cuts, and if
17 you then have the increase in those patients
18 in the treatment group, a progressive
19 worsening of their outcome. And then, a
20 similar number of metastatic sites for their
21 cancers. And again, there's a numeric
22 increased number of patients with more

1 metastases, and that the treatment group,
2 that was also as you see here, seen to be
3 positively associated with an increased risk
4 for death.

5 So when we adjusted the studies for
6 the proportion of patients with these
7 differences between the alvimopan group
8 versus placebo, we actually saw that we had a
9 decrease in the adjusted hazard ratio to 1.4.
10 Again, with a wide confidence level, but at
11 least that gave us some confidence that the
12 factors that we were told by external experts
13 in oncology that might very well be
14 influencing the outcomes of our study seem to
15 be borne out.

16 I was going to give a quick factual
17 answer to the earlier question that was
18 asked. There was an earlier question about
19 the distribution of patients in 014, and I
20 just wanted to just very quickly get back to
21 that and answer your question. Briefly,
22 65 percent of the patients in 014 were from

1 the United States. A total of 85 percent of
2 the patients overall were from the United
3 States, Canada, and the U.K. We then also
4 had a small number of patients contributing
5 from other sites, fewer than 1 percent from
6 either Poland or Hungary.

7 And then we had fewer than
8 3 percent of patients coming from New
9 Zealand, Australia, Hong Kong, and Taiwan.
10 So it was largely a study conducted in the
11 U.S., Canada, and U.K.

12 DR. JACKSON: This is David Jackson,
13 Adolor. In regard to the second part of your
14 question, we do not have, obviously, two-year
15 follow-up on those patients. But we've talked
16 extensively about the IDMC and the consideration
17 of the cardiovascular effects of that drug.
18 Obviously, there was no place for an IDMC and
19 that the neoplastic findings were after the
20 study was finished.

21 We did, however, convene a panel of
22 expert oncologists, one of whom is present

1 today, and would I'm sure be very happy to
2 provide his thoughts if you'd like to hear
3 them.

4 DR. FUCHS: Hi. I'm Charlie Fuchs,
5 medical oncologist and cancer epidemiologist at
6 the Dana-Farber Cancer Institute in Boston. And
7 our group did look at the evidence in its
8 totality to look at the relationship between
9 this drug and cancer risk, and thought about
10 sort of several of the major criteria that one
11 considers when thinking about cancer risk.

12 First, there really was not a
13 plausible biological mechanism by which this
14 opiate antagonist would contribute to cancer
15 risk. None that we're aware of. The
16 question was asked earlier about the presence
17 of mu-receptors on cancer cells. I'm not
18 aware of that. In fact, in terms of looking
19 at opiate antagonists and opiates on immune
20 surveillance, there is limited evidence, but
21 would suggest that opiates sometimes reduce
22 NK cell activity, whereas antagonists might

1 increase it. Now, I think that's purely
2 speculative, but doesn't suggest that one
3 impairs immune surveillance. So bottom line
4 is, first, we didn't see clear biological
5 plausibility for a relationship with this
6 drug and cancer.

7 Secondly, as you've seen, the
8 genotoxic studies and the animal studies
9 delivered over two years failed to
10 demonstrate any clear carcinogenicity of the
11 compound.

12 Thirdly, the time course seems
13 implausible. Namely, the idea that cancers
14 could develop in a matter of weeks to months
15 is unlikely with any agents.

16 And then finally, the histology.
17 We're clearly looking at a panoply of cancer
18 histologies.

19 And when assigning risk, one
20 usually expects to see a specific tumor
21 histologic type. And as you saw in the data,
22 we're not seeing any clear pattern. So in

1 sum, we're really not seeing any convincing
2 evidence that would link alvimopan with
3 cancer risk.

4 Finally, with regard to the POI
5 indication, we're looking at seven days of
6 exposure to the drug, and I'm not aware of
7 any precedent where a drug that doesn't have
8 any genotoxicity or carcinogenicity would
9 lead to cancer risk with a seven-day
10 exposure.

11 DR. BUCHMAN: As chair, I'm going to
12 take the prerogative to ask the last question
13 for this session. Given that we're dealing with
14 a benign condition here, vis-a-vis I'm not aware
15 of a single case report of anyone dying from
16 postop ileus; furthermore, I'm not aware of any
17 data that would suggest that leaving hospital 22
18 hours earlier also decreases nosocomial
19 infections, C. diff, or anything else that we've
20 discussed, and you haven't shown that actually
21 in your study that you showed a positive benefit
22 there, we need to limit exposure to the drug

1 given the potential complications however
2 minimal they be because we have to consider a
3 cost-benefit analysis. Do you think you should
4 be required to do a single dose, a preoperative
5 dosing study -- in other words, 6 or
6 12 milligrams one time only preoperatively as
7 the only dose, another study?

8 Do you think you should be required
9 to do that? And if not, why not?

10 DR. TECHNER: Before I answer your
11 question, I'd like to, if you don't mind, make
12 one point of clarification, because I think it
13 will help in you understanding the response.

14 DR. BUCHMAN: My question is
15 predicated on the answer to my previous
16 question, where you illustrated the continuous
17 difference between the curves at all points,
18 even as soon as two days postoperatively.

19 DR. TECHNER: Let me start by
20 clarifying something, and I think it was a point
21 actually that Dr. Chang raised, and also
22 Dr. Dannis.

1 And I think we -- and I think
2 Drs. Senagore and Delaney can speak better
3 than I to this, agree that virtually all of
4 these patients are being seen by their
5 surgeon within generally two to four weeks.
6 And actually, I can tell you that we polled
7 all of our sites, and the vast majority of
8 our surgeons see their patients back for
9 their first follow-up visit within two to
10 four weeks. Per all of the protocols, the
11 sites were required to report any serious
12 adverse events that occurred between the last
13 dose of study drug and 30 days following that
14 time point.

15 In addition, we had monitors
16 visiting these sites routinely, scouring
17 through the hospital records, the clinicians'
18 medical office records, and any other medical
19 records that were available, to ensure that
20 anything that looked like an adverse event
21 was captured. And the sites were instructed
22 to report any adverse events, including

1 serious adverse events, that occurred during
2 that period of time. So we believe that the
3 database that FDA currently has would include
4 those events that occurred basically from the
5 onset of study through 30 days post last
6 dose. So I just wanted to clarify that to
7 give you a perspective of follow-up.

8 Dr. Schmith from GSK?

9 DR. SCHMITH: Hi, Ginny Schmith from
10 GSK. I wanted to comment on the idea that a
11 single dose preoperatively would work. And I
12 would argue that I do not believe that it would,
13 and I'd like to show you a plot as to why.

14 Dr. Techner had told us originally
15 that the time above the KI for the mu-opioid
16 receptor was longer with a 12-milligram dose
17 than with a 6-milligram dose. Okay? And
18 this data comes from POI patients. Okay. We
19 have collected samples in POI patients, and
20 they do have higher concentrations than we
21 would expect to see in healthy volunteers,
22 because they have higher viability because

1 they do have a decreased GI transit and more
2 time for the drug to be absorbed. Okay? But
3 as you can see, this is a over a 12-milligram
4 dose over a 12-hour period. So they're above
5 the KI for 12 hours. They're not going to be
6 above the KI for five days.

7 DR. BUCHMAN: But if you prevent the
8 development of a postop ileus, why would you
9 need to give it for five days? If you don't
10 have a postop ileus at Day 1, you're not going
11 to suddenly get one at Day 5.

12 DR. TECHNER: I will address that, and
13 I will also ask Dr. Senagore to address that as
14 well. I think we discussed the fact that ileus
15 is multifactorial. Opioids are definitely a key
16 component. So as long as a patient is receiving
17 opioids, the risk that ileus is prolonged is
18 high. And therefore, we believe that if you
19 only gave one dose preoperatively and the
20 patient continued to get opioids, then in
21 essence, that preoperative dose effect, the
22 chance to mitigate the effect of those opioids,

1 would be lost. And this is not that dissimilar
2 from administering antibiotics to prevent wound
3 infection, and other prophylactic measures that
4 we use in order to reduce the chance that a
5 patient will get a certain condition.

6 DR. BUCHMAN: That goes back to a
7 question I had a few hours ago. And that is, is
8 it what you're really treating here is not a
9 postop ileus at all, that you're treating
10 narcotic-induced ileus? I can tell you from
11 dealing with a lot of patients with complicated
12 GI surgery, those that stay the longest are
13 those that have a trigger finger. They can't
14 get their finger off of the PCA pump. And they
15 may stay a couple of weeks in the hospital with
16 a postop ileus. And so that also then brings up
17 the issue of using it more than seven days.

18 But the most important issue is,
19 are you seeking an indication that perhaps
20 doesn't truly exist or that you weren't
21 really treating? That you're treating a
22 completely different indication, being a

1 narcotic-induced ileus.

2 DR. TECHNER: I think this is the way
3 I would respond to that. If the standard of
4 care in this country was to manage postoperative
5 pain with no narcotics, then I don't believe we
6 would feel this drug would have a benefit. I do
7 not believe that that is the standard of care
8 here.

9 DR. BUCHMAN: You can answer the other
10 question when we get to some of the questions.
11 Dr. Krist, you had one question. Then we've got
12 to move on to the questions.

13 DR. KRIST: Well, maybe my question is
14 better to be brought up as we address these
15 questions. What I'm really looking for is
16 reassurance that we don't need to be worrying
17 about looking at long-term safety issues for the
18 short-term indication of the medicine. And I
19 know we've been trying to talk about this, and
20 we've been skirting around that topic when we're
21 looking at the incidences of cancer and MI and
22 those types of things. But the picture that I

1 keep coming back to that has me uncomfortable is
2 I hear consistent information about efficacy.

3 The clinical significance, we could
4 talk about, and as Alan, you brought up we
5 don't see reductions in mortality and DVT and
6 nosocomial infections, but we do see
7 consistent reductions in nausea and postop
8 ileus and earlier discharge from the
9 hospital.

10 But I also hear a drug that would
11 apply to 400,000 people that you can't
12 predict who's going to need it, so you've got
13 to give it to everyone. It's something that
14 I would envision a surgeon would just do.
15 You wouldn't really discuss it with the
16 patient, because there's bigger things to
17 think about, like your cancer resection and
18 other things like that that patients are
19 dealing with. So I feel like there's a lot
20 of importance for making sure that this is
21 safe.

22 And on one hand, I heard

1 Dr. Lincoff earlier say, well, why would a
2 drug that you give for seven days cause an MI
3 three to six months later. So we see these
4 spikes in the folks on the long-term use of
5 the medication. And I can buy that, but on
6 some level, the people in the short term are
7 getting more of the drug. They're getting
8 120 to 168 milligrams, where the people on
9 the long-term dose -- if you're looking 40
10 days to 120 days out, they getting 40 to 120
11 milligrams of the medicine.

12 And then in the risk management
13 plan, I don't see anything to even go back or
14 look at or think about -- if you give it for
15 a short period of time, are there these
16 long-terms complications that we saw the
17 spikes of? Cancer, I can buy more as a
18 short-term dose. You can have an increase in
19 cancer 6 to 12 months later. That certainly
20 is plausible. MI, I have a more difficult
21 time with.

22 But I'm just looking for some

1 reassurance and trying to figure out, well,
2 why don't we need to worry about looking at
3 that longer time period for the short-term
4 administration? I know it wasn't the plan
5 and it came up afterwards, after these spikes
6 appeared. But before releasing a drug and
7 saying it's safe and potentially exposing a
8 lot of people to it, it seems like an
9 important thing that we need to figure out.

10 DR. BUCHMAN: So your question is if
11 we use similar cumulative doses, why don't we
12 look at the data the same? Is that the question
13 that you're asking?

14 DR. KRIST: The cumulative dose, I
15 didn't mean to -- it's not an issue of the
16 cumulative dose.

17 It was more of an issue of on one
18 hand, we're saying, well, if you give it
19 short-term, in the studies we see, we don't
20 see risks of MI in the POI studies. But as
21 Sean was bringing out, we probably don't have
22 power to see that at least short term.

1 The thing I'm concerned about is
2 our follow-up is 14 days, and the spikes in
3 the chronic use folks occurred at 40 to 120
4 days. The issue of the dose was just -- the
5 positive towards the POI studies is, well,
6 it's only five to seven days people get it as
7 opposed to 60 to a year's worth of days that
8 they get it. But the negative is the
9 cumulative dose might be more in the
10 short-term POI patients in the studies.

11 DR. JACKSON: David Jackson from
12 Adolor. I'd love to make you comfortable in
13 that regard, obviously. In part, I'd like to
14 answer your question with providing an answer to
15 a comment that came from the left side of the
16 committee table earlier. And I apologize, I
17 can't remember whether it was Dr. Lincoff or
18 Dr. Talamini. But the size of this acute care
19 safety database at 4,000 patients is actually
20 rather large for a short-term administered
21 product. Okay?

22 DR. KRIST: Short term.

1 DR. JACKSON: So we have a lot of
2 data. The second point I would offer is that in
3 the OBD data, the risk, whatever it is, whatever
4 that signal, if it is a signal, means, is
5 largely confined to one single study. Those
6 other studies which looked at a significant
7 number of patients for three months did not see
8 that imbalance. So although we don't understand
9 perhaps the meaning of the signal right now, if
10 it is such, we have a preponderance of data in
11 which we don't see anything.

12 DR. KRIST: But that one study was the
13 main one that followed people for a year. The
14 other one stopped at three months, right?

15 DR. JACKSON: Yes, but the myocardial
16 infarctions were all seen in the first four
17 months.

18 There was nothing seen at all in
19 the last six months of that study.

20 DR. KRIST: Not necessarily true,
21 though, for the cancer risk, of course.

22 DR. JACKSON: Absolutely not, but

1 again, as I think my colleague Dr. Mortensen
2 tried to indicate, there is a very good chance
3 that a large number of those cancers were
4 present at the time of introduction into the
5 study.

6 DR. KRIST: Likewise, there's no
7 methodologic reason to say that we shouldn't be
8 considering Study 14. Even though it all
9 occurred in that one study, there's no -- when
10 you look at that study compared to the other
11 studies, there's no explanation as to why it
12 occurred in that one study compared to the
13 others.

14 DR. JACKSON: There is indeed not, and
15 we have looked very hard for that.

16 DR. BUCHMAN: Unfortunately, we're
17 going to have to move on and catch up here.
18 We're going to move on to the questions that the
19 agency has posed to the committee. Some of
20 these will be questions that the committee will
21 actually vote on, and I will announce those as
22 we get to them.

1 The first question is a non-voting
2 question, and we'll spend about 10 to 15
3 minutes on this, less if we need. And the
4 question is, for the record, for the
5 assessment of efficacy in clinical trials of
6 postoperative ileus, GI-2 and GI-3 have been
7 utilized to measure times for recovery of
8 upper and lower GI function.

9 What do you consider a minimal
10 acceptable treatment difference as measured
11 by GI-1 or GI-3 for alvimopan relative to
12 placebo? Specifically, do you think 12 hours
13 is sufficient? Twenty-four hours, 36 hours,
14 a month, 12 years? We need your comments on
15 this.

16 Dr. Pasricha?

17 DR. PASRICHA: I just think we need to
18 clarify what time points or what percentile
19 we're talking about. Are we talking about the
20 means for the whole -- are we talking about
21 differences in means?

22 DR. BUCHMAN: That's a good question

1 here. Are we talking about the mean, median,
2 or 75th percentile?

3 DR. KORVICK: I would think that
4 anyone that responds to this question should
5 specify what's the most meaningful to them, and
6 why and how much. So you can pick whichever one
7 you think is meaningful to you.

8 DR. PASRICHA: So I'd like to say in
9 general that reducing postop stay by 24 hours on
10 an average patient is meaningful. But if you're
11 talking about an operation or a procedure that
12 results only in 3 days hospitalization and you
13 can reduce that by 12 hours, that might be
14 meaningful, also. So in part, it depends on the
15 denominator, which is one of the reasons we
16 asked the question. But if you just take sort
17 of this dumb average that we have, five days and
18 so on, I think 24 hours would be considered a
19 meaningful endpoint.

20 DR. BUCHMAN: Dr. Talamini?

21 DR. TALAMINI: I would say as one of
22 those surgeons on the committee who's watched

1 lots of patients go through this, I think for
2 me, 12 hours in terms of the GI-2 endpoint or 12
3 hours in terms of being able to leave the
4 hospital would be significant.

5 I'd like to add one quick comment
6 to follow up on what you said, Dr. Buchman.
7 The surgeons in the room know when we finish
8 most operations, the small bowel is
9 peristaltic. So there is this definition.
10 You know, in our minds, we have this ileus
11 thing when we close a patient. When we close
12 a patient, the small bowel's functional. The
13 colon usually isn't, the stomach usually
14 isn't, but the small bowel is. It'd be
15 fascinating to know by ultrasound what's
16 really going on with the bowel at all these
17 time points, but we don't.

18 DR. BUCHMAN: Dr. Levine?
19 Dr. Epstein?

20 DR. EPSTEIN: Yes, just to expand a
21 little bit on what Dr. Talamini said. And as
22 we've been going through this discussion and

1 talking a lot about the safety, we've also gone,
2 and Dr. Chang has made the comments, on more of
3 a pharmacoeconomic argument, which is kind of
4 unique in my experience on panels. But
5 nevertheless, it's an important thing to
6 discuss. And just by way of my background, I've
7 served as president of a medical staff and on a
8 board of a 700-physician hospital for more than
9 a decade. So we wrestle with these issues from
10 the pharmacoeconomic every day. And we also
11 have the P&T committee, which would then
12 consider this drug because it's going to be a
13 hospital drug.

14 And a lot of our time is spent
15 trying to get the hospital bed days -- our
16 mean hospital bed days are around 3.16 days,
17 trying to get it from 3.23 days down to 3.16
18 days, and that is a huge number. It has
19 everything to do with reimbursement to the
20 hospital, quality indicators, and on and on.

21 And even if you look at this drug,
22 if you gave it to the 500 patients or so that

1 had a bowel resection, if you could save one
2 hospital bed day or even half a bed day,
3 which is significant, or 12 hours, you're
4 talking about 55 bed days. That's very
5 substantial. It's not only you're getting
6 the patient out of the hospital early and
7 saving money, but you're putting somebody in
8 the hospital on that day and you're able to
9 do more surgeries.

10 I don't know about the hospitals or
11 the places where everyone else works, but we
12 have a very, very critical bed shortage on a
13 daily basis. And this is a common problem
14 throughout our area. So this would have a
15 significant pharmacoeconomic impact if we
16 could save even 12 hours on our postoperative
17 patients. So from that standpoint, I think
18 this drug would be very beneficial if we
19 could make that change in our time of stay.

20 DR. BUCHMAN: Dr. Talamini and
21 Dr. Epstein, if the nurse called you at home,
22 and actually both of you are probably rounding

1 at midnight, and the patient eats dinner, solid
2 food -- and of course, we don't know what solid
3 food tolerance means. They ate a hot dog, they
4 ate a whole sandwich, they ate one piece of
5 toast. But if they call you at midnight and
6 say, well, the patient ate, can they go home
7 now, but the patient's asleep now, would you
8 send them home or would you wait until 8:00 in
9 the morning? And so basically that's just a
10 joke that didn't go over very well to illustrate
11 my point, does 12 hours really make a difference
12 clinically?

13 DR. TALAMINI: This is Dr. Talamini
14 again. I believe that it does, because most
15 surgeons, at least academic surgeons, which is
16 what I've been and lived with, really think of
17 these things twice a day: Once for the morning
18 and once for the evening. So if you hear from
19 the house staff in the afternoon bowels are
20 moving, patient's eating a diet, you'll say go
21 on home, and we'll have a bed fresh early the
22 next morning.

1 DR. EPSTEIN: Just to --

2 DR. BUCHMAN: Dr. Krist?

3 DR. EPSTEIN: I'm sorry.

4 DR. BUCHMAN: Oh, I'm sorry,
5 Dr. Epstein.

6 DR. EPSTEIN: Just to reiterate on
7 that. The protocol that we have in place in our
8 hospital is we have a 24-hour team in the
9 hospital, a discharge team. We have cars
10 standing by ready to get you out of the
11 hospital. It does not matter if it's New
12 Year's, Christmas Eve, a blizzard.

13 Our ER is -- we just built a
14 brand-new hospital and our ER is stacked up
15 with people in the hallways down the halls.
16 We don't have room for these people, and it's
17 really a troubling situation. But the point
18 is that every hour makes a difference. And
19 we can't even transfer a patient to another
20 hospital. We have the same problem
21 throughout the metropolitan area. So yeah,
22 it's a big difference, and 12 hours is

1 enormous.

2 DR. BUCHMAN: Dr. Krist?

3 DR. KRIST: Now, I practice more at a
4 community hospital, and I'm not sure that things
5 happen in anything other than 24-hour
6 increments, even though people want it to do,
7 and we have bed shortages as well. But maybe
8 this is where it helps us a little in thinking
9 about whether we're talking about the mean or
10 the 75th percentile. Because really, as you
11 were talking earlier, for an individual patient,
12 I think what's more clinically significant is
13 24-hour increments. But if you're talking about
14 mean for the overall group of patients who had
15 the surgery, maybe 12 hours for that mean would
16 be important, because that represents people who
17 are getting out one or two days as well as
18 people who are getting out an hour or two.

19 Whereas if I look at the 75th
20 percentile, more of the extreme of the people
21 staying longer, maybe I want that to be more
22 around 24 hours as opposed to the 12 hours.

1 So that's how I might rationalize and
2 interpret the overall population mean versus
3 the 75th percentile.

4 DR. BUCHMAN: And of course, we saw a
5 mean of six to seven hours in this study. So
6 okay, well, we're going to move on to Question
7 No. 2.

8 DR. PASRICHA: The mean was about 15
9 or something.

10 DR. KRIST: The 75th percentile mean
11 was closer to a day.

12 DR. BUCHMAN: Were you referring to
13 the overall mean or the mean for the 75th
14 percentile?

15 DR. KRIST: Well, the overall mean was
16 more like 15 hours.

17 DR. BUCHMAN: Fifteen. Fifteen,
18 you're correct.

19 DR. KRIST: And the 75th percentile
20 one was 24 hours.

21 DR. BUCHMAN: Yep, you're correct.
22 We're going to move on to Question No. 2. And

1 keep in mind Question No. 2 is actually a voting
2 question, and we'll have up to 30 minutes to
3 discuss this. The question is, do you consider
4 the efficacy results from the submitted POI
5 studies to be clinically meaningful, and explain
6 which of the endpoints, that's GI-1 -- or GI-2,
7 GI-3, date of writing the order for discharge,
8 or ready for discharge, or perhaps some other
9 outcome that you feel is important? And which
10 studies are you relying on to support your
11 conclusion?

12 Comments from the committee?

13 Dr. Kramer?

14 DR. KRAMER: I think before we
15 actually discuss this, we should get to the
16 question that you raised about what the actual
17 indication is here. Because what bothers me in
18 terms of determining efficacy is that
19 essentially you have a situation where this drug
20 has been shown to be effective when you required
21 opioid patient-controlled analgesia. And if I
22 got it right, I think when the surgeon,

1 Dr. Senagore, described the care pathways being
2 instituted across the country now, some of the
3 newer approaches, I think one of the things you
4 listed in general, not in these studies,
5 included opioid-sparing techniques. That was
6 excluded from these studies, with the exception
7 of the one in Europe.

8 So in order to determine whether or
9 not this is efficacious, we have to say what
10 are we really doing? Are we minimizing the
11 effect of opioids, and should it have that
12 indication? Should it be tied to use in a
13 situation where you're administering PCA? So
14 you interpret the results accordingly. So
15 that's the comment I want to make.

16 DR. BUCHMAN: Dr. Pasricha?

17 DR. PASRICHA: I think it's very hard
18 to look at the data and tease out what's
19 opioid-induced and what's non-opioid-induced in
20 the setting of postoperative ileus. So I'm not
21 sure that clinically that would be very helpful
22 for us to do that. I think you can clarify the

1 context in which you're asking for efficacy,
2 which is I guess the context in which they're
3 asking for the label.

4 And in my opinion, I think it is
5 clinically meaningful, the data. And I'm
6 relying on the GI-2 and the DOW endpoints to
7 support that. And I think we see it in all
8 the studies that have been presented.

9 DR. BUCHMAN: Dr. Proschan?

10 MR. PROSCHAN: I just wanted
11 to -- actually, Slide CA 37 shows that the mean
12 difference is more like 18 hours. Now again, I
13 don't -- you know, I'm not a clinician, so I'm
14 probably the wrong one to be commenting on this.
15 But it seems to me that it's appropriate that as
16 you go out to the 75th percentile, you're
17 getting a bigger difference, a whole day; as
18 you're down in the lower amounts of time, maybe
19 12 hours is really important.

20 You know, if you're talking about
21 the difference between three days and two and
22 a half, that may be very important. And then

1 when you integrate across all time points, it
2 seems to me that 18 hours is pretty long as
3 well. So once again, from a non-clinician
4 standpoint, it seems like the results are
5 pretty good.

6 DR. BUCHMAN: Dr. Rosing, do you have
7 any comments on this particular question?

8 Dr. Cullen?

9 DR. CULLEN: I think the results are
10 efficacious. I think that the GI-1-2 study and
11 the DOW as mentioned previously are what I look
12 at. And I think getting a patient out in a day
13 at 75th percentile is really significant.

14 DR. BUCHMAN: Dr. Krist, anything to
15 add to your previous comments?

16 Dr. Levine?

17 DR. LEVINE: I just want to ask
18 Dr. Cullen, we agreed that in the 302 and some
19 of the other studies where we had total
20 abdominal hysterectomies, that this was going to
21 only look at postoperative ileus, not in the
22 gynecological surgery. On the other hand, if

1 you can save a half a day or a day in total
2 abdominal hysterectomy, it may be
3 cost-effective. My question is, can we
4 guesstimate if this would be utilized on or
5 off -- in the hospital on- or off-label by
6 gynecological surgeons for cancer surgery, where
7 there's total abdominal hysterectomy, when we
8 don't have data in that area shown in the
9 presentation?

10 DR. BUCHMAN: Ms. Corkery-DeLuca, any
11 comments?

12 MS. CORKERY-DeLUCA: I haven't heard
13 enough negative to think --

14 DR. BUCHMAN: Use your microphone,
15 please.

16 MS. CORKERY-DeLUCA: Pardon me. I
17 haven't heard enough negative comments to say
18 that it would not be.

19 DR. BUCHMAN: Dr. Richardson?

20 DR. RICHARDSON: Richardson, Mayo. I
21 have a comment, and perhaps Dr. Talamini and
22 some of the other surgeons can answer this for