

1 include demyelinating disease and
2 exacerbation of severe congestive heart
3 failure.

4 Now, ever since the approval of the
5 first TNF blockers, there's been a concern
6 about the potential for risk of malignancy,
7 given the immunosuppressive properties, and
8 the possibility for reduced immune
9 surveillance leading to a greater malignancy
10 risk. However, as I'll show you in the next
11 few slides, the data on the risk of
12 malignancies are conflicting.

13 So I'll start with the risk of
14 lymphoma in adults. The risk of lymphoma was
15 first noted for TNF blockers when an
16 imbalance was seen for lymphomas in the
17 pooled controlled clinical trials of the
18 three TNF blockers. And this consisted of
19 six lymphomas in the TNF blocker arm,
20 compared to zero in the placebo control arms.

21 However, there are other potential
22 explanations for this. For example, there

1 was an unequal randomization, three to one,
2 to drug versus placebo. In the overall
3 clinical trial experience, including
4 randomized trials and the open-label
5 extension studies, the relative risk of
6 lymphoma was approximately three to fivefold
7 with TNF blockers, compared to the risk
8 expected in the general population. However,
9 a similar relative risk has been noted in
10 rheumatoid arthritis patients with highly
11 active disease who are not treated with TNF
12 blockers. And indeed, epidemiologic studies
13 have suggested a similar rate of lymphoma in
14 adult patients with rheumatoid arthritis
15 receiving TNF blockers as compared to RA
16 patients who are not receiving TNF blockers.

17 One particularly concerning risk of
18 malignancy is the risk of hepatosplenic
19 T-cell lymphoma in patients treated with
20 infliximab for Crohn's disease. So rare
21 cases of hepatosplenic T-cell lymphoma have
22 been observed in adolescents and young adults

1 with Crohn's disease who received infliximab.

2 Hepatosplenic T-cell lymphoma is a
3 rare, aggressive T-cell lymphoma that is
4 usually fatal. And all of the cases of
5 hepatosplenic T-cell lymphoma with infliximab
6 were associated with concomitant
7 immunosuppressives, azathioprine, or
8 6-mercaptopurine.

9 Turning now to the risk of solid
10 malignancies in adults, the data for this are
11 different, and they came initially from
12 analysis of pooled randomized trials that the
13 FDA looked at for each of the three approved
14 TNF blockers at the time -- infliximab,
15 adalimumab, and etanercept.

16 In these pooled randomized control
17 trials, with infliximab, a higher rate of
18 solid malignancies was seen compared to
19 controls, a rate of 0.52 per hundred
20 patient-years versus 0.11 in the controls, a
21 severalfold increase. The same analysis with
22 the adalimumab trials showed a rate of 0.6

1 events per hundred patient-years as compared
2 to 0.4 per hundred patient-years with
3 controls.

4 The solid tumors seen were a
5 variety of solid tumors that are typical in
6 the general population. The difficulty in
7 interpreting these results comes from the
8 fact that for infliximab and adalimumab, the
9 malignancy rates were not higher than the
10 expected rate in the general population.

11 The other complications in
12 interpreting these data are the relatively
13 short time of observation -- six months to
14 two years -- and unequal randomization in the
15 different study arms, making the
16 interpretation somewhat uncertain. A similar
17 analysis carried out with etanercept showed a
18 rate of malignancy that was not higher with
19 the etanercept arm than in the control arms.

20 Amgen recently submitted to the FDA
21 a draft report on an independent
22 meta-analysis of clinical trials to assess

1 cancer risks for approved TNF blockers,
2 including etanercept. This draft report is
3 currently under FDA review, and a final
4 report is expected shortly.

5 So these data indicate that the
6 randomized trial data are inconclusive for
7 the risk of solid tumors. In long-term
8 treatment studies of adalimumab and
9 etanercept, there's no evidence for an
10 increasing rate of malignancies with longer
11 durations of exposure. And indeed,
12 epidemiologic studies conducted in England
13 and Sweden have shown no higher rate of
14 malignancy in adults with RA receiving TNF
15 blockers compared to patients not receiving
16 TNF blockers.

17 A stronger signal for a risk of
18 malignancy comes from two trials of TNF
19 blockers in unapproved indications. Two of
20 these controlled trials have shown a
21 malignancy signal in the selected
22 populations. First, in a randomized

1 controlled trial of etanercept in Wegener's
2 granulomatosis, 5 of 89 patients in the
3 etanercept arm developed solid malignancies,
4 versus no malignancies in the control arm.
5 All of these malignancies occurred in the
6 subgroup of etanercept patients who were
7 receiving concomitant cyclophosphamide.

8 A second study randomized patients
9 with moderate to severe chronic obstructive
10 pulmonary disease to infliximab or control.
11 In this study, 9 of 57 infliximab-treated
12 patients developed malignancies, compared to
13 1 of 77 control patients.

14 The rates of malignancies were 7.67
15 versus 1.63 events per hundred patient-years,
16 a severalfold higher rate in the infliximab
17 arm. Note here that this was a study of
18 short duration, just six months.

19 The data here suggest that in
20 certain populations at high risk of
21 malignancy, the risk may be further increased
22 by treatment with TNF blockers.

1 Turning now to malignancies in
2 children receiving TNF blockers, recently the
3 FDA has become aware of post-marketing
4 reports of malignancies in children receiving
5 the approved TNF blockers. Overall, as
6 you've heard, approximately 30 cases have
7 been reported, and this excludes the cases of
8 hepatosplenic T-cell lymphoma seen in
9 children receiving infliximab for
10 inflammatory bowel disease.

11 Reports of malignancies in children
12 have occurred in both the Juvenile Idiopathic
13 Arthritis and in the Crohn's disease
14 indications.

15 Approximately half of these 30
16 cases were cases of lymphoma, and half were
17 other malignancies.

18 Lymphomas included Hodgkin's
19 disease and non-Hodgkin's lymphomas. The
20 non-lymphoma malignancies included leukemia,
21 melanoma, and other solid organ cancers. And
22 the rate of malignancy compared to the

1 background rate and its relationship to the
2 use of TNF blockers is currently under
3 investigation.

4 The sponsor earlier showed you data
5 on their estimate of the standardized
6 incidence ratio for malignancies compared to
7 the expected rate. They also told you that
8 seven of the nine malignancies in children
9 were hematologic malignancies. So it'll be
10 very important as part of this analysis to
11 look at the expected rate of hematologic
12 malignancies compared to the observed rate.

13 Turning now to the information we
14 have from safety data in registries, in order
15 to assess long-term safety of biologics in
16 children, the FDA has made sure that
17 observational registries was part of the
18 post-approval safety assessment. And
19 observational registries were established at
20 the time of approval for pediatric use of all
21 the TNF blockers.

22 These were established to fulfill

1 post-marketing commitments and post-marketing
2 requirements. The etanercept registry was
3 established for children with Juvenile
4 Idiopathic Arthritis, and there was a
5 registry established for infliximab for
6 children with inflammatory bowel disease.

7 For the recent approval of
8 adalimumab for Juvenile Idiopathic Arthritis,
9 there is a registry called for children with
10 Juvenile Idiopathic Arthritis.

11 For etanercept, the registry was
12 designed to collect data on long-term use of
13 etanercept in children with JIA using
14 etanercept alone or in combination with
15 methotrexate. And you've heard a little bit
16 about this already. Enrollment was completed
17 in January of 2005. Of the patients
18 enrolled, 103 were on etanercept alone, 294
19 were on etanercept plus methotrexate, and 197
20 were on methotrexate alone. In this
21 registry, no malignancies have been seen to
22 date.

1 So in summary, TNF blockers as a
2 class have proven highly efficacious in a
3 variety of autoimmune conditions. Use of TNF
4 blockers is associated with a variety of
5 uncommon but serious adverse events. The
6 risk-benefit relationship is considered
7 favorable in the approved indications. In
8 adults, there is evidence suggesting that TNF
9 blockers may increase the risk in patients
10 with an underlying elevated risk of
11 malignancy.

12 And recent reports of malignancies
13 in children receiving TNF blockers are of
14 concern and are currently under
15 investigation.

16 Thank you.

17 DR. KWON: Good morning. My name is
18 KC Kwon, and I'm a safety evaluator in the
19 Division of Adverse Event Analysis in the Office
20 of Surveillance and Epidemiology. Today, I'll
21 be presenting post-marketing adverse event data
22 for etanercept in children aged 4 to 17 years

1 old.

2 The objective of my presentation is
3 to inform the safety of etanercept as we
4 consider its use in the pediatric plaque
5 psoriasis population. For the purpose of our
6 review and presentation, we considered
7 children aged 4 to 17 years old as
8 pediatrics, since this is the age group under
9 consideration for pediatric psoriasis
10 indication.

11 I will begin with some brief
12 background, and provide drug usage data
13 provided by the drug usage specialists in our
14 office. Then I'll present an overview of all
15 pediatric adverse events reported with
16 etanercept, followed by discussion of
17 specific post-marketing adverse events of
18 interest, such as malignancies and infection.
19 I will conclude with summary and conclusion.

20 As you have heard this morning,
21 etanercept is a Tumor Necrosis Factor
22 blocker, and is one of four approved TNF

1 blockers used for treatment of various
2 inflammatory diseases. The safety profile of
3 etanercept was obtained mainly from the use
4 of this and other TNF blockers in adults and
5 children with Juvenile Idiopathic Arthritis,
6 which is the only approved pediatric
7 indication for etanercept at this time.

8 And you have heard the safety
9 concerns related to this class of biological
10 product by Dr. Siegel in the previous
11 presentation.

12 Before presenting our
13 post-marketing adverse event data, I would
14 like to provide a brief background of the
15 AERS, or Adverse Event Reporting System,
16 which is the FDA's post-marketing database
17 that contains all adverse event reports.

18 Like any other system, this has
19 strengths and limitations. The strengths
20 include its usefulness in detecting events
21 not seen in clinical trials, and it is
22 especially good for events with rare

1 background rate or short latency, such as
2 serious skin reactions.

3 The limitations include
4 under-reporting, variable quality of
5 reporting, reporting biases, and unknown
6 denominator and numerator. It's also
7 difficult to attribute events with high
8 background rate, such as myocardial
9 infarction, or long latency, such as
10 malignancies.

11 This graph shows the projected
12 number of patients who received etanercept in
13 U.S. outpatient retail pharmacies, both total
14 and subgroup of pediatric patients, since
15 2002. The red line shows the projected total
16 number of patients of all ages, which
17 increased from year 2002 to 2005, then
18 declined slightly during 2007. The yellow
19 line shows the projected number of pediatric
20 patients who received etanercept, which
21 accounted for about 3 percent of total
22 patients during each year.

1 It's difficult to see the drug
2 usage trends in the pediatric subgroup from
3 this graph, but the number of patients who
4 received etanercept in the pediatric subgroup
5 increased from about 2,000 patients in year
6 2002 to 4,300 patients in year 2007.

7 Please bear in mind that this drug
8 use data has some limitations as an accurate
9 estimate of total usage. For one, retail
10 pharmacies account for about 35 to 50 percent
11 of yearly distribution, and the primary
12 distribution channel in 2007 was mail order,
13 which was not examined.

14 When we looked at prescribing
15 physicians, not surprisingly, rheumatologists
16 were the most common prescribers of
17 etanercept. And the prescriptions associated
18 with dermatologists showed the largest
19 increase, from 3,000 prescriptions in year
20 2002 to 104,000 prescriptions in year 2007.
21 Children were given etanercept for treatment
22 of polyarthrititis and psoriasis.

1 I've presented some brief
2 background and drug usage information, and
3 will now shift gear and begin discussion of
4 post-marketing adverse event data.

5 This is an overview of all
6 pediatric AERS reports for etanercept. The
7 number of reports represent those in the AERS
8 database since marketing in 1998 to April of
9 this year. Please be reminded that this
10 overview represents crude data, and
11 therefore, duplicates haven't been
12 reconciled.

13 There were over 54,000 reports
14 associated with etanercept in the AERS
15 database, and 949 were pediatric reports.
16 More adverse events were reported in females,
17 and the median age was 13 years. About
18 75 percent of reports came from the U.S.

19 I've listed the most commonly
20 reported indications, and as expected,
21 etanercept was most commonly used for
22 treating JIA. A small proportion of

1 pediatric adverse event reports -- 61
2 reports -- occurred in children who received
3 etanercept for psoriasis indication. Most of
4 these were U.S. reports, and the most serious
5 outcome reported was hospitalization, which
6 occurred in five cases.

7 This shows all the outcomes
8 reported in pediatric post-marketing reports
9 with etanercept. Except for 14 cases with an
10 outcome of death, the number of reports for
11 other outcomes are again crude data. There
12 were 200 hospitalizations; 6 were considered
13 life-threatening; 3 required intervention for
14 the reported event; and about 700 reports
15 were others and non-serious reports.

16 Out of 14 pediatric post-marketing
17 cases of etanercept with an outcome of death,
18 eight occurred in patients who received
19 etanercept for Juvenile Idiopathic Arthritis,
20 three in Idiopathic Pulmonary Syndrome, two
21 in Graft Versus Host Disease, and one was for
22 an unknown indication.

1 Cases with indications other than
2 Juvenile Idiopathic Arthritis, such as
3 Idiopathic Pulmonary Syndrome and Graft
4 Versus Host Disease, occurred in patients
5 with severe comorbidity, and it was difficult
6 to assess the role of etanercept in the
7 outcome of death for these patients. For
8 eight cases of JIA, the most commonly
9 reported cause of death was
10 infection-related, which occurred in four
11 cases, and this included three cases of
12 sepsis and one case of pneumococcal
13 meningitis.

14 This slide describes a
15 representative pediatric case who received
16 etanercept and died due to infection-related
17 complications. A 17-year-old girl received
18 etanercept for treatment of JIA and died due
19 to pneumococcal meningitis. Her concomitant
20 medications included methotrexate, and after
21 receiving etanercept for an unknown period,
22 she presented to the emergency department

1 with a three-day history of fever and mental
2 status changes.

3 The cerebrospinal fluid culture was
4 positive for strep pneumoniae. She developed
5 cerebral edema, increased intracranial
6 pressure and brain death, and died.

7 In addition, we reviewed all
8 domestic pediatric AERS reports reporting
9 serious outcomes other than death, such as
10 hospitalization and life-threatening events.
11 And the most commonly reported adverse events
12 were infections, which occurred in 31 unique
13 cases. The types of serious infections are
14 listed here, and included respiratory tract
15 infection, skin and soft tissue infections
16 such as abscesses and cellulitis, as well as
17 urinary tract infections, sepsis, and
18 osteomyelitis.

19 This table shows some
20 characteristics of 31 cases reporting serious
21 infections in pediatric patients after
22 receiving etanercept. More females

1 experienced serious infections. The median
2 age was 12 years, and the reported dose was
3 within the recommended dose range. The time
4 to onset of infection varied from one day to
5 as late as four years after initiation of
6 etanercept therapy.

7 22 of 31 cases reported concomitant
8 use of other immunosuppressants such as
9 methotrexate and/or corticosteroids.

10 These are representative pediatric
11 cases who received etanercept and experience
12 serious infection. An eight-year-old girl
13 was hospitalized with staph aureus
14 osteomyelitis after receiving etanercept for
15 JIA therapy. Etanercept was discontinued and
16 the infection was treated. The eventual
17 outcome was not reported.

18 Another case was a 16-year-old girl
19 who was hospitalized with systemic fungal
20 infection that was thought to be
21 histoplasmosis after receiving about 6-1/2
22 months of etanercept therapy for JIA. She

1 also received methotrexate, and the liver and
2 lung biopsy revealed systemic fungal
3 infection. She received amphotericin
4 treatment and improved.

5 In addition, there were 10 cases of
6 varicella reported in pediatric patients who
7 received etanercept. Six of 10 cases
8 resulted in hospitalizations, and based on
9 the information provided, two cases appear to
10 be primary infections, and two cases appear
11 to be re-activations. Also, two cases of
12 tuberculosis were reported in pediatric
13 patients. One case reported tuberculosis in
14 joint fluid, and the other was a pulmonary
15 tuberculosis.

16 We also reviewed all pediatric
17 malignancies reported with etanercept, and
18 there were 12 post-marketing AERS cases.
19 This morning, the sponsor presented 15
20 post-marketing cases of pediatric
21 malignancies with etanercept, and the biggest
22 reason for our difference in total number is

1 due to different case inclusion criteria.

2 For example, we excluded the
3 recurrent leukemia case, and the case with
4 possible lymphoma was determined to be not a
5 lymphoma case based on our follow-up. It
6 should be noted that 3 of 12 cases were
7 patients who received etanercept when they
8 were 17 years or younger and experienced
9 malignancy as adults. As you can see here, 4
10 of 12 cases reported lymphomas, three were
11 leukemias, and the remaining cases included
12 one case each of myelodysplastic syndrome,
13 papillary thyroid cancer, malignant melanoma,
14 bladder cancer, and yolk sac tumor.

15 This table presents some
16 characteristics of 12 malignancy cases.
17 Female/male were equally represented, and the
18 age range at the time of malignancy diagnosis
19 was 10 to 19 years, with a median of 17
20 years. The majority of cases occurred in
21 patients who received etanercept for JIA, and
22 the time to onset varied from 29 days to

1 7 years, with a median of 3 years.

2 Eight of 12 cases reported
3 concomitant use of other immunosuppressants
4 such as methotrexate. Half of the 12 cases
5 reported hospitalizations. The dose
6 administered was within the recommended dose
7 for children, and more than half of the cases
8 were foreign cases.

9 This is a representative pediatric
10 case of malignancy, where a 15-year-old girl
11 developed Hodgkin's lymphoma after receiving
12 4-1/2 years of etanercept and 3-1/2 years of
13 methotrexate therapy for polyarticular JIA.
14 Both drugs were discontinued, and remission
15 occurred after chemotherapy.

16 It's difficult to make a definitive
17 conclusion about these post-marketing cases
18 of malignancies that were reported in
19 pediatric patients who received etanercept.
20 And the malignancy cases that I presented
21 today are part of the Agency's comprehensive
22 review of all post-marketing pediatric

1 malignancies reported with all TNF blockers.

2 An Early Communication to alert the
3 public was issued on June 3rd, and further
4 findings from the Agency's ongoing review
5 will be communicated to the public in the
6 future.

7 Lastly, in addition to infections,
8 other domestic serious events were reported.
9 Similar to adults, neurologic events such as
10 seizures, headaches, and multiple sclerosis
11 were reported. Six serious hematologic
12 events have been reported, which included
13 aplastic anemia, pancytopenia, hemolytic
14 anemia, and other blood disorders.

15 In summary, infections were the
16 most commonly reported cause of death, and
17 other serious outcomes such as
18 hospitalizations and life-threatening.
19 Although the overall evaluation is ongoing,
20 malignancies observed in children who
21 received etanercept pose potential long-term
22 complication that is worrisome. And the

1 current etanercept usage in the pediatric
2 population is low.

3 In conclusion, it is noteworthy
4 that despite low etanercept usage in the
5 pediatric population, we observed similar
6 serious adverse events in children as those
7 seen in adults, including serious infections
8 with fatal outcome. Therefore, the use of
9 etanercept therapy will likely place children
10 with plaque psoriasis at a greater risk for
11 developing serious adverse events that are
12 not usually observed in this patient
13 population.

14 I would like to acknowledge the
15 assistance of my colleagues in the
16 development of this presentation, and this
17 concludes my presentation.

18 Thank you.

19 DR. SACHS: Hi. I'm Hari Sachs, and
20 I'm one of the team leaders in the Pediatric and
21 Maternal Health staff in the Office of New
22 Drugs. And we want to thank you for your time.

1 The good news is, I'm the last formal
2 presentation.

3 I'll try to review some of the
4 (inaudible) and the theme we're having here
5 about etanercept. Just briefly, from the
6 pediatric perspective, according to the
7 labeling, what is also known about the safety
8 reviews that you've heard, the literature.
9 And I'll briefly review the treatment
10 benefits and risks that were seen in the
11 trial, and present some additional pediatric
12 considerations.

13 Now, as you all have heard,
14 etanercept is a systemic therapy that is
15 injected once or twice weekly for several
16 types of arthritis in adults, and now
17 Juvenile Idiopathic Arthritis, or what was
18 Juvenile Rheumatoid Arthritis in children.
19 And aside from the arthritis, we have the
20 plaque psoriasis. And these are all pretty
21 much conditions that have been associated
22 with debilitating disease as well as

1 structural problems. And as you heard from
2 the patients with psoriasis, certainly some
3 interference with their lives.

4 The risk of etanercept therapy is
5 well-known, and there's a box warning, as
6 you've heard, regarding serious infections
7 and tuberculosis, as well as the warnings
8 enumerated for demyelinating diseases,
9 hematologic diseases, neurological problems,
10 and hepatitis B re-activation, some of which
11 really can be linked to immunosuppression.

12 The precautions include the risk of
13 anaphylaxis -- and I just wanted to remind
14 you this would be an injection given at
15 home -- worsening congestive heart failure,
16 the immunosuppression, and for children, the
17 precautions regarding vaccination. And
18 although patients do mount effective B-cell
19 responses, pneumococcal titers are lower.

20 Patients are advised not to receive
21 live vaccines. It is recommended that all
22 patients are brought up to date before

1 receiving etanercept therapy. And if the
2 patient is exposed to chicken pox, cessation
3 of therapy is advised, with possible
4 treatment with immunoglobulin or VZIG.

5 Labeling also reflects the risk of
6 serious adverse events in the pediatric
7 populations, including the ones that you see
8 on this slide. And what is particularly
9 interesting is this two-thirds incidence of
10 infections in patients with Juvenile
11 Idiopathic Arthritis that was observed during
12 the study. And as you've heard from the
13 folks presenting -- during the trial we saw
14 similar infections, including increased
15 numbers of common infections: gastroenteritis
16 and strep in particular -- and also the
17 zoster during the open-label period.

18 And you've heard from Dr. Siegel
19 and also Dr. Kwon about the Early
20 Communication regarding malignancy, including
21 lymphoma. And there was one case of
22 malignant melanoma that was noted in an

1 18-year-old who was treated with etanercept
2 for psoriasis, and her therapy was initiated
3 at age 16.

4 Now, unfortunately, as you've
5 heard, pediatric patients don't seem to be
6 immune to all the serious adverse events, as
7 the OSE reviewer reported. And the
8 literature also supports this. As we see, a
9 range of serious infections in the
10 registries, including tuberculosis, and case
11 reports ranging from mono and fungal
12 infection to methicillin-resistant strep.
13 And there is an additional report of a
14 thyroid cancer as far as malignancy goes.
15 And paralleling the neurologic events that
16 you can see in adults, there have been some
17 case reports of aseptic meningitis and optic
18 neuritis.

19 Granted, these events are rare.

20 Now that you've heard a little bit
21 about the risk, let's look back at the
22 benefits. And during the 12-week trial, as

1 you've heard, there was statistically
2 significant improvements in really all the
3 outcome measures. But I just want to show
4 you that patients did have residual disease.
5 And if you look at the clear/almost clear
6 sPGA, 48 percent had residual disease.
7 40 percent of patients had residual disease
8 based on the PASI 75. More than 70 percent
9 had it based on the PASI 90. And over
10 90 percent still had residual disease based
11 on the PASI 100. And certainly there are
12 statistically significant improvements
13 compared with placebo.

14 You've seen this slide before, and
15 this, as you know, is oriented toward the
16 increased risk, increased loss of the PASI
17 response during the withdrawal phase. And I
18 won't belabor the point. You saw the same
19 data here with the sPGA.

20 Now, since it appears, based on the
21 fact that psoriasis is a chronic disease, and
22 if you stop the treatment, the response does

1 disappear, it looks like treatment would be
2 needed to be continued indefinitely. So
3 responses to vaccine may be germane. As I
4 mentioned, live vaccines are not actually
5 contraindicated, but the recommendation is to
6 avoid them. I guess I -- as a practicing
7 pediatrician for 20-some years who still sees
8 patients, would view this as a
9 contraindication. And there is no data on
10 the routine vaccines that are given during
11 the vaccine platforms you see here.

12 And if you look at this, I just
13 want to point out that most patients won't
14 complete their series, their primary series,
15 until they're older than 12, and many
16 patients still receive significant boosters
17 at 4 to 6 and 11 to 12.

18 The highlighted vaccines are the
19 live ones, and you can see there are not a
20 lot of live vaccines given.

21 Now, additional concerns that may
22 be slightly less significant, but I think we

1 can still think about, are the effects on
2 growth. And right now, we don't really know
3 what etanercept does to young patients. The
4 pediatric psoriasis patients, as you've
5 heard, are really large, and so they probably
6 did not grow too much during the trial. And
7 the data on the patients with JIA is not
8 clear.

9 I think the concern about the
10 immune suppression in a growing child is
11 what's of concern, as opposed to perhaps the
12 developing immune system, but the effects
13 really are unknown. And I think -- you know,
14 we don't know 100 percent how vaccine
15 response works for these kids.

16 So your task is to kind of weigh
17 the risks and benefits that -- you've heard
18 about the compelling need for therapy in
19 these kids who have severe disease, as well
20 as the fact that there are no approved
21 systemic therapies. And you need to weigh
22 this against the natural history of

1 psoriasis, which for many patients is
2 sporadic and remitting, and the need for
3 therapy that would be lifelong or at least
4 continuous.

5 As we mentioned, psoriasis is not
6 life-threatening, but there are some rare
7 serious adverse events that are
8 life-threatening that are associated with
9 etanercept treatment, including sepsis,
10 tuberculosis, and these long-term concerns
11 about malignancy that we're still trying to
12 sort out.

13 I just want to thank all the folks
14 that helped contribute to these
15 presentations, including my own, and you all
16 for your deliberations today.

17 DR. BIGBY: Thank you. And I'm going
18 to take the Chair's prerogative and eliminate
19 the break. We'll have some clarifying
20 questions. If you have to break before we start
21 our panel discussion, you can do so at any time.

22 Is it possible for those of you who

1 haven't checked out -- I mean, we could have
2 somebody sort of go down and check us out.

3 DR. THIERS: I have a question.

4 DR. BIGBY: Okay, great.

5 DR. THIERS: A couple comments and
6 questions. First, Dr. Kettl's slide No. 24 or
7 23, could we get that back up? It was a
8 clinical picture, either No. 24 or 23. Try 24.

9 Okay, I think you mentioned that
10 these two pictures look the same, and the
11 reason they look the same is because they are
12 the same. If you notice, everything about
13 the two pictures is the same, including the
14 positioning of the Band-Aid on the
15 antecubital fossa, the positioning of the
16 legs, the positioning of the right palm, and
17 the positioning of whatever it is on the
18 wall. So for some reason, the same picture
19 got placed in the two parts of the slide. So
20 they look the same because they are the same.

21 DR. KETTL: I suspect that's my error.

22 I apologize. The scores at the bottom are

1 indeed correct.

2 DR. THIERS: But was this the before
3 or the after is what I want to know. It would
4 be important to me to know whether this is
5 before or after.

6 DR. KETTL: My sense is that they are
7 the before pictures, but I'd have to verify
8 that.

9 DR. THIERS: I don't know. I think
10 they're afters, actually, but they're the same
11 anyway, so that's why they look the same. Okay,
12 one comment to Dr. Sachs and some others who
13 mentioned residual disease in patients who reach
14 PASI 75. Well, by definition, most patients who
15 have PASI 75 do have some residual disease. And
16 you mentioned PASI 90 and PASI 100. Well, to my
17 knowledge, the bar that the FDA set for these
18 new psoriasis drugs was PASI 75, so I don't
19 think we have any data on any other drugs
20 reaching PASI 90 or PASI 100 -- or any
21 substantial data -- so I think to say that
22 patients had residual disease does not take away

1 from the efficacy of the drug. That was just a
2 comment.

3 A question for Dr. Siegel. In any
4 of the data on cancer in patients treated
5 with TNF alpha inhibitors, do you ever break
6 out the data comparing the monoclonal
7 antibodies and the diffusion proteins, or are
8 they always looked at together?

9 DR. SIEGEL: We have looked at those
10 data in general terms, and the malignancies seem
11 to follow the use of the products. So the ones
12 that are used more commonly seem to have more
13 malignancies. We don't see a clear
14 differentiation over and above the frequency of
15 use of individual products.

16 DR. THIERS: But I'm thinking, is it
17 the same for like adalimumab and infliximab as
18 it is for etanercept?

19 DR. SIEGEL: Well, keep in mind that
20 adalimumab was only just approved, so you'd
21 expect very few cases, and indeed, that was what
22 we saw. For infliximab, it's approved for

1 Crohn's disease, and that's where most of the
2 cases were seen. And the relative amounts with
3 infliximab and etanercept were in general
4 consistent with the use of the two products.

5 DR. THIERS: The other question I
6 had -- and I guess you can take this, or
7 Dr. Kwon can take this -- it seems that most of
8 the problems occur when etanercept is used with
9 methotrexate. And I was just wondering, if we
10 were sitting here talking about approving
11 methotrexate, and etanercept was the drug that
12 had been on the market for 30 years, whether
13 we'd be having this debate -- being more
14 critical of methotrexate.

15 In other words, what I'm trying to
16 say is, can you tell from the data that's
17 been presented, is etanercept the real
18 protagonist and methotrexate the facilitator,
19 or is methotrexate the protagonist and
20 etanercept the facilitator?

21 I'm just wondering if we're
22 being -- you know, we're looking at this in a

1 certain way because we have one drug that's
2 been around for a long time and another drug
3 that's looking for a new indication.

4 DR. SIEGEL: I'll give my answer, and
5 maybe Dr. Kwon will also want to comment. I
6 think you're raising an important point, which
7 is the relative contribution of the concomitant
8 medication methotrexate and the TNF blocker, and
9 we don't have data to definitively answer that.

10 One of the difficulties is that
11 patients with inflammatory arthritis who get
12 a TNF blocker usually don't have the disease
13 reduced to very low levels. It's typical to
14 use a TNF blocker with methotrexate to get
15 disease down to an acceptable level. This is
16 particularly true in adults, where
17 monotherapy is uncommon. And based on the
18 enrolment in the prospective trial with
19 etanercept in children with JIA, it looks
20 like it may be true in children, too. So
21 it's impossible to sort the relative
22 contribution of the products out, from my

1 point of view, from the data so far.

2 DR. KWON: Similarly, it's difficult
3 to sort that issue out in terms of looking at
4 post-marketing cases, because a lot of times,
5 they are using etanercept concomitantly with
6 methotrexate in JIA patients in post-marketing
7 cases that I've observed. So whether the use of
8 both is the issue, or etanercept is the sole
9 responsible agent, it would be difficult to
10 tease out in the post-marketing setting.

11 DR. BIGBY: Dr. Levin this morning had
12 a question for the sponsors.

13 DR. LEVIN: Going back to this safety
14 registry, you indicated you hoped every patient
15 would enroll, but we know there's sort of a
16 distance between what we hope for in enrollment
17 and what we get. So why wouldn't it be possible
18 to mandate enrollment, to say no registry, no
19 drug?

20 DR. EISENBERG: It's a fair question.
21 We've thought about it. I think the reality is,
22 when you have a product such as etanercept

1 approved for other indications and approved in
2 terms of the target prescriber for different
3 indications, and the drug is distributed through
4 a pharmacy, it would be extremely difficult, if
5 not impossible, without regulating the drug
6 completely, to target one specific group.

7 That said, we believe, given the
8 conservative nature of the prescribers in
9 this population, that they will be interested
10 in participating, and we can monitor how well
11 we do, and work with FDA to ensure that that
12 happens as robustly as possible.

13 DR. BIGBY: Dr. Katz had a question
14 about administration of drug?

15 DR. KATZ: Initially, when we treat
16 adults with Enbrel, we use it twice weekly, and
17 then as the patient does well, may decrease to
18 once weekly. Why is this initiated once-weekly
19 injection rather than twice weekly? Is there a
20 particular reason for that?

21 DR. SEVERINO: As pointed out, in
22 adults we often start with a 100mg total weekly

1 dose, and then reduce to 50mg after some period
2 of therapy, typically three months. The
3 pediatric regimen modeled the lower 50mg dose to
4 be conservative. The 0.8mg/kg/week regimen was
5 modeled after that 50mg adult dose and gives
6 comparable concentrations. So it was a
7 conservative bias, given this was our first
8 study in children with the disease.

9 DR. BIGBY: With regard to the
10 severity distribution of your patients, did you
11 analyze the response to therapy in terms of the
12 initial PASI score, and if so, what was the
13 result?

14 DR. SEVERINO: We did do that
15 analysis. We looked at subjects who were above
16 or below the median at baseline, and the
17 efficacy results were quite comparable. We can
18 bring the slide up. This shows those results
19 for the primary endpoint, with subjects who were
20 below the median in the solid bars, above the
21 median in the cross-hatched bars.

22 And this is PASI 75.

1 DR. BIGBY: Thank you.

2 Dr. Shwayder?

3 DR. SHWAYDER: I was thankful to
4 Dr. Kettl for bringing up the point that the
5 amount of psoriasis on these kids to be
6 negligible to what I see on a weekly basis in my
7 office, which I guess reflects how difficult it
8 is to enroll people in studies like this. So I
9 have to ask the sponsors, on these Enbrel
10 studies, was one of the enrollment criteria that
11 they had, for example, UVB before they went to
12 Enbrel?

13 DR. SEVERINO: Subjects in this trial
14 were required to have either failed topicals or
15 received systemic therapy or UV. So they may
16 have, but they were not required to receive UV.

17 DR. SHWAYDER: I'm just trying to
18 decide, for something that has potential death
19 as an outcome, whether it would be probably not
20 such a bad idea to have some sort of requirement
21 of something that does not have death as an
22 outcome as the first treatment, such as topical

1 steroids or UVB, or both, before they get it.

2 DR. SEVERINO: If I could just
3 clarify: Topical steroids, topical agents, were
4 required prior to entry. It's different to
5 require systemic agents since none are approved.

6 DR. SHWAYDER: Right. I understand
7 the difficulty enrolling people like that. But
8 it also shows again yesterday what Dr. Kimball
9 was saying, that 10 percent is life-threatening
10 to some people.

11 Ten percent is -- elbows, knees,
12 and the scalp would be 10 percent -- if I
13 remember my body surface areas -- which would
14 not seem significant to the normal
15 dermatologist.

16 One other question: Is there any
17 data using Enbrel in pustular psoriasis?

18 DR. SEVERINO: The studies with Enbrel
19 have been in plaque psoriasis exclusively, so we
20 don't have --

21 DR. SHWAYDER: Any anecdotal reports?
22 Anything in the literature, Larry?

1 DR. EICHENFIELD: Nothing.

2 DR. SHWAYDER: Thanks. That's all I
3 have.

4 DR. BIGBY: Dr. Daum?

5 DR. DAUM: I have three questions for
6 Dr. Kwon, all of which relate to the infectious
7 complications she described. And I could maybe
8 ask them one by one, or all three at once. I
9 think one by one might be better.

10 DR. KWON: Sure.

11 DR. DAUM: On the handout that I have,
12 on page 8, slide No. 16, you talked about 10
13 cases of varicella, and 6 of them required
14 hospitalization. And I recognize these are AERS
15 data, and all the caveats about AERS are
16 understood, but do you think that's a reporting
17 bias, that patients with varicella that get
18 hospitalized are more likely to get reported?
19 That's a very high rate of hospitalization.

20 DR. KWON: Yes.

21 DR. DAUM: If it's a rate.

22 DR. KWON: Right. Yeah, it is a high

1 rate. Well, that is part of the issues with the
2 AERS database. Maybe I could answer that
3 indirectly. All the cases in the AERS database
4 are serious unlabeled or serious labeled, and
5 the non-serious reports are only included during
6 the first three years. So in a sense, the cases
7 we have in the AERS database are going to be
8 shifted more toward the cases with serious
9 outcome. Does that answer your question in a
10 way?

11 DR. DAUM: It makes me thirsty for
12 more information, but I guess with respect to
13 what we have, it probably answers my question.
14 You sort of wonder if there might be a much
15 higher rate of varicella that's occurring --

16 DR. KWON: Exactly.

17 DR. DAUM: That you're not getting
18 AERS reports about.

19 DR. KWON: And under-reporting is a
20 huge limitation associated with --

21 DR. DAUM: So in the same vein, on the
22 same slide, which is up there, so that's

1 great -- TB, two cases. Do we know anything
2 about -- I mean, TB in children has such a broad
3 clinical spectrum, and the question would be, is
4 there anything in these reports about a PPD in
5 advance? I mean, is this an exacerbation of a
6 known infection? Does anyone recommend or
7 practice routine PPDs before starting a drug
8 like this, which I certainly would recommend?

9 DR. KWON: I think that is generally
10 recommended, that you do PPD testing to make
11 sure that you don't have --

12 DR. DAUM: But in these two reports,
13 is there any information about that?

14 DR. KWON: They did not have
15 information in regard to the previous PPD --

16 DR. DAUM: And was this military or
17 disseminated or a --

18 DR. KWON: No, one was TB in joint
19 fluid, and the other was pulmonary tuberculosis.
20 So they were not the usual -- the disseminated
21 TB that you've heard before in association with
22 TNFs.

1 DR. DAUM: And were they U.S. or
2 foreign?

3 DR. KWON: They were both foreign,
4 actually.

5 DR. AVIGAN: Can I just add to that,
6 because we do have some --

7 DR. DAUM: Yes, but I have one more
8 question when you're done.

9 DR. AVIGAN: Sure. On the TB
10 question, because this has been -- to what we
11 have been extensively reviewed in the past, and
12 most of our information around TB and its
13 clinical phenotype with regard to TNF alpha
14 blockers -- and etanercept is one of them -- is
15 from adult experience. And the general gestalt
16 of it is that we do see patients who -- we see,
17 actually a phenotype of miliary TB and
18 extrapulmonary TB as commonly reported with each
19 of the three TNF alphas that have been marketed
20 over the last few years, including etanercept.
21 And one of the themes is that
22 patients in some cases have risk factors

1 which are demographically driven, and
2 occasionally even have negative skin tests in
3 this screen.

4 DR. DAUM: Negative tests while on the
5 drug or before the drug?

6 DR. AVIGAN: Before the drug is
7 started, because that would typically be done.

8 DR. DAUM: Thank you. That's actually
9 helpful. My last question is in a similar vein,
10 but excuse me, it's sort of what I do for a
11 living. You talked about reported causes of
12 death in JIA cases.

13 I think it's slide No. 11 on my
14 handout on page 6. And there's a line there
15 that says infections, four, and I think you
16 said three cases of sepsis.

17 DR. KWON: Right.

18 DR. DAUM: Such a squishy term. Can
19 you tell us a little bit more about what sepsis
20 means in this context?

21 DR. KWON: The sepsis is -- a lot of
22 times -- you know, going back to some of the

1 limitations with the AERS reports, is that it
2 doesn't provide a lot of information. And the
3 reported information in these cases was sepsis.
4 I didn't determine it to be sepsis, but it was
5 reported as a case of sepsis by the reporting
6 health care practitioner. So if you're asking
7 me how I determined it to be a sepsis case, I
8 didn't make the diagnosis. I simply used the
9 diagnosis I was provided by the reporter.

10 Does that answer your question?

11 DR. DAUM: I guess it does. It leaves
12 me a little, again, starving for some more
13 information. The reason I say that is because
14 things that look like they are sepsis aren't
15 always, and if they are sepsis, that's kind of
16 worrisome. And I'd just like to know more about
17 what they are.

18 DR. KWON: They didn't really provide
19 autopsy information, from my recollection, or
20 any --

21 DR. BIGBY: I'd just add that this is
22 a big problem we have with all of the AERS

1 reports when you review drugs. Even if you had
2 the whole report and you went through it, you
3 would still have the same questions, because the
4 reporting is often incomplete, inadequate.

5 DR. DAUM: No, I understand that. I
6 was just hoping that there might be some more
7 information in the reports.

8 DR. BIGBY: Dr. O'Neil?

9 DR. O'NEIL: When the warning or alert
10 came out from the FDA at the beginning of this
11 month regarding childhood malignancies, that set
12 up quite a stir, as you can imagine, in the
13 pediatric rheumatology community. There was a
14 lot of concern and debate on our pediatric
15 rheumatology listserv, and so I have to give
16 credit where credit is due: one of my colleagues
17 actually looked up and tried to calculate the
18 risk of pediatric malignancies in the general
19 pediatric population. And I think this is
20 pertinent, although it's still somewhat
21 comparing apples and oranges, because we have
22 squishy numerators because they're

1 self-reported, and we also have squishy
2 denominators. But it helps give us a little bit
3 of a perspective, and I wanted to share that
4 with the panel.

5 A paper published -- and I'm sorry;
6 I don't have the reference with me -- gave an
7 incidence rate in the United States in
8 children under the age of 18 as 1 in 7,252.
9 The American Cancer Society in 2007 projected
10 a pediatric cancer rate in children under 15
11 as 10,400. And if you extrapolate that and
12 correct for the census for children under 18
13 being 73 million, that gives us 1 in about
14 7,082 children under the age of 18.

15 So we're talking about a rate of
16 cancer in children per year of 1 in about
17 7,000 to 8,000. If we look at the five cases
18 reported over 10 years, use of etanercept in
19 the U.S. -- because that's the only rate that
20 we have, and we can actually extrapolate the
21 numbers, too, there -- that gives us five
22 cases, one of whom is in a child who had only

1 been exposed to etanercept for 29 days,
2 raising the question of link or causality,
3 although we have to continue to look at that.

4 So what I did was I took the data
5 that was given us in our preliminary packet
6 from the FDA showing the number of
7 individuals exposed to the drug over time.
8 Now, we just had the last six years, and I
9 took the liberty of extrapolating down, and
10 that gave us about 27,000 patient-years in
11 the pediatric population of exposure to
12 etanercept, going back to its inception and
13 approval for children's use.

14 And that gave us a rate of about
15 8.3 anticipated cases, and there were
16 actually five actual cases. And if the one
17 that had only 29 days' exposure to the drug
18 is not drug-related, then actually you're
19 right on at 4 and 3.8.

20 DR. STERN: A comment about that. The
21 one issue there is, even the most optimistic
22 person thinks that about 10 percent of serious

1 events are reported to AERS, and for things that
2 are -- as was pointed out -- that are not
3 closely and temporally related, the chances of
4 the person making the association and making the
5 report are even less. So even if you use the
6 very conservative multiplier of 10, you're
7 suggesting that our data has a tenfold increase
8 in cancer risk among those exposed. That would
9 be an extremely conservative look at those data.

10 DR. O'NEIL: But I also don't think
11 that pediatric oncologists under-report the rate
12 of cancer. I also don't think that -- I think
13 that because of the heightened surveillance, at
14 least in the rheumatology community, we've been
15 extremely worried about this and have been
16 watching it very closely, so I think it's not
17 going to be 100 percent. I agree.

18 So I think it probably is inflated,
19 and there probably is some real risk, but we
20 don't know what it is. But it's not as huge
21 as it sounded when we first heard 30 cases.

22 DR. BIGBY: I think we should go and

1 start trying to address the questions, if people
2 don't object. If you have questions, either for
3 the FDA or the sponsor, I would encourage them
4 to just be of a clarifying nature, and not of an
5 argumentative nature.

6 So the first series of questions
7 have to do with efficacy. For the sake of
8 efficiency, please discuss the adequacy of
9 the assessment of efficacy for the pediatric
10 population. For efficiency, my suggestion is
11 that we just vote on number one and have the
12 discussion without a lot of pre-discussion.

13 Is that okay with the panel?

14 So, has the applicant provided
15 sufficient information to demonstrate
16 efficacy of etanercept in the pediatric
17 population? Those voting yes, please raise
18 your hand. Those voting no, please raise
19 your hand. And those abstaining, please
20 raise your hand.

21 There are two absent. So there
22 were seven affirmatives, one abstention, and

1 three absent. When the absents come back, I
2 will poll them on this, and I will let them
3 make their statement.

4 DR. DAUM: I haven't voted yet, and
5 it's because I have a question. Can I pose the
6 question?

7 DR. BIGBY: Of course.

8 DR. DAUM: So when we consider this
9 question -- I maybe just need some advice from
10 the Chair -- are we to consider any efficacy or
11 complete efficacy or partial efficacy? What
12 does the word mean in this context? My opinion
13 is that some efficacy was demonstrated.

14 DR. BIGBY: I would say the answer to
15 that would be clinically meaningful efficacy.
16 And I'd welcome any comment from any of the
17 other dermatologists on the -- I mean, a
18 clinically meaningful therapeutic response is
19 what I would say is the answer to your question.

20 DR. DAUM: In some patients, or in
21 most patients? How do you want us to interpret
22 that part?

1 DR. BIGBY: Some patients.

2 DR. KATZ: I would say significant
3 efficacy, albeit even in a small portion of
4 patients, over placebo.

5 DR. DAUM: I guess the question is, is
6 that what the Agency thought when they posed the
7 question?

8 DR. BIGBY: Susan?

9 DR. WALKER: I think if you just think
10 of it in terms of were the clinical trials
11 successful to demonstrate clinically meaningful
12 efficacy. Does it work?

13 DR. DAUM: In my opinion, it really
14 isn't a simple question, and I will vote yes,
15 they did, but I would like to qualify my
16 response by saying that it was way less than
17 complete efficacy, and it was only demonstrated
18 in a proportion of the patients.

19 DR. BIGBY: For Lynn and Bruce, we
20 have voted -- let's just redo the vote. Okay.
21 Well, you can add your vote at the end, and then
22 I'll re-summarize it.

1 Dr. Shwayder, you want to start?

2 And remember, you have to give your
3 name. The reason for this is that the
4 proceedings are transcribed, so in order to
5 have your comments attributed to you as
6 opposed to someone else or no one, you have
7 to state your name, and that's the reason.

8 DR. SHWAYDER: Tor Shwayder. I voted
9 yes. I agree with the statement just made, that
10 the efficacy was there, but not startling.

11 DR. HECKBERT: I abstained. I did
12 recognize that there is efficacy demonstrated,
13 but my concern was that a large proportion of
14 the patients studied may not have been the
15 severity of pediatric psoriasis that we might
16 have been interested in seeing in these trials.
17 So it's a mixed answer, which is why I
18 abstained.

19 DR. BIGBY: Lynn, you have to identify
20 yourself, add your vote, and make your comments.
21 This is question one.

22 DR. DRAKE: Lynn Drake. I'm going to

1 vote yes. I think efficacy has been
2 demonstrated, but I must admit that a couple of
3 the enrollment pictures were a little bit
4 concerning. I'm not sure they met the
5 enrollment criteria, is how I would grade them.

6 But having said that, I'm going to
7 vote yes, because I think there's enough
8 efficacy to support that.

9 DR. CRAWFORD: Stephanie Crawford.
10 Yes, just also noting the inherent subjectivity
11 in assessing disease severity.

12 DR. DAUM: Oh, I'm sorry. I'm Robert
13 Daum, and I voted yes, with the comments that I
14 made before as qualifiers.

15 DR. LEVIN: Arthur Levin. Yes, with
16 all the concerns that have been expressed.

17 DR. THIERS: Bruce Thiers. Ditto yes.
18 I agree it's effective.

19 DR. BIGBY: Michael Bigby. Yes.

20 DR. MAJUMDER: Mary Majumder. Yes.

21 DR. O'NEIL: Kathleen O'Neil. Yes.

22 DR. STERN: Rob Stern. Yes.

1 DR. KATZ: Robert Katz. Yes.

2 DR. BIGBY: Eileen, we need to back to
3 you. We sort of -- without pre-discussion, we
4 sort of voted on the first question about
5 efficacy, and we need for you to add your vote.
6 The question being, has the applicant provided
7 sufficient information to demonstrate efficacy
8 of etanercept in the pediatric population?

9 DR. RINGEL: Eileen Ringel. Yes.

10 DR. BIGBY: So the summary was 12 yes,
11 0 noes, and 1 abstention. So we move on to the
12 second part of this question: Has the applicant
13 provided sufficient information concerning the
14 maintenance of treatment effect with this
15 therapy?

16 I'll open the floor for discussion.

17 DR. CRAWFORD: Thanks. Again, I'm
18 asking for a point of clarification. The way
19 the question is asked, I can answer it yes in
20 two totally different interpretations. I could
21 answer yes, meaning there was sufficient
22 information to show a maintenance effect, or I

1 could answer yes, sufficient evidence was shown,
2 although it was not for a sustained period of
3 time. So I'm just asking, can we clarify how
4 the yes answer is going to be interpreted?

5 DR. WALKER: Do you want me to clarify
6 there? Okay. It would be helpful to have
7 discussion from the Committee about the amount
8 of information the sponsor has provided
9 concerning the maintenance treatment with their
10 product, assuming that this product won't be
11 used for a short period of time; it could
12 potentially be used for a long period of time.
13 How long, potentially -- what implications are
14 there for labeling, what implications are there
15 for approval. That's the intent of this
16 question.

17 DR. SHWAYDER: I was a little
18 disturbed by the graph that showed the drop of
19 efficacy at the end of the study, which I
20 believe is 40 or 48 weeks. So I need to ask,
21 have there been any studies that go into the
22 second year, and what happened to efficacy? I

1 don't think those were presented this morning.

2 DR. BIGBY: Rob?

3 DR. STERN: To me, two and three are
4 related in that I was also concerned about the
5 rapid drop-off of effect, the relatively small
6 difference between those withdrawn and those
7 continued. And as I think we talked about both
8 yesterday, and even more in pediatrics, as was
9 pointed out today, that the paradigm for
10 treating psoriasis, which is a disease that
11 varies substantially in effect over time and for
12 whom some patients, when you clear them, can be
13 managed on very much less therapy of whatever
14 cleared them -- the idea of long-term
15 maintenance in this pediatric group with
16 relatively little observed benefit in these
17 limited studies really concerns me.

18 And I wonder whether we want to
19 think about really having this agent for this
20 indication have some encouragement to try
21 tapering, since -- and also to warn people
22 about loss of effect, which at least I've

1 observed in my adults as well -- that people
2 essentially become hardened to this over time
3 and either need higher doses or an
4 alternative therapy.

5 DR. BIGBY: Tor, do you want to make
6 some comment about when you manage pediatric
7 patients on etanercept, do you stop it when
8 they're clear or almost clear? How long do you
9 wait before you restart it? Do you continue
10 people, taper the dose? I mean --

11 DR. SHWAYDER: My gestalt is, anything
12 I use for psoriasis is good for a year or two,
13 and then it stops working. So I just find
14 myself going from lights to topicals to day
15 hospital to biologics to methotrexate, kind of
16 skipping through the years, trying to keep the
17 toxicities to a minimum.

18 DR. BIGBY: Would the sponsor like to
19 respond to this issue?

20 DR. SEVERINO: Part of the discussion
21 was, are there additional studies? The only
22 additional study is the open-label extension

1 that I referred to, where patients who completed
2 this trial will receive up to an additional
3 three years of therapy.

4 There will be efficacy data
5 reported from that study, but we've not
6 reached the analysis point at this time.

7 DR. BIGBY: Thank you. Dr. Daum?

8 DR. DAUM: So the question goes to
9 sufficient information concerning the
10 maintenance of treatment, and I guess the first
11 point I'd like to make with that is that there
12 is a substantial population, based on what I saw
13 this morning, that didn't respond at all. So I
14 guess that's an important part of how I'm
15 thinking about this in terms of -- it seems
16 senseless to flog those non-responders with the
17 drug, and a stopping point should be considered
18 early on.

19 And also, the percent of responders
20 went up for a while and then sort of
21 plateaued, as I recall the data that I saw
22 this morning. And I think that should be

1 noted as well, that it doesn't continue to
2 increase. And then I think the waning with
3 continuous use is concerning, and I don't
4 understand it, although it sounds like we're
5 in good company here with the adult
6 colleagues who see the same kind of thing.

7 And finally, I don't think we saw
8 anything about effect, one way or the other,
9 after a year. So I think we're really
10 limited in terms of what we can conclude
11 about long-term use. I mean, we heard a
12 wonderful -- Kelsey's story before we
13 started, and obviously, she's benefited
14 greatly from this, and I'm delighted for her
15 that that's true. But we don't know anything
16 about more than one year in the scientific
17 trials.

18 DR. BIGBY: Bruce?

19 DR. THIERS: I agree. The only data
20 we have was the data presented, and that's
21 limited.

22 That's, I guess, 48 weeks, so I

1 would have to answer no to number two.

2 DR. BIGBY: Dr. O'Neil?

3 DR. O'NEIL: Dr. Severino, you
4 answered the question by giving just pediatric
5 data, which is very understandable that you have
6 not yet analyzed the second-year data in these
7 follow-up studies. Do you have any adult
8 follow-up studies speaking to the durability of
9 response?

10 DR. SEVERINO: There are adult studies
11 that are ongoing open-label extensions. I don't
12 have the data to show you today beyond 48 weeks,
13 but we do have a proportion that maintain
14 efficacy in those open-label studies in adults.
15 And as I mentioned, we have an open-label
16 extension in pediatrics, which we'll also
17 report.

18 We agree that today, in pediatric
19 subjects, we don't have data beyond 48 weeks.
20 And we have tried to address that in our
21 proposed labeling.

22 DR. BIGBY: Before I put this to a

1 sort of official vote, would the sponsor like to
2 respond to the issue about the high placebo
3 response in your pediatric trial, in the
4 double-blind portion of the pediatric trial?

5 DR. SEVERINO: As noted during the
6 course of the discussion, the placebo rate
7 observed in the pediatric trial is higher than
8 that which has typically been observed in our
9 adult trials. However, as also pointed out, the
10 differences between the treated group and
11 placebo were significant. I'll actually ask
12 Dr. Eichenfield if he wants to comment on
13 placebo response in pediatric psoriasis.

14 DR. EICHENFELD: Thank you. Really,
15 the way I look at the data, it really depends on
16 if you're looking at the PASI score or the clear
17 or almost clear. I really think with pediatric
18 psoriasis, there's a population effect in terms
19 of both severity, natural history, and response.
20 So the almost clear at 12 weeks was 13 percent.
21 I assume that that's natural remission in that
22 subset of the population. And that contributed

1 a lot to low PASI scores in the placebo arm.
2 But a significant percent of the population,
3 obviously in placebo group, did not do well, and
4 that's a population that had more significant
5 disease, or just did not have that natural
6 remission during that period of time.

7 DR. BIGBY: Thank you. Dr. O'Neil?

8 DR. O'NEIL: Very quickly. As a
9 pediatric rheumatologist, we have a number of
10 multicenter placebo-controlled trials, and in
11 the pediatric population, with relapsing,
12 remitting chronic inflammatory disease, the
13 placebo effect is usually somewhere between 15,
14 and as high as 40 percent. It was 40 percent in
15 the original methotrexate trial.

16 DR. BIGBY: Dr. Katz? This will be
17 the last comment before we actually vote.

18 DR. KATZ: We are spoiled, because
19 yesterday's drug, which is not up for discussion
20 now, had a very low placebo response. I
21 forget -- 2 or 4 percent. But as I remember, in
22 the studies that the panel did three or four

1 years ago on alefacept and all that, they had
2 placebo responses of 15 percent, 17 percent,
3 which would go along with Dr. O'Neil.

4 I mean, there were PASI 75s of
5 27 percent versus 14 percent placebo, which
6 was an effective rate of about 13 percent.

7 So I don't think that's unusual.

8 DR. BIGBY: It's time to vote on two.
9 Has the applicant provided sufficient
10 information concerning the maintenance treatment
11 effect with this therapy? Those voting yes,
12 please raise your hand. Those voting no, please
13 raise your hand. Abstentions?

14 We'll start this one with Dr. Katz.

15 DR. KATZ: Robert Katz, and I voted no
16 because we just don't have the data. It doesn't
17 go out far enough.

18 DR. STERN: I voted yes -- Robert
19 Stern. I voted yes because I think these are
20 about as good of data we're going to get in such
21 a specialized population. And the data do say
22 that it is not a terrific maintenance agent in

1 this population that, as has just been pointed
2 out, has frequent remissions. And therefore,
3 these data tell me something about strategy that
4 is important. And I'm not sure longer-term data
5 is highly likely to change my mind about that.

6 DR. BIGBY: I just have to interrupt a
7 second to say that the tally was 10 noes and 3
8 yeses.

9 DR. O'NEIL: This is Kathleen O'Neil.
10 I voted no because the data are not there. And
11 I think it would have been nice to see what is
12 known about the second year of adult treatment,
13 and I suspect those data are more available.

14 DR. MAJUMDER: Mary Majumder. I voted
15 no.

16 DR. BIGBY: Michael Bigby. I voted no
17 for many reasons that were expressed. And the
18 problem I have that in practice, most of the
19 time, you get a patient nearly clear and stop
20 therapy, or they stop therapy, or you stop
21 seeing them, and they return in a state that's
22 much worse than the re-treatment portion of the

1 trial. And I don't think that you'll ever get
2 adequate information about maintenance of
3 therapy from a controlled trial done the way
4 that they are.

5 DR. THIERS: Bruce Thiers. I voted no
6 because, again, we didn't have the data. I
7 would really be interested in seeing what
8 happens in the second year. Does the treatment
9 response fall off a cliff? Does it plateau?
10 Does the patient have a severe post-treatment
11 flare, as Dr. Bigby mentioned?

12 I think there's a lot of
13 information we'd like to have about that
14 second year. That hopefully will be
15 forthcoming.

16 DR. LEVIN: Arthur Levin. No.

17 DR. DAUM: I voted no, but I
18 interpreted the word "sufficient" to mean what I
19 would really want if I were out in the community
20 taking care of patients. I think there is
21 sufficient information in the first 48 weeks to
22 understand this question. But I think that

1 given the chronicity of the disease, and some of
2 the difficulties we sense from comments and data
3 about stopping therapy in flares, we've got to
4 know more than that. So I think overall, it's
5 insufficient, although what was presented for
6 the 48 weeks was okay.

7 DR. CRAWFORD: Stephanie Crawford.
8 No.

9 DR. DRAKE: Lynn Drake. I voted yes
10 for many of the reasons that Dr. Stern outlined.
11 I think the word -- the hang-up on this question
12 has to do with "sufficient." You know, I don't
13 know that we ever have sufficient evidence for
14 anything. But having sat on this panel many
15 times before, we've approved many drugs with
16 this amount of evidence, or thereabouts. I
17 think if we approve the drug based on one of the
18 factors, such as safety or whatever, disapprove,
19 that's a different issue. But if we were just
20 looking at efficacy -- and as a clinician, could
21 I make a decision on how to treat my patient
22 with this? To me, this is consistent with

1 almost any drug that comes out of the door,
2 because it's only through real-world use do you
3 answer some of the questions about maintenance,
4 and duration, and the ebb and flow of the
5 disease.

6 DR. HECKBERT: Susan Heckbert. I
7 voted no because I don't believe we have
8 sufficient information about the long-term
9 efficacy beyond 48 to 52 weeks.

10 DR. RINGEL: Eileen Ringel. I voted
11 yes, largely for the reasons that Lynn has
12 stated of the word "sufficient." Is it
13 sufficient to approve the drug? I think it is.
14 I think the drug effect does wane, and my
15 response to that is, what else is new? I have
16 drugs that I use all the time, the response
17 wanes, and I go on to something else. That
18 doesn't bother me.

19 The other thing -- very quickly,
20 about placebo is I think you have to be very
21 careful with psoriasis to look at when you
22 ran those studies, was it summer or winter?

1 Or were you doing it in the North or doing it
2 in the South? And that's going to affect
3 your placebo rate in addition to any natural
4 variation in children. So placebo rate
5 doesn't bother me that much, either.

6 DR. SHWAYDER: Tor Shwayder. I voted
7 no.

8 DR. BIGBY: I'm going to go ahead and
9 ask for a vote on question 3. The question is,
10 has the applicant provided sufficient
11 information regarding stopping withdrawal of
12 treatment with this line of therapy?

13 Those voting yes, please raise your
14 hand. Those voting no, please raise your
15 hand.

16 Abstentions? You're an abstention?
17 So the tally is seven yes, three no, one
18 abstention.

19 You want to start a discussion?

20 DR. MAJUMDER: I'm Mary Majumder. I
21 abstained because I just didn't feel that I'd
22 formed an opinion on this question. And without

1 further discussion, if a layperson knew the
2 Committee, I didn't want to vote where I had no
3 opinion.

4 DR. BIGBY: I'll go next. I'm Michael
5 Bigby. I voted no because I don't think there
6 is a sufficient length of time of stopping the
7 medicine, or very early re-treatment in the
8 after-treatment group, to really know very much
9 of anything about this question.

10 DR. THIERS: Bruce Thiers. I echo
11 what Dr. Bigby says. I think we have some
12 information on temporary withholding therapy,
13 but not on stopping or withdrawal of therapy.

14 I'll also add, in contrast -- in
15 follow up to what Dr. Drake said, I
16 personally don't feel a vote no on 2 or 3
17 really means the drug should not be approved.
18 I'm just saying we don't have that data. It
19 doesn't mean the drug should not be approved.

20 DR. LEVIN: I wasn't here for the
21 vote. So --

22 DR. BIGBY: So do you know the

1 question?

2 DR. LEVIN: Number 3?

3 DR. BIGBY: Yes.

4 DR. LEVIN: I would vote no.

5 DR. BIGBY: And your reasoning?

6 DR. LEVIN: I don't think we have the
7 data. We didn't have the data presented.

8 DR. BIGBY: The new tally is eight
9 yeses, three noes -- no, oh -- seven yeses, five
10 noes, one abstention.

11 Can we just do the vote over again,
12 because there's some discrepancy about the
13 numbers.

14 Those that voted yes, raise your
15 hand.

16 DR. STERN: We have to vote the same?

17 DR. BIGBY: Absolutely not.

18 Absolutely. Absolutely.

19 You have to keep your same vote,
20 I'm told.

21 DR. STERN: Even if we (inaudible)
22 verbally recorded (inaudible).

1 DR. BIGBY: Yes. Those voting -- you
2 got your count?

3 MS. WAPLES: Yes.

4 DR. BIGBY: Those voting no? You were
5 right. And abstentions?

6 So the total is seven yes, five no,
7 one abstention. My apologies.

8 DR. DAUM: So I thought I saw enough
9 data to realize that stopping the drug in people
10 that responded was associated with problems.
11 And so the data was sufficient, but not
12 encouraging. The non-responders -- I presume
13 stopping the therapy didn't make anything
14 happen, but we didn't really see data about
15 that. The responders, it looked like, stopping
16 or giving it were both associated with
17 exacerbation.

18 So I thought I saw enough data to
19 conclude that stopping it is bad, and that
20 focuses, again, on the one year, 48-week
21 follow-up issue, which makes me really feel
22 the need for those data.

1 DR. CRAWFORD: Stephanie Crawford. I
2 voted yes, kind of echoing what Dr. Daum and
3 others who voted yes have said. While we would
4 ideally of course like a longer trial period, I
5 think the research design attempted to provide
6 an answer for this question.

7 DR. DRAKE: I voted yes for many of
8 the same reasons I outlined on my yes vote on
9 No. 2.

10 This is Lynn Drake, I'm sorry. I
11 think there was -- the sponsor provided
12 introductory information. And once again, so
13 many of these questions are never answered
14 until you use it over a period of time in the
15 real world. It's sufficient for me, if
16 approved, to know how to start using this
17 drug in treating my patients.

18 DR. HECKBERT: Susan Heckbert. I
19 voted no, and for the same reasons Dr. Bigby
20 gave.

21 DR. RINGEL: This is Eileen Ringel. I
22 voted no because the criteria for restarting the

1 placebo group was loss of PASI 75, that means
2 they could have gone from PASI 76 to PASI 74,
3 and then restarted the next day. I don't call
4 that taking them off the drug.

5 DR. SHWAYDER: Tor Shwayder. I voted
6 yes. Two reasons: One, Kelsey told us she
7 missed one shot in Paris and she got worse; then
8 two, from the crossover study, when they're on
9 the placebo arm and they got worse, they got
10 restarted. So it tells me when you stop the
11 medicine, you get worse fairly promptly.

12 DR. KATZ: Robert Katz. I voted yes
13 because I think they clearly showed when they
14 stopped the drug, it decreased. And like we
15 discussed yesterday, we're going to have to find
16 out how long to use it, when to reintroduce it,
17 with practice and -- during practice, which is
18 what we do with every drug, including the use of
19 tetracycline in acne. I would not want to argue
20 with Bruce, but he said he voted no because they
21 showed what happened when they withheld the
22 drug, but not when they stopped the drug.

1 What's the difference, Bruce?

2 DR. THIERS: No. I said, when they
3 temporarily -- because they withheld it for a
4 short period of time and then they restarted on
5 a certain schedule. I would be interested in
6 seeing what if somebody had an adverse event,
7 came off it, and stayed off it, for example.

8 DR. KATZ: Okay.

9 DR. STERN: I had voted yes, but in
10 fact, once more, the Chairman persuaded me that
11 I was incorrect for the very reasons he stated,
12 in terms of really the amount of information
13 there was -- essentially beyond four or six
14 weeks, given the way their rescue strategy was.

15 DR. O'NEIL: Kathleen O'Neil. I voted
16 yes, based on the fact that they did demonstrate
17 the effect and the loss of effect with
18 withdrawal. And I agree that withdrawal was for
19 a short period, but I think in the bounds of
20 ethical conduct of research, that was the best
21 that can be done.

22 DR. BIGBY: We'll move on to

1 question 4. Are there additional informational
2 needs? If so, what are they?

3 We can discuss this one.

4 DR. LEVIN: Doesn't the Agency think
5 that -- we've sort of suggested what they are?

6 DR. WALKER: I mean, we've heard from
7 you about an early stopping point, issues about
8 continuous use -- need the one-year data. I
9 mean, I think if you can just delineate what
10 some of the informational needs are, that would
11 be helpful.

12 DR. BIGBY: I would say the one that
13 is very clinically relevant is having the drug
14 stopped for a longer period of time, which in
15 practice often happens, and the patient -- I'm
16 not -- I'm actually not advocating or suggesting
17 that there is a -- you know, rebound phenomena,
18 but when you stop the drugs, people tend to get
19 worse if they have chronic psoriasis that hasn't
20 gone into remission. And so what happens when
21 you re-treat them after they've gotten
22 substantially worse than a drop in their PASI

1 score of one.

2 DR. O'NEIL: Kathleen O'Neil. I would
3 also like to see what happens if people flare
4 and then are re-treated. Do they have the same
5 magnitude of effect that they had with the
6 original treatment.

7 And that's something that could be
8 delineated with the post-marketing survey
9 that you recommend.

10 DR. WALKER: That's helpful, because
11 this is a chance to delineate clinical outcomes
12 or trial outcomes, or post-marketing outcomes
13 that you'd like to see explored.

14 DR. BIGBY: Do you actually want a
15 yes/no vote on the first part of question 4?
16 Because I basically think in people's comments,
17 they've really answered this.

18 DR. WALKER: No, I think the comments
19 are adequate.

20 DR. BIGBY: Okay.

21 DR. WALKER: Thanks.

22 DR. STERN: Michael.

1 DR. BIGBY: Now we go to the easy
2 part.

3 DR. STERN: Michael.

4 DR. BIGBY: Oh, sorry.

5 DR. STERN: If we're talking about
6 informing clinical practice, I mean, we really
7 have in dermatology and psoriasis some drugs
8 that lead to remission and some drugs that
9 generally require continued and sometimes
10 increasing doses to maintain remission. And I
11 think to inform people about that, since
12 clearly, the risks of this drug are likely to be
13 proportional to duration of use -- I think what
14 would be the most information to me as a
15 clinician would be a randomized -- I know it
16 wouldn't be blinded -- study between this drug
17 and narrowband UVB for plaque-type psoriasis in
18 children, looking at not only clearing rates,
19 but also looking at time of remission and need
20 for what -- how quickly and badly people
21 reoccur. I think that's what would inform me,
22 as a clinician, more than anything else in this

1 age group.

2 DR. SHWAYDER: I might just comment
3 that getting kids into a box less than age 10 is
4 very difficult.

5 DR. STERN: Two-thirds were over 11.

6 DR. BIGBY: I'd like to open the
7 discussion to the issue of safety, starting
8 first with infection. So question 5 is has the
9 applicant provided sufficient information
10 regarding the risk of infection in the target
11 pediatric population?

12 So if there are no comments, we can
13 proceed to a vote.

14 All those who think the information
15 is sufficient, would -- how many would vote
16 yes? How many no? And abstentions?

17 So there were 12 yeses and one no.
18 Dr. Katz, you want to get us started this
19 time?

20 DR. KATZ: Robert Katz. I don't think
21 there's anything to add here, except what we're
22 going to deal with with the long-term follow-up.

1 Obviously, we're going to need much more
2 information, which would not have been obtained
3 in this brief period of time. So I think we
4 have to deal with that, with post-marketing
5 surveillance, however we decide on that.

6 DR. STERN: Yes, and I think for
7 labeling purposes, there's sufficient
8 information. And to me, the small amount of
9 pediatric data relative to adult data is a
10 little bit like it was for Lamictal and the
11 risks of Stevens-Johnson in TEN, that there's
12 enough information to give extra strong warnings
13 in the black box for infection for children.

14 DR. O'NEIL: Kathleen O'Neil. I voted
15 yes. And the on-going data collection will of
16 course be important.

17 DR. MAJUMDER: Mary Majumder. I voted
18 yes, and I second the comments from the prior
19 voters.

20 DR. BIGBY: Michael Bigby, and I voted
21 yes. I think the thing to remember here is that
22 there is a signal for increased risk of

1 infection, and they can be severe. I think the
2 fact that there were two serious infections in
3 the pediatric study is telling, and I'm not so
4 sure we need more data.

5 DR. THIERS: Bruce Thiers, yes.

6 DR. LEVIN: Arthur Levin, yes. But
7 understanding that we'd always like more
8 information to better inform both prescribers
9 and patients about what the risks are.

10 DR. DAUM: And I said no, and it turns
11 on this use of the word "sufficient" again.
12 Sufficient to do what? I didn't hear an
13 alarming signal that the infection rate is off
14 the wall and not limiting to use this. I think
15 my issue for voting no was I wouldn't know
16 exactly what to tell a patient that I was
17 putting on it with regard to the risk of
18 infection. Okay, there's an increased risk, but
19 is it high? Is it low? What do you expect.
20 When do you call me? I'm not sure I know enough
21 to know that, so I voted no for that reason.

22 DR. CRAWFORD: Stephanie Crawford,

1 yes. In terms -- from the applicant, there was
2 quite a bit of information regarding non-serious
3 infections, and there were more questions about
4 what happens with serious infections. I also
5 considered the FDA's interpretation. So
6 although I voted yes, there seems to be the need
7 for more study, especially with the serious
8 infections and the possible influence of the
9 comorbid conditions.

10 DR. DRAKE: Lynn Drake, and I voted
11 yes for the same reasons that have been given
12 for yes and no answers at the table. You know,
13 it seems to me that this whole thing's a little
14 bit confounding, because the number -- some of
15 the data that is entering my mind when I think
16 about it is data that comes from the Juvenile
17 Arthritis, and they're on other drugs -- many
18 times there are cofactors -- you know, impacting
19 the case, as well as the underlying disease may
20 have a confounding impact.

21 So it seems to me that the
22 post-marketing surveillance is going to give

1 us a lot of information, because it will
2 be -- if you decide to approve it -- it'll be
3 in a group that's probably more pristine in
4 terms of just pure pediatric psoriasis, and
5 you may find more, you may find less, you may
6 find the same, so -- it certainly needs
7 post-marketing surveillance, if that's the
8 way we go.

9 DR. HECKBERT: Susan Heckbert. I
10 voted yes. There is certainly adequate
11 information to understand that there's an
12 increased risk of infection. But I think that
13 additional information is definitely needed to
14 further refine that understanding.

15 DR. RINGEL: Eileen Ringel. I voted
16 yes. Everyone has pretty much said what I was
17 going to say, so I'll leave it at that.

18 DR. SHWAYDER: Tor Shwayder. I voted
19 yes. Ongoing data collection, and we will need
20 to know which bugs to look out for, whether
21 it'll be bacterial, viral, fungal, or acid
22 fascia, so that we can better address the needs

1 of our patient.

2 DR. BIGBY: Has the applicant provided
3 sufficient information regarding the risk of
4 malignancy in the target pediatric population?

5 That's open for discussion.

6 DR. CRAWFORD: Thank you. The
7 Chairman kindly invited us to ask questions, as
8 long as they were not argumentative, from
9 earlier. So Dr. Kwon, I just have a quick -- a
10 couple of quick questions, either for you or any
11 member of the panel.

12 When you were presenting the data
13 for etanercept for the pediatric AERS
14 reports, a striking observation that girls,
15 female patients, had 2.6 times the reporting
16 rates of male patients; 71 percent for girls
17 experienced a serious adverse effect,
18 compared to 27 percent of boys.

19 My first question, and there's a
20 quick follow-up -- do you believe this is
21 just an artifact of who reports more, or do
22 you suspect there's a need for more study to

1 see if there's a gender difference?

2 DR. KWON: I think --

3 DR. CRAWFORD: In general.

4 DR. KWON: My sense is it's -- in
5 general, it's probably artifact. I don't
6 believe there's a true different --

7 DR. CRAWFORD: Given the specific
8 question about malignancies, from that same AERS
9 reporting, even though there was 2.6 times the
10 reporting by females, it was an even number of
11 cases: Five and five, and two unknown gender,
12 between cases of malignancy. So to me, those
13 aren't quite matching. So do you have any
14 opinion about why that might be?

15 DR. KWON: It's -- again, it's
16 difficult to say why that occurs, just
17 because -- you know, it's a voluntary reporting
18 system and -- you know, all I can say is that's
19 what's reported and I can't really attribute
20 gender difference to what we observed in terms
21 of -- you know, how many females -- the
22 proportion of females getting malignancies.

1 DR. LEVIN: Just a question for FDA.
2 How does your June 3rd warning sort of play into
3 the labeling? I don't remember whether warnings
4 have -- you know, an entree point into the
5 labeling warning or not.

6 DR. SIEGEL: In general terms, the
7 review of these pediatric malignancy cases is
8 ongoing. At the conclusion of the review, any
9 labeling changes that are warranted would be
10 part of the action.

11 DR. LEVIN: And that review is --

12 MR. BIGBY:: Lynn, Lynn. Everybody
13 can hear your phone.

14 DR. DRAKE: I apologize --

15 DR. LEVIN: And the contemplated date
16 of completion of the review?

17 DR. SIEGEL: I think the Early
18 Communication said six months.

19 DR. BIGBY: Eileen.

20 DR. RINGEL: When I think about
21 malignancy, I need to think about it for this
22 indication in this population. And the reason

1 we're here is because this is in children, and
2 I'd like to bring up the discussion of how
3 treating children differs from treating adults.
4 In my mind, at least in the matters of
5 malignancy in particular, I think of three
6 things.

7 First of all, children have a
8 longer time to develop complications than do
9 adults. Is that important here? I'm not
10 sure, actually. I don't think it really is.
11 Malignancy takes a long time to develop.
12 We're already treating 18 years olds, does it
13 matter if we treat 16 year olds? I don't
14 know. I'm not that impressed by that
15 argument.

16 There's another one. Are children
17 a different species from grown-ups? And I'm
18 not being totally facetious. I mean, there
19 are good reasons to think that children do
20 react to things immunologically differently
21 from adults -- despite the fact that we were
22 told that the immune system is mature at two

1 years old, we all know from our clinical
2 practice that juvenile dermatomyositis is
3 different from adult dermatomyositis.
4 Langerhans' cell histiocytosis, atopic
5 eczema, molluscum contagiosum, kids' immune
6 systems are different from adults'. I don't
7 know how -- I don't know how to test it, they
8 just are.

9 At any rate, so I don't know if
10 that increased incidence of malignancy in
11 children is going to be -- you know, in
12 hematopoietic malignancy in children is going
13 to be real or not, I have no idea. But
14 that's something I could be concerned with.

15 And the last thing is children
16 cannot give their own consents. Even if as
17 much as their parents care about them, it's
18 very different to have your mom give your
19 consent for you for something that can kill
20 you, from you giving yourself consent. One
21 thing that really struck me was Kelsey,
22 because it sounded so familiar from my

1 practice. She is a remarkably eloquent and
2 mature child to be coming up in front of all
3 these people and giving that kind of a talk
4 with pictures. I'm so impressed you can't
5 begin to know. However, what you said was,
6 when you were discussing risks -- I wasn't
7 concerned with them. I just wanted it to
8 work.

9 I understand. If I were 16 years
10 old in high school, I wouldn't be concerned
11 with risks. I'd just want it to work. And
12 if I were your mom, I would feel the same
13 way. God Bless -- I'm so glad God gave you a
14 mother, because she will look after you. But
15 it must be so hard for your mother to look at
16 you in that much pain and say you can't have
17 this drug.

18 Even when asked what if it gave you
19 a 50 percent chance of malignancy? That
20 stopped me in my tracks. It really did.

21 So I guess what I'm saying, after
22 my long speech, is that I am okay with this

1 risk of malignancy for severe psoriasis. I
2 am not sure I'm okay for moderate psoriasis.
3 And that's my long speech. There we go.

4 DR. STERN: I do think in the younger
5 ages of the look for approval, there is one
6 other factor of special concern. That is, if
7 you look at at least immunosuppressed
8 individuals on transplant, one of the biggest
9 risk factors is EBV conversion, which typically
10 occurs up to age five, six, or seven, as I
11 understand it. But one of the ID doctors can
12 better inform me. So I don't think everyone is
13 converted by age four. But perhaps they are.

14 DR. MAJUMDER: This is Mary Majumder.
15 I just wanted to in some way second but maybe
16 disagree a little bit with Dr. Ringel. In
17 reading through the materials in advance, the
18 question that was in my mind is, I can clearly
19 imagine cases where I think this would be
20 justified. And so it doesn't bother me that
21 severe might be a little subjective.

22 But I felt comfortable if it was

1 severe, and very comfortable if it was not,
2 with approving this.

3 I do think that the interaction
4 between Kelsey and her mom was informative in
5 the sense that it was the kind of
6 relationship and consideration that I would
7 hope for as the ideal, where it's not solely
8 left up to the adolescent or the -- you know,
9 that feeling. But at the same time, the
10 psychosocial aspects, as well as the itching
11 and the pain, do factor into the parents'
12 consideration of whether these risks are
13 justified.

14 So I don't know if you'd see that
15 universally, but it did seem to me that
16 that's sort of a model of how this would be
17 handled.

18 DR. LEVIN: Arthur Levin. The
19 severe/moderate thing is disturbing, and it's a
20 little disturbing, increasingly disturbing,
21 because we've got some data in a couple months,
22 or six months, or -- I'm still not clear when

1 the FDA is going to report out on that data.

2 For my part, I would be more
3 comfortable with severe and sort of hooking,
4 perhaps extending the indication, perhaps,
5 depending on what the analysis of the data
6 says. I mean, it's just troubling to know
7 that somebody's looking at data and it's
8 going to have some more information for us in
9 the near future. I wouldn't suggest holding
10 up -- you know, approval, but the issue of
11 the indication, perhaps, to
12 severe -- limiting it to severe, at least
13 until we know more, has some appeal.

14 On the consent issue, in pediatric
15 research, at least when I was on an IRB,
16 there is such a thing as assent for children
17 of a certain age, where you actually get the
18 parent to consent, then you can get a child
19 to assent. And I never hear it talked about
20 in these settings. It's out there. It's
21 reasonable to expect that children of a
22 certain age are of a certain maturity to

1 participate fully in the discussion of risks
2 and benefits, and indicate whether they
3 assent or don't assent to the treatment. And
4 I think it should be, really, something that
5 we integrate into our thinking around the
6 table.

7 DR. BIGBY: We're having a little bit
8 of a question creep, here. So let's take a vote
9 on No. 6: Has the applicant provided sufficient
10 information regarding the risk of malignancy in
11 the target pediatric population?

12 Those voting yes, raise your hand?
13 Those voting no, raise your hand?
14 Abstentions?

15 We'll start with Dr. Daum.

16 DR. DAUM: Yikes. So again, it's the
17 word "sufficient," and I'm -- it doesn't mean
18 that they haven't done -- the study they
19 presented was fine in terms of assessing what's
20 going on in 48 weeks. But it's not sufficient
21 to make me comfortable in thinking of a role of
22 counseling a parent, with or without pediatric

1 assent. And so I voted no. It's not
2 sufficient, but it doesn't mean someone's done
3 something wrong in their presentation to the
4 Committee.

5 DR. BIGBY: I need to give the summary
6 of the count. There was four yeses, seven noes,
7 and two abstentions.

8 We'll keep coming in this
9 direction. So Dr. Levin.

10 DR. LEVIN: Arthur Levin, no. I guess
11 I would be echoing my colleague's comments.

12 DR. THIERS: Bruce Theirs. I voted
13 yes, because I thought we had a great deal of
14 information from pediatric patients who were
15 treated with other indications, like JIA. So I
16 was comfortable extrapolating that data to the
17 pediatric psoriasis population. What I would
18 really like to see, and I know the data was
19 there among all the hundreds of other slides we
20 saw, is a really cleaned-up few slides
21 and -- please not now -- a few cleaned-up slides
22 indicating how many children got malignancies

1 who were on etanercept monotherapy. Because it
2 seems that almost every patient that we were
3 given information about had some other
4 confounding factor.

5 I'd like to know how many kids who
6 are otherwise healthy except for psoriasis or
7 arthritis, no other immunosuppressive drugs,
8 got a malignancy. I know the data's there,
9 but I'd just kind of like to have presented
10 in a concise manner.

11 But I was satisfied with the data
12 we had from other indications to vote yes for
13 this question.

14 DR. BIGBY: So this is a perfect
15 example of blind men examining an elephant,
16 because based on the very same argument that
17 Bruce made, I voted no, because I don't think
18 that the data from patients with Juvenile
19 Arthritis or Crohn's disease is actually
20 informative for this situation, because those
21 patients are much sicker. They have diseases
22 that may or may not be associated with

1 malignancy to a greater degree than patients
2 with psoriasis. The concomitant medication use,
3 I think is much much higher than we'd do
4 in -- certainly in pediatric psoriasis. In
5 terms of the data presented in patients with
6 psoriasis that were treated, the number of
7 people exposed, and the length of follow-up, I
8 don't think anyone would argue is adequate.

9 DR. MAJUMDER: Mary Majumder. I voted
10 no, and I second Dr. Bigby's comments.

11 DR. O'NEIL: Kathleen O'Neil. I voted
12 yes, although I do agree with Dr. Bigby's
13 comments.

14 DR. STERN: Rob Stern. I voted no,
15 for Dr. Bigby's reasons, but I think if you look
16 at the power considerations and the prevalence
17 of the disease, that we'll never have a robust
18 answer for this. It is what it is, and I'm not
19 sure we can do anything but warn about the
20 possibility of it.

21 DR. KATZ: I voted yes. The only way
22 you're going to get sufficient information is

1 with long-term follow-up. There's no way, in a
2 brief study, it's going to be sufficient. So
3 we'll have to take care of that with the
4 reporting.

5 DR. SHWAYDER: Tor Shwayder. I voted
6 no. It's very difficult to enroll children in
7 studies because you can't give money. The money
8 would go to the parent and it'd be considered
9 coercion, then, for the parent to enroll the
10 child -- that they are paid to do something
11 that's against the child's wishes. I don't know
12 how big a risk would make this uncomfortable.

13 As I was talking with Mrs. Larson,
14 you know, what number will make her not do
15 it. Losing a patient is heartbreaking to the
16 physician as well as to the family, for a
17 non-lethal condition. And I think we need
18 data for psoriasis only that's not muddied by
19 the confounding variables of JRA.

20 DR. RINGEL: Eileen Ringel. I
21 abstained. It all hinges, for me, on the matter
22 of moderate to severe. I've gone over that