

1 rate constant k such that in the end the
2 dissolution rate dC/dt is a function of an
3 apparent rate constant and S , the exposed
4 surface area.

5 So, where am I taking you with
6 this? For a freely soluble drug, S , the
7 surface area, is not critical because we
8 already have a large value of k as a
9 consequence of the large saturation
10 solubility of a highly water soluble
11 material.

12 However, for a poorly water-soluble
13 drug where the value of k will be very small,
14 the surface area increase will allow us to
15 overcome what is otherwise a very slow
16 dissolution rate for the material.

17 Now, to what extent can we actually
18 increase the surface area, can we really make
19 a difference that is that dramatic? Well, if
20 we consider a cube, a single cube, with a
21 side length of $2L$, of course we know that
22 each face of that cube will have a surface

1 area of $4L^2$, and of course there are six
2 surfaces to the cube, we start off with a
3 surface area of $24L^2$. If we now subdivide
4 this cube into 8 equally sized cubes, with
5 the side length is now half of the original
6 side length, we end up with 8 cubes having a
7 total surface area of $48L^2$ or effectively by
8 reducing the size of the particle by 50
9 percent, we effectively double the surface
10 area of the material. And we can do that
11 time and time again and here's a very
12 pertinent example that illustrates the power
13 of size reduction in these systems.

14 If we begin with 2 cubic
15 centimeters, of a pharmaceutical material,
16 and if we consider that the average bulk
17 density might be in the range of 1.25 to 1.4
18 grams per cubic centimeter, we're looking at
19 2.5 to 3 grams of the pharmaceutical
20 substance. If that's starting off as a
21 single cube with a 1.25cm length, and we go
22 through this process of subdivision 24 times,

1 we'll actually end up with enough 1nm sized
2 cubes to completely cover the surface area of
3 this rugby field in a single layer.

4 Now in reality we're not talking
5 about nanoparticles that are 1 nanometer in
6 size, we're actually talking about 2 orders
7 of magnitude larger, but still we're looking
8 at specific surfaces in the order of 50 to 75
9 square meters per gram, which is very, very
10 large.

11 Now of course in the oral arena,
12 the applicability here is very clear. Based
13 upon the biopharmaceutical classification
14 system, we're targeting drugs that have free
15 permeability in the GI tract, but have
16 comparatively low solubility. These are the
17 Class 2 compounds, and it's been estimated
18 that about 40 percent of all new drugs coming
19 through combinatorial and high frequent
20 screening, are insoluble to this degree and
21 hence present tremendous drug delivery
22 problems.

1 In terms of what these particles
2 can do in oral delivery, certainly they can
3 increase the bioavailability of the drug and
4 if this is an enhancement of an existing
5 product, we can reduce the dose, sometimes
6 dramatically over the micronized or larger
7 formulation version of the product. We can
8 increase the rate of absorption which
9 certainly has huge benefits in terms of
10 certain types of drugs such as analgesics,
11 where getting the drug on board quickly is
12 very important.

13 We can reduce or all together
14 eliminate fed/fasted variable absorption,
15 improve dose proportionality, and avoid
16 uncontrolled precipitation after dosing if
17 you are working with a traditional sybilized
18 system employing surfactants or sybilizers
19 and these images actually show tablets that
20 contain these nanoparticles and also capsules
21 filled with multi- particulates that also
22 contain these nanoparticle materials.

1 One of the nice things about these
2 technologies is that once you get past the
3 process of actually making the particles
4 themselves, that you can rely on traditional,
5 well-established (off mike) operations in the
6 pharmaceutical industry for producing solid
7 dosage forms of these materials.

8 Now, the fundamental reason why
9 particle size makes a difference here, why
10 faster dissolution makes a difference, is
11 because it allows us to improve the
12 absorption efficiency of the drug in the GI
13 tract. If we consider large particles of API
14 as they move through an absorption window,
15 and by absorption window, I mean a
16 preferential area within the GI tract where
17 the drug is most likely to be absorbed, we
18 find that for large particles, the
19 dissolution time can be much larger in the GI
20 transit time through this region and hence,
21 much drug can be passed unabsorbed beyond the
22 absorption window.

1 For nano-scale materials,
2 specifically for nano-scale APIs, the
3 dissolution time can be much less than the GI
4 transit time for this same window and hence a
5 substantially greater fraction of the drug,
6 in some cases the entire amount of drug, will
7 be absorbed efficiently prior to passing
8 through the end point of the absorption
9 window.

10 So conceptually it's actually very
11 simple in terms of what we're doing. We're
12 basically just utilizing surface area
13 increase in order to achieve an increase in
14 dissolution rate. And a good example of
15 particle size effects on oral absorption
16 comes by a paper authored by Dr. Henry Wu at
17 Merck. This is an example of MK-0869 which
18 is known commercially as (off mike) or by the
19 trade name Emend used for treatment of
20 chemotherapy induced emesis and what's
21 interesting about this molecule is that it
22 does have an absorption window and so it

1 becomes a great candidate for this kind of
2 technology. And we see that as we progress
3 from a micronized form of the drug, at about
4 5 microns, to a jet milled version at about 2
5 microns, further down to a wet milled version
6 at .5 microns, and to the final smallest
7 particle size, of about 100 nanometers, we
8 can see the corresponding increase in
9 bioavailability, the corresponding increase
10 in cmax and also the corresponding increase
11 in the rate of absorption of the drug. This
12 is in a Beagle model, but Merck did indicate
13 that the same kinds of effects were seen in
14 humans, and in fact, this technology enabled
15 Merck to decrease the fed/fasted variability
16 ratio from about 5:1 down to essentially 1:1
17 to there was no food effect.

18 Now moving on past oral delivery,
19 there are exciting opportunities and benefits
20 of these systems in parenteral delivery and
21 one of those is the ability to achieve very
22 high drug loaded formulations for parenteral

1 administration. Obviously one of the
2 problems with a traditional formulation
3 approach would be that you'd need very large
4 volumes of an aqueous vehicle that will be
5 untenable for parenteral delivery. These
6 kinds of systems can produce particle drug
7 loading up to 45 percent on a weight/weight
8 basis which allows a very large amount of
9 drug to be administered using a very small
10 volume formulation.

11 In addition, there are benefits to
12 avoiding harsh vehicles that may be employed
13 in alternative formulations and these might
14 be cosolvents or solubilizers that have some
15 sort of undesirable effect or pH extremes
16 that can cause pain at the injection site or
17 perhaps irritation at the injection site.

18 Equally important, these
19 formulations, even at a very high
20 concentration on a weight/weight basis are
21 readily syringe-able and can be used with
22 traditional small bore needles and the safety

1 has been established for the IV, IM, and
2 subcutaneous realms in human studies, and I
3 reference this paper at the bottom for anyone
4 who would care to look at the itraconazole
5 study which was authored and published by
6 Johnson & Johnson.

7 This is an example of compound X in
8 a pre- clinical model and we're looking at
9 the PK profile following intravenous and
10 intramuscular administration and for
11 reference we see the commercial product
12 profile in purple, which is typical of what
13 we'd expect to see for an IV solution.
14 What's surprising here is that when we dose
15 the nanoparticle dispersion, IV, we get
16 effectively the same concentration versus
17 time profile. The reason for that is, again
18 from a formulator's perspective, these drugs
19 are poorly water soluble, but when they have
20 access to the much larger volume of the blood
21 pool, they can dissolve quite readily in the
22 larger volume of the aqueous environment and

1 in a sense take on solution-like properties.

2 If we choose instead to deliver the
3 drug either subcutaneously or by
4 intramuscular administration, which we show
5 here in red, then we get more of a depo
6 effect which we might expect because the
7 particles have much less access to the
8 aqueous fluids in the muscle tissue.

9 There are also benefits of these
10 kinds of particles in pulmonary delivery and
11 again, they can take on some solution-like
12 properties in terms of their ability to be
13 delivered to the lung. One of the great
14 problems with traditional suspension delivery
15 to the lung is the fact that the particle
16 size of the suspended particle essentially
17 dictates the particle size distribution of
18 the droplets from a nebulized device and
19 because of the fact that we can make these
20 particles so small, they become much smaller
21 than the droplets that are produced by the
22 nebulization device and the nebulizers can

1 truly be used efficiently to customize a
2 droplet sized distribution for the particular
3 application in mind.

4 If it's deep lung, the nebulizers
5 can dial in the distribution of droplets that
6 would allow that to happen and the suspended
7 particles, which are very, very small in
8 comparison to those droplets, then are
9 delivered very efficiently.

10 To illustrate this example, we see
11 the percentage of the emitted dose from a
12 nebulized device, for a nanoparticle
13 formulation relative to a micronized
14 formulation, so the amount of drug delivered
15 to the deep lung is actually more than twice
16 the amount delivered to the deep lung from
17 the micronized formulation.

18 We also see that because we can
19 produce these materials at very high
20 concentrations on a weight/weight basis, that
21 we can deliver the drug very, very quickly
22 relative to conventional systems, and for a

1 concentration of 50mg per mil, or 5 percent
2 weight/weight, we see that we can actually
3 deliver a therapeutic amount of a drug in a
4 2-second activation using this particular
5 formulation approach.

6 So a lot of exciting opportunities
7 for pulmonary delivery -- precision delivery
8 to the target site, increased uniformity of
9 surface coverage, and shorter nebulization
10 times, all of which have significant medical
11 importance.

12 Now, how are these particles
13 produced? Well, there are a number of ways
14 of producing these particles and early on we
15 classified them as bottom up/top down. This
16 is just a sampling of the many ways these
17 particles are produced. The first five are
18 of the bottom up version -- the deposition or
19 precipitation version of the production. One
20 is spray freezing the liquid. This involves
21 -- it's a cryogenic process involving liquid
22 nitrogen. Emulsification, which many of us

1 are familiar with. The idea here is that
2 once you produce the emulsion, you can flash
3 off the organic component and have
4 nanoparticles remaining in an aqueous
5 environment.

6 The PCA and RESS -- these are
7 basically approaches that involve super
8 critical carbon dioxide or other appropriate
9 super critical fluids, and another approach,
10 which involves precipitation from an aqueous
11 solution using heat, abbreviated EPAS.

12 The bottom three approaches are
13 what we call top down. These are the
14 attrition processes. High pressure
15 homogenization and microfluidization which
16 both rely on sheer end capitation and high
17 energy wet milling which is dominated by
18 sheer forces, and actually I'm going to show
19 you in the next couple of slides a few
20 examples of the wet milling process.

21 Largely the reason for that is that
22 this is one of the oldest ways of producing

1 nanoparticles. It's very well established in
2 other industries and only more recently was
3 applied to the pharmaceutical industry. All
4 the paint on this wall was produced using a
5 high energy wet milling process. Many of the
6 super peremetic particles that are in the
7 cassette tapes and VCR tapes are produced by
8 the same process. Photosensitizing agents
9 for films -- so this technology has been
10 around in other industries for many decades.
11 It's only been more recently we've applied it
12 to pharmaceutical systems.

13 So this is a schematic of a basic
14 horizontal high energy mill and what we see
15 here is a milling chamber that has been
16 produced to pharmaceutical specifications, in
17 this case produced with 316L grade stainless
18 steel, and with a very high polish. Inside
19 the chamber we have an agitator shaft which
20 runs along the horizontal access of the
21 chamber and inside the chamber also we had a
22 grinding media and these media take different

1 forms and I'll discuss that in a little bit.

2 Now, there are many ways to run
3 through this process. One permutation is to
4 make a slurry of the course material by
5 introducing the unmilled API, the
6 stabilizers, and the water, and to pump that
7 course slurry into the top of the mill. As
8 we're doing that, we initiate the agitator,
9 and the agitator then drives the bed of media
10 which creates millions of points of contact
11 during the process and when a drug particle,
12 then sandwiched in between two adjacent media
13 particles, the drug particles fracture into
14 smaller bits. And that's the principle
15 behind the wet milling process.

16 Now as you might imagine, this does
17 take some period of time and typically what
18 we do is recirculate the material back into
19 the recirculation vessel so that we have
20 essentially a recirculating system that runs
21 through some period of time until the desired
22 particle size distribution for the material

1 is achieved.

2 Another consideration to point out
3 is the fact that we are taking mechanical
4 energy and in some cases transforming it into
5 thermal energy, so these systems must be
6 cooled adequately in order to preserve the
7 integrity of the particular material. And in
8 this diagram you can see that we have, in the
9 cooling reservoir, for the seal coolant, we
10 have a jacket on the mill itself and we have
11 a jacket on the recirculation vessel and
12 through those cooling processes, we keep the
13 temperature of these systems in check and
14 well within satisfactory levels for the
15 production process.

16 This is a photograph of a
17 horizontal mill in action. You can see the
18 milling chamber here. This is a two-liter
19 mill. And again, the material is being
20 pumped from this recirculation vessel using a
21 peristaltic pump up through a mass flow meter
22 in the top of the mill, and then it comes out

1 the dynamic separation screen and back into
2 the recirculation chamber in the tubing that
3 appears white. The reason it appears white
4 is because these materials typically take on
5 the appearance of milk.

6 They're white, opaque dispersions.
7 And you can see, if you have very good eyes,
8 some of the cooling lines for the mechanical
9 seal reservoir, the milling chamber itself,
10 and the recirculation vessel. And they're
11 controlled by a PLC, very standard for the
12 pharmaceutical industry.

13 And this slide just shows the
14 morphology of unmilled material on the left,
15 and milled particles on the right. Two
16 things to point out. The bar here is two
17 microns in both cases, and so one thing that
18 we certainly see is that these particles
19 start out in the range of say 10 microns or
20 so and are reduced to a size that's well
21 below a micron here in many cases. And also
22 that the morphology of the particles is

1 preserved. These are short rods and the
2 resulting mill material are also short rods
3 and this is typically the case for this kind
4 of a milling operation.

5 In terms of the time dependence of
6 the particles size reduction process, I'll go
7 through this very quickly. We start out with
8 the pre-milled (off mike) which in this case
9 is centered around 50 to 75 microns. As we
10 mill, over time, we see that this population
11 is reduced very quickly and a new population
12 of particles appears. As we go further in
13 time through the milling process, the
14 particle size frequency here will increase
15 and it will also shift to the left, so we get
16 essentially a more narrow distribution that
17 also shifts increasingly to the left.

18 This slide shows the scalability of
19 the wet milling process. In this case, three
20 different platforms or different scales for
21 milling, four different batch sizes ranging
22 from 4 kilos up to about 500 kilos, and as

1 you can see, in terms of percent frequency
2 versus size, we have super imposable profiles
3 for the scale up process.

4 In terms of reproducibility, this
5 is data for more than 50 batches of the
6 product, and we see that the boundaries in
7 the line color here are for the upper and
8 lower limits of the assay. All the dots
9 correspond to individual batches. The purple
10 dots are the in-process assay results. The
11 blue dots are the finished product results,
12 and we can see they're well within the spec
13 in each case.

14 In terms of particle size, the same
15 thing. We have a blue bar here which defines
16 the upper limit for the mean particle size,
17 yellow in process, blue finished product, and
18 also we capture in this case, a D90 particle
19 size distribution value which is bounded by
20 the specification shown in the magenta
21 colored line, and the individual dots, again,
22 for each of the batches showing their

1 corresponding D90 values.

2 In terms of the commercialization,
3 a number of these systems have been
4 commercialized into FDA approved products.
5 I'm showing you examples of the most recent
6 two approved, the most recent being
7 Megace-ES, megestrol acetate oral suspension.
8 The issue with this drug is that in a
9 micronized form, it experiences some
10 substantial fed/fasted variability where the
11 drug is poorly absorbed in the absence of
12 food. That's a problem because this drug is
13 used in the treatment of cachexia, or a
14 wasting disease, in HIV/AIDS, where patients
15 don't have any desire to eat, so if we can't
16 get the drug on board because of the fact
17 that the patients are in a non-fed state, we
18 have a problem.

19 The nano version of the formulation
20 allows us to achieve the same absorption of
21 the drug irrespective of a fed or fasting
22 condition.

1 Now in the case of Tricor, the
2 second to the last product to be approved by
3 FDA, the 160mg co micronized version of the
4 drug showed a 35 difference in absorption
5 favoring fed over fasted. The nanoparticle
6 version of the product eliminated the
7 fed/fasted variability as shown in the graph
8 to the right, and interestingly, also dropped
9 down the dose slightly from 160 to 145.

10 I'm running very short on time so I
11 just wanted to answer these last three slides
12 very quickly. There are potential challenges
13 in developing nanoparticle products of these
14 types and we expect there would be for any
15 kind of pharmaceutical product, and I just
16 list these for your consideration: Particle
17 agglomeration, again, owing to the Van der
18 Waals forces, particle size growth through an
19 Ostwald ripening mechanism where smaller
20 particles dissolve and result in the growth
21 of larger particles. There could be changes
22 in particle morphology, changes in

1 polymorphic form, which must be carefully
2 monitored during the production process and
3 during stability. There could be process
4 related impurities, residual solvents for
5 many of the bottom up processes as well as
6 media attrition impurities for top down
7 processes. These can be controlled, but they
8 again have to be monitored to relevant
9 standards. Process scalability and
10 reproducibility can be problematic for
11 certain types of processes and there is a
12 lack of a universal particle sizing method
13 which does create some challenges for
14 transference, highly desirable, to utilize
15 the exact same particle sizing methodology
16 across all the sites in an organization to
17 ensure there are no tight transfer issues.

18 And as far as key characterization
19 needs, particle size distribution here is key
20 as are solid- state properties dealing with
21 morphology, and the physical form of the
22 drug. Since we're trying to achieve rapid

1 dissolution, dissolution behavior becomes
2 very important, of course. And then for
3 other applications, microbial limits testing,
4 if the process involves water or if the final
5 product involves water, applications that may
6 be specific to the route of administration or
7 methods that are technology specific
8 depending upon the route or method by which
9 these particles are produced.

10 So to conclude, nanoparticle
11 engineering offers significant potential to
12 improve the delivery performance of poorly
13 water-soluble drugs and hence the treatment
14 outcomes of patients who will benefit from
15 these novel products. We've seen this
16 already in the form of a handful of products
17 that have been approved by the FDA.

18 We believe that FDA's current
19 requirements for assessing drug product
20 safety, efficacy, and quality, appear
21 adequate for evaluation of these kinds of
22 nanoparticle based products, and also believe

1 that future evolution of more complex
2 nanotechnologies that may deal with drug
3 targeting, intracellular deliver, et cetera,
4 will likely drive the need for periodic
5 evaluation of FDA policy and procedures for
6 regulating nanotechnology based drug
7 products.

8 Thank you very much.

9 MR. MORRIS: Thank you, Dr. Ruddy.
10 So we'll take clarifying questions. I should
11 note though that -- I should have said this
12 before. If you could signify with raising
13 the hands so Diem can identify you and then
14 list everybody. Otherwise we screw up the
15 transcript taking. Dr. Koch first. Mel, I
16 think that's you.

17 DR. KOCH: Yes, I have a question
18 relative to the end point analysis in the
19 process. You have data of the resulting
20 product, but do you have any methodology to
21 determine where you are in the attrition? If
22 you just take the wet milling as an example?

1 DR. RUDDY: If you use the wet
2 milling as an example process, typically one
3 would want to characterize samples of the
4 product over the course of time to have a
5 fingerprint of the particle size distribution
6 throughout the process.

7 Once the process is scaled up and
8 fully developed and there is a greater
9 familiarity with how the process and how the
10 product works, then one can reduce the
11 sampling frequency or just go for a certain
12 desired endpoint based upon an abbreviated
13 sampling schedule.

14 MR. MORRIS: Art?

15 MR. KIBBE: I just have a couple
16 questions about -- I think they revolve
17 around slide 19. What methodology did you
18 use to determine the particle size, the mean
19 and the over 90, for the data that's
20 described --

21 DR. RUDDY: That data was produced
22 by laser diffraction.

1 MR. KIBBE: Do you use the same --
2 the USP standards for laser defraction to
3 qualify your laser diffraction tests?

4 DR. RUDDY: Yes, we do.

5 MR. KIBBE: The RSD and the --

6 DR. RUDDY: That's correct.

7 MR. KIBBE: Okay.

8 MR. MORRIS: I want to just remind
9 everybody before you start. That's okay, we
10 know who you are. Liz?

11 MS. TOPP: So I'm Liz Topp. He
12 said my name, but I'll say it again. So I
13 have a question about the solid drug particle
14 cores that are in the center of your
15 nanoparticle material. A lot of the comments
16 that you've presented suggest that they are
17 crystalline. Do you know for a fact that
18 these particle cores in the nanoparticulate
19 state are crystalline material or are they
20 amorphous or some combination?

21 DR. RUDDY: They can be all of the
22 above. They can be purely crystalline. They

1 can be amorphous. Or they can be mixed. It
2 depends very much on the desired application.
3 It depends on the API itself.

4 MS. TOPP: How do you determine
5 whether they're crystalline amorphous?

6 DR. RUDDY: Determination is
7 typically done with traditional methodologies
8 -- X-ray pattern diffraction, solid state
9 MMR.

10 MS. TOPP: Okay. I have one more
11 question about slide number 11. You show
12 some PK data, basically --

13 DR. RUDDY: Yes.

14 MS. TOPP: -- following different
15 routes of administration, and I was wondering
16 if the bioavailabilities of the IM
17 formulation, of the nanoparticle formulation
18 administered IM are equivalent, or if that --
19 it looks to me like it might be lower but I
20 can't integrate by eye very well. Do you
21 have that information?

22 DR. RUDDY: To be completely

1 honest, I do not recall the relative
2 bioavailability of the IM leg of this study.

3 MS. TOPP: Okay.

4 DR. RUDDY: I don't believe there
5 was any major loss of bioavailability but I
6 can't tell you that they're identical to the
7 IV dose.

8 MS. TOPP: Thank you.

9 MR. MORRIS: Any other questions?
10 Well, this is Ken Morris. One quick
11 question. Talking about the wet milling
12 operation on slide 14, you don't have to go
13 to the slide, are there -- you talk about how
14 they're used in other industries, which I
15 know. Are there ASTM standards for most of
16 these? This is relevant to what we're going
17 to talk about later.

18 DR. RUDDY: I actually don't know.

19 MR. MORRIS: That's okay. I just
20 thought you might because I'm assuming that
21 might come up in our discussion. Well thank
22 you very much.

1 DR. RUDDY: Thank you.

2 MR. MORRIS: So our next speaker is
3 Darin Furgeson who's from the University of
4 Wisconsin, assistant professor in
5 pharmaceutical sciences and biomedical
6 engineering and we have his title -- oh, you
7 have your own lap top Darin?

8 MR. FURGESON: Yes.

9 MR. MORRIS: You don't trust us?

10 MR. FURGESON: No.

11 MR. MORRIS: But in any case, he's
12 going to talk about Nanotools for Toxicity
13 Assessment of Nanomedicines which is
14 obviously a relevant part of the discussion.

15 And Darin, can you see this? This
16 will be your counter.

17 MR. FURGESON: Yes, and I want to
18 thank you for the shock caller, too.

19 MR. MORRIS: No problem. No
20 problem. We're not going to need them after
21 next January.

22 MR. FURGESON: Okay. Good morning.

1 I would like to -- this is really a unique
2 opportunity for me to come speak to this
3 Committee and what I'm going to present today
4 is very dissimilar to what you've already
5 heard. And this is a primary focus -- I
6 think it's a waking giant area of research
7 when it comes to assessing nanomaterial
8 toxicity, but I'm directing it more so
9 towards nanomedicines and trying to give an
10 idea of some sort of tool kit that we can
11 come up with to help accelerate the (off
12 mike) clinical development and ultimately get
13 these from the bench to the bedside faster.

14 So there are three primary areas
15 I'm going to talk about at first. One is,
16 what drug delivery systems right now are
17 using nanotech, and what goes into the
18 manufacturing of these nanoparticle-
19 containing drugs, or nanomedicines. And then
20 try to identify some issues that need to be
21 addressed by the FDA. I mean, I don't think
22 it needs to be said, but safety and efficacy

1 are the primary impetus for all this, and
2 that's the FDA's standard, but I also believe
3 validation is a primary concern when it comes
4 to the FDA and trying to find some toolkits
5 that will do this.

6 I just threw this in just to give
7 you some stats. This isn't in the slides,
8 but just to give you an idea where the
9 nanotechnology is going. So these are from
10 the Freedonia Group and they project that the
11 nanotechnology is going to reach around \$53
12 billion in 2011 and that the drug delivery
13 and the biomedical product demand of this is
14 going to be around \$3.7 billion in 2009. And
15 if you compare that to 2004, we're talking
16 about more than an order of magnitude
17 difference. And the largest share of
18 opportunities will emerge in pharmaceutical
19 applications. This is also reiterated from
20 Advance Tech Monitor in 2006 which has now
21 been taken over by Industry Matter, that this
22 might be a little bit outdated but at least

1 12 nanomedicines right now are already
2 approved and there are a lot right now in
3 preclinical development both in industry,
4 academia, and in the next 5 years, we're
5 going to see an exponential growth, I
6 believe, in the area of nanotherapeutics.

7 But the most active areas, again,
8 are going to be in drug delivery and in in
9 vivo imaging, and then the coupling of those
10 two together in theragnostics where you can
11 simultaneously image and also deliver the
12 therapeutic that you need.

13 So this comes from the amount of
14 funding going towards EHS research from the
15 NNI and these numbers look impressive, okay,
16 it's steadily growing. We're at \$58.6 this
17 year, projected next year it's going to be
18 \$76 million, but when you consider the amount
19 of the budget that's (off mike) to the NNI,
20 this is less than 3 percent. So we still
21 have a long way to go when it comes to
22 developing some sort of methodology to

1 provide some rapid data when it comes to
2 toxicity.

3 So I think this has sort of a
4 tripartite relationship here between the
5 nanocharacterization, nanotherapeutics, and
6 nanotoxicology and when we're looking at
7 these nanotools for that, all of these need
8 to be addressed. I think the previous
9 speakers have done an excellent job of
10 talking about nanotherapeutics,
11 nanocharacterization, and nanotoxicity in and
12 of themselves. They may not have used those
13 same nanotoxicity words, but the ideas are
14 the same -- chemical, physical, biological
15 characterization, safety, efficacy,
16 reproducibility and toxicity.

17 So this is from Ernst & Young. It
18 gives you an idea of what, right now, is out
19 there when it comes to nanotech components
20 that are in medicine. On the left panel here
21 we have medical products. The field of
22 applications are here in these blue boxes,

1 and then we have the functional nanotech
2 components, so what is the actual delivery
3 vehicle.

4 This is what I'm going to focus on
5 right now. So our first speaker was speaking
6 about liposomes and these polymer
7 nanoparticles, (off mike) cells, now we have
8 these new advanced delivery systems, carbon
9 nanotubes, fullerenes, you have antibody drug
10 conjugates that are emerging, quantum dots
11 for diagnostics, nanospheres, even inorganics
12 that are being used now, gold and silver
13 nanoparticles, silver nanoparticles, almost
14 every issue of C&E News now has some sort of
15 alert-alert or some kind of concern about
16 silver nanoparticles and what not only is it
17 going to do to the environment, but what
18 ultimately is it going to do with the
19 patient.

20 For both the FDA and the EU, the
21 regulatory approval, there's a distinction
22 here between medicinal products when it comes

1 to the drugs, and also to the devices. And
2 so this is an action achieved on the drug
3 side by pharmacological, immunological, or
4 metabolic means.

5 On the device side, we have the
6 action achieved by physical means whether
7 it's through mechanical or structural action,
8 replacement, some support to the organs or to
9 the body functions in and of themselves, and
10 that is relying on the principle intended
11 action which is why the FDA is not, from my
12 reading anyway, focused on tracking polymer
13 synthesis more with the applications of those
14 polymers to the therapeutics.

15 So here's a slide showing -- we
16 have 10,000 drug candidates down here at the
17 bottom, and the challenge here with drug
18 discovery is that as we start to move up this
19 staircase here, we're looking from the
20 selection, can we target them, the solubility
21 issues, are they going to be stable, are they
22 nontoxic. By the time we reach the pinnacle

1 here, there's around one, maybe two
2 candidates that seem like they're going to
3 move into the second stage.

4 But 70 percent of these new drugs
5 are going to be insoluble, in fact, many of
6 them are toxic especially when we're talking
7 about cancer, small molecules, it's
8 essentially a poison that we're going to
9 deliver to a patient in the hopes of killing
10 a tumor and being from both a pharmaceutical
11 background -- pharmaceuticals, the whole design
12 here, is that we're trying to improve the
13 drug therapeutic potential. And these drug
14 candidates here have to meet numerous,
15 numerous selection criteria to eventually
16 reach the marketplace here at the top. And
17 if we could go in with some pharmaceutical
18 reengineering, almost, rethinking, down here,
19 at the early, early stages, the amount of
20 time, money, and patients suffering,
21 ultimately, could substantially be decreased
22 in my opinion.

1 So this looks great on my computer,
2 it looks terrible -- oh, there we go. So
3 this -- I'm sure everyone has seen this, but
4 this is what eventually the process of being
5 approved is. In the preclinical stages, it
6 takes about three to four years where you'd
7 find a target, you go through and you
8 validate it, you use some high through-put
9 screens, you just carpet bomb it over a bunch
10 of different cell lines, see what hits you
11 get, take some of those hits and move them
12 into a lead candidate. Now we're moving into
13 the preclinical stage, Phase 1 and it now can
14 take place over four to six years. And then
15 move that through looking at patient's
16 tolerance and everything else up to market.

17 So at the very minimum, we're
18 looking at years and costs somewhere
19 projected between \$800 million to \$1 billion
20 for a single pharmaceutical drug.

21 Now, in the future, or what's going
22 on right now, we have a lot of exciting new

1 therapies on the horizon and this is from a
2 paper from Nature Nanotech this year actually
3 and the one are is with biosynthetic and bio
4 organic polymer systems. Biosynthetic, what
5 I mean by that, are recombinant polymer
6 systems or genetically engineered systems.
7 You eliminate a lot of the variables when it
8 comes to synthetic polymer synthesis,
9 monodispersity, you can ensure,
10 biocompatibility, even the block
11 architecture, you can simply make these mRNA
12 templates, drop them into an expression
13 cloning vector, have them produced, and you
14 have the polymer that you want.

15 Bio organic systems are sort of a
16 hybrid where you take a recombinant polymer
17 and you have conjugated a synthetic polymer
18 along with it.

19 Theragnostics, this is going to be
20 huge where you have both the therapeutic and
21 also the imaging agent here, and
22 multimodalities and combination therapy which

1 I'll get to in the next slide, but returning
2 here to this panel, we have here in the gray,
3 the tumor, we have carbon nanotubes that have
4 ligands that are going after these tumor
5 receptors, and they're bearing quantum dots
6 for imaging and they could also potentially
7 be bearing a therapeutic as well, say small
8 molecule or a drug. So we can image the
9 animal and show that we can have tight
10 specific targeting both through active and
11 passive means that's already been reiterated
12 by previous speakers, and so we could then
13 show that, well there's where our delivery
14 vehicle is, and if that's where the delivery
15 vehicle is, hopefully that's where our drug
16 is as well.

17 So further applications of these
18 systems, I believe, are going to be with
19 synergistic or multimodalities. We are
20 taking, for example, like hyperthermia, and
21 you're combining it with imaging and therapy
22 as well.

1 Again, mRNA templates, this goes
2 back to recombinant or genetically engineered
3 systems. A lot of -- like Perceptin and
4 Avastin -- some of these regimens cost
5 \$100,000 to \$250,000 a year per patient. And
6 the substantial cost for that is a lot due to
7 the production that has to go into that. But
8 now with new technologies that we have, when
9 it comes to protein production, we can get
10 higher yields of these proteins in a faster
11 route.

12 When I was in graduate school I did
13 a lot of synthetic polymer chemistry and beat
14 my head against the wall a lot of times
15 because it just simply wouldn't work. I did
16 a postdoc in genetic engineering where I
17 moved into using DNA to make these polymers
18 and what I loved about this system was that
19 once you got the gene designed the way you
20 wanted it to, you had in that expression
21 vector, all you had to do was throw that
22 thing into the -80 freezer and that's your

1 polymer right there. You need another batch
2 of it, you take it out, you spike the
3 culture, you come back the next day and you
4 purify it because these were all
5 thermosensitive, you can purify them simply
6 by heat. So rather than going through this
7 laborious process of organic solvents and
8 MMR, MMR, mass spec, la, la, la, you can do
9 this in a quick manner. And with yields we
10 can get right now, 200mg of some of our
11 polymers in a 1L culture.

12 So this, I think, is one of the
13 most outstanding pieces of work. This was
14 developed by Mark Davis at Cal Tech and this
15 is now, again, in the May 2008 Phase 1, and
16 it's a siRNA gene therapy therapeutic against
17 this ribonucleotide, but they're using
18 cyclodextrin containing polymer, so it's
19 biocompatible, they're delivering these
20 siRNAs which are similar between 19 and 23
21 residues, they're targeting them through a
22 transferring, which are hyper expressed on

1 the surfaces of tumors, and they're using
2 polyethylene glycol to stabilize these
3 particles. And this is really, I think, a
4 very -- I think it's paramount to look at a
5 system like this where gene therapy has all
6 this potential where it would be like Star
7 Trek where they can just walk up to you and
8 spray something into your arm, and hey, I'm
9 cured by whatever I got bit by. But it has
10 yet to really evolve both on the non-viral
11 side and on the viral side. There are pros
12 and cons to each. But this system, I think,
13 is one of the most promising in my opinion.

14 Now what makes these so challenging
15 when we're looking at not just with gene
16 therapy but with nanomedicines as whole, is
17 the Nano Design Complexity. When you take
18 material from the bulk and you take it down
19 to these nanoparticle sizes, you get these
20 quantum effects. You bring these free
21 electrons up to the surface and behaviors
22 aren't always what you would expect, as you

1 would expect in the bulk, and with that being
2 said, you just cannot assume that it's worked
3 before, it works fine when we use it in the
4 bulk, it doesn't necessarily mean when you
5 get down to it nanometer scale that it's
6 going to behave the same.

7 Another problem with nanotechnology
8 when it comes to therapeutics is that we
9 still have -- I mean, long term or chronic
10 exposure studies are still years and years
11 away. This is just a very nascent technology
12 in the grand scheme of things and what's even
13 more sad, I think, is that there's really a
14 lack of correlative in vitro and in vivo data
15 that we have. I know that I have a great
16 collaborator at the NC, Marina Dobrovolskaia.
17 I spoke with her and they're sitting on just
18 a ton of human data and trying to find ways
19 to -- she and I are trying to find a way to
20 make a correlative model between what they
21 have and what they've found in vitro as well.

22 So is there something that we can

1 use then to bridge these areas -- from in
2 vitro cell culture where we are testing these
3 particles against fibroblasts, endothelial
4 cells, cancer cells, macro(off mike), and
5 mammalian data? And the answer is, yes. And
6 what I've begun to use, in addition to my
7 drug delivery focus in my lab, is to look at
8 Zebrafish. It's a model organism that has
9 genome very similar to our own in a way that
10 we can then use medium through-put screening,
11 hopefully with the utility of robotics, many
12 move this even into high through-put
13 screening, and use it as an ability to assess
14 developmental toxicity. This is a very
15 conservative nanotoxicity screen. Using
16 Zebrafish, adult Zebrafish or Zebrafish
17 embryos, we can look at developmental
18 toxicity, phenotypic abnormalities, these
19 cannot be linked to genetic mutations. We
20 could also then take the fish that survive,
21 cross breed them, look at future generations,
22 look at any kind of epigenetic problems that

1 might arise, and then look at limited long
2 term studies for these with the fish.

3 The epigenetic, I'm not a
4 geneticist by any stretch, but I think this
5 is really interesting.

6 And when I teach the PharmD
7 students drug delivery systems, back in World
8 War II when the German soldiers would use
9 polymers as a plasma expander until they
10 could get transfusions, and then these men
11 would later come into the clinic and be
12 complaining about disease, they'd go in there
13 and they'd get a biopsy done, and, lo and
14 behold, they'd find this pvp polymer still
15 residing in their cells. And they called
16 this, if you look back in the literature, if
17 you dig really, really hard, they call this
18 the macromolecular syndrome where these
19 macromolecules will be localized in the
20 cells. They're not degraded, they're not
21 exocytose, and no one knows what the
22 long-term effects of that are. It's a term

1 in an area of research that there doesn't
2 seem to be really much concern for, but I
3 would venture and go out on a limb here and
4 say that I think that really needs to be
5 looked at.

6 So this gives you an idea of how we
7 do our Zebrafish experiments. We have a 96
8 well plate. We take one, we cross the fish,
9 we take within each well we put one Zebrafish
10 embryo, so they have a chorion around them to
11 protect them as they develop.

12 Now they hatch usually at around
13 five days. This is cut off over here, but
14 this is "HPF" hours post fertilization, so
15 120 hours post fertilization the Zebrafish
16 emerges and we can do one of two tests. We
17 can either incubate the embryos where we
18 place them into 96 well plate with (off mike)
19 or (off mike) solution, something that has
20 the ionic strength and what the embryos need
21 to survive at the temperature. Then we can
22 also drop in gold nanoparticles, silver

1 nanoparticles, polymers, around them, and
2 look to see if they can get through that
3 chorion, that protective barrier, or what we
4 can do is we can take a syringe and directly
5 inject it through the embryo.

6 So if we do this continuous
7 waterborne exposure, that would be one where
8 we have the embryo, it's incubating in the
9 presence of gold nanoparticles, let's say, we
10 can look at developmental toxicity. Paul
11 Tanguay up at Oregon State, he does a great
12 job of looking at dechorinated work and also
13 with a nanotoxicity where they basically
14 dechorinate the embryo here, and then look at
15 some exposures and then we turn around and we
16 look at assessing these. We can even wait
17 until the fish have already hatched and then
18 place the materials in there and see when
19 they uptake these. When they ingest these,
20 where do these particles go? What do they
21 do? What sort of genetic abnormalities do we
22 see?

1 In my lab we've done two systems,
2 primarily, gold and silver nanoparticles, and
3 also looked at some FDA approved polymers,
4 some pluronics, also known as poloxamers, and
5 polyethylene glycol.

6 So here's a pluronic,
7 representative pluronic. It has a
8 hydrophilic, hydrophobic hydrophilic block,
9 and we went and we did some exposures to the
10 Zebrafish with these. Now, pluronics are
11 widely used to deliver water insoluble
12 materials. They're amphiphilic, they have
13 both hydrophilic and hydrophobic nature. So
14 here's our control fish here. Here's the
15 eye, the jaw down here, this is the yolk sac,
16 this is the swim bladder. I didn't want to
17 overload with what different variables we can
18 look at, but when it comes to toxicity, as we
19 began to increase the amount of pluronic here
20 at 0.65MM, all the way up to 650MM, you can
21 see some changes begin to occur. One, you
22 know, the eyes begin to get some different

1 shapes, they get bigger, they could get
2 smaller. The yolk sac can begin to enlarge
3 or decrease or degrade. Even the number of
4 vertebrae will change. You can get curvature
5 in the spine both up and down. You can also
6 get an excess of fluid around the hearts.
7 You get a tamponade effect preventing
8 profusion throughout the body. So it's a --
9 I think really a great model.

10 So then as a control, we went back
11 and we looked at polyethylene glycol 2000.
12 It has everything in it from your food to
13 your shampoo. We use it every day. And we
14 exposed these to greater concentrations
15 again. We started off with 0.001MM up to
16 10MM so we did a large concentration. Of
17 course there were some viscosity effects, but
18 as we began to increase the concentrations,
19 again, we began to see changes in the fish
20 after they had hatched.

21 So are the FDA requirements that we
22 have right now for preclinical assessment and

1 QA, are they adequate to safely evaluate
2 nanomedicines? I'm going to say no. I hope
3 that doesn't affect my RO-1, my RO-21s are
4 being submitted by the people who are at the
5 NIH, but no. And part of the problem here is
6 that the people who are working on
7 nanotechnology are so far ahead with
8 developing these materials and the people who
9 are trying to catch up with looking at the
10 toxicity and development issues or epigenetic
11 issues, we're years behind, and the number of
12 people working on these, not only is the time
13 gap huge, but the number of people working on
14 this area are very small.

15 We need to find some in vitro
16 models that we can help to correlate to in
17 vivo systems. If we could do that and then
18 find some way to have some predictive
19 nanotoxicity that would be huge. Not only
20 could we then go back and say, hey, look,
21 yeah this is a great drug, but we dropped it
22 on to these fish, and this is a conservative

1 estimate, and it just wiped them all out.

2 Now, okay, well you could then take
3 that back to the higher ups and say, well we
4 could maybe do intra to oral delivery or
5 parenteral to oral delivery with this, but if
6 we try to do systemic delivery, we're going
7 to run into a bunch of problems.

8 And as I'm in a hurry and speed up
9 here, one example I want to give from the
10 literature where there are two different
11 groups of thought, and this is with gold
12 nanoparticles, and the ancient Egyptians used
13 to use gold nanoparticles as elixirs to drink
14 for vitality, so they've been around for
15 millennia. This isn't new.

16 Jahn-Dechent, at (off mike), he
17 looked at different sizes of gold
18 nanoparticles, and he stabilized these with
19 triphenylphosphine, extremely toxic, these
20 derivatives, and he tested it against four
21 cell lines -- fibroblasts, epithelial,
22 macrophages, and melanoma cells -- and what

1 he found was pretty interesting. Now, these
2 were all in vitro assays, and he found that
3 1.4 nanometer gold nanoparticles, showed the
4 highest toxicity IC-50 of 30 to 56MM.
5 Fifteen nanometer gold nanoparticles were
6 completely nontoxic even up to 100-fold
7 higher concentrations.

8 This is what was interesting if you
9 look in the conclusions, while 1.4nm AuNPs
10 caused rapid cell death by necrosis within 12
11 hours, but you drop that size by 0.2nm, we're
12 getting down to the size of a bond length
13 here, that they're causing rapid cell death
14 by apoptosis.

15 Now, we did the same thing with the
16 Zebrafish. We didn't use any cell lines. We
17 just simply went back and we looked at the
18 Zebrafish. So in the columns here, the
19 nomenclature, we have cAu50. That means
20 colloidal gold nanoparticles of 50
21 nanometers. And so we have 0.25MM of
22 colloidal gold nanometers, and then we

1 increase the concentrations as you go down.

2 As you go across you increase the
3 size of the particles and with a few
4 exceptions, we've really found no size
5 dependent toxicity that was visible compared
6 to what he found in vitro. Now does that
7 mean that he's wrong? No. He could
8 certainly well be on to something. But at
9 least it shows that there is more work that
10 needs to be done when it comes to at least
11 waterborne exposures that could possibly be
12 -- dermal exposures would be better for this,
13 but for right now, gold is inert, it's been
14 widely used, and for all intents and
15 purposes, it's a great vehicle.

16 Now, silver nanoparticles, on the
17 other hand, are extremely toxic that we've
18 found with our Zebrafish. Again, we have the
19 same scheme set up. Concentrations increase
20 with the columns and we have the particle
21 size increasing with the rows. And if you
22 compare, here's our control fish up here, and

1 we did -- these are all waterborne exposures,
2 and you can see with increasing the
3 concentration of even the 3 nanometer silver
4 nanoparticles, the yolk sac begins to expand
5 and we start to get these alien fish here,
6 the same sort of effect begins to occur.

7 And what's even more interesting
8 when looking at the data here, is that when
9 we have -- toxicity is a function of size and
10 concentration, but these markers for toxicity
11 -- jaw malformation or pericardial sac edema
12 or vertebrae number decreasing or curved
13 spines -- some of these variables would peak
14 sooner with different sizes or different
15 concentrations compared to others. So that
16 is an interesting question to answer but I
17 can only make my graduate student work so
18 many hours without being thrown away, but
19 those are some things I think that also need
20 to be looked at because silver nanoparticles,
21 they're really hot right now. Their
22 antimicrobial agents, I mean -- there's been

1 some issues with clothes, et cetera, silver
2 socks, but you can see just from this -- this
3 is the first study that we did and toxicity
4 was extremely hard.

5 So then we thought, well maybe it
6 was the formulation that we did, so we took
7 the silver nanoparticles -- I'm into the red
8 box, okay -- and we spun these down and
9 thought, well maybe we have some of the
10 toxic, organic solvents, maybe, as a
11 lingering contaminant. So we took the
12 supernatants and we dropped them onto the
13 fish as well and we didn't see any toxicity
14 with those.

15 When it comes to developing these
16 nano toolkits, these are just the basic basal
17 levels, I think, that have to be addressed.
18 When it comes to physical characterization,
19 molecular weight, particle size, surface
20 charge, and I forgot to put this on here, but
21 even the shape, the surface morphology, all
22 of those are going to have an effect upon

1 toxicity, every single one of them and, the
2 associated distributions with those.
3 Molecular weight has got polydispersity,
4 particle size has got polydispersity. What
5 about stability? What's their stability like
6 in aqueous media? Why don't we just incubate
7 some of these particles in 100 percent pure
8 plasma on the bench and see what kind of
9 proteins, if any, absorb to that surface.

10 Martin Filbert at the University of
11 Michigan does an excellent job in that area.
12 The purity of these, when it comes to
13 manufacture, what about lingering
14 contaminants? You've got antioxidants that
15 come into play. If you're looking at
16 polymers, you have homopolymers that could be
17 taken down the line. How reproducible are
18 these when it comes to manufacture? Yeah,
19 we're within our realm of particle size and
20 everything (off mike) whatever, but what
21 about the realm of toxicity? You're not
22 measuring antioxidant concentrations or

1 anything like that. Is that something that
2 we need to look at?

3 Also, drug release and
4 biodegradability profiles, that's especially
5 true when it comes to more of these
6 biotherapeutics.

7 I'm going to skip the top part here
8 but skip down here to the bottom. I've
9 already talked about this paper here. Chan
10 and others in 2008 looked at -- they found
11 the same sort of effect of gold nanoparticles
12 from a cellular response, but this is where
13 -- I want to point this out because this is
14 where discrepancy comes in the literature
15 when you're reading these papers. They used,
16 for their samples, they made one set of
17 particles, they borrowed two from another
18 investigator, and then they purchased two from
19 outside vendors. Hopefully I got that right,
20 but I know they had three different stocks.
21 Now, without rigorous characterization and
22 making sure that they're all within the same

1 realm, so to speak, how can you possibly jump
2 to make any sort of conclusions when it comes
3 to what cellular responses are occurring when
4 it comes to toxicity?

5 Now clean-up of nanodispersity,
6 it's going to be key, it's going to be very
7 expensive, and we all know that there are
8 molecular weight fractions of polymers that
9 contribute high molecular weight fractions,
10 small molecular weight fractions, those have
11 been shown in the literature. It's well
12 established that they have different areas of
13 toxicity, and what really bothers me when I'm
14 teaching this course is when we talk about
15 cremophore. Everyone knows how toxic
16 cremophore is, but yet it's still one of the
17 first vehicles of choice when it comes to
18 delivering a hydrophobic drug even though it
19 is extremely toxic, has a fatality that was
20 induced by it, and we have the patient, he's
21 already suffering from cancer, we're giving
22 him a poison, as in the drug, and then we're

1 using a poison for the vehicle. To me that's
2 adding insult to injury, and there are much
3 smarter ways to do these things.

4 And that comes back to reeducating
5 the pharmaceutical development and using
6 pharmaceutical chemistry. We have
7 outstanding pharmaceutical programs in the
8 nation, outstanding pharmaceutical scientists
9 that are being produced to optimize these
10 formulations so beginning with the initial
11 concept, we can fine tune these.

12 This last part here, I think it's
13 going to be difficult at the nano scale, the
14 FDA, I believe, needs to come up with a
15 system when it comes to the regulation of
16 looking at, how do you distinguish soluble
17 polymer systems against colloidal systems.
18 How do you distinguish nanoparticles versus
19 micelles versus polymer-drug conjugates?
20 Those are going to be key.

21 I'll skip over that one. So my
22 boys and I went and saw "The Hulk" the other

1 day. Now, as a kid growing up, Lou Ferrigno
2 was my idol and we already have some
3 nanotechnology. I mean, Bruce Banner, he got
4 some toxicity, he got gamma irradiated, so he
5 has to use a pulse rate monitor here to keep
6 his heart rate under 200, otherwise he turns
7 into this guy which is what I look like when
8 I get my reviews back. We have insulin pumps
9 that began with a huge backpack. Now they're
10 the size, essentially, of beepers on our
11 belts.

12 We have ICDs that will track your
13 cardiac rhythm and defibrillate if need be.
14 We have MEMs for therapeutics. We have some
15 great imaging agents, theragnostics that are
16 coming into play, and this is a new one
17 called a nanopump for diabetics. You can see
18 it's smaller than your fingertip and what it
19 does, it delivers nano liter quantities of
20 insulin continuously throughout the day,
21 disposable, their idea is, once a day, you
22 replace it. And if you're a diabetic, that's

1 exactly the kind of treatment you need to
2 have is a continuous dosing regimen compared
3 to an acute subcutaneous injection.

4 So my kids thought of this one
5 because they want an iPod, but I was thinking
6 iMed. Maybe someday we'll have something
7 like this that some patients will wear around
8 their arm or something that will track a
9 number of different parameters -- your EKG,
10 your heart rate, your respiratory rate -- and
11 then have built into it some acute meds for
12 disbursement.

13 We've cut a whole gamut of groups
14 that are looking at the safety and efficacy
15 and I want to go on record and say validation
16 of nanotechnology when it comes to buckyballs
17 and functionalize gold nanoparticles or
18 dendrimers or carbon nanotubes. We've got
19 federal agencies, private groups, that are
20 looking at this.

21 So when it comes to validation --
22 this is my last slide -- when it comes to

1 validation from the FDA perspective, it's
2 going to be easy to enact new regulations,
3 but it's going to be extremely difficult to
4 enforce them. This is going to be further
5 complicated without standards and with no
6 established nanotools.

7 Now we do have some standard gold
8 nanoparticles that we can -- and I think
9 dendrimers and I don't know if we have carbon
10 nanotubes now or not, that we can use when it
11 comes to comparisons for toxicity that we can
12 get from this. But we need to establish
13 metric benchmarks for stability, size
14 distributions, in vitro and in vivo data.
15 That's going to be extremely important so
16 that everyone is playing on the same field,
17 whether you're buying the carbon nanotubes in
18 bulk from company X or you're borrowing them
19 from investigator Y, that you can compare
20 these head to head.

21 But there's always going to --
22 there's increasing political and economic

1 pressure to deliver these to the market right
2 now when it comes to nanotechnology, and we
3 already have them out with clothing and
4 cosmetics, but nanomedicines are on the
5 horizon and it requires substantial
6 investment and the time to bring to market is
7 extensive. But with FDA hesitance, it could
8 run the risk of stifling commercialization
9 and that's going to be the downfall of all of
10 this. I think we all have the tools, we have
11 the brain power, we have the motivation to
12 get these interdisciplinary fields together
13 and try to come up with a new toolkit design.

14 And finally I just want to thank
15 Professor Ralph Albrecht at Wisconsin who
16 helped. He's been invaluable with the gold
17 nanoparticle work. My chair and a good
18 friend, Dick Peterson, with the Zebrafish.
19 My good friend Dave Grainger, who's the chair
20 of pharmaceutical chemistry at University of
21 Utah. And my graduate student who was
22 working on this, Ofek Bar-Ilan. And thank

1 the Pharma Foundation and the Coulter
2 Translational Research Award and also UW for
3 their funding.

4 MR. MORRIS: Thanks, Darin.

5 MR. FURGESON: Sure.

6 MR. MORRIS: So, are there any
7 questions for clarification before we
8 transition to the discussion of the
9 questions?

10 MR. COLLINS: Jerry Collins. Great
11 talk, great overview, but just to clarify the
12 one slide in the middle that I'm concerned
13 people may have gotten the wrong message.
14 You asked the question and we'll be debating
15 it whether FDA requirements for preclinical
16 assessment would be adequate to safely
17 evaluate nanomedicines, and I think the
18 context is important. I think that the
19 context you were speaking about was in the
20 development phase, the screening phase when
21 you're trying to figure out what you have. I
22 don't think -- I don't want to put words in

1 your mouth, but I'm just trying to clarify
2 whether you were also extrapolating to the
3 kinds of safety testing that we do before
4 putting these products into humans, because I
5 didn't see any evidence presented in your
6 talk, but --

7 DR. FURGESON: No, not at all. I
8 just think that this is another area that
9 could be added and I know that, like the NCL,
10 they've been looking at this. But right now,
11 I mean, it's extremely stringent which is why
12 it takes so long to get things through. You
13 know, development costs so much money.

14 MS. TOPP: Yeah, I enjoyed your
15 talk too. Thanks very much.

16 MR. FURGESON: Sure.

17 MS. TOPP: I just have a quick
18 question about the toxicity studies both
19 yours with Zebrafish and some of the other
20 ones that involved cultured cell lines.

21 MR. FURGESON: Yes.

22 MS. TOPP: Do you know if in any of

1 these studies the particles actually
2 agglomerate and then fall out of solution and
3 are sort of either floating on top of --
4 sitting on top of the cells, or have, in your
5 case dropped from the solution around the
6 Zebrafish and are sitting on the bottom of
7 the pools?

8 MR. FERGUSON: That's a great
9 question. And I'm not going to tell you the
10 answer. Yes, when we were doing our
11 Zebrafish studies, the first thing I was
12 worried about was that, okay, yeah, we can
13 drop these nanoparticles on there, but if
14 they just all precipitate down to the bottom,
15 we're going to have some sort of
16 concentration gradient and it's not going to
17 be worthwhile.

18 No, with our Zebrafish studies, we
19 do not have precipitation like that. With
20 the cell culture studies, I can't say with
21 any sort -- with confidence, if they saw
22 that. It wouldn't surprise me because they

1 are incubating these with -- if they were
2 using plasma or FBS in their cell culture
3 medium, you could have some protein
4 absorption and, yes, dragging them down.
5 Exactly.

6 MR. MORRIS: So thank you. If
7 there are no other clarification questions,
8 thanks again to Mr. Furgeson and we're going
9 to move to the discussion --

10 (Interruption)

11 MR. MORRIS: Okay, we're back
12 online. In the interest of time, we thought
13 what we would do is start the discussion now
14 then break for lunch pretty much on time and
15 then resume it after lunch and our open
16 public hear speaker has graciously agreed to
17 speak a little -- she hasn't agreed to speak
18 a little later, but now we're certain that
19 she would -- no, it's possible. Either that
20 or they'll go first and then we'll continue,
21 but one way or another, we will get fed.

22 At any rate, so we have the

1 discussion set portion of the first topic now
2 on nanotechnology. The questions are going
3 to be on the screen and once again if I could
4 just ask the panel members to just raise
5 their hand and let Diem capture us in the
6 order in which we are going to be recognized
7 and state your name as we start discussing.

8 So with that, the first question
9 is, is specific CDER guidance needed for the
10 development of nanotechnology derived drug
11 applications? So I open the floor for
12 comments.

13 I can start if nobody's -- this is
14 Ken Morris. One of the things that I thought
15 about reading the background material was
16 that much of what we would be concerned with
17 with nanotechnology, however different it may
18 end up being, should be captured in part by
19 -- I hate to go against what you'd said, but
20 in the quality by design paradigm. Not that
21 we're discussing the quality by design
22 initiative, but the underlying precepts of

1 quality by design would dictate in part that
2 scientific rationale and logic that was used
3 to develop the materials would be one of the
4 things that would be reported normally and
5 researched normally, and that's just -- that
6 was just my impression after reading the
7 background materials, as I said.

8 Marilyn?

9 MS. MORRIS: Well, in listening to
10 --

11 MR. MORRIS: If you could just
12 state your name.

13 MS. MORRIS: Marilyn Morris. In
14 listening to the presentations today with
15 regards to nanotechnology and reading the
16 background material, there seems to be
17 somewhat two topics which are overlapping and
18 yet somewhat distinct and these are the fact
19 that there are chemicals that are in nanosize
20 and changes in formulation of chemicals, and
21 the second topic deals with really nanosize
22 particles and more in the drug delivery area

1 and there's, I think, some differences that
2 need to be recognized in the guidance.

3 Certainly, as an overview, there's
4 certainly a need for looking at various
5 characteristics of nanomolecules whether
6 they're nanosized chemicals or whether these
7 are drug delivery systems, and I think what
8 all the speakers have characterized is it's
9 important to look at physical characteristics
10 of these. Certainly size, size distribution,
11 charge, shape, aggregation -- these are going
12 to be important for really all molecules, all
13 nanomolecules.

14 Potential differences just due to
15 the size of the chemicals themselves, changes
16 in pharmacokinetics distribution of these --
17 does this change the therapeutics, does this
18 change toxicity -- that will be important.

19 With regards to nanotechnology and
20 drug delivery systems, again, this is going
21 to be (off mike) important with regards to
22 all the physical characterization and also

1 the biological characterization, the
2 pharmacokinetics, different biological
3 interactions, possibly, discrimination
4 between the chemical and the drug delivery
5 system, does the drug delivery system itself
6 have toxicity, the fate of the drug delivery
7 system, the release characteristics, the
8 mechanisms of interaction, so there's a
9 number of differences when we're talking
10 about drug delivery systems, and so I think
11 in looking at all of this, it's important to
12 think about, first, the chemical, in a
13 nanosize, plus the use of nanotechnology as
14 it relates to drug delivery systems.

15 MR. MORRIS: And I guess just to
16 follow up, so do you think that that needs to
17 be captured in a guidance as opposed to being
18 covered by existing guidance?

19 MS. MORRIS: I think it needs to be
20 captured in a guidance.

21 MS. TOPP: This is Liz Topp. And I
22 just have a little follow up. Is that okay?

1 Am I okay, Diem? So I just had a little
2 follow up comment and I think Ken and
3 Marilyn, you both raised really good points
4 and I think one of the questions we have to
5 ask is, are there unique properties of these
6 nanosized materials that don't fall under
7 existing regulatory considerations? Are
8 there unique characteristics that somehow
9 would not be captured if we just submitted
10 these to the normal regulatory pathways? And
11 I don't know the answer to that question, but
12 one of the concerns that I have is that at
13 the nanosize, particularly engineered
14 nanosize materials, start to be flags for the
15 immune system and so the body responds to
16 viruses as nanosized materials and says, oh,
17 my gosh, we've got to do something about
18 this, whereas the array of materials,
19 chemicals, in that nanosized particulate
20 might not, by themselves, cause the same
21 kinds of immune response.

22 So that's an example of one area

1 that I think considerations for molecular
2 sized materials, molecular sized drug
3 products, or more macro scale drug delivery
4 systems like tablets that somehow that the
5 information -- things that are happening at
6 the nanoscale may not be captured by a
7 regulatory process that is used to dealing
8 with either molecular scale materials or
9 macro scale.

10 MR. COLLINS: Jerry Collins. I
11 don't think that any specific guidance is
12 needed for clinical evaluation. My
13 impression is that the tools that we have for
14 doing, first in human and IND guided studies
15 are perfectly adequate. For toxicology
16 studies or for IND directed studies in
17 general, I'm not personally aware of any
18 evidence that the current testing paradigm.
19 It may be just as clunky for nanotech
20 products as it is for synthetics and natural
21 products and everything else, but I don't see
22 anything that makes me worry that it's going

1 to be worse for them. There are a number of
2 factors that (off mike) that anyway and that
3 are very important, like the immunological
4 ones, but that's sort of the routine thing.

5 I think there needs to be a tighter
6 integration between the manufacturing process
7 and the preclinical studies. With small
8 synthetic molecules the nature of
9 characterization isn't nearly as important as
10 it is for biologicals and I would say
11 nanotechs are more like those.

12 In terms of whether FDA has
13 anything to offer in terms of advice, we
14 haven't really heard that this morning. What
15 would be really useful in terms of guidance
16 is to say, FDA has received 250 INDs. As a
17 result of that review we found that many
18 preconceptions of problems didn't exist.
19 Certain trends are existing that should be
20 done. I don't think collecting a bunch of
21 people around in a room and saying, I wonder
22 if we should do this and I wonder if we

1 should do that, is nearly as important and as
2 helpful as making it an experience-based
3 guidance document.

4 MR. MORRIS: Ken Morris. Just one
5 question. I guess my only question, Jerry,
6 to your comment is that one might argue that
7 by the time you've accrued that much data, if
8 a problem is showing up, you might be queried
9 on why he didn't anticipate it. And so I
10 guess if we were looking at the transitioning
11 of current compounds or increment dosage
12 forms that obviously don't have any problem
13 because they're on the market, that with some
14 of what you were talking about, Liz, that new
15 problems show up, whether or not there's need
16 to discriminate between nanofication of
17 existing products versus development of new
18 products where they would have gone through
19 the full rigor of first in human, IND
20 process.

21 MR. COLLINS: Jerry Collins. Well,
22 I thought that was more the second and third

1 question in terms of prioritizing the areas
2 of greatest need, so maybe I should modify
3 what I say that there should be some early
4 warning system that comes out of the IND
5 review process. I mean, there is an
6 agency-wide task force to look at
7 nanotechnology and I just -- again, you don't
8 have some magic number at the beginning
9 saying, I won't look at the data until I've
10 got 200 INDs. If something starts showing up
11 then you want to feed that forward into the
12 process right away. So that would be a good
13 amendment.

14 MR. MORRIS: Any other comments
15 before we -- is this the voting question? Is
16 that correct? So at this point, if there are
17 no other questions or comments, we should
18 call for a vote and so this is the new
19 system, so the voting -- I'll read the
20 question in a moment -- but the voting is on
21 your mic base and it has a yes, no, and
22 abstain, if you notice there. You only get

1 to vote once. It's not like Chicago.

2 MR. GOOZNER: This is Merrill
3 Goozner. We're going to vote before the
4 public comment?

5 MS. NGO: There's no open public
6 hearing speaker for this topic.

7 MR. MORRIS: I knew that, but I
8 wasn't allowed to say it. I would have been
9 audited, I think.

10 So what we'll do is after we vote
11 -- I'm sorry, did somebody else have a
12 comment? So I'll read the question. We vote
13 on the electronic version, and then we'll go
14 around had have everybody verbally describe
15 their vote for reasons we can discuss later.
16 For the record. Well that's for the record.
17 The reason we do it in this order is more
18 interesting, so with that, let us go ahead
19 and read the question here.

20 So the question is, is specific
21 CDER guidance needed for the development of
22 nanotechnology derived drug applications?

1 And it's yes, no, or abstain. So you can
2 vote at your leisure here.

3 (Voting)

4 MR. MORRIS: Okay, we have it on
5 good authority that everyone is in. So if we
6 could, do you mind if -- Carol, if I pick on
7 you and we start and go around?

8 MS. GLOFF: My name is Carol Gloff
9 and I did vote yes. However, I want to
10 explain that briefly. I didn't feel the need
11 to express comments during the comments by
12 others because I think they expressed pretty
13 well many of my feelings.

14 I think specific CDER guidance is
15 needed. I'm not convinced it's a new
16 guideline though. I think people need
17 feedback as to the types of things that might
18 be appropriate for them to emphasize. And
19 again, I'm not convinced that's a specific
20 guideline, so it's feedback with pre-IND
21 meetings or feedback in other ways that might
22 be more appropriate than a formal guideline.

1 MR. COLLINS: Jerry Collins. I
2 just voted along the lines of my comments
3 earlier as I think it's premature to give
4 recommendations until the experience is
5 evaluated at the transition from
6 manufacturing to preclinical studies and the
7 other areas, I think are, as far as I can
8 tell, there's no evidence that there are
9 problems there.

10 After experience is gained I would
11 change my vote and say, yes, when we have
12 something to offer, we definitely should
13 share it as widely as possible.

14 MR. MORRIS: And also, if everybody
15 could say how they voted. The camera is on
16 the record when you start.

17 MR. GOOZNER: This is Merrill
18 Goozner. I voted yes, along the lines, I
19 think of what you were talking about which
20 is, I think there's enough -- they must have
21 enough experience to know as they go through
22 a process of writing a guideline, what

1 anticipating what some of the data needs will
2 be, and I'm not sure that that's all out
3 there currently when it comes to evaluating
4 not just the drug, but also the material, the
5 (off mike) drug.

6 MR. KIBBE: Art Kibbe. I voted no
7 for the same reasons that Carol voted yes.
8 At the end when she said that there really
9 isn't anything dramatically unique in my mind
10 about the kinds of things that we do in terms
11 of good manufacturing practice, good
12 laboratory practice, and testing, and
13 evaluation, that aren't already well codified
14 and if you follow good scientific process, I
15 think that what we have will cover it
16 although I think it would be useful for these
17 companies to do what we suggest all companies
18 do when they have a unique or new product
19 coming out, and that's to get to the FDA
20 before they go too far and have those
21 discussions with FDA so that everybody's on
22 the same page.

1 Often the case is, that a company
2 with a unique or novel approach or a new
3 chemical entity, will know far more about
4 that approach or chemical entity than any of
5 the regulators do -- and I'm not trying to
6 insult regulators -- but they just aren't
7 doing the research in that area and those
8 discussions go a long way to making the
9 regulation reasonable. And I don't think you
10 need a new guidance to get people to come in
11 and do that.

12 MR. MEYER: Well, I could have been
13 the tie breaker, so for suitable remuneration
14 I could swing the vote here. No, I do have a
15 conflict. You're right.

16 I try not to vote on this that I
17 don't have enough information on, and while
18 we heard three excellent presentations, to me
19 there were -- I would have liked to have seen
20 like a side-by-side of what are the issues,
21 and what does FDA already do, not being
22 intimately involved with the review process,

1 so I don't know how many of new problems are
2 already covered by FDA and if so, then we
3 don't need a guidance. I could certainly, in
4 my opinion, the second presentation by Steve
5 Ruddy, sounded like a dosage form that is
6 pretty well already covered. We pretty well
7 understand it's smaller, there may be some
8 tox issues, but it's a more conventional than
9 the first presentation by Tamarkin. That
10 sounded like a much more complex dosage form
11 that probably would take maybe at least some
12 new things to look at, new things for the FDA
13 to request.

14 Somewhere I read one of the issues
15 is FDA shouldn't drag their feet or begin to
16 invent the wheel after somebody comes in with
17 a three wheel cart. You need to have these
18 anticipated to the extent then you can, in a
19 timely way, anticipate and process an
20 application. So I think it would be good to
21 have some type of guidance that would
22 anticipate problems that are not covered. I

1 didn't vote for that because I'm not sure
2 there are any although there probably are.
3 So that's kind of why I was in limbo.

4 MR. KOCH: Mel Koch. I voted for
5 it largely based on background understanding
6 and also to build on some of the points that
7 Marilyn made earlier in terms of some of the
8 discrepancies between the chemical and the
9 dosage form.

10 I also think that the guidance puts
11 a little bit more -- pressure may not be the
12 right word, but a little more appreciation
13 for the concern as it goes to the
14 pharmaceutical companies. There's certainly
15 -- we don't want to get into the "well after
16 the fact" type concerns we had with say,
17 asbestos, when we see nominally a lot of good
18 uses for it, but there's a bad actor in the
19 bunch that we should probably have understood
20 earlier. So for that reason I would like to
21 see a guidance.

22 MS. NEMBARD: I'm Harriot

1 Nembhard. I voted in favor of a guidance. I
2 think that what we have seen with
3 nanotechnology in general is a higher burden
4 in terms of integrating knowledge,
5 particularly statistical knowledge, across
6 manufacturing, clinical, and even
7 environmental impact of, in this case,
8 pharmaceuticals. So for that reason, I voted
9 in favor of having a guidance and
10 particularly would be interested in seeing
11 this sort of lifecycle approach taken and
12 integrated into such recommendations.

13 MS. TOPP: I'm Liz Topp. And based
14 on my comments this morning, many of you
15 might be surprised that I voted against
16 having a guidance. I feel like I really need
17 to explain myself.

18 I look at the issue with regard to
19 nanomedicines as being a question of safety
20 and efficacy. And with regard to the
21 presentations we've heard this morning, we've
22 heard a lot of really fascinating and

1 compelling information -- I've heard a lot of
2 really interesting data and read a lot of
3 interesting data that suggests that these
4 materials can be uniquely efficacious. They
5 can be fabulously efficacious, targeted
6 delivery to tumors, and also really some
7 interesting solubalization phenomena. We've
8 heard about that this morning. So I think
9 with regard to efficacy, we're going to cover
10 the efficacy of these materials and there's
11 compelling scientific data to say that the
12 efficacy -- we'll have data to see the
13 efficacy.

14 My questions really come down on
15 the safety side. Will these nanomaterials
16 turn out to be safe and efficacious
17 materials? And I think in the last
18 presentation, Darin's presentation this
19 morning, what I heard from that presentation
20 and other things that we've read, is that we
21 really don't have a good idea about whether
22 nanomaterials are going to be toxic in the

1 long run and we don't really have good assays
2 for figuring out how particulates interact
3 with the body, so even in the area of vaccine
4 adjuvants, for example, we don't really
5 understand how vaccine adjuvants do what they
6 do, how they stimulate or interact with the
7 immune system.

8 So on the efficacy side, I think
9 we've got it covered. On the safety side, I
10 don't think we have the tools, really, to
11 tell us whether they're going to be safe or
12 not. We simply don't have enough of a track
13 record.

14 So for that reason, I think the
15 efficacy issues will be covered by existing
16 FDA policies and procedures, and the safety
17 issues, we simply don't have the tools. So
18 right now, I don't think a guidance is
19 appropriate.

20 MS. MORRIS: Marilyn Morris. Well,
21 I voted in favor mainly due to what I see as
22 the complexities and a number of the

1 differences from natural -- from other
2 therapeutic agents. However, I had
3 difficulty in coming to that decision because
4 I felt maybe I should abstain because I'm not
5 aware of whether or not all the formulation
6 issues, the safety and efficacy issues, are
7 covered by other guidances, and so although I
8 voted for it, again I was sort of on the line
9 for doing so.

10 MS. ROBINSON: Anne Robinson. My
11 vote was no for the same reasons, really,
12 that some other people have discussed, for
13 the "no" reasons although I do agree,
14 particularly with the drug delivery and other
15 things, using new materials or old materials
16 in a new way, that there could be some
17 concerns about the safety, again, as Liz Topp
18 suggested, it's not clear what those should
19 be and so I think right now, based on that
20 and the background material, it's not
21 appropriate to have guidance.

22 MR. MORRIS: Ken Morris. I voted

1 no. I really wanted the "nes" category, the
2 no/yes category, for the same reasons.
3 Basically there were a couple -- to Harriet's
4 point, the idea that we should be integrating
5 and coupling development and manufacturing, I
6 agree. That is something that needs to be
7 done. But it needs to be done and I think
8 that's what we're trying to push with the
9 larger guidance and initiatives that we're
10 trying to push now, and the other reason was
11 more a combination, actually, of what Liz and
12 Marilyn and somebody else had said, I guess
13 maybe Jerry is that with respect to guidance,
14 a premature guidance probably does more harm
15 than good even if there eventually will be
16 enough information on some of the topics
17 discussed, so that was my rationale.

18 One thing that I neglected to do
19 that we have to do before we do what we just
20 did is raise your hand and swear to turn your
21 money over to me. No, no, raise your hand as
22 we call for yes and no votes. Is that

1 correct? So everyone who voted yes, please
2 raise your hand. Good enough. And for
3 everyone who voted no, please raise your
4 hand. And for everyone who voted "nes" or
5 abstained, please raise your hand.

6 MS. NGO: Okay, for the record,
7 that's five yes, five nos and one abstention.

8 MR. MORRIS: So are we ready to
9 break at this point? Okay, so we can either
10 go to 12:30 and do one more question then
11 break or we can break now and return to
12 discuss. Does it push your buttons -- no,
13 no, so we would like to continue? So let's
14 continue.

15 So we're going to go on to question
16 two. And question two, if a guidance is
17 needed -- so let's take that as the
18 hypothetical -- given our last vote, we
19 haven't decided exactly, what areas should
20 these guidelines focus on? And I sort of
21 struggle with whether this should have been
22 first, but it's the same problem. If we put

1 it first then we presume that the answer to
2 the next question would be yes. So again, if
3 guidance is needed from CDER, what areas
4 should these guidelines focus on? So can we
5 start discussion?

6 MS. TOPP: Yes, I'll jump in and
7 try to get my microphone to work this time.
8 So I think everyone who voted no, is really
9 recused from -- no, so that only five people
10 really get to talk now.

11 I think really one of the issues is
12 the safety issue. You know, how do you
13 assess the safety long term, short term,
14 safety toxicity issues of these materials?
15 And I had an interesting conversation with
16 Harriet earlier and she can weigh in on this
17 if she would like, as someone -- she's
18 someone who's interested in manufacturing of
19 nanomaterials and apparently when she submits
20 NSF proposals, the NSF is quite concerned
21 about the environmental burden of any
22 nanoparticulate materials. Well, if the NSF

1 is concerned about the environmental burden,
2 perhaps we should be concerned because
3 ultimately we're concerned about the human
4 effects and the effects on things like
5 Zebrafish, so you know, we should be
6 concerned about those issues at this level as
7 well.

8 MR. GOOZNER: Merrill Gozner. Let
9 me just underscore that. When the lifecycle
10 approach was raised earlier, I know that it
11 talked to a lot of the concern, what I was
12 thinking about a lot that was raised in the
13 taskforce report and I was surprised that
14 nobody addressed it this morning but that is,
15 what happens to these things when they go out
16 in the environment? One of the slides that
17 stuck in my mind this morning was, you know,
18 only 35 percent got captured by the body.
19 That meant it was a great thing, 65 percent
20 was excreted. Well, where did it go? What
21 is it? What does it do? And the FDA -- he
22 held up the drinking water. Exactly right.

1 The FDA historically, I think has not -- you
2 know, I'm not an expert in this, but I think
3 it hasn't really concerned itself with that
4 question. But maybe it's time that it begin
5 dealing with some of those kinds of
6 questions.

7 MR. MORRIS: Liz? No. Meyer? Oh,
8 no Marv.

9 MR. KIBBE: Dr. Kibbe here. Just a
10 quick statement about the -- there's been a
11 lot of work through EPA on drugs, residual
12 and groundwater. There's a lot of
13 international -- look at that, and I don't
14 think that necessarily is something that
15 ought to be part of a submission per se and I
16 don't know why the FDA wants to get into
17 that, but that is a concern in general
18 because, especially cytotoxic materials that
19 are not easily biodegradable going into the
20 water system because we do a lot about
21 recapturing out of date toxic drugs and how
22 carefully we take care of it and then we give

1 it to a person and then they excrete it and
2 it goes into the standard sewer system and
3 then you find it in groundwater, but whether
4 that's something that we should be addressing
5 is, I think, it's beyond where we need to go
6 and I voted no because I think a lot of the
7 standard questions we ask on every compound
8 that comes before us, is it going to be in
9 the body a long time? Are we going to use it
10 chronically? Are we going to use it acutely?
11 What kind of toxicity studies do we need? Do
12 we need three- generation teratological
13 studies? Those things are already in the
14 literature and in the guidances and that's
15 why we don't need them.

16 MR. KOCH: Mel Koch. I guess my
17 concern would be around the safety issue
18 primarily but then afterwards I've got some
19 concerns that bridge on toxicity but have
20 more to do with mechanism of action, and that
21 is the particles get smaller is indeed the
22 mechanism of action, absorption, et cetera,

1 were they following the same track as we
2 would expect from the macro on its way down.

3 And then another concern, really,
4 is in the environmental area and I go back to
5 the early days when biopolymers were quite
6 popular. We found that until they were
7 dramatically modified, there were some
8 problems because of a biopolymer degrading to
9 a monomer ended up as something that was
10 actually more toxic than the polymer and I
11 think as progress has shown, much more
12 attention has shown that the biodegradable
13 material is what's safer.

14 And then we go into some of the
15 disposal issues in terms of where is the
16 ultimate fate, and you look at some of the
17 disposal concerns now with electronics and
18 LCDs and other things in terms of how does
19 one handle it ultimately. So I think a lot
20 of these are reasons for at least addressing
21 a guidance.

22 MS. NGO: Dr. Nembhard.

1 MS. NEMBHARD: Again, I would like
2 to reiterate that I think that it's very
3 important that guidance for emerging
4 nanotechnologies really focus on a
5 collaboration with the other agencies. For
6 example, Dr. Furgeson's last slide indicated
7 the number of agencies that have an interest
8 in developing and overseeing nanotechnologies
9 including OSHA and EPA.

10 Again, if we're looking at
11 lifecycle and end of lifecycle issues for
12 potential new drugs, I think it's important
13 to understand safety issues for people who
14 are actually doing the manufacturing. It's
15 important to understand how transfers and
16 processing and tooling of nanomanufacturing
17 impact the drug in terms of both its
18 mechanism, how it's made, and how those
19 materials are recaptured at the end, again,
20 of the lifecycle of the drug whether that be
21 through excretion or even just waste in the
22 manufacturing process. I think that all of

1 these are issues that I can certainly be
2 educated on how far the FDA's oversight would
3 go on this issue, but I think it does point
4 to a need for collaboration particularly for
5 nanotechnologies in this area.

6 MS. ROBINSON: Just to offer the
7 maybe contrasting opinion, although not to
8 belittle the importance of the impact on the
9 environment, I think I agree that the
10 connecting the different agencies is
11 important. I don't think that -- let me take
12 a step back and say, with any pharmaceutical
13 I think what Dr. Ruddy pointed out was that
14 making things in nanoparticles actually
15 enhance the absorption into the body and
16 decreased the dosage that was required.

17 What that means is, if you think of
18 the mirror of that means that in the normal
19 dosage, more is excreted and that's an
20 environmental concern. So it's an
21 environmental concern for any pharmaceutical
22 what happens when it leaves the body and is

1 excreted. And I don't think that this issue,
2 although it's very critically important,
3 falls under CDER's purview.

4 MR. MORRIS: Keith?

5 MR. WEBBER: Just a point of
6 clarification for the committee as well as a
7 question I had. FDA -- or CDER, FDA in
8 general, is required under the National
9 Environmental Policy Act, to address
10 environmental issues related to the approval
11 of drugs so we do take that into
12 consideration when we evaluate applications.
13 Just so everyone knows we do do that.
14 Regarding the question of safety, are there
15 unique aspects of nanotechnology of products
16 that we should consider from a safety
17 perspective that wouldn't be evaluated under
18 our normal safety evaluations and the
19 question of what should go into a guidance if
20 we had one, what factors should we consider
21 in that regard?

22 MR. MORRIS: Yes. I just have a

1 comment and then Carol and then Liz.

2 Yes, that actually, Keith, that's
3 sort of the point I was going to raise. To
4 me, I think the issues are -- in terms of
5 what the guidance -- what areas the
6 guidelines should focus on, in a sense it is.
7 It's what is it that's unique about a nano
8 either technology or whether it's just a
9 technology in the sense that it's the
10 technology used to produce it that gives you
11 the size characteristics, or that it's
12 actually a -- we haven't talked much about
13 device issues but there are issues with
14 devices whether they be external devices or
15 internal devices that really do create unique
16 manufacturing processes as well as the mode
17 of action might be different, so in a sense I
18 was sort of thinking that it should focus on
19 identifying what it is that's truly unique
20 about a given nanotechnology and maybe at
21 that line even categorize what is and isn't
22 unique in the broad brushstroke sense of the

1 word. So uniqueness if you will.

2 And Carol, I think you're next.

3 MS. GLOFF: Carol Gloff. Yes, I
4 was going to make the point as well, we need
5 to focus on what is unique about
6 nanotechnology relative to the types of
7 products that are widely being developed or
8 on the market at this point in time, and I
9 look at that both from the manufacturing
10 perspective but also from the safety
11 perspective and perhaps a bit to answer
12 Keith's question, one of the things that goes
13 through my mind, maybe because I'm a
14 pharmacokineticist at heart is, looking at
15 clearance and looking at where these
16 nanoparticles are going.

17 I think for at least many
18 traditional drugs that are developed and have
19 been developed over the years, there
20 certainly is some pharmacokinetics that are
21 done in animals in advance, probably some
22 biodistribution, but there's not a major

1 emphasis on that and I think there may need
2 to be an additional emphasis on that for
3 these types of products -- nanotechnology
4 products, that could then help us to predict
5 and to investigate further what sort of
6 safety and toxicity issues we might run into.

7 MS. TOPP: Yes, Keith. I would
8 like to give my little answer to your
9 question. So you asked the question, are
10 there unique safety issues with regard to
11 nanoparticles? And I think the answer is,
12 well there might be because of their size.
13 They might have unique safety toxicity
14 concerns specifically because of their size,
15 not because of their chemical composition,
16 but because they lay between this macro issue
17 and these molecular scale issues.

18 And then the second part of your
19 question was -- so the answer to the first
20 part of your question was maybe. And then
21 the second part of your question is, what
22 specific guidance should be given? And the