

1 see such a machine. I think the only chance that we  
2 have of ever getting machines into a waived status,  
3 into the kind of laboratories that we've been talking  
4 about today, is if we're allowed to impose some sort  
5 of training requirements, even if they're fairly  
6 minimal, on the people who are going to operate  
7 these. If we have to make the decision on the basis  
8 of a receptionist with five minutes of instruction  
9 being able to produce accurate and non-threatening to  
10 patient care results, I don't think that the  
11 manufacturers are going to want to put that kind of  
12 fail-safe device on a machine for a waived setup.  
13 It's just going to be way too expensive.

14 DR. ADCOCK: Dr. Ng.

15 DR. NG: I just want to comment. The  
16 article that Dr. Kost is referring to, we call in our  
17 lab, the 43 rules. Okay. So there are 43 rules in  
18 there, and they're predicated on was it 15,000  
19 samples analyzed in 3 to 6 laboratories. It's a huge  
20 number of samples, and that those 43 rules were the  
21 only 43 that group could agree on, that there were a  
22 bunch of --

23 DR. KOST: 13,298.

24 DR. NG: Okay. 13,000, I was close, 200,  
25 okay, but there a bunch of more things that each

1 group wanted, but nobody could agree on in terms of  
2 consensus. So I took this paper to say this is the  
3 minimal, the minimal type of re-flex testing that  
4 needs to occur with the CBC, and each laboratory  
5 should feel free to add more which we have done.

6 And I'm sorry, I did have another comment.  
7 That related to Dr. Snyder from Worcester. It seems  
8 to me, you know, you're hearing a lot about we have  
9 grave concerns about generating quality CBC results,  
10 and if you work in a system where you have a  
11 distributed clinic site and access is an issue,  
12 because you're hearing the testing has major quality  
13 issues, should we not instead direct our effort at  
14 improving access instead through better courier  
15 service, better transportation, to get it to a  
16 laboratory that can generate good results instead of  
17 trying to send what we consider a problem prone  
18 method and distribute it out to locations where we're  
19 not comfortable people can do the tests correctly.

20 DR. ADCOCK: Dr. Gutman, it seems that for  
21 the waived testing, we cannot make a stipulation  
22 about training.

23 DR. GUTMAN: That's correct.

24 DR. ADCOCK: Do you feel that based on the  
25 summary that we've provided to you that this is

1 adequate information for you?

2 DR. GUTMAN: Yes, it's very helpful.

3 DR. ADCOCK: Shall we move onto question  
4 number 2 please.

5 MS. BAUTISTA: Question 2, Analytical. In  
6 performing CBC/Diff testing, laboratory professionals  
7 traditionally control for a variety of biological  
8 factors that produce analytical variation. These  
9 include cold agglutinins, rouleaux, osmotic matrix  
10 effects, platelet agglutination, giant platelets,  
11 unlysed erythrocytes, nucleated erythrocytes,  
12 megakaryocytes, red cell inclusions, cryoproteins,  
13 circulating mucin, leukocytosis, in vitro hemolysis,  
14 extreme microcytosis, bilirubinemia, lipemia, etc.

15 Please explain what data/information a  
16 waiver submission should include to address these or  
17 other analytical issues; or if these issues cannot be  
18 adequately addressed and a submission for waiver  
19 categorization, please explain why.

20 DR. ADCOCK: Would any of the Panelists  
21 like to begin the discussion?

22 MS. RICE: I'll say something.

23 DR. ADCOCK: Ms. Rice.

24 MS. RICE: I don't think you can. I think  
25 that all of these variables will come up as flagged

1 results. If you are going to a health fair, if you  
2 are going to a pharmacy to have your CBC done because  
3 you don't feel good, you're going to have a printout  
4 given to you of a flagged result. You're going to  
5 see a number there, but it will be flagged, and  
6 you're going to go do a Google search and see what  
7 this means, and you come up with 100 things. There's  
8 nowhere that says you need to go to a physician  
9 because this result is flagged. Nothing is going to  
10 make sure that you go a physician to have it followed  
11 up. There are too many variables there that a  
12 patient is met with when you're outside the clinical  
13 testing which waived testing is.

14 DR. SANDHAUS: I'd like to respond to that.

15 DR. ADCOCK: Dr. Sandhaus.

16 DR. SANDHAUS: Thank you. As we've heard,  
17 the state of the art automated hematology analyzers  
18 that are currently in use in laboratories generate  
19 many, many flags, and these flags do not specifically  
20 identify interferences. They merely suggest what  
21 interferences might be present, and then laboratories  
22 have procedures of what steps must be followed up on  
23 to assess the significance of that flag. And since  
24 that can't be done in a waived setting, there won't  
25 be follow-up at that site on that test result, my

1 recommendation would be that what would be a flagged  
2 result in a laboratory setting should be a suppressed  
3 result in a waived setting. A number should not be  
4 produced with a flag in a waived setting where that  
5 result cannot be followed up on appropriately.

6 DR. ADCOCK: Dr. Wang.

7 DR. WANG: I concur with that.

8 DR. ADCOCK: Dr. Kost.

9 DR. KOST: I agree but don't exactly agree  
10 because some of the flags are for very serious  
11 conditions. So perhaps rather than suppressing a  
12 result, it should say see your doctor immediately or  
13 something but there could be another level of  
14 esoterica involved if the software were good enough,  
15 i.e., appropriate direction of the patient.

16 DR. ADCOCK: Other comments? Dr. Bull.

17 DR. BULL: I think we're getting into a  
18 realm that is different in many respects from other  
19 waived tests in a qualitative sense, and that is a  
20 physician typically conducts a physical examination  
21 of a patient and based on that physical exam  
22 determines what tests to order. So the hematologist,  
23 it has been fairly traditional to use the full blown  
24 CBC as the equivalent of the physical exam. If you  
25 ask a hematologist what would they prefer, would they

1 prefer to be able to do a physical exam on the  
2 patient or just see the CBC, the answer I think most  
3 of them would give is I'd much rather see the CBC  
4 because it's my equivalent of a physical exam.

5           Now, what we're doing in this situation is  
6 we're taking what the equivalent of a physical exam  
7 is, and we're putting it in a waived setting where  
8 it's not going to be what a physician now uses to  
9 make diagnoses in the field of hematology. I don't  
10 have a problem with hemoglobins and hematocrits as  
11 waived tests. I think that they're very useful  
12 because they diagnose the presence of anemia or the  
13 absence of anemia.

14           CBC is, in my opinion, quite different, and  
15 I think the similarity to a physical exam for a  
16 general practitioner is probably apt, and under those  
17 circumstances, we're asking a machine here to do what  
18 a healthcare professional will normally do based on  
19 this data. And that is, I think, why we're having so  
20 much trouble determining what we do next under these  
21 circumstances in a waived setting.

22           DR. ADCOCK: Mr. Bracco.

23           MR. BRACCO: I guess this question is for  
24 Dr. Gutman and Dr. Becker. Are you looking for  
25 specific types of tests that should be run? We

1 already know that we need flex studies and we already  
2 know that we need the clinical accuracy studies. So  
3 from a general standpoint, I think we're all clear  
4 what's needed. Is this particular question asking  
5 what type of data should be included?

6 DR. GUTMAN: Well, yeah. This question,  
7 for example, would be helpful, you know, I think that  
8 there are slightly different variations in terms of  
9 feedback. So we're trying to get no leading  
10 feedback, but in this case it seems, you know, at  
11 least one suggestion on the table is what should be  
12 flagged. I'm making the assumption that everyone  
13 here thinks that everything here should be flagged.  
14 But I'm also hearing the fact that maybe even the  
15 flagging isn't sufficient. So when you summarize it,  
16 you'll tell me whether -- there are two emerging  
17 voices here. One is suggesting that there's a  
18 possibility of addressing these by making sure they  
19 all flag them, and the flags should probably say see  
20 your doctor, not suppress the result, but there's  
21 also a suggestion here that the flagging isn't really  
22 quite enough because the CBC has an unusual  
23 distinction in terms of its scope. It's a test that  
24 becomes a configuration that belies the ability to be  
25 waived. Am I hearing what you're hearing?

1 DR. ADCOCK: Yes, I do have another point  
2 for discussion. What would the Panel think about an  
3 abnormal result? I mean normally in a hematology  
4 laboratory, if the platelet count is low, that would  
5 initiate a review of the smear to ensure obviously no  
6 artifact. So what would we do in a waived setting  
7 then? Would any abnormal result be a flag and no  
8 result would go out and all this instrument would do  
9 would be provide a completely normal result if it is  
10 completely normal?

11 DR. NG: That would be my recommendation,  
12 and even with that recommendation, I would be worried  
13 about the false negatives that are showing up as  
14 normals. So I'm just not comfortable. And, you  
15 know, I can't tell you how many times a year somebody  
16 thinks -- I mean a patient is thought to have a  
17 normal platelet count, and you look at the smear  
18 under the scope, and there are no platelets and it's  
19 all histocytes, you know, it's DIC City and, you  
20 know, nobody's tumbled to it except for the  
21 hematology lab. You send out a false normal platelet  
22 count, somebody in a rip roaring TTP out there in the  
23 community, you know. You know, you've got a bad  
24 situation on your hands.

25 DR. ADCOCK: Dr. Kulesza.



1 DR. KULESZA: Right. Again, I think that  
2 my feeling would be that there are technological  
3 solutions through, you know, free hemoglobin that can  
4 potentially detect this, and I don't think that the  
5 cost issue that was brought up is something that I  
6 feel is our obligation to consider. It's up to the  
7 manufacturer to develop whatever it cost machinery  
8 and how cheap or expensive the technology might be.  
9 I think that I would want to see a more complete and  
10 exhaustive version of the potential pre-analytical as  
11 well as analytical variables that the machine would  
12 have to take under consideration.

13 And I would support -- completely I agree  
14 with Dr. Kost that there has to be a separation  
15 between a result that's say erroneous for the minor  
16 proportion of nucleated RBC. That could not be  
17 resulted, and a printout would say see your doctor.  
18 That would be very different from a real and tested  
19 result of say platelets of 7,000 because that is an  
20 emergency that I think action would require an  
21 immediate visit to a physician and immediate cause  
22 for medical attention. I don't know how one would  
23 handle that. I mean, I don't know how a critical  
24 result is handled in a waived setting. That is  
25 something that I don't think is possible to resolve.

1 DR. ADCOCK: Dr. Sandhaus.

2 DR. SANDHAUS: I think one of the problems  
3 is it's hard to know if it really is a critical  
4 result or if it's an erroneous result, and in a  
5 waived setting, I don't think you can answer that  
6 question. In the laboratory settings that I work in,  
7 I think that one of the most clinically important  
8 errors that we see in our hematology lab is a falsely  
9 low platelet count, and we haven't figured out how to  
10 solve that problem in the laboratory yet. So how are  
11 we going to solve it in a waived setting, and to have  
12 the result come out with see your doctor, when you're  
13 in your doctor's office, doesn't really make sense to  
14 me.

15 DR. ADCOCK: Dr. Wang.

16 DR. WANG: Again, this is a very comment  
17 test, I'm sure you all agree, and I really doubt that  
18 every single test is scrutinized by a medical  
19 technologist or a physician. Most of these tests are  
20 already automated, the results are released. Only  
21 those that are flagged and, according to my  
22 understanding, the machine comes with certain  
23 criteria of flagging the results. Then the lab  
24 director also builds in additional criteria to flag  
25 the results.

1           For example, since I'm not familiar with  
2 this, so I just vaguely remember if two values  
3 contradict each other, so there's something wrong,  
4 and that's also flagged. So there are a large  
5 number, I think in the hematology lab at our  
6 hospital, they build in 13 more criteria to flag the  
7 results. So when I say the results should be  
8 suppressed instead of released, that means that's an  
9 indication the patient should see a doctor sooner  
10 rather than later, and instead of trying to interpret  
11 this as erroneous result or abnormal result. So  
12 basically I'm trying to say is a lot of -- this test  
13 is done like 88 million last year or something like  
14 that. I'm sure most of them are not scrutinized,  
15 were not scrutinized by a technologist or a  
16 pathologist. Most went through the machine and the  
17 results were released. So it's -- my concern is  
18 those that were flagged, how do we deal with this.  
19 So if we can say the results are suppressed, so  
20 instead of giving a false result, it would say you  
21 need to take the next step and your specimen is  
22 abnormal and you should see a doctor. By abnormal, I  
23 don't mean that it has an elevated WBC or abnormal  
24 granulocyte count. By abnormal, I mean it refused  
25 the criteria flagged by the machine or by some other

1 additional criteria we designed.

2 DR. NG: But then, you know, thinking about  
3 the safeguards I put in place in my lab and auto  
4 verification is this close that I want to implement,  
5 but what I have in place are, before I release the  
6 result, California requires until I get out auto  
7 verification, CLS has to look at every value, number  
8 one, number two, the QC has to be in, number three,  
9 the delta check must be acceptable. That's the  
10 historical trend. Number four, my peer comparison on  
11 that lot of reagent with that instrument to my peers  
12 across the world must be within an acceptable range,  
13 and finally, I got to make sure my PT is acceptable.  
14 All that comes into play in my quality system before  
15 a result goes out. I don't see how a waived device  
16 would hold that type of information on an individual  
17 sample to know that's a quality result.

18 DR. KOST: A vexing problem for which  
19 probably there's going to be no certain solution at  
20 the end of the day, but ultimately, if you consider  
21 the historical trend of point-of-care testing, and  
22 I'll also make just a quite note on the fact that NIH  
23 now has funded four centers for point-of-care  
24 technologies of which we are one, and the primary  
25 push is to get these technologies up and going and

1 out into the primary care setting where the doctor  
2 take appropriate action.

3           So as the gavel falls today, I guess we  
4 have to remember that to assess ultimately whether a  
5 point-of-care device would do more good than harm, we  
6 would have to go to outcome studies, and this is a  
7 difficult issue when we try to bridge a moderately  
8 complex and fairly difficult and challenging thing,  
9 such as the automated CBC, et cetera, three part,  
10 five part diff.

11           But ultimately in various primary care  
12 settings, this is what would be needed, and I would  
13 recommend that the FDA consider implementing and  
14 asking for outcome studies in some of these more  
15 challenging cases of point-of-care technology.

16           DR. ADCOCK: Any further discussion?

17           DR. NORBACK: I didn't weigh in yet.

18           DR. ADCOCK: Certainly, Dr. Norback.

19           DR. NORBACK: As in the previous  
20 consideration, if the instrument can recognize every  
21 situation and then not give erroneous results that  
22 would be acceptable, we wouldn't want to lose what we  
23 have now where the professional recognizes these  
24 particular problems and then helps in the diagnosis  
25 and care of the patient, but I'm anticipating that if

1 we had an instrument that could recognize these, and  
2 then suppress the results, not give erroneous  
3 results, and then the next step would be to have  
4 another blood sample that would be looked at by a  
5 pathologist.

6 I have one more thing to add. These are  
7 relatively rare, and I imagine the emphasis of the  
8 instrument in the waived setting would be to do the  
9 majority of tests that didn't have such complex  
10 results. So problems like this, it's very important  
11 that the instrument recognizes that the problem is  
12 there and incorrect results cannot be given, and I've  
13 already given the example, blas cannot be  
14 lymphocytes, but if the instrument could do this and  
15 then just state that this instrument cannot offer any  
16 information on this sample, perhaps it is still doing  
17 a good thing.

18 DR. ADCOCK: And let me ask you,  
19 Dr. Norback, what would you do with a very elevated  
20 white count? Would you have the instrument report a  
21 result?

22 DR. NORBACK: Well, with an elevated white  
23 cell count, I think we do have to look at the cells  
24 that are present, too. So that will get into the  
25 discussion when we talk about its accuracy for the

1 different components of the differential, but  
2 let's -- I think the simple answer would be that if  
3 the instrument could reliably give a high white cell  
4 count, it should be allowed to report that, and then  
5 further information would follow from another  
6 analysis.

7 DR. ADCOCK: How about a low platelet  
8 count?

9 DR. NORBACK: I've suggested that that  
10 would be part of the challenge of the instrument. It  
11 would have to be accurate enough to give an accurate  
12 low platelet count. So a platelet count of 10,000  
13 could not be reported as 30,000. But if an  
14 instrument can accurately give a platelet count of  
15 10,000, and I'll also repeat that these hypothetical  
16 capabilities of the machine, you know, that it would  
17 be quite a challenge I think to identify every  
18 problem that we would put on a list that had to be  
19 identified.

20 DR. ADCOCK: And I'm not trying to put you  
21 on the spot, but perhaps another question is could  
22 that 30,000, if it were an accurate platelet count be  
23 reported without a -- verification that it's not  
24 erroneous?

25 DR. NORBACK: All of these need careful

1 consideration. I don't want to compromise the care  
2 that is now given to the patient based on the robust  
3 analysis of the professionals in the laboratory. So  
4 somehow I would want to keep that component also and  
5 perhaps with a high or low platelet count would  
6 require review before being reported. It's a good  
7 question. I think these are things that I would want  
8 to think through all the clinical situations that  
9 need to be addressed.

10 DR. ADCOCK: Dr. Kost.

11 DR. KOST: I may be out of order, but I'd  
12 like to read into the record another paper please,  
13 and I'm the first author. This was published in  
14 Clinica Chimica Acta, this year, 2008, Volume 389,  
15 pages 31 to 39. It's not right on the subject today.  
16 It is called "Evaluation of Point-of-Care Glucose  
17 Testing Accuracy Using Locally-Smoothed Median  
18 Absolute Difference Curves." There's a second paper  
19 in press for diabetes therapeutic and technology  
20 using the same, we call it LSMAD curve technique, and  
21 what we've found in rather large data sets is that  
22 the existing technologies that are already out there  
23 are not that accurate at the low and high ends, and  
24 this comment by Dr. Norback specifically and the  
25 questions address the low and high ends. I don't



1 personally see how the model which has been presented  
2 of testing 360 samples will do it, how that one will  
3 have sampling error, and it won't pick up all these  
4 things even if all the circumstances would lend  
5 themselves toward it.

6           And the LSMAD curve technique, I think  
7 while it hasn't been, could be applied to this. I  
8 would recommend that the FDA consider the math behind  
9 this. It's actually quite simple, but very, very  
10 visual and highly clinically relevant in discerning  
11 problems at low and high ends, specifically  
12 clinically relevant ranges. Thank you.

13           DR. ADCOCK: If I can try to summarize at  
14 this point. I think the Panel generally believes  
15 that there are many issues that would face an  
16 instrument pertinent to its proper analysis of a CBC  
17 sample, and that an instrument would have to have  
18 very secure fail-safe mechanisms in order to account  
19 for these. There is a significant issue as to what  
20 the instrument would do to identify these in a way  
21 that the report would reflect the issue properly. So  
22 the FDA would have to be very certain that they put  
23 enough fail-safes and identified enough of these  
24 analytical issues such that the testing would account  
25 for them. And there's some serious question as to

1 how many samples would have to be evaluated, and  
2 there's concern that 360 would not be adequate to  
3 exemplify these potential analytical interferences.

4 The Panel also has some question as to what  
5 results would be reported and what comment would go  
6 on the report when they're either abnormal results or  
7 when there is a potential interference.

8 DR. ADCOCK: Other questions?

9 (No response.)

10 DR. ADCOCK: Dr. Gutman, do you think  
11 that's satisfactory?

12 DR. GUTMAN: It's very rich discussion.  
13 Thank you.

14 DR. ADCOCK: Question Number 3.

15 MS. BAUTISTA: Question 3, Post-analytical.  
16 Depending on the particular test system involved,  
17 CBC/Diff testing can report results for a wide range  
18 of hematologic analytes and in a wide variety of use  
19 settings. Operators in moderate or high complexity  
20 labs are trained to control potential post-analytical  
21 sources of error using a variety of techniques,  
22 including evaluation of microscopic slides.

23 Question 3. In order to ensure that there  
24 is no unreasonable risk to the patient from incorrect  
25 test results, are there particular CBC/Diff analytes

1 or combinations of analytes that are more appropriate  
2 than others for use in a waived test setting?

3 DR. ADCOCK: Would any of the panelists  
4 like to open discussion on this question? Dr. Bull.

5 DR. BULL: It seems to me that one of the  
6 most useful would be just simply a total white count  
7 if that could be enumerated accurately reproducibly.  
8 I think the chances of that leading people astray are  
9 perhaps less than with platelet counts and with three  
10 part and five part diffs. But to reiterate once  
11 more, question 5, how should the lack of trained  
12 operators in identifying post-analytical anomalous or  
13 incorrect results be addressed, I would strongly  
14 recommend that the FDA push or the manufacturers or  
15 both to getting some sort of oversight and some sort  
16 of minimal training because I don't think it's going  
17 to be physically possible to generate a machine for  
18 these sorts of settings, most of which are fairly low  
19 volume and the machines are going to have to be  
20 fairly low cost or they're not going to penetrate the  
21 market, if you have to rely on the machine entirely  
22 for everything.

23 DR. ADCOCK: And I'm not certain that's an  
24 option.

25 DR. BULL: Well, it isn't an option now.

1 I'm just simply saying that until it becomes an  
2 option, I don't think it's going to be possible to  
3 release more than a very, very simple white cell  
4 differential, and I think we should limit it to maybe  
5 just a total white cell count until something like  
6 this can be addressed.

7 I think the waived test setting, originally  
8 we thought it was only going to be hemoglobins,  
9 hematocrits, pregnancy tests and urinalysis  
10 dipsticks. We're now told today that we have over  
11 60 -- 100. We now have 100 waived tests. That's a  
12 completely different question than the one that was  
13 faced originally when this was set up, and it was  
14 decided that no training was going to be needed or at  
15 least no training. We were going to work with the  
16 situation in which the operator had no responsibility  
17 and the machine had everything.

18 We're now getting into an arena where  
19 that's probably not going to be operationally or  
20 physically feasible because to generate a machine  
21 that is fail-safe with somebody who has no training  
22 is a lot more expensive than generating a machine  
23 with somebody who has had a minimum amount of  
24 training. And I think that if the Panel can be of  
25 help to the FDA in this regard, one of the things it

1 could underscore is that we're coming to the point  
2 where at least minimum training and oversight,  
3 proficiency testing and quality control, are going to  
4 have to be instituted in waived tests or we just  
5 simply can't proceed with anything that's more  
6 complex than the ones that we've got right now.

7           Now, I may be wrong. It's possible that  
8 coming downstream, we're going to have machines that  
9 can do all of this, you know, maybe they're going to  
10 have artificial intelligence of a sort that will make  
11 operator intellect completely disposable. But I  
12 think that's going to be fairly expensive and I don't  
13 see in the large laboratories now. Typically it  
14 takes another 8 to 10 years for the machines to move  
15 from large laboratories to small ones. So, in our  
16 lifetime at least, I don't think it's going to be  
17 possible to do this unless we can get some minimal  
18 amount of training on the people who are going to run  
19 them.

20           DR. ADCOCK: May I ask for other Panelists  
21 to weigh in question number 3?

22           DR. KOST: A question for Dr. Gutman. Is  
23 the thrust of this question what is clinically  
24 useful, what combination or looking at the technical  
25 side of it?

1 DR. GUTMAN: No, no. I actually think that  
2 Dr. Bull's answer, we were trying to understand the  
3 spectrum, you know, a total white cell count, white  
4 cell count and platelets, white cell count and  
5 differential, three part, what combinations might  
6 seem more comfortable to the Panel. So now you're  
7 allowed other choices besides Dr. Bull's, but he gave  
8 an answer to the question that was intended.

9 DR. ADCOCK: Dr. Sandhaus.

10 DR. SANDHAUS: I'd like to offer another  
11 answer to the question. I actually had to read that  
12 question many times at different times, different  
13 days, to try and figure out what you were getting at  
14 with that question. It is confusing.

15 I think that, you know, if we're going to  
16 call it a waived CBC, to be called a CBC, it needs to  
17 provide information about the hemoglobin, hematocrit,  
18 which I really consider the same thing, the white  
19 count and the platelets. I mean, I think those three  
20 components need to be there, and I think with the  
21 white blood cell count, I think you would really need  
22 to have an absolute neutrophil count as well. I'm  
23 just anticipating the types of settings that this  
24 would be used in, and if it's going to be used in an  
25 outpatient oncology setting and, of course, once it's

1 waived, we don't have control over what settings it's  
2 used in, it might be used in a variety of settings,  
3 but certainly in that setting, it would be imperative  
4 to have an absolute neutrophil count.

5 DR. NG: Just a comment on Dr. Sandhaus'  
6 comment. It's exactly in that setting, the  
7 neutropenic patient going in for chemotherapy, that  
8 this device has the greatest risk for patient harm if  
9 it gives the inaccurate result. So that's why I  
10 still remain uncomfortable. I think I'm willing to  
11 go with a total white count. I think I'm willing to  
12 buy into that but --

13 DR. ADCOCK: So with hemoglobin perhaps.

14 DR. NG: We already have a hemoglobin. So  
15 I don't pay attention to that anymore. So the new  
16 thing would be the total white count.

17 DR. SANDHAUS: I agree with you.

18 DR. KULESZA: I agree completely, and I  
19 think that the corollary of this approach would be  
20 that if one limits the number of analytes, one  
21 simplifies the task and lowers the perspective cost  
22 for the manufacturer and limits the number of  
23 instances where if I have to distinguish between  
24 neutrophils, high, low, versus lymphocytes, then the  
25 number of constraints that are analytical as well as

1 pre-analytical goes down. So the simpler the better.

2 DR. ADCOCK: Dr. Kost.

3 DR. KOST: The commentary flows into the  
4 next question, which is about critical values, and  
5 having done several surveys, national surveys in this  
6 area and seen some of the more recent contestable  
7 results, I'd like to make a comment on neutrophil  
8 counts and such like, especially in the low range.

9 Earlier one Panel member suggested that  
10 maybe plus or minus 200 is an absolute error band for  
11 this would be acceptable but, in fact, for absolute  
12 neutrophils, sometimes 100 or even lower error band  
13 is necessary due to the fact that you'll be on one  
14 side or the other of a hospital or an institution,  
15 such as a primary care networks critical value alert  
16 list, and therefore the technology that we're talking  
17 about today would have to exclude reporting results  
18 that are low but then possibly result in harm not  
19 getting the number out, but the number per se could  
20 be very, very excruciatingly important and litigated  
21 within the system if it didn't accurately report 400,  
22 500, 600 or whatever.

23 So I didn't find any of the error tolerance  
24 concepts, the models that the FDA has presented so  
25 far to be particularly satisfying.



1 DR. GUTMAN: Could we wrap up 3 and make --  
2 but I appreciate, I appreciate the overlap between  
3 the questions but we are sort of by Robert's rules  
4 bound to a protocol. So perhaps we could wrap up 3  
5 and go to 4.

6 DR. ADCOCK: Dr. Aziz.

7 DR. AZIZ: Actually I have a question.  
8 Maybe Ms. Yost can answer it. What is included in  
9 the CBC? For that CBC code, what is included?

10 MS. YOST: Again, I'm not a Medicare  
11 expert, but I think there are several iterations of  
12 CBC codes depending on what the parameters are for  
13 CBC codes.

14 DR. GUTMAN: For the purposes of this  
15 discussion, we wouldn't hold you to a rigid  
16 definition. The whole purpose of this discussion is,  
17 as I suggested before, is to understand if there  
18 might be some -- is to ask you to define what part of  
19 the CBC you're more comfortable with.

20 DR. AZIZ: The reason for my question is  
21 like if we're only going to have like an analyzer can  
22 only run a WBC, RBC and platelets, you know, is that  
23 acceptable when it comes to -- is that a CBC?

24 DR. GUTMAN: Well, I would not call that a  
25 CBC, but it's, you know, the question is, is that a

1 waivable combination of devices. So it's irrelevant  
2 whether it's a CBC or not, and the reimbursement  
3 issue is completely different whether you pay less or  
4 more.

5 DR. AZIZ: I'm not asking about, you know,  
6 financially how much are you going to make out of  
7 this, but like, for example, we just added some  
8 parameters to the CBC. Like the RBW, I mean that is  
9 a relatively new one. So what is considered a CBC?  
10 Like, you know, when you say complete, you know, can  
11 you have a basic blood count, a BBC. I mean is  
12 that --

13 DR. GUTMAN: Well, I would argue that  
14 that's not a CBC, but I guess it's less important to  
15 me to understand what you're calling a CBC. It's  
16 more important for us to understand which parts of  
17 the CBC are waivable. So I wouldn't focus on the  
18 semantics. The purpose of this question was to  
19 understand the analytical mix of interests, not the  
20 nicety of the exact definition. I agree with you. I  
21 think it becomes awkward because the expectation for  
22 a CBC right now is probably a little bit more like a  
23 Cadillac and less like an Accord.

24 DR. ADCOCK: Thank you, Dr. Gutman.  
25 Dr. Ng.

1 DR. NG: I thought I'd go out on a limb and  
2 tell you what I would potentially consider waivable  
3 would be a total white count and probably a percent  
4 neutrophils.

5 DR. ADCOCK: Mr. Bracco.

6 MR. BRACCO: I'm just curious from a  
7 practicality standpoint how this would play out in  
8 the real world. It sounds like a partial waiver that  
9 you're now talking about. So what happens in the  
10 real world? A sample comes in, a professional has to  
11 walk over and run that one. Another sample comes in,  
12 and a non-professional could run that one. How many  
13 of these, I don't know if you know the answer to  
14 this, but what percentage of these CLIA-waived  
15 devices have a scenario like this where they're  
16 partially waived?

17 DR. GUTMAN: Yeah, the reason we have a  
18 Panel is that this is a unique, actually sort of  
19 first of the kind for us. So I'm not sure we have  
20 any precedent where you have such an interesting mix  
21 of, of analytes that seem to play against each other.  
22 So I'm not sure I have much history to draw on.

23 MR. BRACCO: It sounds like a logistical  
24 nightmare to me in a laboratory to have a partially  
25 waived device.

1 DR. ADCOCK: Dr. Bull.

2 DR. BULL: I think we've come back to my  
3 original point that the CBC for doctors that are  
4 interested in or specialized in or have a patient  
5 that's suffering from diseases of the blood is in  
6 some sense analogous to a physical examination. It's  
7 not a test as much as it is the way you start  
8 thinking about this patient with hematological  
9 problems.

10 DR. ADCOCK: Before we summarize for  
11 Dr. Gutman, I've not heard any consideration about  
12 platelet count. Would people like to take platelet  
13 count of the waived category?

14 DR. KOST: I specifically excluded it from  
15 mine because I have a long experience with platelet  
16 counts starting actually with the realization, with  
17 the very first platelet counter that we were running  
18 across patients who had a very low platelet count  
19 that appeared perfectly healthy. To give you an  
20 anecdote, the first person that this occurred on was  
21 at NIH, and it was Director of Nursing Services, and  
22 she was perfectly normal, but we were using the very  
23 earliest platelet counter and fed out a  
24 thrombocytopenic diagnosis on her, and boy, did we  
25 hear about that.

1           So EDTA antibodies that cause platelet  
2 agglutination and EDTA are a real problem and has  
3 stayed with us ever since. And so I don't know how  
4 you deal with that in a waived setting. I mean,  
5 you're going to turn out maybe two to three percent,  
6 maybe higher than that, of thrombocytopenic diagnoses  
7 if you let these machines loose in the way of setting  
8 without anyway of cross checking them, and those two  
9 to three percent of patients are going to be very  
10 nervous until some doctor checks them over and says,  
11 well, no, you're not dying of leukemia. You just  
12 happen to have an otherwise antibody that isn't going  
13 to cause you any other problems. So forget about it.

14           DR. ADCOCK: Very good. Dr. Sandhaus.

15           DR. SANDHAUS: Well, the question as you  
16 phrased it, should platelet count be excluded as a  
17 waived test, I think that's how you put it, I agree.  
18 I do not think the platelet count should be  
19 considered waivable. The remarks that I made earlier  
20 were simply to indicate that if you don't include a  
21 platelet count, you really can't call the test a CBC.

22           DR. ADCOCK: Very good. Dr. Ng.

23           DR. NG: What if I play devil's advocate?  
24 What if your platelet count was -- is it CD61? What  
25 if it was a CD61 based method? I mean, assuming you

1 can deal with all of those pre-analytical issues.

2 DR. ADCOCK: Would anybody --

3 DR. SANDHAUS: Yeah, actually I can address  
4 that because we did a study using the reference  
5 method for platelet count you're referring to, which  
6 is a flow cytometry method that uses two monoclonal  
7 antibodies to identify platelets. You know, even  
8 with the reference method, you don't obviate or  
9 circumvent the problems with pseudo thrombocytopenia.  
10 You still have the same error can occur.

11 DR. ADCOCK: Dr. Wang.

12 DR. WANG: What if we include it but set a  
13 relatively high threshold to suppress the results?  
14 If it's below a certain threshold, then the results  
15 are suppressed.

16 DR. BULL: Well, I think you're going to  
17 run into the same kind of problem with the patient.  
18 You're going to tell the patient that we don't know  
19 exactly what's wrong with you, but there is something  
20 wrong with your blood, and the first thing that a  
21 patient thinks of is leukemia, and until they get a  
22 blood count that puts their mind at rest, you give  
23 them some period of time, it could be a few hours, it  
24 could be two or three days, they know that there's  
25 something wrong and they don't know what it is. I

1 think the Panel is sort of obligated to consider  
2 patient's nervous status between the time the machine  
3 refuses to give them a result and the time they  
4 discover that this is perfectly all right. Right  
5 now, of course, it usually is taken care of before  
6 they even get the results. So -- but we're in a  
7 waived setting, and we're not going to be able to do  
8 that.

9 DR. SANDHAUS: You know, I think we're  
10 really getting to the meat of the matter now as to  
11 which components are waivable and which are not, and  
12 we're deconstructing what we know as the CBC, and one  
13 of the things that I've become exposed to through my  
14 involvement at point of care is the assumptions that  
15 non-laboratory personnel make about laboratory  
16 testing, and it's been a real eye opening experience  
17 to me. And what I have a lot of concern about is if  
18 certain results are not reported, they're suppressed  
19 for example, because the result is questionable, I  
20 wonder what assumptions the caregivers might make  
21 about those results that are not reported.

22 Now, just to give an example of this to an  
23 unrelated area of point-of-care testing, which is  
24 cardiac enzymes, we were just involved recently in  
25 implementing point-of-care troponin testing in our

1 emergency department, and during the two years during  
2 which we discussed implementing point-of-care  
3 troponin in the ED, no other cardiac enzyme was ever  
4 mentioned in that discussion, yet nevertheless when  
5 we brought up the test in the emergency department,  
6 the director of the emergency department was totally  
7 surprised that that did not include other cardiac  
8 enzymes. That's just an example of the assumptions  
9 that get made that aren't communicated.

10           And a particular concern that I would have  
11 with CBCs is that if a white count and hemoglobin  
12 hematocrit were produced but no platelet count  
13 appeared, and the other results were normal, I would  
14 expect based on my experience that there would be  
15 assumptions made that the platelet count is probably  
16 normal, too, and 99 times out of 100, that would be  
17 correct.

18           DR. ADCOCK: That's an excellent point,  
19 Dr. Sandhaus. That by omission, we may be giving a  
20 false impression.

21           DR. SANDHAUS: Correct.

22           DR. ADCOCK: Other comments?

23           (No response.)

24           DR. ADCOCK: To summarize, the Committee  
25 generally believes that the combination of perhaps



1 hemoglobin with total white cell count might be the  
2 most appropriate for a waived submission, possibly to  
3 include a percent neutrophil count, but wants to make  
4 note that omission of other results may be  
5 problematic because of the assumption that those  
6 results that are not reported may be normal.

7 Is that an adequate summary, Dr. Gutman?

8 DR. GUTMAN: Yes. Thank you.

9 DR. ADCOCK: Shall we move on and take one  
10 more question before the break perhaps.

11 MS. BAUTISTA: Question 4. Should there be  
12 specific provisions for follow-up of some results,  
13 i.e. critical/panic values, or other post-analytical  
14 measures that should be considered for waived  
15 CBC/Diff testing? Please explain.

16 DR. ADCOCK: Dr. Ng.

17 DR. NG: Can I just ask a favor of  
18 Dr. Kost, because you have the Barnes paper with you,  
19 of that 12,786 CBCs, could you tell us what number  
20 and percent were flagged, and then upon review what  
21 percent were true positives? That would give us a  
22 sense of the post-analytical stuff faced by  
23 professionals today, which I would think would be the  
24 minimum that would be seen with a waived test.

25 DR. KOST: Okay. Total samples, you want

1 numbers or percent?

2 DR. NG: Both.

3 DR. KOST: Both. Okay. Total samples  
4 13,298. This is in Table 2 on page 85. True  
5 positives, 1,483, 11.20 percent. False positives,  
6 2,476, percent 18.60. True negatives, 8,953, percent  
7 67.30. False negatives, 386, percent 2.90. I didn't  
8 check to see whether these figures added up to the  
9 total sample or percent. We'll give them that.

10 DR. NG: So as I hear those numbers of all  
11 of the ones that are flagged, and I'm just going to  
12 call those generic positives, roughly 40 percent of  
13 those are true positives and 60 percent would be  
14 false positives, and that constituted a total of  
15 38,000, about 25 percent of the total sample volume.  
16 And then all the negatives, 3 percent of those would  
17 have been false negatives. So that would be in a  
18 best-case scenario.

19 So what I would expect for a waived device,  
20 maybe I'm wrong, but that it would mimic what we're  
21 seeing in the laboratory or be worse, and what we're  
22 seeing in the laboratory is that about 25 percent  
23 opted out because of a flag, and of those that are  
24 flagged, about 40 percent of those are true  
25 positives, 60 percent are false positives. Of those

1 that do not get a flag, there would be 3 percent at a  
2 minimum false negatives.

3 DR. ADCOCK: Is that too much now?

4 DR. NG: So what's the follow-up? Like  
5 what's the follow-up. You have a flag, and you know  
6 40 percent of those are going to be true positives,  
7 but 60 percent are not, 60 percent are errors. What  
8 should the follow-up be? And then with the  
9 negatives, how are you going to find that 3 percent  
10 needle in a haystack, that report is a normal value  
11 but, in fact, they're incorrect?

12 DR. BULL: I assume that because these  
13 tests are being done for patient care, that we're  
14 going to follow up on all of the positives, whether  
15 false or true. Why else are we doing the test?

16 DR. ADCOCK: So would there be a  
17 recommendation then that it be followed up with a  
18 more conventional CBC? And if so, in what timeframe?

19 DR. NG: So you can capture the positives.  
20 How do you capture the negatives, the false  
21 negatives?

22 DR. BULL: I don't think you can capture  
23 the false negatives. I think you just have to live  
24 with the fact. I'm sure there'll be even more in a  
25 waived setting, but since we're not capturing them

1 now, I don't see how we can impose tighter standards  
2 on waived settings than we are imposing on  
3 professionally run laboratories, but this does mean  
4 that a lot of those samples, I guess, something like  
5 25 percent of them, are going to require follow-up,  
6 and many of those in view of our previous discussions  
7 are going to be locked out. So it depends on why  
8 they were positive and how positive they were. That  
9 means that about one out of every three or four  
10 specimens is going to have to be redone by somebody  
11 else. Is this really going to benefit patient care?

12 DR. ADCOCK: Dr. Kost.

13 DR. KOST: A comment. It seems to me in  
14 the waived setting it would be a miracle to get only  
15 2.90 percent false negative, but on the other hand,  
16 to avoid the doom and gloom setting that we might  
17 find ourselves in, it would be some photonics and  
18 other technologies coming. I don't know how clever  
19 industry will be on this one, that have laser  
20 tweezers and separate cells directly, and a lot of  
21 what we've been talking about such as interference  
22 become moot and so on and so forth. So over to you  
23 for a summary.

24 DR. ADCOCK: Are we ready?

25 DR. SANDHAUS: In a waived setting, I don't

1 know how we can enforce follow-up. You can't enforce  
2 compliance with a request or recommendation for  
3 follow-up.

4 DR. ADCOCK: Yet the testing might identify  
5 a critical result.

6 DR. SANDHAUS: Well, if you're doing it in  
7 a waived setting as a point-of-care test and you get  
8 a critical result, then that's a final result. A  
9 point-of-care result is by definition, it's auto  
10 verified. It's out there. So if you're not going to  
11 act on it, then why do it.

12 DR. ADCOCK: So what if this waived  
13 instrument identified a critical result, yet it  
14 didn't report -- it suppressed the result. Then  
15 there's really no way for the user to know that it's  
16 truly a critical result versus an erroneous result.

17 DR. KULESZA: We would have to prevent it  
18 as the evaluation proceeds and the FDA looks at this,  
19 there would have to be a distinction made, that there  
20 are critical results that are not error, and those  
21 are reported as panic values. And then there are  
22 results that perhaps might be error, or we see  
23 something that is not life threatening based on our  
24 best assumptions, that could be reported perhaps as  
25 at least follow-up later or with whatever caveat

1 there is.

2 I am a little bit also concerned about the  
3 negative, and the way we are approaching the false  
4 negatives. Why not insist on a higher stringency  
5 technologically for that instrument? We know that  
6 it's going into a waived setting. What stops us from  
7 saying to the industry, please develop better means  
8 such that the false negative issue is not as  
9 important because we're putting it somewhat into the  
10 screening realm as opposed to a diagnostics realm by  
11 letting it be waivable, I understand.

12 DR. ADCOCK: Dr. Sandhaus.

13 DR. SANDHAUS: Well, I've been asking  
14 industry to solve those problems as well so that they  
15 can put those instruments in our laboratory.

16 DR. ADCOCK: Dr. Wang.

17 DR. WANG: Since I deal with cervical  
18 cancer screening every day, I have to say false  
19 negative results are inevitable. It's just how much  
20 we can tolerate it. I'm not aware of any medical  
21 test that has a 100 percent sensitivity.

22 DR. ADCOCK: Any additional comments from  
23 the Panel?

24 (No response.)

25 DR. ADCOCK: If I can try to summarize

1 then, and I hope I've captured everybody's thoughts  
2 correctly, there would have to be specific provisions  
3 for some result because the instrument can generate  
4 critical or panic results. In addition to that,  
5 there would also be the potential for erroneous  
6 results, and these would require follow-up.

7 All right. I think at this point --

8 DR. KOST: Which question have we answered  
9 by the way referring back to Robert's rules.

10 DR. ADCOCK: Question Number 4.

11 DR. KOST: 3 and 4.

12 DR. ADCOCK: Yes, and we'll come back to  
13 question number 5.

14 So I believe at this point it's 3:00. I'd  
15 like to propose a 15-minute break and invite everyone  
16 to please return by 3:15 so we can begin again.

17 (Off the record at 3:00 p.m.)

18 (On the record at 3:15 p.m.)

19 DR. ADCOCK: I'd like to reconvene the  
20 meeting please at this time.

21 I believe at this time we're now on  
22 question number 5, and I would like to ask  
23 Ms. Bautista to read the question for us please.

24 MS. BAUTISTA: Question 5. How should the  
25 lack of trained operators in identifying post-

1 analytical anomalous or incorrect result be  
2 addressed?

3 DR. ADCOCK: Would anyone on the Panel care  
4 to -- Ms. Rice.

5 MS. RICE: If they aren't trying, they  
6 probably aren't going to recognize that there are  
7 incorrect results and to me training is the only way  
8 to handle this.

9 DR. ADCOCK: Dr. Bull.

10 DR. BULL: And I would answer this question  
11 the way I've answered this question a couple of times  
12 previously, and that is I think that the FDA and the  
13 lobbyists for the manufacturers should get together  
14 and see to it that there is at least a minimum amount  
15 of training. I don't see inherently any reason why a  
16 person performing a waived laboratory test shouldn't  
17 be required to have a few weeks of training so they  
18 recognize the very obvious things about blood. My  
19 concern is that if you can pull in a bookkeeper or a  
20 receptionist with no knowledge whatever and set them  
21 in front of a waived test device, then it's our  
22 responsibility to make sure that we have a  
23 technological fix for all possible occurrences that  
24 might eventuate because this person doesn't even have  
25 the minimum knowledge of how to handle blood and



1 blood specimens.

2           In California, we're required to train our  
3 phlebotomists, and we can explain to them what blood  
4 is and how it sediments and why you don't squeeze a  
5 fingerstick or heelstick vigorously, and if that can  
6 be done, it seems to me that sooner or later if this  
7 waived testing is going to increase, which obviously  
8 it is, and get bigger and bigger and bigger, that  
9 there should be a minimum amount of training required  
10 of the operators, and I know that that isn't part of  
11 our purview today, but like Ms. Rice, I would say  
12 that we should address the lack of untrained  
13 operators by training them.

14           DR. ADCOCK: Dr. Bull, if I may ask some  
15 clarification. If the testing that moves forward is  
16 white blood cell count only, do you still feel that  
17 training is needed?

18           DR. BULL: Yes. I still feel that a person  
19 who is going to manipulate blood needs to have at  
20 least the knowledge of the phlebotomist, and that  
21 would require that they understand that blood  
22 sediments, it needs to be mixed, before you present  
23 it to the machine. And it needs to be mixed more  
24 than once, and we actually did a paper some years ago  
25 on how many times you have to invert a test tube

1 that's been fully sedimented to get the red cells,  
2 the white cells, and the platelets evenly distributed  
3 and published the results. It turns out about four  
4 complete inversions are required with a fairly large  
5 air bubble until you've got the specimen mixed.

6 Now, if you're going to allow a person who  
7 doesn't even know that, to present blood to this  
8 machine, then you've got serious problems that we  
9 don't really need to deal with. If we could get  
10 Congress, and that's why we have lobbyists, and if  
11 the lobbyists and the FDA got together, I think they  
12 could do something about it.

13 DR. ADCOCK: Other comments before we  
14 summarize? Dr. Kost.

15 DR. KOST: Could I ask Dr. Gutman what his  
16 opinion is on that? Can we change waived or can't  
17 we? Is there a --

18 DR. GUTMAN: Well, we certainly can't.  
19 Congress could. It probably wouldn't be a good idea  
20 for us to get together with the lobbyists actually,  
21 but the recognition is one that's interesting and  
22 certainly is appropriate for discussion. Again, I  
23 don't actually personally deal with Congress very  
24 often and try and make it, in fact, as infrequent as  
25 possible. But I understand the point being made.

1 DR. ADCOCK: Dr. Norback.

2 DR. NORBACK: One of my points previously  
3 was that if we talk about using analyzers in a waived  
4 setting, we can't be talking about the current  
5 instrumentation because the instrumentation and the  
6 testing is not simple, and it is prone to producing  
7 results.

8 So my hypothetical situation was that if  
9 the manufacturers can identify and produce  
10 instruments that will recognize all of the mistakes  
11 so that we do not have falsely low platelets and we  
12 do not have falsely normal platelets, then we're not  
13 dealing with erroneous results, and my suggestion was  
14 that the instrument had to be challenged, and it  
15 would be very difficult but probably not conceptually  
16 impossible for enough different approaches to  
17 analyzing cells could come up with an instrument that  
18 was really very, very capable at preventing erroneous  
19 results.

20 So my hypothetical situation was is that  
21 the instrument would not be marketed. It would not  
22 be used in a waived setting until it had been clearly  
23 demonstrated that the instrument would not produce  
24 erroneous results.

25 And then I'll extend that a bit and apply

1 it for when we get to another question of what should  
2 be the level of allowable error be. You know, I  
3 think the error should be very stringent in a waived  
4 setting when professionals are not reviewing the  
5 result, but we're not talking about instruments that  
6 we have now. We would be talking about instruments  
7 that could have a very, very low tolerable error, and  
8 then we would be answering the questions differently.  
9 You know, if we had instruments that did not produce  
10 errors, then we would be reporting results out with a  
11 great deal of confidence.

12 DR. ADCOCK: Yes.

13 DR. KULESZA: I agree completely with what  
14 was said here, but let me just emphasize that looking  
15 at the prior questions and looking at what FDA had  
16 presented as the necessary clinical testing of the  
17 devices that would qualify for it, currently  
18 understood the waived category, the testing would  
19 have to be much more stringent than I think was  
20 presented here in the sense of challenging the  
21 instrument and analyzing its performance.

22 DR. NORBACK: I don't think we really  
23 established the criteria for testing. We just said  
24 it had to be very, very stringent so that errors did  
25 not occur.

1 DR. ADCOCK: And do you think it's  
2 therefore given what you've proposed, if the  
3 instrumentation were that sophisticated, could it be  
4 operated by non-trained personnel?

5 DR. NORBACK: Well, that's the hypothetical  
6 situation, that the instrument could be developed,  
7 very, very hypothetical, that would meet all of the  
8 criteria that we look for in a professional setting,  
9 and I'm not saying that it can be, but clearly if an  
10 instrument can give us two values, they should be  
11 reportable.

12 DR. ADCOCK: Other --

13 MR. BRACCO: I'd also like to just comment  
14 once again that we need to be careful with this  
15 training piece. It really doesn't say in the  
16 guidance that individuals don't need to be trained.  
17 It just talks about technical and specialized  
18 training, and it really talks in regards to  
19 troubleshooting. So the fact that someone needs to  
20 be trained doesn't necessarily mean that the device  
21 can't be waived. I just think we all should make  
22 sure we understand that.

23 DR. ADCOCK: I do think the point has been  
24 made, however, that the turnover of personnel that  
25 might be operating the instrument is great and that

1 if the instrument does come with some manufacturer's  
2 instructions, that they may not be available to the  
3 individual running the instrument, and I think those  
4 are very pertinent points.

5           So to summarize, if I may, given the  
6 current instrumentation as we know it, we don't feel  
7 it would be possible to have untrained personnel that  
8 could identify the post-analytical problems or that  
9 could identify the problems, but if the  
10 instrumentation were to be advanced such that there  
11 would be fewer inherent errors, then it would be  
12 potentially possible to have untrained personnel or  
13 not formally trained personnel. Perhaps I'm using  
14 incorrect terminology.

15           Is that summary sufficient?

16           DR. GUTMAN: Yes. Thank you.

17           DR. ADCOCK: Question Number 6 please.

18           MS. BAUTISTA: This is Question 6a,  
19 Performance. According to the 2008 FDA CLIA Waiver  
20 Guidance, for analytes that have existing performance  
21 limits for professional use, i.e., those listed in  
22 the CLIA 88 regulations, the published limits should  
23 be used to define boundaries of the allowable total  
24 error zones. These limits are express in CLIA 88 as  
25 criteria based on the fixed percentage difference

1 from the target value.

2 For the analytes listed in the table below,  
3 CLIA 88 Regulations provide the following limits for  
4 acceptable performance. And then there's the table.

5 Question 6a. Do these appear to be the  
6 correct ATE target values? Please discuss.

7 DR. ADCOCK: Would any of the Panel members  
8 like to -- Dr. Ng.

9 DR. NG: Well, I go back to the data from  
10 Plebani's article. In looking at the copy, there are  
11 116 references that are summarized in there, which,  
12 you know, I'm naïve, but maybe at face value these  
13 are achievable ATE levels which seem to be much  
14 narrower than what are the CLIA acceptable limits.  
15 So I'll throw out an opening proposal that we should  
16 consider reducing the acceptable limits to somewhere  
17 near the upper limit of variability as identified by  
18 Plebani, and that is slide number 19 in Dr. Russek-  
19 Cohen's presentation.

20 So just to read that out, the white count  
21 I'll propose plus or minus 10 percent, the red count  
22 plus or minus 2.5 percent. I'm sorry. I'm  
23 adjusting. This is higher than what they reported.  
24 So I'm giving a margin of error. The hemoglobin  
25 should be plus or minus 2.5 grams per deciliter and

1 platelet count plus or minus 15 percent.

2 DR. ADCOCK: And, Dr. Ng, do you feel that  
3 these allowable error rates would be consistent  
4 across a reportable range?

5 DR. NG: No. I think it would be over, I  
6 don't know, the midpart of that linearity range but  
7 at the high end and the low end. The high end, a  
8 small percent is a large number. So you might want a  
9 smaller percent. I don't know. I'd go with the  
10 statisticians. I believe at the low end you  
11 definitely want to go with a constant number. I  
12 wouldn't choose that. I would let them choose it.

13 DR. ADCOCK: Dr. Norback.

14 DR. NORBACK: I also think that the  
15 allowable total error should be more stringent, and  
16 it's just I think meaningful to approach it, you  
17 know, analyte by analyte and then look at low levels,  
18 normal levels, and high levels, and for neutrophils,  
19 lymphocytes, and platelets, low levels are very  
20 important, and the total error has to be very small,  
21 and then for other analytes like -- well, platelets  
22 for example, we have to be able to discern clinically  
23 important levels at elevated platelet levels. So we  
24 just have to make sure that the total error is  
25 stringent enough so that one clinically important



1 value can be separated from another one. And it's  
2 easier, I think, for us as consultants to give them  
3 absolute values just based on our clinical experience  
4 as to what would be acceptable.

5 DR. KULESZA: Platelets would be  
6 particularly challenging not only at the higher ends  
7 because a lot of decisions whether or not to perform  
8 core biopsies or go with a finding of aspirations,  
9 transcutaneous or percutaneous, are based on the  
10 ranges between 50 to 100. Now, the guidelines not  
11 necessarily support that, but that is what is used  
12 clinically, and different physicians practice  
13 differently. A narrow rate of 25 percent in the  
14 range of 50 to 100 would be totally unacceptable  
15 because those would be decisions made on the spot,  
16 whether to go for a core or not, and the consequence  
17 could be devastating in terms of bleeding  
18 complications.

19 DR. ADCOCK: Additional comments?

20 (No response.)

21 DR. ADCOCK: So, Dr. Gutman, if I may ask,  
22 did you want us to try to develop these numbers for  
23 different ranges or give you a consensus opinion as  
24 to what is needed?

25 DR. GUTMAN: Yeah, I think a consensus

1 opinion would do. I'm not sure you need to go  
2 through -- that would be asking a lot to go through  
3 the three ranges. So some kind of general direction  
4 would do.

5 DR. NORBACK: I also want to add that  
6 whatever stringent allowable total error that we  
7 would set for each parameter, then the instrument  
8 should be challenged for that, too, and if the  
9 instrument cannot produce that, then it's not going  
10 to be on the market giving us incorrect results in a  
11 waived setting.

12 DR. ADCOCK: So if I can just reiterate  
13 what you said, the instrument would have to meet  
14 these error rates in order to be acceptable.

15 DR. NORBACK: Yes. It would be, you know,  
16 it would be one of the major deciding points as to  
17 whether development of the instrument could go  
18 forward. It would have to produce very stringent  
19 results, very accurate results with a very low total  
20 error. And it seems like the easiest way to test  
21 that would just be to challenge it with a number of  
22 clinical samples.

23 DR. ADCOCK: Dr. Ng.

24 DR. NG: You know, there was a thought, I'm  
25 probably dating myself here, that the percent

1 allowable error should be no more than 25 percent of  
2 the physiological variation. Is that still a valid  
3 concept, number one? And if it is, how do these  
4 ranges fit with what's known about physiologic  
5 variation in each of these parameters?

6 DR. BULL: There was considerable effort to  
7 take a look at it, because obviously if your  
8 physiologic error is very large and your analytical  
9 error is very small, the physiologic swamps it  
10 anyway, and for hemoglobin, for instance, the daily  
11 variation can, in normal individuals, it's typically  
12 somewhere between 5 and 7 percent, and in sick  
13 individuals, it can go as high as 15 to 20 percent.  
14 Well, if your daily physiologic variation is that  
15 large, you know, there's not much point in holding  
16 your hemoglobin to plus or minus 2 percent because it  
17 depends on what time of day you drew the sample. I  
18 don't know. I haven't followed the literature as  
19 to -- but that is a consideration that probably we  
20 should put on the table, suggest that in going  
21 forward, that we take a look at that because there's  
22 no point in holding manufacturers to an analytical  
23 variation that is some small fraction of the  
24 physiologic variation, and the figure of 25 percent  
25 was one that we came up with years ago, and I have no

1 reason to believe -- I think it's still valid.

2 DR. ADCOCK: Dr. Kost.

3 DR. KOST: Well, at the risk of self-  
4 annihilation, I'll suggest the straightforward things  
5 first and then just spend a moment on others.

6 I don't think that the CLIA concept, the  
7 proportional error per se, is really valid in this  
8 context. So that's something we need to get rid of,  
9 but that's already been addressed earlier. Also with  
10 evidence, we really don't have evidence based error  
11 tolerances per se that we can apply today, and I  
12 realize that in the document I was given, which is  
13 your release, January 30th, you do have the model  
14 that you've shown throughout the day that I would  
15 strongly recommend changing this format to a modified  
16 Bland Altman portrayal of same, which would be the  
17 difference on the Y axis between the point of care  
18 and the reference device and then the reference  
19 device only on the X axis to cover the entire  
20 clinical span.

21 In what you gave us as questions, now I'm  
22 going to be overruled for Robert's rules, but later  
23 you show and Dr. Gutman said we don't necessarily  
24 need to dissect the low, medium, high, et cetera, et  
25 cetera, but you've suggested that be done, and the

1 locally smoothed median absolute technique which  
2 we've invented for this takes a band and moves it  
3 through the entire range of the analyte, the entire  
4 clinically relevant range, and particularly nicely I  
5 think, and graphically highlights errors in the  
6 dangerous low and high zones. So I would recommend  
7 that you at least give it a try and see what you  
8 think of the theory and technique. Thank you.

9 DR. ADCOCK: Other comments from the Panel  
10 at this time?

11 (No response.)

12 DR. ADCOCK: All right. In summary then,  
13 the Panel generally feels that these allowable errors  
14 should be stringent and perhaps more stringent than  
15 CLIA 88 regulations, but there are some very  
16 important caveats to that, that the FDA consider the  
17 physiological variation and perhaps consider another  
18 method for evaluating the error, and it's been  
19 proposed that the locally smoothed median absolute  
20 difference curve analysis be considered.

21 DR. KOST: If I could make one comment.  
22 It's not something in lieu of what you've already  
23 suggested conceptually. In the paper, you'll see  
24 that we used for, and this is the glucose analogy,  
25 ISO15197, with the 20 percent error band above 75

1 milligrams per deciliter and 25 milligrams absolute  
2 below 75 milligrams per deciliter, and there are  
3 further definitions in the paper to identify  
4 discrepant values we call them, when you can flip  
5 flop back and forth, class 1, class 2 discrepant  
6 values which will critically affect decision-making,  
7 clinical decision-making. And then LSMAD curve  
8 analysis comes to play with that as a partner but not  
9 to replace above at all. So I'm not suggesting at  
10 all that this be replaced, just this be reformatted.

11           And the point of it all is that at least in  
12 the glucose area, not germane to today's discussion,  
13 the clinicians are saying we just don't like these  
14 error tolerances. They're too liberal. We want to  
15 tighten them up considerably. We just won't want  
16 devices anymore at the point of care that are  
17 possibly inaccurate and 20 percent, 10 percent is  
18 just way too liberal in terms of error tolerance.  
19 I've always said point-of-care testing is not an  
20 excuse for inaccuracy. Thank you.

21           DR. ADCOCK: Dr. Gutman, is that  
22 sufficient?

23           DR. GUTMAN: Yes.

24           DR. ADCOCK: Thank you. If we can move  
25 onto the next question.

1 MS. BAUTISTA: This is 6b, limits of  
2 erroneous results represent results for which the  
3 error is large enough to present harm to a patient.  
4 We have a table. Do you want me to read the table?

5 DR. ADCOCK: No.

6 DR. BAUTISTA: Okay. Question 6b. For  
7 each analyte, what is the maximum error that would  
8 not endanger a patient's health?

9 DR. ADCOCK: Would anyone like to initiate  
10 the discussion on this?

11 DR. NORBACK: Conceptually I think it has  
12 to be very close to the ATE or the allowable total  
13 error.

14 DR. ADCOCK: Other opinions?

15 DR. NG: I would look at this more as  
16 categorical limits instead of continuum. Maybe I'm  
17 looking at it wrong, but I would look at a  
18 hemoglobin, I don't know, if it's below 6 or greater  
19 than 16, you know, and a white count, ANC less than  
20 500, absolute neutrophil count less than 500 or total  
21 white count greater than 40,000. I could go to  
22 100,000. That's how I was looking at the LER.

23 DR. ADCOCK: Dr. Norback.

24 DR. NORBACK: Likewise, the allowable total  
25 error should also be different. It should not be

1 continuous, but it should be different for low  
2 levels, normal levels, and high levels for each  
3 analyte.

4 DR. ADCOCK: Dr. Kost.

5 DR. KOST: I'd just like to make a comment  
6 in regard to low, mid and high. These may not  
7 necessarily correspond to where clinicians do their  
8 decision making. So I think I would recommend that  
9 the FDA look at some of the decision levels per se  
10 and make sure that they don't have to peculiar  
11 idiosyncratic concepts that don't agree with decision  
12 levels. It doesn't make any point to have a break  
13 from a constant, fixed error bar to a proportional  
14 one right in the middle of a decision level for  
15 example, or right in that vicinity.

16 This has happened in glucose. We attempt  
17 to revise this by going to a scanning band throughout  
18 the total range of the analyte to get around that.  
19 So it's more objective in that regard.

20 DR. ADCOCK: Dr. Ng, I apologize, but I  
21 didn't catch your point. Could you reiterate what  
22 you said?

23 DR. NG: I think, I'm hoping it's what  
24 Dr. Kost picked up on that, the decision making is at  
25 these categorical limits. It's not in the continuum



1 everything you measure. So for me, the grave error  
2 occurs when you have a total discrepancy where one  
3 method gives a value that's not scary, but the other  
4 method gives a value where you're going to take  
5 action, and the action could have harm to the  
6 patient. So for hemoglobin, a hemoglobin less than  
7 6, most people would probably want to do something.  
8 Hemoglobin greater than 16, they might want to do  
9 something. They might waffle in that range in the  
10 middle. So when I think about the mismatch, if one  
11 value gave you a hemoglobin of 10 and another method  
12 gave you a hemoglobin of 20, that 20 is in that LER  
13 range of greater than 16. And so that's not  
14 acceptable.

15 DR. ADCOCK: Thank you.

16 DR. NG: And just to round out, for  
17 platelets, I would say, I don't know, less than 20  
18 and greater than 1.2 million. It's kind of where I  
19 would put my LERs.

20 DR. ADCOCK: Dr. Sandhaus.

21 DR. SANDHAUS: Well, I asked earlier if  
22 this concept of limits of erroneous results applied  
23 to moderate complexity or high complexity testing,  
24 and I think the answer was it applies only to waived  
25 testing, and I think what I'm hearing that we're

1 getting at is that the standards for allowable total  
2 error should be the same or more stringent for a  
3 waived method than for a lab method. And I think  
4 that this concept of LER is kind of quicksand for  
5 laboratory testing, and if we're going to apply the  
6 same standards for accuracy, to the waived method as  
7 we do for a moderately complex method, I don't see  
8 the point of having this limit for erroneous result.  
9 I think it's adding --

10 DR. GUTMAN: The nidus of this actually are  
11 the glucose papers, the Clark grid, and the Parks  
12 error grid, and the notion was that there would be  
13 values that were so extreme, they were just so -- I  
14 think, well, what Dr. Ng said, the values are just  
15 completely mis-signaled you because they were 180  
16 degrees in the wrong direction. So I forget where  
17 that falls in the Clark error grid or the Parks error  
18 grid, but we stole that idea from them.

19 We don't apply that concept, but probably  
20 we do to glucose because we do -- but we don't in  
21 general worry in moderate complexity or high  
22 complexity labs about these kinds of outliers because  
23 of the notion that there is a regulatory environment  
24 which includes trained operators and proficiency  
25 testing, and so we're hoping that that regulatory

1 environment picks up these kinds of outliers.

2           Again, you can make recommendations. You  
3 can -- one recommendation would be that we, in fact,  
4 shouldn't have this category. I don't know, but we  
5 put this category in deliberately based on the notion  
6 that we really wanted to have some limit whereby if  
7 you fell outside of that and you did make the wrong  
8 choice, you know, there was an uncertainty about  
9 where to draw those lines, which is why we're  
10 bringing this issue to this group, and it seems to me  
11 that it's an earlier reflection in the course of the  
12 day, there's a question about whether either the line  
13 should be drawn, whether there's enough information  
14 to draw the lines.

15           So that's, that's an interesting different  
16 response than we expected, but I suppose that's a  
17 possible response.

18           DR. KULESZA: I actually was thinking that  
19 this LER is uniquely suited to waived devices  
20 because, as you said, in a hospital setting or in a  
21 more professional setting, that ridiculous value will  
22 either be repeated or will trigger an immediate  
23 action on the part of a moderate or high complexity  
24 lab that will curve and get to the bottom of the  
25 reason for why that value may be such an outlier.

1           For waived complexity, for the waived test,  
2 I think that this LER should be as Dr. Ng suggested,  
3 and I think that also it should be incorporated even  
4 more stringently down into the low values, comparing  
5 them with an alternative method. I think it would be  
6 technically difficult to get at the low numbers, but  
7 I think it should be there.

8           DR. SANDHAUS: You know, I'm getting  
9 confused actually by the discussion, and I think it  
10 might be helpful to have that diagram up on the  
11 screen if we could while we're discussing it. You  
12 know which figure I'm referring to.

13           DR. KOST: This one.

14           DR. SANDHAUS: Because I think we all might  
15 be saying similar things, but I know I'm getting  
16 confused.

17           I think what we're hearing, which was your  
18 suggestion, was that those limits of erroneous  
19 results be pushed towards the boundaries of the  
20 allowable total error so that they essentially become  
21 the same thing. In other words, and I'm saying the  
22 same thing but in a different way, which is let's get  
23 rid of the LER because it really is the allowable  
24 total error. We're making them more or less the  
25 same.

1 DR. NORBACK: In someplace in our reading,  
2 too, if the machine ever produces a result in the  
3 limit of erroneous result, well, then it can't be  
4 used in a waived setting. So I mean that's the  
5 definition. It can never produce that result, and  
6 that's why it needs to be challenged up front. If it  
7 ever produces a result like that, it can't be used.

8 DR. GUTMAN: Well, that actually was the  
9 intention. The advice we were looking was whether  
10 there were limits to define the outliers that we'd  
11 essentially sink a waiver. I'm a little bit  
12 confused. I'm actually not following. Are you  
13 suggesting that the limits be -- well --

14 DR. NORBACK: Eliminate the white part.

15 DR. GUTMAN: Well, I got that, but then  
16 you're suggesting that what was answered in the  
17 previous question, for example, in 6a, have dual  
18 purpose, that it be the allowable error and it also  
19 be the LER.

20 DR. ADCOCK: Dr. Kost.

21 DR. KOST: Well, I don't know how much  
22 forethought there was in drawing this particular  
23 drawing. It is in your guidance document. I take it  
24 this is out there since January, and I could be wrong  
25 because I haven't thought through this completely.

1 What you show here, which is a little different from  
2 up there, puts the absolute territory of no return  
3 bulging toward the sweet spot, if you will, of the  
4 measurement of the analyte. The parts that are  
5 heteroscedastic appear at the top, and then this  
6 flaky stuff that is always going to appear at the low  
7 end are not excluded by what you've drawn. So it's a  
8 very misleading diagram. Actually I think that  
9 perhaps, although I have no evidence base for this,  
10 that it should look like the opposite of what's been  
11 drawn here so as to satisfy this low-end problem that  
12 occurs with the CBC in particular.

13 DR. KONDRATOVICH: I think (off mic.)

14 DR. KOST: Yeah, what did you intend with  
15 this?

16 DR. KONDRATOVICH: Let me clarify. Here is  
17 really -- cartoon. It's not particularly related to  
18 any analyte, and only I would like to -- in some  
19 situations you are right. It can be very low. It's  
20 like can be like here. Only my picture I would like  
21 to show that sometimes it can be not symmetrical like  
22 in this cartoon. This can be larger. This can be  
23 example, a smaller area. For some region, maybe you  
24 need not have any erroneous results because like, for  
25 example, you have completely made the normal results

1 and in some situation maybe there are not set kind of  
2 like you can harm patient.

3           So my point is cartoon to show you basic  
4 idea, and you're right. You can have really very  
5 close to your allowable total error, but I would like  
6 to emphasize that, no, you cannot have absolutely the  
7 same because here would require like 95 percent, and  
8 inside of this white and light gray, you need to have  
9 like 100. So you need to have some room for 5  
10 percent. So we're asking that how close you can be  
11 to this, and I understand you would like to be as  
12 close as possible, but, of course, not the same just  
13 because otherwise here is 95 and it's going to be  
14 100.

15           DR. KOST: So it looks a lot like maybe you  
16 drew the idea for the Clark error grid --

17           DR. KONDRATOVICH: Yes, you're absolutely  
18 right.

19           DR. KOST: -- glucose analogy when, in  
20 fact, not yet published, it's in press. The second  
21 paper we have as technique shows that with a very  
22 accurate bedside, point-of-care glucose meter, there  
23 is nothing in any of those Clark zones.

24           DR. KONDRATOVICH: Yes.

25           DR. KOST: The Clark grid becomes obsolete

1 basically, and so the flip side of the coin that I  
2 would recommend in this consideration here is  
3 whatever would go out as a waived CBC device and so  
4 on, needs to be highly, highly accurate. I think  
5 that's what people are saying fundamentally and not  
6 just in the center of the measurement analyte range  
7 but at the ends as well.

8 DR. ADCOCK: Dr. Kulesza.

9 DR. KULESZA: Well, I don't know if I  
10 understand this correctly now because if you -- I am  
11 quite comfortable, I think, with the idea of the  
12 white being there, quite substantial. And in my mind  
13 at least, the zones of LER should not necessarily be  
14 drawn on the basis of analytical performance of the  
15 instrument. That's what the gray is for.

16 DR. KONDRATOVICH: Yes, you're absolutely  
17 right.

18 DR. KULESZA: But rather by the severity  
19 and consequences of the clinical scenarios, that the  
20 particular result entails. I think that that was the  
21 intent of the FDA if I understand it correctly to  
22 draw these results.

23 DR. KONDRATOVICH: You're absolutely right.

24 DR. KULESZA: And I think therefore that  
25 the shape of these curves and the placement of the



1 boundary is not necessarily related to the instrument  
2 and shouldn't necessarily be compressed towards the  
3 ATR but rather be dictated by what happens if we get  
4 this difference to the patient. I mean I don't know.  
5 If this is --

6 DR. KONDRATOVICH: Yes, you're absolutely  
7 right. You're absolutely right. There's more on the  
8 clinical concept. We have 95 percent observation  
9 here, and we don't like to have like, for example,  
10 formally 5 percent, 2.5 percent here. So we really  
11 need to have some zone for waiver test, that it's  
12 like prohibited zone.

13 DR. KULESZA: It's a red card.

14 DR. KONDRATOVICH: Yes, you're right.

15 DR. ADCOCK: Dr. Sandhaus.

16 DR. SANDHAUS: I'd like to, for a minute,  
17 sort of shift the discussion a little bit away from  
18 the actual statistic to what actually happens in real  
19 practice, and what's going to happen in real practice  
20 with the waived CBC is what happens with every other  
21 point-of-care test that's out there, and that is the  
22 doctor gets a result from the point-of-care  
23 instrument, and they don't like that result.

24 So now they redraw it, and they send it to  
25 the lab or maybe the point-of-care instrument, this

1 waived instrument, isn't going to give us a result or  
2 it's going to give a flagged result with a number,  
3 and then they redraw and they send it to the lab, and  
4 the number one question that comes back every single  
5 time with every single test is why doesn't my result  
6 match the lab test? Which one is right? And they  
7 don't have any understanding of which is the right  
8 result, and how can we tell them which one is right  
9 or there's no sense -- that we can't tell them which  
10 one is right in that particular instance.

11           And that's why the white zone there has got  
12 to be really, really small, because we're not just  
13 trying to reduce error or eliminate patient harm  
14 which, of course, we are trying to do, but we're  
15 trying to reduce discrepancies overall because that's  
16 what's creating a big problem with point-of-care  
17 testing is lack of understanding of variability in  
18 laboratory testing and the explanations for  
19 discrepancies.

20           Physicians do not expect discrepancies.  
21 They expect the white count to be the white count and  
22 the platelet count to be the platelet count every  
23 time exactly the same. So a platelet count of 10,000  
24 by one instrument and 20,000 by another instrument to  
25 them is a discrepancy.

1 DR. KOST: Just a quick comment. In the  
2 use of the word discrepancy, we made the formal  
3 definition as discrepant values in the papers that  
4 are being published as those that affect decision  
5 making critically. So I would reemphasize that in  
6 the so-called challenged studies, we certainly have a  
7 case presented here today for challenging a  
8 potentially waived device with various types of  
9 specimens, so on and so forth, it's not enough to  
10 just challenge them. They have to be challenged  
11 around the decision levels. It has to be  
12 appropriately articulated as well. Thank you.

13 DR. ADCOCK: Please.

14 DR. BECKER: So I wonder if I understand  
15 this correctly, and if the Panel is intending to put  
16 this forward the way I understand it.

17 What I hear are two essentially re-  
18 definitions of that second boundary. The LER is  
19 defined in a particular manner now by that area which  
20 represents the zones in which one would surely expect  
21 patient harm to result from a discrepant result  
22 ending up in that area.

23 So rather than talking to the LER in that  
24 name, it seems to me that there really are two  
25 different concepts that have been brought forward

1 now. One is to redefine the LER in terms of saying  
2 that it is now a zone in which you can't perhaps rule  
3 out the possibility of there being some potential for  
4 patient harm there, you're simply beyond the ATE, and  
5 so it becomes essentially a no man's land, and you  
6 want all the no man's land to extend from just past  
7 the ATE out to infinity.

8           And then the second re-definition that I  
9 think I've just heard is that it becomes a zone that  
10 can be useful, not specifically from a clinical  
11 perspective, but from a discrepant avoidance  
12 perspective, which I think was the catch that  
13 Dr. Sandhaus was suggesting for, that you're looking  
14 at making the function of that area something  
15 different.

16           So LER as defined now has a function of  
17 marking the areas that would be likely to cause  
18 patient harm. Two other possible functions for that  
19 area, however you would name it, would be one of  
20 saying that it's just out past the ATE, still leaving  
21 some room for that 5 percent that has to somehow able  
22 to get outside there, but a large area where there  
23 might be essentially unknown results surely  
24 encompassing that the extremes, the possibility for  
25 patient harm, are not being confined to that.

1           And then the third, okay, second new  
2 definition would be simply a discrepant avoidance  
3 zone meant to be able to help suppress the likelihood  
4 that there would be discordances between the results  
5 as obtained from the waived device versus what would  
6 be obtained from the comparator device, assuming all  
7 comparators were alike.

8           DR. KOST: Well, and a fourth is you can  
9 use it to design the experimental model for  
10 challenges.

11           DR. BECKER: Okay. Thanks.

12           DR. ADCOCK: Dr. Bull.

13           DR. BULL: I had problems with this all  
14 day, and I'm still not sure I understand what its  
15 purpose is. But it seems to me that this is only  
16 going to be done at the time that the machine is  
17 being put through its paces prior to being acceptable  
18 as a waived test by the FDA. This isn't going to be  
19 done routinely. It's only going to be done once  
20 during the manufacturer's submission process,  
21 correct?

22           That being the case, it seems to me that  
23 all you're doing here is saying you don't want a  
24 machine in a waived setting that occasionally  
25 produces what I referred to as TRR, totally

1 ridiculous results.

2           What you've got here is, in your  
3 illustration, you've got the comparative method  
4 giving a value of, I don't know, 50 and the waived  
5 method giving a value of 800. Now, I can't conceive  
6 of a situation in which that could be related to  
7 other than a malfunction of the machine, and no  
8 manufacturer is actually going to submit a data set  
9 to you with any points in those ranges, for the  
10 obvious reason that you wouldn't accept it. So if  
11 what you're after is ensuring that manufacturers do  
12 not provide machines for the waived setting that give  
13 TRRs, then it seems to me that you should ask that.  
14 You should say that in a series of 360 tests, there  
15 should be no erratic results that can't possibly be a  
16 response to anything other than a machine malfunction  
17 in which one value on one parameter is 70 times the  
18 value on another parameter.

19           To define these regions in the way in which  
20 you've been talking about them doesn't seem to me to  
21 make any sense in terms of what I think you're after,  
22 which is that you don't get machines out there that  
23 occasionally misfire completely.

24           DR. ADCOCK: Would you like to approach the  
25 podium?

1 DR. KONDRATOVICH: (Off mic) this zone is  
2 really for the CLIA waiver study and notice that if  
3 somebody has at least one value among 360, we know  
4 that it's not suitable. There are some probabilities  
5 that this event happened because we see this in this  
6 clinical CLIA waiver study. So you're right. It's  
7 not like some zone which can be used in real clinical  
8 practice. It's more what kind of criteria we need to  
9 apply for device with the data from CLIA waiver  
10 study.

11 DR. BULL: Well, I can confidently predict  
12 that no manufacturer will ever submit a data set to  
13 you of any points there. Either they'll fix the  
14 machine and say, ah, we've now figured out why this  
15 machine occasionally glitches and gives us a totally  
16 ridiculous result and then start the study over  
17 again, or eliminate the data point because halfway  
18 through the study they discover that somebody pulled  
19 the plug out of the wall or something like that.

20 DR. KONDRATOVICH: Yes.

21 DR. BULL: And I think that's what you're  
22 getting after. I've been trying to wrap my mind  
23 around --

24 DR. KONDRATOVICH: You're absolutely right.  
25 So all the zone will be established before the

1 clinical study, and we're expecting that before they  
2 performance CLIA waiver study and they will see that,  
3 for example, in allowable total error zone, it's only  
4 70 percent probably there are no need to submit data  
5 and also the same for LER, limits for erroneous  
6 results, is they have some point they have  
7 possibility not to submit, of course, because they  
8 definitely not pass.

9 DR. GUTMAN: But the purpose of having  
10 these numbers, if you buy into this concept, is that  
11 that gives the manufacturers a target to work for.  
12 In other words, they understand the rules of the game  
13 and will try to design, you're right, if they made a  
14 mistake, there probably wouldn't be high incentive to  
15 mail in a submission that's got all bad results, but  
16 they have, you know, they have a definition of what  
17 constitutes a result that is totally ridiculous as  
18 opposed to what constitutes a result which is not  
19 totally ridiculous.

20 DR. BULL: But statistically you could put  
21 a limit on what is a rational result and say anything  
22 outside of that will make the machine unacceptable  
23 for waived results, rather than going through the  
24 exercise of saying it has to fall in this.

25 You've got two methods, and you've got a



1 discrepancy in which one method is giving you 70  
2 times the value of another. Now, nobody building a  
3 machine or no laboratory person would proceed further  
4 until they figured out --

5 DR. GUTMAN: All right. But what if it  
6 were 30 times but what if it was 5 times? In other  
7 words, we're trying to put -- maybe this is just --

8 DR. BULL: I would --

9 DR. GUTMAN: -- we're trying to put some  
10 kind of zone on that and, and contextually, you know,  
11 70 times is easy. We figured out that 70 times is,  
12 probably for any analyzer, is unacceptable, and a 5  
13 percent error is easy because it's probably --

14 DR. BULL: Quite acceptable.

15 DR. GUTMAN: Yes. Where between 5 percent  
16 and 70 percent do you draw the line? Or what  
17 principles do you use to draw the line?

18 DR. BULL: Well, I'm suggesting that you  
19 don't use areas on the map. You use a statistical  
20 assessment of the frequency with which you're going  
21 to get 5 standard deviation difference with your  
22 analytical methods or a 6 standard deviation  
23 difference, and once you've decided what that is,  
24 anything outside that makes the machine unacceptable,  
25 particularly if it occurs episodically.

1 DR. ADCOCK: Dr. Russek-Cohen, did you want  
2 to make a comment please?

3 DR. RUSSEK-COHEN: Yes, a couple of  
4 comments. Companies often do these studies without  
5 coming to the FDA first. Not everybody has the same  
6 notion of what the LER zone is. So some guidance  
7 where we could help companies along is better because  
8 sometimes there are points where one person's view is  
9 that should never occur, and another person says,  
10 well, it's fine with me, especially after I've  
11 invested in this large study. So the extent to which  
12 we need guidance and the fact of the matter is, is if  
13 you say a certain multiple, you know, like being off  
14 by 100 percent is unacceptable, it could translate  
15 into a region on a graph.

16 DR. BULL: I'd be happier seeing you do it  
17 statistically and then draw the map if you wish, but  
18 at least you could explain to the manufacturers that  
19 being off by 100 percent with your comparative method  
20 is going to invalidate the machine and they need to  
21 go back to the drawing board and design a better  
22 machine.

23 DR. RUSSEK-COHEN: Okay.

24 DR. NORBACK: May I comment?

25 DR. ADCOCK: Please, Dr. Norback.

1 DR. NORBACK: Okay. Thank you. It's been  
2 conceptually easier for me to think of this in  
3 absolute values, and if you would just indulge me for  
4 a minute. If we took the values of platelets from  
5 10,000 up to 1,000,000, when somebody has a platelet  
6 count of 10,000, we could probably accept an error of  
7 5,000. Now, if you want to make that 1,000 for  
8 discussion, that's fine, too, but just for the sake  
9 of discussion, let's say 5,000. And then if we got a  
10 value between 5,000 and 15,000, we're sort of in the  
11 same neighborhood, but if the value comes back of  
12 30,000, to me that's not an acceptable error. That  
13 would be outside the limit.

14 Then when we go up the scale and we get up  
15 to 1,000,000 platelets, I think we'd like to have an  
16 error of maybe about 100,000, but if it was 400,000,  
17 this would be a problem because it would drop us down  
18 to, well, let's make it 500,000. If it dropped down  
19 to 500,000, that's a big difference between a 500,000  
20 platelets and 1,000,000 platelets.

21 So that was just the way I approached these  
22 definitions and tried to make them clinically  
23 relevant, and you can do the same thing for every  
24 analyte. You could do that for neutrophils and  
25 lymphocytes and pick out what you want to be an

1 acceptable error, and I don't claim to know what the  
2 acceptable error is, but I think as a group we could  
3 come pretty close, and then you could also have a  
4 limit that's going to change the clinical decision as  
5 you go all the way up the scale, and here's where you  
6 pick out the points of clinical relevance.

7           There's a big area in the middle of  
8 platelets where 100,000 doesn't make any difference.  
9 You can have 100,000, 200,000, 300,000, 400,000  
10 platelets and nobody particularly cares too much.

11           DR. KULESZA: There's another way. I mean,  
12 I am hearing the statistical approach to drawing the  
13 gray, I mean the black, the LER, I think would be  
14 often hiding other failures. Consider if, for  
15 example, you have different polyethylene for a PCR  
16 that we're running for Hep C, and they will draw out  
17 the DNA or absorb. Those are errors that can be  
18 caught presumably in a high complexity laboratory,  
19 but if something like this, and this will not be  
20 ridiculous type of, you know, somebody pulled the  
21 plug or there is an obviously machine fell on the  
22 floor, there might be sources of error that are  
23 somewhat unforeseen, but yet nevertheless they do  
24 occur. The waived machinery is completely  
25 unacceptable. It's out. And I don't think that

1 statistics in terms of drawing boundaries and drawing  
2 zones and percentages of an accurate result is the  
3 appropriate way to go about it.

4 DR. NORBACK: It should be empirical.

5 DR. KULESZA: Yes, absolutely. And it  
6 should be particular for each analyte just like you  
7 said because the clinical consequence is driving it  
8 rather than the analytical performance of the machine  
9 and, yes, I can accept, you know, pulling plugs and  
10 stuff, but I hope that the manufacturers are at least  
11 not that cagey, I think is the right word, you know.  
12 If something like that occurs, the FDA has the right  
13 to know line data, step by step, each bar coded  
14 specimen as it comes off of the machine.

15 DR. ADCOCK: Dr. Sandhaus.

16 DR. SANDHAUS: I'm reluctant to make this  
17 comment, but I guess I want to reiterate a point.  
18 You know, I really agree with what Dr. Norback said  
19 about, you know, the examples you gave for acceptable  
20 errors and platelet counts, and you or I wouldn't be  
21 concerned if we saw a platelet count that was 250,000  
22 and whether it was 300,000. It wouldn't bother us.  
23 Nor would it bother a physician, but what happens  
24 with point-of-care testing is that you have  
25 intermediaries, your testing personnel, who are

1 untrained or minimally trained, and to them a  
2 discrepancy of 250,000 to 300,000 is a big  
3 discrepancy. They don't understand the clinical  
4 significance or lack of significance of that  
5 difference, and this creates a lot of confusion in  
6 the testing environment.

7           And sometimes difference such as this get  
8 communicated to patients in a way that can create  
9 confusion for the patient, and some specific examples  
10 that come to mind, where I've seen this kind of  
11 confusion, with other testing, not with CBC testing,  
12 but for example, a cardiac perfusionist in open heart  
13 surgery complained at our institution about  
14 discrepancies in blood gas results between the point-  
15 of-care instrument and the lab instrument, and the  
16 particular complaint was that the pHs weren't  
17 matching, and the patient result that was cited was a  
18 pH of 7.29 and a pH of 7.31. Now, people who  
19 understand laboratory medicine would not see that as  
20 a discrepancy, but in the point-of-care setting, this  
21 becomes problematic.

22           So these platelet counts that you cited as  
23 examples, while I agree with you absolutely, on the  
24 cutoffs that you were suggesting, in the real world,  
25 in practice, those things get translated into

1 something else, and a hemoglobin that is .1 gram per  
2 deciliter above or below the reference range could be  
3 communicated to a patient as, oh, your result is  
4 abnormal, you're anemic when, in fact, they aren't,  
5 and these things can lead to additional testing, to  
6 other misconceptions, and who knows what.

7 DR. KOST: I suppose I'm being overly  
8 cynical, but what we're hearing here is that in most  
9 esteemed evaluations of Panel opinions and guideline  
10 documents, they're always put at the bottom of the  
11 stack as having the least value, whereas evidenced-  
12 based studies and what have you have the greatest  
13 value, particularly if they're done in a controlled  
14 fashion, so on and so forth.

15 So frankly, I feel, although I don't agree  
16 with anything that's been said by the Panel, seem to  
17 be very shrewd opinions, but I fail to see how in  
18 realistic consideration by the FDA of potential  
19 waived devices you'll be able to set these guidelines  
20 preemptively and proactively. I think there has to  
21 be more actual evidence come forward.

22 And a even bolder suggestion might be that  
23 point-of-care testing has really come of age now. I  
24 mean, we're hearing the good and bad of it today, and  
25 we have 100 or whatever waived tests, so on and so

1 forth. When will we have an independent arbitrator  
2 to actually validate these devices? When will we  
3 have, I don't care who does it, but rather than  
4 industry, once industry is all primed and ready to  
5 go, why not have an independent body that actually  
6 does the validation of the accuracy of the devices  
7 and get around a lot of the pre-manipulation of the  
8 data. You know, you don't want to be totally harsh  
9 on industry in predicting that they will skirt around  
10 all of these funny zones that are drawn.

11           Actually in most data sets, the reality is  
12 that industry for one reason or another going out to  
13 multiple sites will collect a lot of data in the  
14 middle because that's where the data points lie.  
15 It's very difficult to get the highs and the lows.  
16 It's very difficult, particularly, in fact, I can't  
17 picture how we could do that in a waived setting  
18 because those that are screening tests, and a lot of  
19 those patients are going to be -- normal, they're  
20 going to produce a lot of measurement in the sweet  
21 spot of that graph up there.

22           DR. ADCOCK: Additional comments?

23           (No response.)

24           DR. ADCOCK: At this time then, if I can  
25 summarize the Panel's opinion, in regard to



1 determining how the LERs should be obtained, or  
2 determined for each of these analytes, there was  
3 significant discussion and perhaps no real consensus.  
4 There's some thought that perhaps the LER should be  
5 redefined, that perhaps we should look at clinically  
6 relevant zones and, for each of the ranges that we're  
7 measuring, determine when clinical decision-making  
8 occurs, and if results should vary in a significant  
9 manner, to change clinical decision making and that  
10 would be different along each of the analytes and  
11 along the range.

12           And then I would also propose that perhaps  
13 Dr. Becker's synopsis be taken to heart, that perhaps  
14 the LER should become a discrepant, avoidance zone or  
15 should be defined as one where we would avoid  
16 discrepancies.

17           Would there be any additional summaries? I  
18 don't know that any consensus can be drawn.

19           DR. GUTMAN: Thank you.

20           DR. ADCOCK: Should we move onto number 7  
21 or --

22           MS. BAUTISTA: C.

23           DR. ADCOCK: 6c, pardon me.

24           MS. BAUTISTA: This is 6c. In the CLIA 88  
25 regulation, there are no ATE criteria, either as

1 percentages or as absolute counts, for WBC  
2 differentials, and consensus recommendations on ATE  
3 are not found elsewhere. An example of  
4 recommendations for maximum differences between  
5 duplicate measurements from the CDC NHANES program  
6 is: neutrophils  $0.4 \times 10^9$  to the 9th; lymphocytes  $0.2 \times$   
7  $10^9$  to the 9th; monocytes  $0.2 \times 10^9$  to the 9th;  
8 eosinophils  $.02 \times 10^9$  to the 9th; basophils  $0.2 \times 10^9$  to  
9 the 9th, and those are in liters.

10           You may wish to define ATE limits that vary  
11 by ranges within analytes, i.e., across cut-off  
12 values that drive various medical decisions. For  
13 purposes of discussion, we suggest analyte-specific  
14 ranges in the following two slides. FDA requests ATE  
15 recommendations for three-part and five-part  
16 differential counts.

17           This is 6c continued. To assure clinically  
18 relevant performance, what ATE do you recommend for  
19 three-part differentials and in the following slide,  
20 five-part differentials? You may specify limits as a  
21 percentage or in absolute numerical counts.

22           And the next slide also for continuation.  
23 Please recommend ATE here for five-part differential  
24 counts in which granulocytes are further  
25 differentiated as neutrophils, eosinophils, and

1 basophils. You may specify limits as a percentage or  
2 in absolute numerical counts.

3 DR. ADCOCK: Thank you. So it seems as  
4 though this is leading based on what we've already  
5 been talking about. I'd like to know if the  
6 committee feels that at this time we can suggest  
7 ranges?

8 DR. SANDHAUS: They should be the same as  
9 they are for current analyzers, automated analyzers  
10 in the laboratory.

11 DR. ADCOCK: So Dr. Sandhaus suggested that  
12 the ranges we propose be the same as for already FDA  
13 approved analyzers that are in the laboratories.  
14 Yes, Dr. Kost.

15 DR. KOST: A lot of what we do in the lab  
16 is based on peer performance, peer review performance  
17 based on proficiency testing, and one could make a  
18 case for actually we need some absolute accuracy  
19 standards because perhaps the proficiency testing  
20 story is not the whole story, and I think the case  
21 will come up in the next few years for point-of-care  
22 testing as well, that we do need some absolute  
23 accuracy standards, and those standards would allow  
24 one to determine such ATE when we actually see how  
25 the instruments can do.

1           Now, my personal opinion is while I could  
2 give some guesses at this, I would only strike at a  
3 few of the high points, such as granulocytes that are  
4 0500, 600, so on, the tolerance for error there has  
5 to be extremely low because the critical limits, the  
6 critical values that are used for notification of  
7 same are fairly tight when you look at means, and the  
8 FDA could consider some of the critical limit means  
9 and standard deviations that are published in the  
10 JAMA paper and also Journal Pediatrics some years  
11 ago. It's outdated, but it's still reflects some of  
12 the key decision levels, the actionable levels.

13           As you know, the Joint Commission has put  
14 additional weight this year, the 2009 patient safety  
15 goals includes proper notification and documentation  
16 of critical results, and so these should not be  
17 omitted in the consideration of approval of any  
18 waived device, and this is a triple-edged sword, if  
19 you will, because if the error is made, it's going to  
20 hurt the hospital, the patient, and industry as well.  
21 They'll all be in the lawsuit.

22           DR. ADCOCK: Additional comments? Dr. Ng.

23           DR. NG: Well, I was just going to comment  
24 on the reference intervals. I think we heard earlier  
25 today that manufacturers typically do not provide

1 reference intervals. They give you sort of a broad  
2 stroke, but then you have to customize it for your  
3 own patient population. So that's why I'm having a  
4 lot of ambivalence about making any suggestions of  
5 reference range, and if I can't make a suggestion on  
6 a reference range, I can't even begin to think about  
7 what the ATE should be for each of those reference  
8 intervals.

9 DR. ADCOCK: Dr. Norback.

10 DR. NORBACK: I did look at allowable  
11 ranges on one of the analytical commercial  
12 instruments, and for neutrophils in the midrange, I  
13 think the allowable error would be 400. I think  
14 that's too high for neutrophils, and I think we can  
15 do better, and I think we would want to consider more  
16 stringent controls if we were considering a waived  
17 instrument. And I guess what I'm thinking is that it  
18 makes a difference if somebody has a neutrophil count  
19 of 500 or 1,000 or 1500 or getting into the normal  
20 range, and if we allow an error of 400, you know,  
21 we're just not distinguishing between these important  
22 levels.

23 So I would just say that I think if we're  
24 considering waived instruments or use of instruments  
25 in a waived setting, we would want more stringent

1 total errors. It would have to be narrower, and  
2 they're really pretty generous as they exist in the  
3 laboratory right now.

4 DR. KOST: Dr. Norback's point is very much  
5 to the point, the point of care, because there is  
6 litigation now over these low neutrophil counts, and  
7 the issue is, is the doc getting the information, et  
8 cetera, particularly important in the clinical  
9 setting of sepsis and incipient sepsis where the  
10 patient is subject to potential sudden death, as it  
11 were, from the flood of pathogens and whatever  
12 happens there.

13 DR. ADCOCK: Additional comments from the  
14 Panel?

15 DR. SANDHAUS: I think this is very  
16 interesting that there seems to be a trend here of  
17 recommending that the waived instrument, that the  
18 requirements for accuracy be more stringent than for  
19 the laboratory instrument, and I think that's very  
20 interesting because if such an instrument really does  
21 become available, then that would be the instrument I  
22 would want in my laboratory.

23 DR. ADCOCK: Then to summarize, Dr. Gutman,  
24 I believe that the Panel generally feels that the  
25 accuracy standards as they are currently available

1 would be the minimum and that the Panel would  
2 recommend perhaps more stringent numbers.

3 DR. GUTMAN: Yeah, if members of the Panel  
4 do have references that would be relevant to this or  
5 materials that would be relevant to this, if you  
6 would send it to us post-Panel, we would be very  
7 grateful. Our 510(k) process is a colorful process  
8 that provides glimpses into products but may not be  
9 as reassuring as a benchmark we might like. So any  
10 help from the Panel in terms of informing us about  
11 what the state of the art should be or is would be  
12 useful.

13 DR. KOST: It's an interesting observation  
14 in the last year or so that industry, of course, has  
15 done this for a while, but a lot of other  
16 professionals, clinical professionals are drifting  
17 away from the laboratory when it comes to point-of-  
18 care testing. In a way, they don't consider the  
19 laboratory as relevant as they used to consider  
20 because a lot of decision making is made on the  
21 result at the point of care.

22 So I would personally like to inspire the  
23 FDA to lead the way on this. In fact, the  
24 observation of Dr. Sandhaus is particularly astute in  
25 sensing what's going on here. The point-of-care

1 testing really needs to be the definitive test of the  
2 future. It's going to be out there. And so there  
3 can be no compromise on accuracy, and that's what we  
4 need, I think. Personally I think the -- decades  
5 seen in the next 10 years, the FDA really needs to  
6 charge forward in that regard.

7 DR. ADCOCK: Dr. Wang.

8 DR. WANG: In the review article,  
9 Dr. Russek-Cohen referred to earlier, they actually  
10 provided allowable total error for the CBC as well,  
11 but they're in percentage, not in absolute values.

12 DR. RUSSEK-COHEN: (Off mic.)

13 DR. ADCOCK: Would you be kind enough to  
14 approach the microphone.

15 DR. RUSSEK-COHEN: The article implied  
16 that -- when Dr. Kondratovich talked about this idea  
17 of considering biological variation and analytical  
18 variation, it used a fairly high criteria for  
19 analytical variation, that it would meet up with  
20 about half the biological. So, in fact, the ATE may  
21 be higher and might be desirable, and so we weren't  
22 necessarily saying the way they've gone about  
23 calculating the ATE would be appropriate. And then  
24 there's also the issue that they used the uniform  
25 percentage throughout the whole range and that may



1 not be clinically the most sensible thing to do.

2 DR. ADCOCK: Dr. Ng.

3 DR. NG: Just a tangential thing to throw  
4 out when I look at these white cell parameters.  
5 There are a couple entities where the absolutely  
6 count is diagnostic. So I'm thinking the precision  
7 around those diagnostic points would be important to  
8 demonstrate, and I'm thinking of lymphocytes of I  
9 believe it's 10,000 or greater for CLL, chronic  
10 lymphocytic leukemia, primary eosinophilic syndrome,  
11 hypereosinophilia. I can't remember the number, but  
12 there's some number that makes a diagnosis.

13 And then, of course, to reemphasize the  
14 neutrophils, the absent neutrophil count of 500, a  
15 very critical limit to assure accuracy.

16 DR. ADCOCK: Would the Panel like to add  
17 any additional comment at this time? Please,  
18 Dr. Bull.

19 DR. BULL: We've talked a fair bit about  
20 point-of-care testing, and we've talked about waived  
21 testing, and there has been, as near as I can  
22 determine, the implicit assumption that point-of-care  
23 testing and waived testing are similar.

24 I would have thought, however, that they  
25 were really in some sense quite different because

1 point-of-care testing has access to trained personnel  
2 and professionals for interpretation of curious  
3 results. I'd like to hear from those who are experts  
4 in point-of-care testing, how they see the  
5 relationship in their realm of responsibility to  
6 waived testing, what are the similarities and  
7 differences and what might be useful to the FDA as it  
8 considers waived testing.

9 DR. KOST: There's a White Paper, as it  
10 were, published in Chest where the critical care  
11 physician, laboratorians, and so on, agreed to a  
12 uniform definition of point-of-care testing as being  
13 any testing at or near the site of patient care. So  
14 waived and point of care in that definition would be  
15 synonyms. They're synonymous. There's really no  
16 distinction. Push comes to shove when you get over  
17 into other countries, and you see that by model, if  
18 not in reality, what the FDA has deemed waived in the  
19 end is used for all kinds of applications, and so,  
20 you know, should the net result here be to waive a  
21 CBC device or even hemoglobin or hematocrit, it's  
22 going to be used for transfusion decisions in another  
23 country, I guarantee.

24 DR. SANDHAUS: In this country.

25 DR. KOST: Well, this country, too. I

1 don't want to see it, but the reality is yes.

2           So personally I think we should recommend  
3 sticking to the definition of point-of-care testing  
4 as diagnostic testing at or near the site of patient  
5 care. The word waived does not appear as part of  
6 that definition.

7           DR. BULL: I suspected that that's what we  
8 were talking about, but it's nice to have it out,  
9 laid out on the table for us.

10           DR. KOST: Yeah, nobody exactly knows where  
11 the term of point-of-care testing came from. We  
12 ourselves take credit for it in our glory moments at  
13 UCD Medical Center, but to prevent disaster, I tried  
14 to trademark that term, and it was turned down  
15 because, of course, the usual astute documentation of  
16 the Patent Trademark Office is being part of it, it's  
17 an English word now. It appears in dictionaries and  
18 everything else, and that's the kind of definition  
19 that it's used in.

20           DR. SANDHAUS: I think it's important to be  
21 clear about what we're talking about and people might  
22 make different assumptions. So I'm glad you asked  
23 that question. You know, obviously you can do a  
24 waived method in the laboratory, and you can use a  
25 waived method at the point of care where it's being

1 done by non-laboratory personnel, and that's the  
2 setting I think that's more concerning, and that's  
3 where there's obviously less control over how the  
4 test is used, but when we use waived methods in our  
5 laboratory, it's subject to the same standards as all  
6 the other laboratory tests that are done in the  
7 laboratory.

8 DR. KOST: And the reason for that, of  
9 course, is the Joint Commission insists on that. In  
10 the hospital setting, we don't have waived testing.  
11 It's accepted from all of above rules. I mean, we  
12 have to fulfill the Joint Commission and other  
13 accreditation bodies' expectations for quality  
14 control, training, competency, et cetera.

15 DR. ADCOCK: Additional comments at this  
16 time?

17 (No response.)

18 DR. ADCOCK: Then at this time, I would  
19 like to very much thank the Panel --

20 UNIDENTIFIED SPEAKER: We have one more.

21 DR. ADCOCK: Oh, sorry. We do have  
22 additional questions. Pardon me. I do believe we  
23 have an additional question.

24 MS. BAUTISTA: Okay. This is 6d. Limits  
25 of erroneous results represent results for which the

1 error is large enough to represent harm to the  
2 patient. For each analyte, what is the maximum error  
3 that would not endanger a patient's health?

4 DR. GUTMAN: I would actually suggest that  
5 we defer this in light of the discussion on the  
6 previous --

7 DR. ADCOCK: Thank you. I concur.

8 So Number 7 please.

9 MS. BAUTISTA: Number 7, Quality Control.  
10 What frequency of quality control should be performed  
11 for these analytes in the waived setting? With what  
12 circumstances or events should additional QC  
13 measurements be performed, such as every new log,  
14 every new operator?

15 DR. ADCOCK: Comments?

16 MS. RICE: It should be performed daily  
17 with every new operator, with every change of  
18 reagents, and if like Dr. Ng talked about, if you  
19 drop the instrument or something happens to the  
20 instrument, or you get a questionable result.

21 DR. ADCOCK: So I just didn't catch the  
22 very first thing you said, with --

23 MS. RICE: Daily.

24 DR. ADCOCK: Daily.

25 MS. RICE: Change of operator, change of

1 reagent lot numbers, let's see. My mind's gone  
2 blank.

3 DR. ADCOCK: Change of instrumentation.

4 DR. RICE: Change of instrumentation, if  
5 anything happens to that instrument where you have to  
6 change even a light bulb, that I don't think it would  
7 quality it for a waived instrument, you should do QC  
8 on it, and I know a lot of waived instruments now  
9 have internal quality control, electronic quality  
10 control, and if a CBC analyzer would have that, I  
11 think you should also have to do the external daily.  
12 Do both internal and external daily.

13 DR. ADCOCK: And then any recommendations  
14 for should the QC be out?

15 MS. RICE: Laboratory standards but  
16 probably not, no. Because you would have untrained  
17 operators who don't understand Westgard rules. So I  
18 think you should recommend that the QC has to be in.

19 MR. BRACCO: I would like to add that it  
20 has to have two levels --

21 MS. RICE: Yes.

22 MR. BRACCO: -- medium and high, at least  
23 two levels.

24 MS. RICE: I agree.

25 DR. KULESZA: I would imagine that most of

1 these -- I mean my biggest concern with waived  
2 testing would be that the machine locks out and  
3 doesn't allow any further testing to be performed if  
4 the QC test has failed and that the QC test, the  
5 frequency of it, I don't know that I can have an  
6 opinion. It presumably could be done per number of  
7 tests performed, but it would be technological  
8 argument rather than a daily or even monthly. I  
9 don't know what manufacturers can do. Maybe there  
10 are machines that can be QC'ed every six months like  
11 the -- I mean, I don't believe it, but the interval  
12 is not something I would be comfortable on commenting  
13 without knowing the technological aspects of the  
14 device.

15           However, the machines that we currently  
16 have in the labs can defeat controls, i.e., QC is not  
17 passed. The machine will produce a result. That  
18 would be totally unacceptable in a waived setting I  
19 would imagine.

20           MS. RICE: I have seen glucose analyzers  
21 where they have overridden the lockout.

22           DR. KULESZA: Right. So I would like to  
23 have a device that the lockout cannot be overridden  
24 period. It shuts off. There's no electron going  
25 from one place to another, done. I think that that

1 would be the only acceptable machine to me in a  
2 waived setting.

3           And I think also that the control  
4 cartridges should be produced or the two level  
5 controls in such a manner that they would be stable  
6 and have a little bit more of a different process  
7 perhaps than the internal analyzers that we use, and  
8 we can create controls in the lab. It's not a big  
9 problem for us to draw blood and re-QC or do delta  
10 checks or so forth.

11           I think this waived machine should have QC  
12 packets that are designed in such a way that they can  
13 take a little bit more abuse and should be tested as  
14 part of the challenge to the machine, flex testing or  
15 what have you, as the machine is being presented to  
16 the FDA for approval.

17           DR. NG: But I have a caveat on the QC. I  
18 would insist that however they generate whatever the  
19 QC pathway, it must mimic the patient specimen flow.  
20 So that application point is so critical in getting  
21 the correct result.

22           I would also ask that if QC is being done,  
23 that if somebody decides to go to the equivalent QC  
24 process, that the same requirements hold forth  
25 between the last successful QC event and the



1 subsequent failed QC event, that somebody has to  
2 review the importance and the clinical relevance of  
3 every result generated in that interval when the QC  
4 presumably was out.

5 DR. AZIZ: One thing that we can also  
6 suggest is the availability of peer review between  
7 analyzers, and that will give credibility for that  
8 instrument.

9 DR. ADCOCK: I'm sorry. Can you repeat  
10 that, Doctor?

11 DR. AZIZ: A peer review, so I mean I can  
12 compare my -- or my meter that I'm using with his and  
13 theirs and everybody else.

14 DR. ADCOCK: So you're proposing in  
15 addition to QC, that there be proficiency testing?

16 DR. AZIZ: No, not necessarily proficiency  
17 testing, but a peer review. Basically like I submit  
18 monthly reports of my QC and compare it with other  
19 labs, to see how that lot number compared to the  
20 other one.

21 DR. NG: So it's like the Bio-Rad or the  
22 Abbott programs?

23 DR. AZIZ: Yeah.

24 DR. NG: All the labs on that same lot send  
25 into a central database and you see how you compare.

1 I agree.

2 DR. ADCOCK: A program perhaps by the  
3 manufacturer?

4 DR. NG: Or third party.

5 DR. KOST: I think the last time I looked  
6 at package insert for a waived test, it either didn't  
7 mention QC or it said, well, throw it out the window  
8 and you maybe might consider possibly checking it  
9 sometime or something to that effect. So am I wrong  
10 about the definition of waived testing? I think it  
11 was said earlier today that there's really no QC  
12 requirement --

13 DR. GUTMAN: No, that's actually wrong.

14 DR. KOST: I guess you guys have to --  
15 that's wrong.

16 DR. GUTMAN: That's wrong.

17 DR. KOST: Tell me what is the rule please  
18 that you're operating under then in regard to --

19 DR. GUTMAN: No, we do have recommended QC  
20 intervals usually tend to be with each lot when their  
21 reagent changed, when their instrument changes. We  
22 do not have one that explicitly requires daily QC.  
23 So there's a maximum. I forget -- there's a maximum  
24 size on lots, 25. So that you can't run more than 25  
25 samples without a new QC. So it's, it's a

1 miscommunication if you believe there's no QC  
2 requirements. There actually are.

3 DR. KOST: Is this part of the package  
4 insert or --

5 DR. GUTMAN: Yes, it should be. So if  
6 you've identified one where they've changed, you need  
7 to let us know so we can find out why they've decided  
8 to arbitrarily drop the QC or, of course, we could  
9 make an error.

10 DR. KOST: And then the flip side of the  
11 coin is to pose that we have a new technology that  
12 has no lots identified, the ideal technology. Then  
13 what would you say about QC?

14 DR. GUTMAN: Good question. We haven't run  
15 into anything that doesn't have lots yet, but we  
16 would probably look for some alternative frequency,  
17 every certain number of tests I suppose.

18 DR. ADCOCK: Dr. Bull.

19 DR. BULL: Further to this discussion, it's  
20 my understanding that the QC would be specified in  
21 the manufacturer's recommendations for which 30  
22 percent of the laboratories are lacking. And since  
23 nobody checks to see that the QC is done, I'm not  
24 clear on how you would actually find out unless you  
25 designed the machine that had to have a cassette in a

1 waived setting that after a certain number of  
2 specimens, it would quit running unless you fed it a  
3 QC sample. I don't see that we have a mechanism  
4 other than advising, which I guess you do now, but  
5 you don't have any way of checking to see if the  
6 follow the advice, but a QC program in which there's  
7 no way of checking to see if it's being implemented  
8 is useless.

9           So for a waived machine, it seems to me  
10 that the machines themselves are going to have to  
11 be -- if nobody's going to check or train or do  
12 anything, the machines themselves will have to shut  
13 down if the QC program is not required in order to  
14 keep them operating.

15           DR. NG: Am I the only one who has glucose  
16 meters. If you don't run two levels every 24 hours,  
17 you shut down? -- shut down in 24, so if it is  
18 shut -- that can be filled in --

19           The leukocyte S trace and the nitrite,  
20 that's two levels every 24 hours. That's a manual  
21 thing you've got to do. So there are a lot of waived  
22 tests out there that do have QC requirements. But  
23 the instruments you can control. That I like.

24           DR. BULL: Yeah, well, I'm just suggesting  
25 that one of the requirements that we recommend as a

1 Panel is that the instruments do shut down unless the  
2 appropriate QC material is provided to it and that  
3 the material gives the expected results.

4 Well, what are you going to do with this  
5 machine shutting down now for the next 24, 48, 96  
6 hours? Until the manufacturer's representative gets  
7 out there, there's going to be no testing available  
8 at all. Is that not going to harm patient care?

9 DR. AZIZ: Presumably better than wrong  
10 testing.

11 DR. SANDHAUS: That's why the salesmen sell  
12 you two. That's experience from the field.

13 DR. ADCOCK: Any additional comments from  
14 the Panel?

15 DR. SANDHAUS: Maybe one.

16 DR. ADCOCK: Yes.

17 DR. SANDHAUS: This is just some general  
18 comments on QC, again some evidence based. I think  
19 that QC is one of the most widely misunderstood  
20 concepts in laboratory medicine outside of the  
21 laboratory. And in the laboratory, I mean I don't  
22 know what percentage of our testing is actually QC,  
23 but technologists live and breathe QC, and it's part  
24 of the culture of the laboratory. It is not part of  
25 the culture in clinical medicine now, and it's very

1 misunderstood. The types of misunderstandings that I  
2 can find on a daily basis as Director of Point-of-  
3 Care Testing in a hospital are the notion that QC can  
4 be repeated, should be repeated until it's in. Okay.  
5 And this is, of course, why we have QC lockout. This  
6 is why QC lockout was invented which is a good thing.

7           But another very prevalent notion is that  
8 the testing personnel don't have time to do QC. In a  
9 laboratory, of course, we wouldn't accept that  
10 notion. QC is, it's an attitude, I like to say.  
11 It's a frame of mind, and I think my point of view,  
12 when I'm confronted with that reaction from testing  
13 personnel, is that if they don't have time to do the  
14 QC, then they really don't have time to do the  
15 testing.

16           But what we find with a lot of  
17 manufacturers is that sometimes the QC is, if it's  
18 external QC, it's more difficult for the testing  
19 personnel to perform than the actual patient test.  
20 They might have to reconstitute some QC material or  
21 the QC, for example, with the troponin instrument  
22 that we have, well, it takes 14 minutes to run the  
23 QC, and if they have to run two levels of QC, you're  
24 talking about a half an hour of time, and their  
25 reaction is, well, we don't have time to do that.

1           So all of these issues that are related not  
2 just to, you know, the reliability of the QC and so  
3 on, but actually performing it, the actual logistics  
4 of getting it done, in the waived testing setting,  
5 those are problematic issues that need to be  
6 addressed. And the manufacturers' sales reps do not  
7 address that.

8           DR. ADCOCK: Dr. Kost.

9           DR. KOST: I think that someone once told  
10 me that quality control is intended to check the  
11 performance of the operator as well. And I guess in  
12 our program probably most other point-of-care  
13 programs in the hospital setting, we can't possibly  
14 do that with every operator. So the implication for  
15 waived testing is that technology should probably  
16 have this on board, as Dr. Bull suggested, and  
17 specifically not just equivalent or electronic  
18 quality control on board, but for this complicated  
19 testing that we're talking about today, probably some  
20 kind of wet concept intrinsic to the instrument if  
21 this is possible.

22           DR. SANDHAUS: Well, could I respond? The  
23 problem with on board QC though is that it isn't  
24 QC'ing the entire testing process. It's only QC'ing  
25 the analytical phase, and that again, then the

1 testing personnel feel even, you know, less  
2 responsibility for the testing.

3 Another aspect of the QC is some of the  
4 regulatory agencies, at least one that I know of,  
5 requires that the QC be rotated among the testing  
6 personnel, and what you run into over and over again  
7 in the testing situations is that they've designated  
8 one person to do the QC daily, and that's how they've  
9 solved the problem, and then we have to inform them  
10 that that's not satisfactory, and again that's a  
11 problem because you may have hundreds of operators  
12 who are performing testing infrequently. So it's  
13 very difficult to QC your entire testing process.

14 DR. ADCOCK: Any additional comments?

15 (No response.)

16 DR. ADCOCK: In summary, the Panel  
17 generally feels that QC is a important component of  
18 the testing and that it be offered at multiple levels  
19 in a manner to mimic patient samples with a QC  
20 lockout option of the instrument or portion of the  
21 instrument -- function of the instrument.

22 Is that sufficient?

23 DR. GUTMAN: Yes.

24 DR. ADCOCK: Have we answered all of the  
25 questions now?



1 MS. BAUTISTA: Yes.

2 DR. ADCOCK: All right. Well, then at this  
3 time I would very much like to thank the Panel for  
4 their attendance and participation today, the FDA,  
5 the speakers, including our guest speaker today, and  
6 the public speakers earlier this morning.

7 Dr. Gutman, is there anything that you  
8 would like to say?

9 DR. GUTMAN: No. I'd like to reiterate, to  
10 thank the Panel. I'd like to thank you in particular  
11 for doing such a wonderful job at keeping us moving  
12 on very complex issues. I thank my colleagues at  
13 FDA, at CMS and CDC for helping put this together.  
14 So thanks you all.

15 DR. ADCOCK: At this time, I would like to  
16 adjourn the meeting of the Hematology and Pathology  
17 Devices Panel.

18 (Whereupon, at 4:49 p.m., the meeting was  
19 concluded.)

20

21

22

23

24

25

## C E R T I F I C A T E

This is to certify that the attached proceedings  
in the matter of:

HEMATOLOGY AND PATHOLOGY DEVICES PANEL

July 18, 2008

Gaithersburg, Maryland

were held as herein appears, and that this is the  
original transcription thereof for the files of the  
Food and Drug Administration, Center for Devices and  
Radiological Health, Medical Devices Advisory  
Committee.

---

Dominick Quattrochiocchi

Official Reporter

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947