

at

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE

64TH MEETING

VOLUME II

Friday, September 17, 1999

8:00 a.m.

Bethesda Ramada Inn
8400 Wisconsin Avenue
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

PARTICIPANTS

Blaine F. Hollinger, M.D., Chairperson
Linda A. Smallwood, Ph.D., Executive Secretary

MEMBERS

John M. Boyle, Ph.D.
Corey S. Dubin
Norig Ellison, M.D.
Richard J. Kagan, M.D.
Jeanne V. Linden, m.D.
Gail B. Macik, M.D.
Mark A. Mitchell, M.D.
Kenrad E. Nelson, M.D.
David F. Stroncek, M.D.
Joel I. Verter, Ph.D.

NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

NON-VOTING INDUSTRY REPRESENTATIVE

Donald H. Buchholz, M.D.

TEMPORARY VOTING MEMBERS

Mary E. Chamberland, M.D.
Michael G. Fitzpatrick, Ph.D.
Paul R. McCurdy, M.D.
Carmelita J. Tuazon, M.D.

at

3

C O N T E N T S

	<u>PAGE</u>
Opening Remarks	3
IV. Medical Device Panel Reclassification of HIV Drug Sensitivity Assays	
Introduction and Background: Andrew Dayton, M.D., Ph.D.	6
Overview of Device Reclassification: Leonard Wilson	9
Presentation: Douglas Mayers, M.D.	58
CDER Perspective: Jeff Murray, M.D., MPH	101
Open Public Hearing	
Curtis Scribner, Visible Genetics	141
Michael Ussery, Innogenetics	146
Tony Lam, Applied Biosystems	151
Brendan Lardner, VIRCO	155
Charge to the Committee: Linda A. Smallwood, Ph.D.	164
Committee Discussion and Recommendations	168

P R O C E E D I N G S

Introductory Remarks

1 DR. SMALLWOOD: Good morning and welcome to the
2 second day of the 64th meeting of the Blood Products
3 Advisory Committee. I am Linda Smallwood, the Executive
4 Secretary. Yesterday, I read the conflict of interest
5 statement. It also applies to today's meeting.
6

7
8 This morning, the Blood Products Advisory
9 Committee will be sitting as a medical-device panel. You
10 will hear presentations that will describe the role of a
11 medical-device panel. For this purpose, we have asked
12 consultants to join us today in this deliberation who will
13 be sitting as temporary voting members.

14 I will introduce those consultants to you. They
15 are Dr. Carmelita Tuazon. Would you please raise your hand,
16 Dr. Tuazon. Dr. Paul Edelstein. And Dr. Roy Gulick. We
17 understand that, because of the weather, that some
18 individuals have had difficulty in getting here. We are
19 assuming that they will be coming since we have not heard
20 that they are not.

21 We also were to have join us, as a guest of the
22 committee, Dr. D'Aquila who advised me that he was unable to
23 attend today.

24 The Chairman of our committee is Dr. Blaine
25 Hollinger. Dr. Hollinger, would you raise your hand,

1 please. For those of you who were not here yesterday, I
2 will just quickly run through the committee and give myself
3 a memory check here.

4 Dr. Gail Macik, Dr. Richard Kagan, Dr. Mary
5 Chamberland, Dr. John Boyle, Dr. Norig Ellison, Dr. Michael
6 Fitzpatrick, Ms. Katherine Knowles, Dr. Donald Buchholz, Dr.
7 Joel Verter, Dr. Mark Mitchell, Dr. Jeanne Linden, Dr. Paul
8 McCurdy. We also have Mr. Corey Dubin. I don't see him
9 here this morning but I assume he will appear.

10 Are there any declarations that would need to be
11 made before we proceed with this meeting concerning any
12 conflict of interest or any perception thereof?

13 Hearing none, then we will follow the agenda as
14 closely as we can. We have a lot today. We will try to
15 meet the time frame that has been established if everyone
16 will cooperate accordingly.

17 At this time, I will turn our meeting over to our
18 Chairperson, Dr. Blaine Hollinger.

19 DR. HOLLINGER: Thank you, Linda. We will stick
20 within our time frame, hopefully, today. This is, I think,
21 an important meeting today as we sit as a medical-device
22 panel for reclassification of HIV drug sensitivity assays.
23 This is, of course, an important issue, also.

24 I think it is going to be an interesting morning.
25 I hope you have all looked at these forms. Mary Chamberland

1 said that she has been in government a long time and she
2 said it took her six hours to fill it out. OMB says one to
3 two hours, but--I told someone that that means that we all
4 get a royalty of about 5 percent on anything that is
5 produced.

6 So, we are going to start this morning with an
7 introduction and background. Andy Dayton is going to start
8 us off today and then we will go to an overview of the
9 device reclassification. Then we will have a presentation
10 of the problems and issues associated with this.

11 **Medical Device Panel Reclassification**

12 **of HIV Drug Sensitivity Assays**

13 DR. DAYTON: Good morning.

14 [Slide.]

15 Today, you are going to be asked for your
16 recommendations as to whether or not HIV drug resistance
17 genotype assays should be reclassified as class II medical
18 devices rather than class III medical devices.

19 This is a timely issue because downclassification
20 would foster rapid and simplified regulation of numerous,
21 currently diverse, HIV genotype assays and this would
22 facilitate antiviral drug studies and, ultimately,
23 accelerate physician access to information useful in the
24 management of individual HIV-infected patients.

25 I am going to give a very short presentation of

1 the regulatory considerations. My presentation, in general,
2 will give you a general overview of basic regulatory and
3 technical issues involved today. Following my short
4 presentation, Len Wilson will present a more detailed and
5 comprehensive review of the regulatory issues.

6 [Slide.]

7 After that, I will give a brief overview of the
8 scientific and clinical issues and then Jeff Murray and Doug
9 Mayers will present more-detailed summaries of the relevant
10 clinical and scientific literature. After the presentations
11 on regulatory and technical issues, there will be an open
12 public session and then a session for committee discussion
13 following which you will be asked to make a recommendation
14 on the classification.

15 I want to emphasize at this time, as you have
16 already been told, you are sitting as a classification
17 panel. We are not requesting you to vote on approving or
18 clearing or licensing any product. We are asking you to
19 decide at what level we should regulate HIV drug resistance
20 genotype assays. What I mean by this will be explained
21 during the course of this session and I believe you have
22 also had an introduction yesterday as to the various
23 classifications available.

24 [Slide.]

25 Currently, there are no FDA-approved or cleared

1 assays for the assays for the determination of HIV drug
2 resistance. Given this, HIV drug genotype drug resistance
3 assays, by default, are class III medical devices requiring
4 premarket approval.

5 An option exists, however, to classify such
6 devices into class II, or 510(k), when general controls and
7 special controls exist to insure the safety and
8 effectiveness of the device. It is FDA's view that adequate
9 special controls can be provided by adherence to a guidance
10 document containing recommendations for study designs,
11 reagent characterization and performance characteristics--
12 for instance, reportable range, sensitivity, precision,
13 specificity, stability, et cetera--and by completion of
14 postmarketing surveillance studies designed to evaluate the
15 correlation between predicted and observed viral phenotypes
16 in a clinical setting.

17 [Slide.]

18 These are the questions that we are going to
19 asking you at the end of the presentation and, of course,
20 you are welcome to alter them as you see fit. Does the
21 committee support the reclassification of HIV drug
22 resistance assays from class III medical devices to class II
23 medical devices? If the answer to No. 1 is yes, what
24 additional special controls or requirements, if any, does
25 the committee recommend?

1 on a number of them, sometimes classifying up from a I to a
2 II, sometimes classifying down from a II to a I, et cetera.
3 So there are no surprises in this process.

4 With that, what I would like to do is have the
5 first slide and essentially start out.

6 [Slide.]

7 What we are trying to reclassify here an HIV
8 mutation test. An essential proposal is that the HIV
9 mutation test can have a reasonable assurance of safety and
10 effectiveness when regulated as a class II medical device.
11 Class II medical devices, as I will discuss later, have some
12 requirements associated with them. One of the requirements
13 is having a special control.

14 The proposal here today is that the FDA would
15 develop a guidance document for the content of the 510(k)
16 filing, the class II medical-device filing, which we would
17 review and we would clear if the manufacturer provided
18 sufficient information to assure the safety and
19 effectiveness of the device.

20 And then there is an additional special control
21 that we are proposing, postmarketing surveillance. This
22 would be used, as Dr. Dayton described, to do some follow
23 up. I want to emphasize that the postmarketing surveillance
24 component of a special control here is not intended to be
25 the basis for the approval of the product, approval or

1 clearance of the product.

2 The postmarketing surveillance is intended to
3 answer some unanswered questions or some prospective
4 concerns.

5 [Slide.]

6 What I will be talking about are, basically, three
7 topics. Is the product a medical device? If so, who
8 regulates it within the Food and Drug Administration? And,
9 into which regulatory class should it be assigned?

10 [Slide.]

11 Is the product a medical device? According to the
12 law, if a product is labeled, promoted or used in a manner
13 that meets the following definition in Section 201(h) of the
14 FD&C Act, it will be regulated by FDA as a medical device
15 subject to premarketing and postmarketing controls.

16 [Slide.]

17 A device, by definition, is an instrument,
18 apparatus, implement, machine, contrivance, implant, in
19 vitro reagent or other similar or related article including
20 a component part or accessory which is--

21 [Slide.]

22 --recognized in the official National Formulary or
23 U.S. Pharmacopeia or any supplement to them, intended for
24 use in the diagnosis of disease or other conditions, or in
25 the cure, mitigation, treatment or prevention of disease in

1 man or animals or--

2 [Slide.]

3 --intended to affect the structure or any function
4 of the body of man or other animals and which does not
5 achieve any of its primary intended purposes through
6 chemical action within or on the body of man or other
7 animals and which is not dependent on being metabolized for
8 the achievement of any of its primary intended purposes.

9 [Slide.]

10 So now we have established that this type of a
11 product would be a medical device. The next question is how
12 would it be regulated by the FDA, either by the Center for
13 Biologics or the Center for Devices, the Center for
14 Biologics Evaluation and Research, the Center for Devices
15 and Radiologic Health.

16 The Center for Devices and Radiologic Health
17 regulates most of the medical devices that are in commercial
18 distribution in the United States. In 1991, there was an
19 intercenter agreement which was issues between the two
20 centers. In general, CBER has the lead for regulating
21 medical devices used or indicated for the collection,
22 processing, storage or administration of blood products,
23 blood components or other analogous products.

24 So, for example, this empowers the Center for
25 Biologics to regulate those test kits which are used to

1 screen blood donors.

2 [Slide.]

3 But it also states that CBER also regulates in
4 vitro tests including diagnostic tests and any other medical
5 devices intended for use in dealing with retroviral
6 products. So this particular product that we are talking
7 about today is a retroviral product, so the Center for
8 Biologics has jurisdiction.

9 [Slide.]

10 I wanted to underscore, also, even though we have
11 jurisdiction over this product, we use the same sets of
12 regulatory requirements that are dictated by the Food, Drug
13 and Cosmetic Act which the Center for Devices uses. So we
14 are using the same sets of rules, here.

15 [Slide.]

16 Devices are classifying as required by law into
17 one or more of three categories, or classes, depending on
18 the regulatory controls needed to provide reasonable
19 assurance of safety and effectiveness. This would be class
20 I, class II or class III.

21 [Slide.]

22 A device is automatically, by law, in class III if
23 it was not on the market before May 28, 1976. This was the
24 date at which the Medical Device Amendments to the Food,
25 Drug and Cosmetic Act were passed establishing medical-

1 device law and regulations. Or if no predicate device
2 exists; in other words, FDA has not cleared a 510(k) for the
3 same device. So a predicate would mean, in this particular
4 situation, has the Food and Drug Administration cleared an
5 HIV mutation test or viral-resistance test before. Is there
6 something that we can compare against?

7 And the answer is no. So, by default, as we move
8 down, it would be in class III in the absence of formal
9 classification. However, there is an option where the FDA
10 can classify products into some other medical-device
11 classification.

12 [Slide.]

13 So the steps, in general, to classify a medical
14 device are found in the regulations, 21 CFR 800 through 899.
15 It can be initiated by FDA or a sponsor. In this particular
16 instance, FDA is bringing it to the committee. A sponsor
17 would mean someone who was petitioning the FDA who would be
18 trying to get a test kit approved or cleared. They can
19 petition the FDA and say, "Gee; I would like to have this
20 regulated as such-and-such as class." And then we would go
21 through this same type of a cycle except it is coming
22 externally.

23 We would obtain a recommendation from an advisory
24 committee, this committee. Based on that recommendation, we
25 would publish, in the Federal Register, for comment, the

1 outcome of the committee's deliberations and vote and then
2 get some comments, make potentially some changes, and then
3 publish a final rule.

4 Once that final rule is published, into the CFR
5 would appear, once the administrative processes are
6 completed, a section that would be entitled, for example,
7 HIV Mutation Test. It would have a number and then any
8 sponsor who comes to the Food and Drug Administration to get
9 a test approved or cleared, they could cite that as a
10 predicate and they could file, in our proposal, a class II--
11 it would be regulated as a class II and they can file a
12 510(k).

13 [Slide.]

14 In the regulations, the committee should consider,
15 in determining safety and effectiveness--and this is
16 something that you may want to tag on the slides that I gave
17 you--you should consider the persons for whose use the
18 device is intended, its conditions for use, probable benefit
19 to health weighed against risk of use, and the reliability of
20 the device.

21 [Slide.]

22 Which regulatory classification are we attempting
23 to vote on here? Well, all medical devices, as stated
24 earlier, are classified into three classes. Class I,
25 general controls are sufficient to assure the safety and

1 effectiveness of the device. Class II, general controls are
2 insufficient to insure safety and effectiveness of the
3 device so special controls are added on top of the general
4 controls in order to insure safety and effectiveness of the
5 device.

6 Class III devices are those where general controls
7 and special controls are insufficient to insure the safety
8 and effectiveness of the device and premarket approval is
9 required. That would be a PMA, a class III. In general, a
10 premarket approval application, which I will touch on later,
11 essentially, there would be clinical trials associated with
12 it because the answers for the safety and effectiveness of
13 device could not be assured by general controls and special
14 controls.

15 I will walk through what these controls are.

16 [Slide.]

17 What are general controls? General controls,
18 which apply to all medical devices, are registration of the
19 manufacturing facilities. We need to know, at FDA, where
20 the product is being manufacturing. The manufacturer is
21 required to have a medical device listing, what products are
22 being manufactured there.

23 These two bits of information are used so that FDA
24 knows where to inspect on its periodic inspections. These
25 products are routinely inspected on a periodic basis for

1 their compliance with good manufacturing practices or what
2 we now call QSRs.

3 There was a revision to the good manufacturing
4 practices. Good manufacturing practices are those sets of
5 rules by which manufacturers produce products in a clean
6 environment, have quality control, et cetera, et cetera.
7 Again, these are listed in 21 CFR 800.

8 Recently, within the last two years, the good
9 manufacturing practices section has been revised and
10 upgraded. They are now termed QSR, quality system
11 regulations. The important point here to consider is that
12 they have been strengthened considerably. However, in
13 class I products, design controls, which I will explain in a
14 moment, are not required, in general.

15 Class I general controls also include labeling
16 requirements to make sure that there are adequate
17 instructions for use, et cetera. For a class I, a
18 submission of a premarket notification also known as a
19 510(k) is required. It is also important to note that
20 certain general controls can be exempted, also. That could
21 be done by the Food and Drug Administration as well as by a
22 committee vote.

23 For example, some products we may exempt the need
24 to file a 510(k). This committee voted on such a situation
25 several years ago where we dropped the requirement for a

1 510(k) for copper sulfate for use in determining hemoglobin
2 in blood donors.

3 [Slide.]

4 Some more general controls; record keeping and
5 requirement for repair, replacement and refund when defects
6 are found.

7 [Slide.]

8 Some examples of class I devices; heat-sealing
9 devices to crimp blood collection tubing; alanine
10 aminotransferase tests. This is a clinical-chemistry test
11 and you can see the it has an asterisk. This, in fact, has
12 been exempted from filing a 510(k). So all the other
13 general controls apply, but they are not required to file a
14 510(k) to get premarket clearance. An ammonia test system;
15 iron-binding-capacity test systems.

16 The 21 CFR 862 is that section which is listed in
17 the regulations and I will show you an example of what you
18 would have in this particular situation for HIV mutation
19 tests as we move towards it.

20 [Slide.]

21 Class II; general controls are insufficient so,
22 therefore, you would need special controls. Now, special
23 controls consist of, for example, a guidance document. And
24 I have bolded that because that is what FDA is proposing. A
25 guidance document would basically have the content of the

1 510(k) submission spelled out. There would be some clinical
2 data if indicated. There would be contraindications,
3 warnings, adverse effects, et cetera.

4 Now, I want to draw attention to the committee
5 that, in August of 1989, FDA issued what we called then a
6 points-to-consider document. But it is essentially a
7 guideline for manufacturers as to what to submit for a
8 product-license application for a blood screen. This is,
9 conceptually, what we are looking to produce here for this
10 particular product. Dr. Dayton will go into that in more
11 detail.

12 Another special control can be a performance
13 standard. Are there voluntary standards or international
14 standards that can be applied to this type of product. What
15 FDA would do, then, is we would recognize it by rulemaking,
16 notice, comment and rule, and that would be the special
17 control for that particular device if voted on by the
18 committee.

19 [Slide.]

20 There could be special labeling, some
21 restrictions, some additional information that would be
22 imbedded into the labeling that wouldn't normally occur in a
23 medical device because there is some greater concern that
24 has to be mitigated.

25 Patient registries; sometimes, patient registries

1 are necessary to track particular devices, how they are
2 used, because there could be a problem with them that we
3 would have to get back and notify the patient. Again, I am
4 holding here postmarketing surveillance to answer unanswered
5 questions once the product does get into the marketplace.

6 It is important to note, going back to the GMP
7 part, the QSR, design controls are required for class II
8 medical devices. Now, what are design controls? The short
9 answer is that design controls are part of the manufacturing
10 process where each product has a set of controls placed on
11 top of it where design input, design output, verification
12 and validation of all the manufacturing processes are
13 tightly controlled.

14 This is a new concept because FDA has determined,
15 over the last decade, that failures of medical devices
16 consists basically of two types. They weren't manufactured
17 according to the instructions. The other type is they were
18 manufactured according to the instructions but there was a
19 design flaw and the product didn't work.

20 The objective here of design controls is to insure
21 that the design of the product has been adequately
22 challenged and it insures that the manufacturing process, as
23 well, can be conducted with integrity.

24 [Slide.]

25 Examples of class II devices; an empty container

1 for the collection and processing of blood, transfer bags, a
2 quality-control kit for blood-banking reagents. The
3 controls that are used relative to CLIA, positive and
4 negative controls for HIV tests, hepatitis tests, are
5 regulated under this regulation. And they are classified as
6 class IIs.

7 CMV serological reagents; the CMV test kits which
8 are used electively to screen donors are regulated as class
9 IIs. Lectins and prolectins used in blood banking are
10 regulated as class IIs. However, we have recently exempted
11 them from filing 510(k)s because the safety and
12 effectiveness could still be assured without doing that.

13 [Slide.]

14 Class III; general controls and special controls
15 are insufficient to insure the safety of the device, so
16 premarket approval, also known as a PMA, must be filed.
17 There is an alternative to a PMA called a PDP, a product-
18 development protocol, but it gets you to the same place.

19 The main issue here is that clinical trials are
20 required to insure the safety and effectiveness of the
21 device.

22 [Slide.]

23 Some examples of class III devices are HIV home-
24 collection test systems which are sold over the counter in
25 pharmacies; HIV tests for prognosis; and HIV tests for

at

1 monitoring. These would be the viral-load types of tests.

2 [Slide.]

3 I tried to put together a compare-and-contrast
4 chart for class II versus class III. This is not all-
5 inclusive, but I think this hits the major points. Class
6 II, general controls; class III, general controls. Class
7 II, special controls; class III, special controls, if
8 necessary. Class II, clinical data not always required. We
9 do have the authority to ask for clinical data in a class II
10 medical device. It is generally not done, but we do have
11 the authority. With a class III, clinical data is always
12 required.

13 QSR design controls are required in both. We have
14 a postmarketing order option. In other words, we can say to
15 manufacturers, "You need to do some follow up to answer some
16 unanswered questions." Both classes are required to report,
17 under medical-device reporting, if there is a failure that
18 could cause serious injury. They have to report that to the
19 Food and Drug Administration.

20 A difference with the class II is that there is a
21 90-day review cycle. 510(k)s have a 90-day review cycle.
22 PMAs or class IIIs have a 180-day review cycle. The reason
23 for that is that the content of the class III PMA is
24 generally more detailed so FDA is allowed more time to
25 conduct the review.

1 As I stated earlier, class II products have
2 periodic QSR inspections which are conducted by the field
3 investigators. For a class III device, there is a
4 difference. There is a preapproval inspection and then
5 periodic QSR inspections are conducted. So, with the class
6 III, there is basically a GMP inspection of the plant or QSR
7 inspection of the plant prior to the approval.

8 [Slide.]

9 So, which regulatory classification are we looking
10 at here? Well, in the regulations, Chapter 1, 12 CFR 866,
11 immunology and microbiology devices, subpart D, serological
12 reagents. The proposal would be that, in Section 866, and a
13 number would be assigned, there would appear an HIV mutation
14 test.

15 [Slide.]

16 This is what it would look like, actually, in the
17 regs. Mutation-detection reagents; a, identification, HIV
18 mutation-detection reagents are devices that consist of
19 ligands use to identify mutations in the HIV genome in
20 infected individuals. The identification of mutations aids
21 in the clinical management of HIV-infected individuals.

22 We used the term "ligands" because we wanted to
23 have flexibility whereby this could be done by nucleic-acid
24 testing or, potentially, by other means, serological and the
25 like. So we would have the regulatory designation that we

1 would be able to use for some variance on the same theme.

2 [Slide.]

3 The next part of the regulation would basically be
4 which classification is it. It would stated that it is a
5 class II and special controls are required.

6 [Slide.]

7 So the FDA proposal is that an HIV mutation test
8 can have a reasonable assurance of safety and effectiveness
9 when regulated as a class II medical device and the special
10 controls proposed consist of a guidance for the content of a
11 510(k) and postmarketing surveillance.

12 With that, I would ask Dr. Dayton to resume to
13 spell out the concepts associated with the guidance document
14 and the postmarketing surveillance.

15 DR. DAYTON: Do you want to take some questions
16 now because we are going to on to the sort of technical and
17 scientific issues.

18 DR. BUCHHOLZ: I wonder if, for the committee, you
19 could indicate the actual time for the review as opposed to
20 the statutory or guidance times for a 510(k), for a PMA and
21 for a supplement to a PMA, say, an average over the last
22 couple of years, just to give us a feel for how long it
23 takes if, for example, one wanted to make a change in a
24 product that was a PMA product, how long it would take to
25 make that change as opposed to a product that was a 510(k)

1 product.

2 MR. WILSON: You have asked a number of questions
3 there. I will do my best and, if I slip on one, please--I
4 don't have the actual review times with me, but let me try
5 to help answer that question. For a 510(k), when the
6 manufacturer supplies that submission, we are obligated to
7 review it within 90 days.

8 There is a device action plan that CBER is now
9 fully engaged in and the effort is to make that date on all
10 medical devices that are filed under 510(k). If the
11 manufacturer does not provide sufficient information to
12 allow us to make a determination of substantial equivalency
13 to a predicate, we would write a "more information" letter.

14 Now, it is up to the manufacturer how long the
15 manufacturer responds. There is a statutory requirement of
16 30 days and we have the option of actually withdrawing it if
17 they don't respond. I can tell you that many manufacturers
18 have taken a lot more time than 30 days to respond.

19 We try to be reasonable and flexible, particularly
20 when products that we think are a benefit to public health,
21 are valuable to the market. When the manufacturer does
22 respond to the additional information with the 510(k), the
23 time clock is reset. It is another 90-day review cycle.
24 Now, we do our very best to review those products as
25 efficiently as possible, but we do have the authority to do

1 that.

2 I think, to try to answer your first question, a
3 fair number, maybe half or more of the 510(k)s that CBER
4 reviews, take more than one review cycle to get clearance.
5 So you are talking approximately six months beyond. The
6 regulatory requirements for making changes in a 510(k) are
7 somewhat different from those for a class III medical
8 device. And we spell that out in guidance. CDRH has done a
9 great job of articulating it.

10 It leaves the decision to the manufacturer. It is
11 essentially if there is a new intended use or if there is
12 technologic change, they are required to file an
13 additional 510(k). In other words, they would have to wait
14 to make those changes. So that is the 510(k) side of it.

15 PMAs, we have a statutory requirement of doing the
16 review within 180 days. Depending on the type of response
17 that we get, we can reset the clock on a deficiency letter
18 to an additional 180 days. I would say, in most instances,
19 in CBER-regulated products, we do not have many products
20 that we have approved under class III under one review
21 cycle.

22 Making changes to a PMA product, more stringent
23 rules are required. So, therefore, a supplement would need
24 to be filed in many instances. The supplement would have a
25 180-day review clock on it.

1 Did I capture all your concerns?

2 DR. BUCHHOLZ: Thank you.

3 DR. HOLLINGER: The real asset here, somewhat, is
4 the assurance of safety and efficacy between these two
5 classes, II and III. Can you give us some more information
6 about what you really mean by safety and efficacy, how these
7 really differ between II and III in terms of classifying
8 something? Maybe some examples, even.

9 MR. WILSON: I think the best example would be
10 where we have apheresis equipment. Apheresis equipment, in
11 fact, has two regulatory levels associated with it, a II and
12 a III. Class IIs are those which the technology is by
13 centrifugation. Class IIIs, which are intending to
14 downclassify to II but, to try to answer your question, is
15 by filtration. So separation of the blood components are
16 performed by a different technology.

17 The centrifugation has less of a concern relative
18 to the product produced--the platelets, for example--than
19 the filtration. The concern with the filtration was that
20 there would be membranes that would potentially capable of
21 activation platelets, so there were additional issues of
22 safety and effectiveness that would be of scientific concern
23 so that, at the time when those products were--back,
24 approximately ten years ago, when they first came to the
25 marketplace, they were class IIIs.

1 It was found that, with the data presented to a
2 committee back in the 1980s, that those instruments by
3 centrifugation were found to have sufficient safety and
4 effectiveness regulated at the class II level.

5 Recently, some data has been presented to the
6 committee whereby we have got enough information now. What
7 is enough information? It has been out on the marketplace
8 for a period of time. We have looked at the MDR reports,
9 the medical-device reporting, and found that the problems
10 are not serious and, therefore, we feel that the lower
11 regulatory controls would provide us safety and
12 effectiveness.

13 There are some advantages and disadvantages to
14 which regulatory class a product is in. There are some
15 requirements that manufacturers have to follow--annual
16 reports, for example--with a PMA and the like.

17 Am I helping you here? That would be, for
18 example, where we had safety. Effectiveness, I am stuck
19 here. If I could try to roll the two of them together where
20 the platelet is performing, when separated by filtration, as
21 well as when separated by centrifugation.

22 As you can imagine, there was a lot of detailed
23 analysis to determine platelet function and the like. And
24 it was determined that yes, the platelets were not
25 compromised and the equipment could be felt to be

1 substantially equivalent.

2 DR. HOLLINGER: You have placed HIV tests for
3 monitoring and for prognosis, the NAT test, as a class III
4 device. I think that has something to do with what we are
5 talking about here. I would like to know, if you can, in
6 the short term, tell me a little bit about why you decided
7 that that should be a class III device for both prognosis
8 and monitoring.

9 Some of those systems are very much maybe what we
10 are going to be discussing here today with this test.

11 MR. WILSON: I can give you the short answer. The
12 short answer is that when we first were reviewing HIV
13 monitoring, viral-load type tests, we did not have anywhere
14 near the kind of information and knowledge base that we have
15 today for genotype testing. Again, that would be the short
16 answer.

17 I think that Dr. Dayton will be providing you
18 those distinctions as he continues with his talk.

19 DR. MACIK: You talk about if you are going to
20 make it a class II that you would look, perhaps, at putting
21 on postmarketing surveillance. What does that mean and what
22 weight does that carry if you take it out into the market
23 and you are watching it and something comes up. I did not
24 go clinical trials as a phase III. You put it out as a
25 phase II.

1 You are really allowing your postmarketing
2 surveillance to be your clinical trials. How can you bring
3 it back? How do you get it if we let it out there ahead of
4 time?

5 MR. WILSON: Very good question. First of all,
6 the postmarketing-surveillance order can have a number of
7 different requirements, not necessarily those constituting a
8 clinical trial. Again, the idea here is that the
9 postmarketing surveillance is not intended to be the basis
10 for approval. That would be backwards; we clear the product
11 and then we will find out if it works.

12 No; that is not what we are trying to do here.
13 What we are saying is the product is clearable with what is
14 provided to us. And then there are some logical additional
15 unanswered questions that, from a public-health point of
16 view, probably should be evaluated. So the postmarketing
17 order would be structured on that.

18 Again, Dr. Dayton would be providing you with
19 where we are headed with that.

20 Let's say something goes terribly wrong. We have
21 several regulatory options here. One is an inspection of
22 the facility to determine problems with--complaint handling,
23 to see if there are a number of problems there. We also
24 have some, by law, ways of dealing with products that are
25 not performing; they are either adulterated or misbranded.

1 So there are compliance mechanisms to control
2 that. I think that, if, over a period of time, it becomes
3 evident that a product has problems that were not foreseen,
4 it could be brought back to the committee and we could
5 present a proposal to upclassify it to the III.

6 Also, as I said earlier, FDA could propose to the
7 committee. Sponsors could also do the same thing. So there
8 are some regulatory remedies. You are not in II forever, if
9 you vote for a II. But we are trying to look at our
10 knowledge base of dealing with guidance documents, dealing
11 with what we already know about these types of tests and the
12 technology and we feel, at least at this point, that a class
13 II would give us reasonable assurance--the law says
14 "reasonable assurance of safety and effectiveness."

15 DR. FITZPATRICK: You mentioned that you don't
16 have to do full-blown clinical trials. So that implies to
17 me that FDA would, then, open the door for the manufacturer
18 to submit data from clinical use that was not part of a
19 clinical trial, which they don't do in some other instances.
20 Is that what you are saying?

21 MR. WILSON: That could be a part of the
22 postmarketing-surveillance order. Again, I think Dr. Dayton
23 would be able to frame out where we are, what we are
24 proposing with that.

25 MS. KNOWLES: I think that I recall that even FDA

1 recalled a home-collection test kit for HIV that was in III
2 at one point in time in the last two years; isn't that
3 correct--because it did not perform?

4 MR. WILSON: There was a home-collection test
5 which was classified as III that there was a problem
6 associated with some labeling and it got relabeled on the
7 pharmacy shelves and FDA took action, basically, because
8 there was a violation. That got corrected.

9 MR. DUBIN: Early on in the year, our organization
10 had met with Commissioner Henney. One of the things we
11 discussed was postmarketing surveillance in terms of AIDS
12 drugs because we had concerns. We had all supported fast
13 track but we thought fast track was getting a little out of
14 hand.

15 What was conveyed back to us was a resource issue,
16 that what we were talking about would involve a lot of FDA
17 resource in terms of both people power and dollars, and
18 there were some questions. So I think my question is, in
19 terms of undertaking this and managing it in a postmarket
20 climate, does FDA have the resources and the people power to
21 be able to do that and stay on top of it close enough to
22 insure that we don't have some of the problems we are seeing
23 with AIDS drugs.

24 MR. WILSON: I can't always predict the future,
25 but I think that the tools that we have are structured to

1 enable us to assure these types of orders and maintain the
2 integrity. For example, for postmarket studies, it would be
3 a postmarketing order, the obligation of the manufacturer to
4 do X, Y and Z.

5 A lot of times, we will have reporting
6 requirements periodically over a period of time. I can tell
7 you first-hand, if we don't get the report, we call them up
8 and say, "Where is the report? You are obligated." We put
9 it in writing. We send it out and, if we don't get it, then
10 we have several other options. Directed inspection; the
11 reviewer can propose, because the manufacturer has not
12 responded to the Office of Compliance, we would like to have
13 a directed inspection to the firm. This can be done on a
14 priority basis depending on the particular product involved
15 or health issue.

16 In many instances, and I have triggered them
17 myself, within a week or two or three or sometimes
18 overnight, we can have inspectors into the plant. They know
19 what the problem or the concern is and the headquarters is
20 often on the phone on a daily basis with the inspectors
21 because they are not the headquarters reviews and they will
22 need a little bit insight. We have got a very good working
23 relationship in that regard.

24 Does the help to answer some of the concerns?

25 MR. DUBIN: Yes.

1 DR. TUAZON: I know that the genotyping and
2 phenotyping assays are being used clinically right now.
3 What regulatory measures do we have on those used at the
4 moment?

5 MR. WILSON: There are no cleared or approved
6 tests by FDA. The products I assume you are talking about
7 are those that would be generically identified as "home
8 brew?"

9 DR. TUAZON: They are really done by the labs do
10 our routine testing for HIV patients.

11 MR. WILSON: Right. But the actual reagents,
12 themselves? They build the test themselves?

13 DR. TUAZON: These labs that we sent through the
14 medical centers are sent through diagnostic labs like LabCor
15 or Quest Diagnostics.

16 MR. WILSON: There is--and this can get a little
17 bit complicated so I am going to try to give a short answer
18 but there is a regulation called the ASR Rule, analyzed
19 specific reagents, which has requirements for some home-brew
20 types of products. I guess I can say that, with the outcome
21 of this vote here, that may have some effect on the
22 regulation of those types of tests.

23 But the objective of that would be to level the
24 playing field. I think it is important to bear in mind
25 that--I think all want good-quality tests of high integrity

1 that have the reliability, et cetera. Whether it is a home
2 brew or whether it is regulated by FDA as a normally
3 distributed commercial product, it should work well.

4 So we have got two arms for regulation, one for
5 the home brew and the other for traditionally commercially
6 distributed products.

7 DR. HOLLINGER: Thank you, Mr. Wilson.

8 Dr. Tabor?

9 DR. TABOR: Just in further answer to Mr. Dubin's
10 question; provided there is no negative impact on the public
11 safety, the use of the 510(k) mechanism is resource saving
12 for FDA because a lot more FDA human resources have to go
13 into the review of a class III device than a class II
14 device.

15 DR. DAYTON: Let me elaborate on some of the
16 questions that Len just answered and, in particular, let me
17 start with Dr. Hollinger's question about special controls
18 and how you would choose between class II and class III.
19 Let, of course, gave a very accurate answer but the way I
20 wanted to elaborate on it was to portray to you how we feel
21 this decision should be viewed for this particular product
22 today.

23 Imagine--you don't have to imagine. Take a
24 mutation which gives you well-documented resistance to a
25 certain drug. Let's assume that there is a long history in

1 the literature studying that mutation. You see it appear in
2 patients when they are on that drug. If you switch to
3 another drug, it disappears, they do better, et cetera, et
4 cetera, et cetera.

5 This would be the kind of data that we would
6 consider adequate to let this product be regulated under
7 class II. In other words, there is a lot of data in the
8 literature. It is well-done. It is well-known. It is
9 well-characterized. And we don't see a particular need for
10 clinical trials, premarket.

11 Take, by comparison, another mutation. Let's say
12 there is one study which shows that it appears when patients
13 are on a particular drug. We would want to see more data
14 for that second type of mutation.

15 The way we would like to handle this is we would
16 like to--the postmarketing surveillance, essentially, is
17 claim specific. So the way that we would handle this is we
18 would like to let a product under class II regulation go to
19 market for the first type of mutation to back a claim for
20 the first type of mutation.

21 So, in other words, the assay could claim to pick
22 up that particular mutation which is well characterized and
23 that would be of benefit to the patient. If they wanted to
24 have an additional claim for the second type of mutation,
25 the one which isn't so well characterized, they would have

1 to submit additional data in the postmarket period to get
2 that claim.

3 We feel that, by splitting it up like that, we can
4 get these products out there fairly quickly and we can also
5 control them very effectively. The whole issue of claims is
6 very important because that is what the postmarketing
7 surveillance will address. Postmarketing surveillance will,
8 in part, be largely claim-specific. It will address
9 additional claims.

10 In terms of FDA mission, even if there is one
11 mutation out there and it is clinically relevant and an
12 assay can pick it up and can redirect therapy, that is
13 benefit. That is clinical benefit.

14 In the real world, we believe that there are
15 mutations which are fairly well characterized. There are a
16 lot of mutations which are less well characterized. In
17 fact, Dr. Mayers and Jeff Murray will be presenting data to
18 show that, actually, these assays are clinically beneficial.
19 We believe that the reason for that is because the
20 mutations--there are mutations that are correctly
21 characterized.

22 I think that those were the key issues that I
23 wanted to address before I sort of give the introductory
24 talk to the next two speakers.

25 [Slide.]

1 The highly-active antiretroviral therapy has been
2 a tremendously successful approach for treating HIV
3 infections. Unfortunately, it is not perfect and it is
4 still plagued by therapeutic failures. Mostly, therapeutic
5 failure is heralded by falling levels of CD4-positive
6 lymphocytes and rising levels of circulating HIV.

7 However, these two parameters are not always
8 inversely correlated and they are, at best, indirectly
9 measurement of therapeutic failure. Consequently, there is
10 an increasing need for more accurate and more direct
11 measures of therapeutic failure, particularly measures which
12 identify the mechanism of therapeutic failure.

13 [Slide.]

14 HIV drug resistance assays promise to fill this
15 need. One of the most common, but by no means only, causes
16 of treatment failure is the existence or emergence of virus
17 species resistant to the drugs included in the regimen.
18 Various categories of assays exist to measure HIV drug
19 resistance.

20 As general examples, in one approach, virus is
21 isolated from a patient, expanded, titered and tested in
22 replication assays in the presence of antiretroviral drugs.
23 In another approach, the reverse transcriptase and/or
24 protease regions of the infecting virus are isolated and
25 subcloned into well-characterized laboratory strains.

1 These derivative viruses are then expanded,
2 titered and tested for drug sensitivity and resistance. Dr.
3 Murray will go into more detail on the types of assays
4 currently available, but these assays are time-consuming,
5 laborious and ill-suited for use in general clinical
6 laboratories.

7 [Slide.]

8 HIV genotype assays have been developed as a
9 relatively simple, cost-effective measure for determining
10 the drug resistance sensitivity profile of HIV in infected
11 patients. These assays can either be based on direct
12 sequencing technologies or on hybridization technologies.
13 The information they produce is the nucleic-acid sequence or
14 genotype at critical codons of reverse transcriptase and
15 protease which are known to be critical for determining drug
16 resistance and sensitivity.

17 In other words, genotype assays determine the
18 viral genotype and use this information to predict viral
19 phenotype which, for our purposes today, is the clinical
20 phenotype which, in this case, is the drug resistance
21 sensitivity profile of the circulating virus or the expected
22 response of circulating virus to clinical intervention.

23 [Slide.]

24 The predicted phenotypes are then used to guide
25 treatment choices for patients identifying to which drugs

1 the predominant viral species is resistant. This
2 information is used with information about the patient's
3 previous viral regimens and it can be useful in choosing new
4 regimens in patients experiencing therapeutic failure.

5 So it is an important point to realize that these
6 are not stand-alone assays. These are used in the context
7 of the entire clinical picture of the patient. In fact, as
8 you will learn later, these assays often will not detect
9 minor species and there can be minor species of resistant
10 mutants left over from previous antiretroviral therapy.

11 [Slide.]

12 The motivation for FDA's desire to downclassify
13 these genotypes is that we feel it would be an overall
14 benefit to the public health. Downclassification would
15 allow enhanced physician access to these assays by allowing
16 sponsors to go to market with premarket clinical sensitivity
17 and specificity data, allowing postmarket clinical-trial
18 data to further correlate assay prediction with clinical
19 responses.

20 This would significantly facilitate clinical
21 trials for new drugs and therapeutic regimens. As I said,
22 the relevant science can be complex and incomplete but it is
23 FDA's opinion that sufficient information exists to insure a
24 significant level of efficacy of these assays by formulating
25 an appropriate set of special controls.

1 In other words, we feel that, although we are not
2 perfect, there is enough science out there that we can sit
3 down and say, this is what needs to be done. We can
4 identify these studies and they will tell us whether or not
5 these assays are going to be beneficial.

6 It is also FDA's opinion that postmarketing
7 studies can be designed to further improve the efficacy of
8 these assays as increasing scientific knowledge is obtained.

9 [Slide.]

10 We are drafting a guidance document outline
11 requirements anticipated for regulation of HIV drug
12 resistance assays as class II medical devices. Obviously,
13 we won't go very far with that document. If you decide to
14 regulate it a class III, we will just recast it as a class
15 III document and change the ideas.

16 This document, as it stands now, addresses issues
17 pertaining to assay precision, reproducibility and accuracy,
18 quality control of reagents, laboratory testing and pre- and
19 postmarket clinical data requirements. FDA's current
20 thinking about the contents of this guidance document is
21 contained in a September, 1999 concept memo which covers
22 highlights of the guidance document and which has been
23 included with your pre-meeting materials.

24 What we gave you in the pre-meeting materials
25 doesn't cover all of the details in the guidance document

1 such as the manufacturing controls. We tried to limit it to
2 the critical issues which are relevant to your decision here
3 today.

4 Over the course of the next few minutes, I will
5 review these highlights with you.

6 [Slide.]

7 First, we start with the nonclinical laboratory
8 data and we focus on validation of phenotypes predicted by
9 genotyping. I will start out by saying that in this part of
10 the guidance document, we expect to attract a lot of
11 comment, a lot of discussion. The guidance document will be
12 put out in draft form for public comment after we have
13 cleared it in-house and there will be a lot of scientific
14 input to it at that point.

15 What we are thinking about now, as an example, is
16 that, in general, sponsors will be expected to validate
17 claims that certain genotypes predict certain phenotypes.
18 We expect that validation studies will include in vitro
19 viral-replication assays and determination of the effect of
20 a given genotype on the 50 percent or 90 percent inhibitory
21 concentrations of the drugs in question.

22 We are also entertaining the concept that when
23 non-clinical validation studies demonstrate an eight-fold or
24 greater increase in the IC50 or IC90 associated with a given
25 resistance mutation, validation may or may not also include

1 certain types of clinical validation studies of individual
2 mutants.

3 We also expect that when nonclinical validation
4 studies demonstrate a less than eight-fold increase in the
5 IC50 or IC90 levels associated with the mutation that
6 validation will require clinical validation studies.

7 [Slide.]

8 We have requirements for analytical sensitivity.
9 We assume that we will ask sponsors to perform sensitivity,
10 precision and reproducibility studies on spiked samples and
11 anticipate that sponsors will submit sensitivity data for
12 all single and multiple mutations for which a claim is
13 sought. Basically, what we are saying here is if it is
14 there in the tube, can you measure it? Can you sequence it?

15 The sensitivity studies should determine and
16 validate both the minimum viral level and the minimum mutant
17 proportions reliably detected by the assay. So we want to
18 know can you pick this up if your viral load is down at
19 1,000 copies per ml, or does it have to be up at
20 10,000 copies per ml.

21 If the resistant mutant is present as 5 percent or
22 10 percent or 25 percent of the infecting population, can
23 you detect it? We assume, but it may not necessarily be
24 true that the assays will demonstrate in these studies
25 sensitivity at viral levels which are clinically relevant.

1 Certainly, that is our preference.

2 FDA also anticipates requiring accurate titration
3 of sensitivity through and below the minimum detectable
4 levels and proportions. Basically, we want to know how
5 quickly does assay performance deteriorate with decreasing
6 levels or proportions of the analyte.

7 [Slide.]

8 Clinical data. A key issue here is going to be
9 validation of the phenotypes predicted by genotyping because
10 that is the key question. Our current thinking is that
11 validation studies should, optimally, include determinations
12 of the existence or appearance of a given phenotype in
13 patients subject to antiretroviral therapy as well as
14 correlation of the disappearance of a given mutation with
15 changes in antiretroviral therapy.

16 Throughout these studies, FDA will probably want
17 to see data on overall viral burden, as well. We are
18 considering the possibility that viral burden may be an
19 adequate indicator of response to therapy for particular
20 drugs. FDA may variably recommend or require clinical
21 studies to validate the phenotypes of individual mutations
22 according to the changes in IC50 and IC90 determined by in
23 vitro viral replication studies.

24 Basically, what we are saying is, let's see how
25 good your in vitro data is and then we will decide whether

1 or not we will need additional studies. Certainly, we will
2 be interested in seeing data in the literature as well as
3 data done specifically at our request.

4 [Slide.]

5 For clinical sensitivity, one of the things that
6 has been proposed to be done is to do sensitivity and
7 reproducibility studies on a panel of unspiked specimens
8 whose genetic makeup is known. Basically, somebody is going
9 to have to, presumably, sponsor, or industry, is going to
10 have to take a bunch of patients, get specimens on them,
11 determine what the distribution of the viral swarm, or the
12 quasi-species, is and then show that they can always pick up
13 the mutations that they are looking for, or can pick them up
14 with a certain sensitivity. These would be unspiked
15 samples.

16 On this particular issue, of course, and, as on
17 all the others, further discussions will determine whether
18 or not the panel must include representatives of all
19 genotypes to which a claim is sought.

20 [Slide.]

21 The FDA is also considering requiring traditional
22 clinical trials in which assay phenotype predictions based
23 on genotype correlate with changes in viral burden and/or
24 mutant representation in response to antiretroviral therapy.
25 FDA may also allow these studies to be prospective or

1 retrospective on archived specimens.

2 [Slide.]

3 To facilitate these things coming to market, we
4 are giving serious consideration to the concept of requiring
5 either the panel-type clinical studies or the traditional
6 clinical trials but not both to be submitted premarket.
7 Now, certainly, a manufacturer could submit both premarket
8 if they wanted to, but we feel that there is a likelihood
9 that we will be willing to say, "Well, look; if you have got
10 the clinical-trial data, you can do the panel-type data
11 postmarket." Or, "If you have the panel data and you want
12 to go ahead, you can get some of the clinical-trial data
13 postmarket."

14 In this case, FDA would anticipate requiring the
15 alternative studies to be submitted postmarket, as I have
16 just said.

17 [Slide.]

18 As we have discussed here, we are almost surely
19 relying on extensive postmarket studies to further support
20 claims made during the approval or clearance process as well
21 as additional efficacy claims; for instance, new
22 correlations between phenotype and genotype.

23 [Slide.]

24 In being asked to classify HIV drug resistance
25 genotype assays as class II medical devices, you are being

1 technically asked to vote on the classification and on the
2 adequacy of special controls. This presents a little bit of
3 a quandary for you because the special controls are outlined
4 in the guidance document and we have only given you
5 highlights of that guidance document, and it hasn't been
6 finished.

7 Although the special controls are in a guidance
8 document which is currently in draft format only, we feel
9 that the information presented today will convince you that
10 the clinical and scientific data in the literature are such
11 that our expertise, together with the expertise of all of
12 the scientists, clinicians and members of the general public
13 who choose to contribute to the final document will be
14 sufficient to formulate adequate special controls to insure
15 efficacy and safety.

16 So, in the upcoming presentations, what you need
17 to look for is does it look like the field is at a point
18 where the field can sit down and identify adequate special
19 controls for at least some mutations which would allow these
20 kits to go to market with such identified special controls.

21 With that, we have some presentations. Who is up
22 first? Doug? We have going to have Doug Mayers talk. We
23 will entertain questions, but one thing I did want to say in
24 response to Dr. Hollinger's question about class III
25 regulation of viral-load tests. Len was really quite

1 accurate. We know a lot more now with these tests that are
2 coming to market, or are coming up, the genotyping tests
3 than we knew in the early days of viral-load testing.

4 There are going to be a lot of questions. I am
5 certainly happy to entertain questions now. You are just
6 about to get two talks that go into the scientific and
7 clinical literature in much more detail than I have
8 described it. So I am certainly willing to answer
9 questions, but if you think it might be answered in the next
10 two talks, you are certainly welcome to wait.

11 DR. HOLLINGER: It doesn't look like the committee
12 thinks they can wait.

13 DR. VERTER: It's a good try, though. In trying
14 to read some of the documents last night and listening to
15 the two presentations this morning, I wonder if you could
16 clarify--I am still having trouble understanding the II/III
17 issue. From what you just described, it seems to me almost
18 like a very fine line. In fact, it almost came down to the
19 statement, "Considering the concept of requiring either the
20 panel-type clinical studies or the traditional clinical
21 trials, but not both," whereas, in a III, do you require
22 both and in the II only one? Can you give me some feel
23 about that?

24 DR. DAYTON: We are kind of skirting the issue a
25 little bit there. And it is confusing. I think one key

1 point to make is we can make class II regulation as
2 stringent as we want it, if we really want to. So we can
3 make it as stringent as class III, basically.

4 What was the second part of your question?

5 DR. VERTER: I was just trying to clarify in my
6 mind, and maybe in some others, as to where the fine line
7 is. I understood what you just said and I thank you for
8 that comment.

9 DR. DAYTON: The real question is, as I said, does
10 it look like we can identify special controls or studies
11 that are going to make this thing effective, even partially
12 effective. The critical issue is not so much whether we
13 require one pre and one post. That is something that we are
14 thinking of.

15 The real issue is does the science say, "Look; the
16 field is far enough along that some mutations can be fairly
17 well guaranteed to be relevant in measuring them and,
18 therefore, be effective. That is the key issue.

19 Have I answered your question? Have I addressed
20 it?

21 DR. VERTER: You have addressed it.

22 DR. MITCHELL: Can you tell me what do you mean by
23 panel-type clinical studies? Is that presenting panels of
24 known--

25 DR. DAYTON: It would be--basically, as the

1 proposal stands now, we somebody would assemble a panel of
2 50 unspiked patient specimens. Presumably, they would take
3 each one and they would make multiple clones from them by
4 standard microbiological techniques, sequence them in the
5 regions that we are interested in, and come up with a
6 description of the distribution of quasi-species in the
7 viral swarm.

8 So, for instance, if there is a particular
9 mutation you are looking for, it might be there 10 percent
10 or 1 percent or 25 percent. Then you would take a panel of
11 these and you would challenge them. You would put them
12 through your assay as opposed to subcloning and sequencing
13 and everything. You would put them through your one-shot
14 assay and you would see how well you pick up the mutations
15 that are there and at what concentration.

16 In a way, it is like the spiked panel studies
17 except that it is unspiked. It is a more natural
18 environment. And it is a tougher challenge. Does that
19 explain it?

20 DR. MITCHELL: Yes. Thank you.

21 DR. BOYLE: I think I am grappling with the same
22 thing everybody else is, but let me see if I understand
23 correctly. In a class III, you would normally require
24 clinical trials before it be approved for use in the
25 marketplace whereas, in a class II, you might require

1 clinical-trial data but it could be done postmarketing?

2 DR. DAYTON: Well, no. In a class II, we actually
3 could require clinical trials premarket if we had to. That
4 is an option. But what we would be doing in a class II
5 situation, in the situation we would like to do, is looking
6 at clinical data in the literature and be able to say,
7 "Look; these mutations here are really quite well
8 established so we are quite confident that they deserve a
9 claim for that."

10 But there are this whole set of other mutations
11 over here that we know a lot less about. So, if you want to
12 claim them, you come back postmarket. You can go to market
13 but you only get a claim for the first set of mutations and
14 you only get the second set of mutations when you come back
15 and prove it.

16 But, again, it could be data in the literature,
17 not necessarily clinical-trial data. We could also specify
18 clinical-trial data in postmarketing, which we may do.

19 Len, did you want to comment on that question?

20 MR. WILSON: Maybe I can help out a little bit. I
21 am going to use an example of something that already exists,
22 CMV assays, class II medical device. The intended-use
23 statement goes on the line of to detect antibody to CMV in
24 the serum of blood donors, or some such. It doesn't say,
25 for the prevention of CMV transmission. It doesn't say

1 that.

2 If it said for the prevention of CMV transmission,
3 you would have to run a clinical trial to show that it does
4 that. But since it is limited to for the detection of CMV
5 antibody--all right; what is the content of the 510(k) class
6 II. Many of the concepts that Dr. Dayton has described are
7 just, simply, reproduced in the class II.

8 We have analytical sensitivity data, analytical
9 specificity data. Because it is used for the blood supply,
10 we run a study that is in blood donors to check specificity
11 because you can't check specificity in a blood-donor
12 population any other way than running it. And why would we
13 do that? Because we don't want to have too many units of
14 blood tossed out because of false positives.

15 We do have, and I think along the lines of where
16 Dr. Dayton is going, some known positive samples that we
17 would test--the manufacturers would use the test to
18 determine whether or not, in fact, they truly detect a true
19 positive. But it is not in clinical-trial format in that
20 sense.

21 So, therefore, that enables FDA to clear the
22 product with claims or labeling limited to what the thing
23 has been documented to do. So, in terms of this particular
24 assay, there are a lot more questions and we know that,
25 because of viral resistance and mutations, things are going

1 to change and the logical issue is that there are going to
2 be new mutations and how does this correlate and the like.
3 That could be done in a postmarketing surveillance type of
4 order.

5 I hope that helps.

6 DR. DAYTON: If I can continue on; what I think
7 you will see in the later presentations is that there are
8 quite good laboratory studies for the significance of
9 various mutations. There is data for the appearance and
10 disappearance of these mutations in the literature and there
11 are also studies coming out showing that use of these types
12 of assays has clinical benefit.

13 So, if you put all of that together, that is
14 really telling you a very strong message; hey, these things
15 work. What we would be saying is, "Okay; these things work
16 but we want to see more in the postmarket."

17 Does that answer your question?

18 DR. BOYLE: Yes.

19 DR. FITZPATRICK: You said the panels have not
20 been developed yet. When we began HIV testing, there were
21 difficulties developing reliable panels in enough source to
22 be provided to all the laboratories doing testing to get
23 similar results. Is your expectation that this is much
24 easier now?

25 DR. DAYTON: We are leaving that up to sponsors at

1 the moment. We are certainly open minded about how to
2 actually approach this. It should be reasonable for a
3 sponsor to assemble his own panel which might be the
4 quickest way to do it, but it is quite laborious.

5 It would be also possible to have a centralized
6 panel set up. Also a sponsor has the alternative of coming
7 forward with the clinical-trial data while he waits--and
8 doing the panel postmarket. We are trying to make it
9 flexible so that it can go either way.

10 I think Ed wants to comment on this.

11 DR. TABOR: I think the discussion is confusing
12 the issue tremendously.

13 MR. DUBIN: We have done that before.

14 DR. TABOR: I think part of it is that it is
15 focussing on constructing panels and focussing on
16 postmarketing surveillance. The issue is between whether
17 this should be a class III device or a class II device. The
18 discussion should have nothing to do with blood screening
19 because a test that is used for blood screening usually is a
20 licensed product for which a PLA is filed.

21 What we should be focussing on is is the clinical
22 impact of this assay so great and, perhaps, so unknown that
23 you need clinical trials and to file a PMA and have an 180-
24 day review clock which really translates into more than a
25 year of review time with resubmissions or is the clinical

1 significance of this so clear and is the impact on the
2 patients' health more an issue of management than of life
3 and death such that the manufacturer can file a 510(k), have
4 a 90-day review clock, and have minimal or no clinical data.

5 Postmarketing surveillance is another issue, I
6 think, here. It is not totally divorced from this but you
7 shouldn't be focussing on something that you want clinical
8 data and you are going to wait and get it after marketing.
9 If you want clinical data, it should be a PMA and a
10 class III device.

11 The question here is are the manufacturers going
12 to have to go through a longer review cycle with more
13 clinical data up front or are they going to be able to file
14 a 510(k) with only special controls and any clinical data,
15 really, as icing on the cake.

16 DR. DAYTON: Let me just elaborate on Ed's
17 response. It is absolutely correct. Again, don't feel that
18 you are having to judge a particular product. You are asked
19 to judge, is the science such that we can judge a product,
20 or that a product can be judged.

21 DR. HOLLINGER: I think probably we ought to
22 listen. I think that the next step should probably be to
23 listen and see what the data is out there and see what it
24 means. Let's have one more and then let's go to some of the
25 data.

1 MR. DUBIN: I was going kind of towards where you
2 were going, Dr. Tabor. Here is how we frame the question.
3 I think, from our perspective, the big plus is if this is
4 going to give clinicians who are actually, at the grass-
5 roots level, treating patients and dealing with resistance
6 problems, then that is going to give the clinicians more
7 freedom and more ability to move.

8 I think, from our perspective, that is clearly a
9 good thing because, certainly, in hemophilia, this is a big
10 problem in the infected hemophilia community. We are having
11 a lot of problems with the resistant strains and a lot of
12 our guys are in trouble.

13 Our only concern, and the way I was trying to
14 frame it, was we have had concerns about how fast-track has
15 gone, things that have happened and have caught our
16 attention and concerned us. But I think, in this instance,
17 the issue is, and I agree with you, if we can do this in a
18 way that provides the freedom to the clinicians and the
19 ability to track it, then it seems like a no-brainer on one
20 level from our perspective.

21 DR. STRONCEK: I have a couple of comments. There
22 are a number of HLA tests where genotyping is used to
23 determine phenotype which are marketed so I presume they are
24 regulated. Are those regulated as class II--class II, I
25 would presume.

1 The other question is are the current NAT tests
2 being done on blood, are they regulated as class III? Ed
3 seemed to suggest that it is something different. Then, if
4 that is true, I am really confused.

5 DR. TABOR: Let me answer your second question.
6 The NAT test to be done on blood, or for blood screening,
7 they will be required to file a PLA or BLA for licensure the
8 same as for any of the other required or recommended tests
9 for screening blood. There was a very big regulatory issue
10 about two years ago when the manufacturers wanted to just go
11 ahead and do the testing. And we said, "No; there are a
12 number of issues that make this a blood-screening assay."

13 So those will be PLA tests that are being done
14 under IND now, and they are totally separate from what we
15 are talking about here.

16 With regard to the HLA test, I think someone from
17 Devices will have to answer that. I am not familiar with
18 that.

19 DR. DAYTON: I don't know the answer to that.

20 MR. WILSON: Those HLA tests which are used that
21 are labeled for the detection of the antigens are
22 predominantly class I. There are some which are class II.
23 I am talking about the ones that are non-diagnostic.

24 DR. BUCHHOLZ: Just having watched this from an
25 industry perspective for a number of years, I wonder if I

1 could try simplifying a little bit this class II and class
2 III.

3 I think, back in 1976 when this device legislation
4 took place, there was an effort to kind of establish risk
5 categories associated with a device. A device, class I, not
6 much risk if something goes wrong; class III, a lot of risk
7 if something goes wrong.

8 I think, in some of the original language, there
9 was the concept of life-sustaining device for a class III
10 device, like an implanted pacemaker. I think the thing that
11 is probably confusing the committee is that there are now
12 some things that are, by fiat, put in class III because
13 there was no predicate device; by that, there was no device
14 like what we have today because the problem didn't exist.

15 So those devices end up being bumped in this
16 class III category until they can get dispersed into,
17 perhaps, a more appropriate category.

18 Does that help a little bit?

19 DR. HOLLINGER: Thank you, Don.

20 Why don't we go ahead, Andy.

21 DR. DAYTON: I guess we are ready for Doug Mayers.

22 **Presentation**

23 DR. MAYERS: I want to thank the FDA for making
24 drug resistance interpretation seem simple.

25 [Slide.]

1 What I would like to do in the next forty minutes
2 or so is go over the background of HIV resistance testing, a
3 little bit about the biology of HIV resistance and then
4 overview the current clinical data both retrospective and
5 prospective. By nature, this is going to be a survey and
6 not exhaustive because there are far too many studies today
7 than the time I have to present them.

8 I just want to make a caveat that I will
9 occasionally use a company slide in this talk because I
10 didn't have a slide to illustrate the point, but those
11 slides are made to illustrate a biological point and not the
12 support any company and, where possible, I have used every
13 company in the area's slides, one of each, so they don't
14 feel like they have been slighted.

15 [Slide.]

16 It is important to recognize of HIV that the
17 replication of the virus is very rapid in a patient such
18 that patients make up to a billion particles each day with a
19 half life of about one day.

20 [Slide.]

21 The reason this is important from an HIV
22 resistance point of view is that the virus, as a replication
23 strategy, makes, on average, one error per each progeny
24 virus. So, if a billion viruses are produced and you have a
25 10,000 base virus making, on average, one error, that means

1 you have 100,000 of every single-base variant and about ten
2 copies of every double-base variant in your patient.

3 So when you have these discussions of do drug-
4 resistant viruses exist at some level, yes, in all of our
5 patients, they exist and they are spontaneously produced
6 every day in the patient. This is the virus' strategy to
7 escape immune pressure and it also uses it to escape drug
8 pressure.

9 [Slide.]

10 In 1989, two years after AZT was introduced into
11 clinical practice, Doug Richmond and Brendan Larder had a
12 paper that came out in Science. What they showed was that
13 viruses were produced in our patients that were 100-fold
14 less susceptible to AZT than when they started therapy.

15 This shows the phenotype assay results from that
16 paper. What you have on the vertical axis of this is the
17 amount of virus replication relative to a no-drug control.
18 On the horizontal axis is drug levels. What you can see in
19 the upper left-hand panel, C, is that for the virus in light
20 blue that the virus is susceptible to AZT and it takes about
21 0.03 micromolar of drug to suppress it.

22 Then, as you watch the viruses over time, you can
23 see that you move out to the yellow virus which is obtained
24 a number of months later and now the virus is 100-fold less
25 susceptible to AZT and it takes about 3 micromolar of drug

1 to suppress it.

2 We were very lucky with AZT. You have an 100-fold
3 difference between sensitive and resistant virus so it is
4 fairly easy to pick out what resistance was. This is the
5 standard type of phenotypic test that would be reported out
6 to the clinician.

7 [Slide.]

8 About six months later, Brendan Larder and Sharon
9 Kemp took paired isolates from that original study. They
10 took studies from before the patients had seen drug and a
11 sample of virus when the patient had highly drug-resistant
12 virus. With six pairs, they were able to show that there
13 were four mutations associated with AZT resistance at
14 positions 67, 70, 215 and 219.

15 Over the years after that, two more mutations were
16 associated with AZT resistance but most of the story was
17 well explained with six paired isolates. This would be the
18 resistance-associated mutations or a genotypic assay. So
19 you can either grow the virus in the presence of drug or you
20 can look for mutations associated with resistance.

21 [Slide.]

22 Once we had these assays available, we were fairly
23 quickly able to show what was associated with the
24 development of resistance; host factors, either advance
25 disease or low CD4-cell counts, virus factors such as very

1 high levels of HIV RNA in the blood, baseline drug
2 susceptibility and antiviral drug activities, the
3 virologist's paradigm being that if you don't have a drug,
4 you don't have drug resistance.

5 [Slide.]

6 Looking at the emergence of resistance, there are
7 three patterns that we see in the clinic. There are some
8 drugs in which you see very rapid emergence of very high-
9 level resistance. These are drugs such as 3TC and the non-
10 nucleoside agents. The reason you see very high-level
11 resistance emerge quickly is that a single-point mutation
12 will produce a hundred- to a thousand-fold resistance to
13 these drugs.

14 So these viruses preexist in the patient and if
15 you do the calculation, you expect it would take about
16 fifteen days for them to emerge under drug pressure. In
17 monotherapy studies, it took about fifteen days for these
18 drugs to produce high-level resistance. So these are the
19 drugs in which it has very clearly been associated with the
20 loss of activity is associated with single-point mutation.

21 The next drugs take a moderate amount of time,
22 usually three months or more, to get high levels of
23 resistance. And these would be drugs such as zidovudine,
24 abacavir and the protease inhibitors. The reason these
25 drugs take a bit longer to get high levels of resistance is

1 you have to have a number of mutations. So it takes the
2 accumulation of three, four or five mutations to get high-
3 level resistance and it takes the virus a period of time in
4 the presence of drug to get to those high levels. But it is
5 fairly easy to describe what resistance is in them because
6 they do get the high levels of resistance.

7 The last group of drugs, ddI, ddC and d4T are
8 really where much of the controversy of interpretation of
9 resistance assays comes from. From these drugs, you never
10 really get very high levels of resistance in the clinic with
11 the drugs. You get four- to five-fold resistance which is
12 almost within the assay variability of a phenotypic assay.
13 So there always a fair amount of debate as to what ddI, ddC
14 or d4T resistance is. This leads to some of the variability
15 in the reports that the clinicians receive from the
16 laboratories.

17 [Slide.]

18 Looking at a crystal structure of the reverse
19 transcriptase, what you can see is that along a loop between
20 about bases 65 and 75, there is a whole string of mutations
21 associated with nucleoside resistance with multiple
22 different agents. And so it wouldn't be surprising that
23 resistance to one drug might be associated with cross-
24 resistance to other drugs in the class.

25 [Slide.]

1 This has clearly been shown. For zidovudine, it
2 produces low levels of resistant to ddI and ddC as you get
3 high levels of zidovudine resistance. But, more
4 importantly, it has been shown that once you have high-level
5 zidovudine resistance, combinations of AZT-ddI and AZT-ddC
6 did not lead to clinical benefit.

7 For 3TC, abacavir, ddI and ddC, these drugs all
8 have overlapping mutations. Clearly, one drug will produce
9 mutations at some level of decreased susceptibility to the
10 other drugs. And d4T hangs out by itself because we really,
11 even in 1999, don't understand d4T resistance very well.

12 [Slide.]

13 An aspect that has been very interesting to
14 virologists but it has complicated things for the clinician
15 is that the virus can take numerous routes to get the high
16 levels of resistance. The good news from a clinical point
17 of view is that the vast majority of isolets do it the way
18 you would expect. They get AZT resistance. They get 3TC
19 resistance. They add the classical mutations together to
20 produce a high-level resistant virus.

21 But, in the last year or two, we have discovered
22 that a small number of patients can go down a different
23 pathway of a 151 mutation and, even more fascinating, the
24 virus can actually add six bases into that loop I showed you
25 and produce high-level resistance by an insertional mutation

1 which we never expected to see, but it can be easily
2 identified.

3 [Slide.]

4 From a clinical management point of view, though,
5 the vast majority of our patients are failing with 215 and
6 184 with associated other nucleoside mutations. So, from a
7 clinical point of view, the overwhelming majority of
8 patients fail with known mutations and known resistance
9 patterns.

10 [Slide.]

11 Moving to the non-nucleoside drugs, the non-
12 nucleoside drugs have a totally different pocket from the
13 ones of the nucleosides. The picture has actually become
14 relative simple in 1999 because of the use of the non-
15 nucleosides in combination with either AZT or d4T. The vast
16 majority of the non-nucleoside agents right now are failing
17 with a mutation at position 103, a single-point mutation
18 called K103N. This mutation, essentially, inactivates all
19 of the non-nucleoside drugs.

20 [Slide.]

21 This just shows, in a number of clinical isolates,
22 that isolates that only had the 103N mutation with non-
23 nucleoside resistance had developed significant decreases in
24 susceptibility to efavirenz, nevirapine and delavirdine.

25 [Slide.]

at

1 Moving to the protease enzyme, the protease enzyme
2 has sort of a bewildering assay of mutations that you can
3 see but, in all honestly, only about five or six of them are
4 really critically important to high-level resistance. Those
5 are shown in this model by the red balls. They are the
6 mutations that tend to occur in the active site of the
7 enzyme and produce resistance.

8 There are a number of other mutations that are
9 associated, in this figure with the yellow or white balls,
10 and these are compensatory mutations. What you see the
11 virus doing in patients is the virus will develop a
12 critical-site mutation, a red-ball mutation, and get some
13 level of resistance. But then it doesn't grow very well.
14 It doesn't cut proteins as well as a protease so it adds in
15 two or so of the compensatory mutations. Then it adds back
16 in another resistance mutation and it works its way through
17 fitness base.

18 But, for the practicing clinician, what you really
19 need to do is just count how many critical-site mutations
20 were there.

21 [Slide.]

22 This shows the critical-site mutations for the
23 drugs that are currently available. For saquinavir, it is
24 90 and 48. For indinavir, it is 82, 46, 84. Nelfinavir, it
25 has been the D30N, usually L90M, occasionally. And for

1 amprenavir, it appears to be a 50 mutation.

2 [Slide.]

3 An issue that has caused real concern of
4 resistance was initially data from indinavir where they
5 showed that if you had high-level phenotypic resistance to
6 indinavir, there was a loss across the class of resistance.
7 For ritonavir, it is 100 percent. For saquinavir, it is 60
8 percent. Nelfinavir, it is about 75 percent. And, with the
9 newer data for amprenavir, it is probably closer to 55 to
10 60 percent of these isolates would be resistant.

11 This is one place, as we will see later, where
12 genotyping hasn't been as useful as we might have liked and
13 phenotyping actually has been very useful to help find which
14 of the lucky patients has sensitivity to one of the
15 proteases that remains.

16 [Slide.]

17 For nelfinavir, this appeared to be different and
18 amprenavir it appears to be different in that initial
19 resistance to one drug does not produce cross-resistance to
20 the rest of the class. A concern that has come up has been
21 that, even though they don't have primary mutations that are
22 cross resistant, they share a lot of the background
23 polymorphisms and the compensatory mutations and so, are you
24 one mutation short of disaster.

25 [Slide.]

1 It appears that may actually be the case for these
2 drugs so that you can get a good response with the next
3 agent but you have to totally suppress the virus or you can
4 rapidly see broad class resistance emerge after that type of
5 protease inhibitor.

6 This just shows that the addition of a single V82A
7 mutation took a virus from totally ritonavir-susceptible to
8 highly ritonavir-resistant.

9 [Slide.]

10 The slide illustrates the point that in the
11 management of patients on protease-containing regimens that
12 a single-point mutation will lead to the initial loss of
13 antiviral activity but if you leave the patient on the
14 protease inhibitor for any extended period of time, you will
15 see the sequential additional of multiple mutations.

16 The reason this is important is that is important
17 is that, in most instances, there is low-level resistance
18 associated with single-point mutation and not very broad
19 cross resistance. But once you get to five and six
20 mutations in the virus, you have a virus that is highly
21 likely to be both highly resistant and relatively broadly
22 cross-resistant.

23 [Slide.]

24 This is just some data that came out of a recent
25 meeting in which they looked at patients who had had several

1 PI regimens. They looked at ten-fold decreased
2 susceptibility to any of the available agents and showed
3 that about three-quarters of the isolates had decreased
4 susceptibility to all of the agents by the time they got
5 there.

6 [Slide.]

7 Switching over to susceptibility testing, the sort
8 of gold standard for susceptibility testing was an assay
9 developed in 1990 between the ACTC and the Department of
10 Defense in which we took patient isolates, we grew them and
11 expanded them, titered them and assayed them for drug
12 susceptibility on patient-donor lymphocytes.

13 [Slide.]

14 This assay allowed us to look at roughly
15 95 percent of the patients and look at how they were failing
16 on drugs. This just shows the susceptibility pattern. The
17 green and the yellow are pre-therapy isolets--the white and
18 the red are post-therapy isolets--that were obtained from
19 that original study the Doug Richmond did back in 1989.

20 [Slide.]

21 The nice aspect about this assay was we had
22 reasonably good quality control. You could note
23 susceptibility within about three-fold. Sort of a standard
24 of thumb has been that if the decrease in susceptibility is
25 less than three-fold for these types of assays, you are not

1 really sure if it is resistance or just assay variability.

2 The newer assays may be able to get down to the
3 two-fold, two-and-a-half-fold, but I think the two- to
4 three-fold is about as close as you can know a phenotype on
5 a virus.

6 [Slide.]

7 We were able to actually set reasonable cut points
8 for sensitive, partially resistant and resistant that
9 actually had clinically validated meaning. The sensitive of
10 less than 0.2 micromolar was based on patients' isolates who
11 had never seen drug and the range of susceptibilities in
12 wild-type virus. Resistant was associated with loss of
13 clinical activity and clinical disease progression.

14 [Slide.]

15 The problem with this assay is illustrated in this
16 chart. Times 0 on the bottom is the time at which
17 phenotypic resistance emerged in these patients. This was a
18 study done in about 1993. What we showed was that patients
19 who always remain sensitive had good stable CD4 counts. The
20 unfortunate news was that, by the time we saw phenotypic
21 resistance from a co-cultured virus from the cells, the
22 patient was already failing by enough--had had about a
23 50 percent CD4 decline and so the clinicians didn't need our
24 assay to tell them that they were not doing well.

25 [Slide.]

1 About this same time, data began to emerge from
2 3TC and also some of the AZT studies that, if we took the
3 plasma virus and looked at that for mutations or
4 susceptibility that we could actually detect resistance very
5 nicely at the beginning of the rise in the viral load as
6 opposed to the PBMCs where it took a bit longer to show up.

7 So most of the focus on resistance testing has
8 moved from trying to take cells in coculturing virus to
9 looking at the virus that is circulating in the plasma in an
10 individual patient.

11 [Slide.]

12 Currently, all of the assays available, either
13 genotypic or phenotypic, basically PCR a segment of the
14 virus that includes the protease gene and the early 250 to
15 300 bases of the polymerase gene which is where we have
16 identified resistance mutations. So, basically, you lift
17 out from the plasma the protease and part of the RT gene and
18 then, for phenotypic assays, you clip it into a vector and
19 grow it up. For genotypic assays, you sequence it.

20 [Slide.]

21 This just points out that we have to PCR amplify
22 for all the assays we use on that segment of the genome.

23 [Slide.]

24 This just shows what a sequence looks like that we
25 are actually doing. The sequence with the arrow over it

1 shows the detection of the emergence of a subpopulation of
2 virus. So, basically, you either have a peak by itself
3 which can be described as a single amino acid or you have a
4 peak where there is a mixture. Those can be associated with
5 minority populations of virus.

6 For all the assays we use for genotyping right
7 now, they all use population sequencing and we basically can
8 pick up somewhere between 30 and 50 percent minority
9 populations. So these assays clearly do not pick up
10 minority populations well. We are not picking up 5,
11 10 percent virus. We are picking what the predominant
12 circulating virus is in all of these assays.

13 One of the difficulties with doing these assays is
14 also illustrated by the arrow in the second row because the
15 mutation they are pointing out isn't associated with
16 resistance.

17 [Slide.]

18 For the common viruses, we take that same segment
19 of the genome. We PCR amplify it, put it into a deleted
20 vector, grow it up and then test it against drugs. The
21 reason that this has been, I think, very useful in the
22 development of resistance testing is that this allows us to
23 not have the alterations in the virus associated with
24 growing it up for long periods of times in cells. It also
25 speeds up the process so, instead of taking six to eight

1 weeks to get a phenotype back to the clinic, the new RVA
2 assays can turn a result around in about three weeks back to
3 the clinic.

4 So it has been a significant increase in speed and
5 it looks like there has also been an increase in quality
6 control such that their variability appears to be less than
7 the variability we had with the PBMC-based assays.

8 [Slide.]

9 This just shows the types of susceptibility curves
10 that they can get. What you see here is the blue curve on
11 all these figures is a control virus that they put on the
12 plate, and the yellow curve is the clinical isolate. As you
13 can see for 3TC, the virus has essentially no susceptibility
14 to 3TC. As the curves shift toward the right, you are
15 seeing increasing resistance. So these are the types of
16 assays that the companies are doing for phenotypic testing
17 right now.

18 [Slide.]

19 At this point, I would like to switch over to
20 clinical relevance. This has always been a somewhat
21 contentious topic.

22 [Slide.]

23 For clinical significance, zidovudine is the only
24 drug for which we have data for clinical progression and
25 death. I honestly believe that we will probably never have

1 any other single drug for which we will be able to show
2 clinical progression and death because of the use of
3 combinations.

4 But, for AZT, we could clearly show with greater
5 than 1.0 micromolar resistance at baseline if this was the
6 associated progression and death in both the ACTG 116B, 117
7 study and a prospective study in the Department of Defense
8 with about a two-and-a-half to three-fold risk of disease
9 progression. This was independent of the other baseline
10 parameters available to us at that time.

11 [Slide.]

12 For the pediatricians, this is a similar study
13 looking at the 215 mutation in plasma virus. What they did
14 was, the yellow dots are the children who had no disease
15 progression and were doing quite clinically well. The
16 orange dots are the children who were progressing and having
17 disease progression.

18 What you can see is that the children who had no
19 disease progression also had no evidence of the 215 mutation
20 and the children who were progressing developed increasing
21 amounts of the 215 mutation in their plasma virus and it was
22 strongly associated with disease progression in this cohort.

23 [Slide.]

24 The companies have been doing a very good job
25 recently with the new drugs in defining the phenotypes and

1 genotypes associated with loss of activity of their drugs.
2 This is illustrated in this slide from abacavir where the
3 company looked at abacavir in its clinical trials and found
4 out that, if clinical isolates had less than an eight-fold
5 decrease in susceptibility from a wild-type isolate that
6 they could get a good clinical response and if it was more
7 than eight-fold above the wild-type virus that they were not
8 getting good activity.

9 They also were able to find the genotypes
10 associated with lack of activity of abacavir. As you
11 notice, they are the genotypes that are associated with a
12 multi-drug-resistant, multi-nucleoside-resistant, virus.

13 [Slide.]

14 Similarly, for nelfinavir, they were able to, in
15 expanded access, look at the major mutations associated with
16 resistance and were able to show that--they could literally
17 count major mutations and response was related to how many
18 major mutations were present, or they could look at
19 phenotypic susceptibility as broken by four- to ten-fold and
20 show, again, a very nice association with decreased drug
21 susceptibility phenotypically or presence of resistance
22 mutations predicted who would respond or not respond to
23 nelfinavir.

24 [Slide.]

25 Similarly, for ritonavir and saquinavir, they were

1 able to look at phenotypic and genotypic resistance. What
2 they showed very nicely was that the predictors of failure
3 were the same as we had seen previously for zidovudine, CD4
4 count, disease stage, HIV RNA, but also either phenotypic
5 resistance or genotypic resistance predicted lack of
6 response to saquinavir and ritonavir.

7 [Slide.]

8 Moving into broader-based clinical studies, Andy
9 Zolopa and the group at Stanford looked at patients who
10 received ritonavir and saquinavir who were all PI
11 experienced. They looked at short-term virologic responses,
12 and the clinical predictors were the same as we had seen
13 previously.

14 Of note, drug history, number and prior duration
15 of drugs predicted poor response and simply counting the
16 major protease mutations, the red-ball mutations, I showed
17 you earlier. If you simply counted them, the number of
18 mutations in the virus predicted response to ritonavir and
19 saquinavir. The more you had, the worse you did.

20 [Slide.]

21 Steve Deeks presented data at the same meeting
22 which, I think, gave us a great deal of insight into what
23 you need to get a good response in an experienced patient.
24 They took eighteen patients who had received abacavir,
25 saquinavir, nelfinavir and nelvirapine. These were all four

1 new drugs these patients had never seen. So they took four
2 drugs that the patients were naive to. They looked at a
3 phenotypic assay.

4 [Slide.]

5 What they showed was that seven of the patients
6 had only zero or one active drug in the regimen by
7 susceptibility testing. In those patients, none of them got
8 a response. It was only very transient and none of them
9 went below the limits of detection of the assay.

10 So this was showing the impact of cross-resistance
11 in this population. Eleven patients had two or three active
12 drugs. They all had a sustained response and eight of ten
13 went undetectable. None of them had all four drugs in the
14 combination active. What this basically told us was if you
15 are going to get a good response in a regimen for heavily
16 pre-exposed patients, you are going to need to find two or
17 three active drugs to give that patient.

18 [Slide.]

19 That is the retrospective data. I am now going to
20 move to the two prospective trials that have been done on
21 genotyping. The first trial is the GART trial, GART
22 standing for genotypic antiretroviral resistance testing.
23 It was a trial done by the CPCRA in patients who had had a
24 good response to protease-containing regimen and then lost
25 that response.

1 The patients had plasma virus, had plasma sent in.
2 The virus was sequenced. It was reviewed by a panel of
3 three virologists; myself, Tom Merigan and John Baxter. We
4 gave the clinicians back a report of susceptibility and we
5 also gave them some recommendations for treatment at that
6 time.

7 You have got to remember this trial started in
8 1996. The community docs really were not comfortable that
9 they could take the sequence data and use it in clinical
10 management at that time. So the test was a test of getting
11 the sequence data with some suggestions versus using your
12 own clinical judgment in the management of these patients.

13 It had a short-term virologic endpoint of 4, 8 and
14 12 weeks of follow up. The reason the data was chopped
15 after 12 weeks was that the patients and their physicians
16 were allowed to switch drug regimens if they hadn't had a
17 good response during that time period. So the test was only
18 relevant during that short period of time.

19 [Slide.]

20 Looking at the randomization, patients had about
21 230 CD4 cells, about 30,000 copies of HIV RNA. The majority
22 of the patients had received nelfinavir for indinavir which
23 was common to the community at that time. About half of
24 them were failing on their first protease-inhibitor-
25 containing regimen and the other half were on their second

1 or third regimen.

2 [Slide.]

3 Looking at the baseline resistance mutations, as
4 we had shown previously, in this population, about three-
5 quarters of them had both RT and protease mutations. About
6 20 percent had RT mutations alone. And 5 percent had
7 absolutely no mutations in their virus. It is the
8 impression of the clinicians and the virologists in the
9 study that that 5 percent was probably not actually on drug
10 at the time that these susceptibility tests were done.

11 Looking at the mutations, we saw, again, 184 and
12 215 were the overwhelmingly common human-failure mutations
13 with only 1 or 3 percent of patients getting the insertion
14 in the 151 mutation. And we had a variety of protease-
15 inhibitor mutations.

16 [Slide.]

17 The bottom line was that if you use genotyping to
18 assist in the management of the patient, we could get about
19 a half-log better virologic response at the 4- and 8-week
20 time point than we could by clinical judgment alone. And
21 this was a highly significant p-value.

22 [Slide.]

23 This just shows the response over time and shows
24 that, actually, the best response was, unfortunately, at
25 four weeks and there was some paling off of response during

1 the 8- and 12-week time period as some patients got a
2 transient response to drug and then resistance reemerged.

3 [Slide.]

4 But it should be noted that, at all time points,
5 about twice as many patients were undetectable using
6 resistance testing as they were if you just used clinical
7 judgment alone. Even at the 12-week time point, about
8 28 percent of our patients were undetectable with genotyping
9 and about 15 percent were undetectable with clinical
10 judgment alone.

11 [Slide.]

12 A point of contention in this study has been that
13 the patients who were getting the genotyping also received,
14 on average, one more drug than the patients who were getting
15 phenotyping based potentially on the fact that their docs
16 could see the resistance data available.

17 [Slide.]

18 But we have actually gone back and reanalyzed the
19 data. If you look for patients who got three drugs, four
20 drugs or five drugs, at every number of drugs, the patients
21 did better if they had genetic data to assist in the
22 management than if they guessed with clinical judgment
23 alone.

24 We think this actually explains the results of
25 this trial. The green curve is the viral-load response that

1 you see in these patients. The blue bars show you how many
2 active drugs were present in the no-GART arm and the yellow
3 bars show you how many active drugs were present in the GART
4 arm.

5 What you can see is that, on average, patients who
6 got the genotypic testing were able to get one more active
7 drug in their regimen than they could get by clinical
8 judgment alone. The response the patients got was clearly
9 associated with the number of active drugs they received.
10 And so it appears that the genotyping allowed us to find, on
11 average, one more active drug to give in a salvage regimen
12 than you could give by clinical judgment alone.

13 [Slide.]

14 So the conclusion was that GART, with expert
15 advice in patients failing antiretroviral therapy, was
16 superior to no-GART as measured by short-term viral-load
17 responses. In a greater viral-load response, reduction in
18 GART was attributed to the greater number of active drugs
19 prescribed.

20 I'm sorry I didn't bring the slides, but one of
21 the truly impressive findings for us in the trial has been
22 the robustness of this finding. This half-a-log difference
23 held up over CD4 ranges, over viral-load ranges, over
24 resistance profiles, over first failure, second failure,
25 third failure.

1 Basically, any cell that had more than eight
2 patients in the cell had a significant difference with
3 genotyping.

4 [Slide.]

5 The second prospective study was the VIRADAPT
6 study which was conducted in France. This was a bit
7 different study in that there were basically a number of
8 French clinicians at about three French medical centers who
9 followed a series of patients and did genotyping and then
10 would meet and discuss the results either with genotyping or
11 without genotyping for the manufacturer of individual
12 patients.

13 [Slide.]

14 It is a little bit smaller study. There were
15 43 patients in the control arm and 65 patients in the
16 genotyping arm.

17 [Slide.]

18 The patients in the study were a bit more advanced
19 than the GART patients. They had, on average, a plasma HIV
20 RNA of about 150,000. The CD4 cells were about 200 to 220,
21 and quite a few of them had actually had symptomatic HIV
22 disease.

23 [Slide.]

24 If you look at their prior exposure, they were
25 very heavily exposed. They had seen, on average, one-and-a-

1 half to two PIs when they came into the trial. They had
2 had, on average, about four nucleoside agents and, again,
3 about half of them were first-time failures and the other
4 half were second- and third-line-failure patients.

5 [Slide.]

6 The results in this trial show that they actually
7 got a sustained benefit to six months. The difference
8 between this trial and the GART trial was that if you hadn't
9 gotten a good response at three months, you could retest the
10 patient at three months and go to six months. And so, in
11 GART, you got one test, we followed you. In this trial, you
12 got a test every three months.

13 The data went out to six months in a randomized
14 fashion. As you can see, there is about a half-log
15 difference between the two arms out to six months that
16 persisted. They subsequently extended the analysis out to a
17 year and they have had a stable reduction in RNA out to a
18 year in these patients who could get repeated testing.

19 The trial was no longer randomized after six
20 months because, once the GART results were released, the
21 French unblinded the trial and took everyone over to
22 genotypic testing.

23 [Slide.]

24 Of note, and very similar to the GART results, you
25 can see that roughly 30 percent of patients were

1 undetectable in the GART arm and about 14, 15 percent of the
2 patients were undetectable in the no-GART arm so, again,
3 about a two-fold difference between genotypic testing versus
4 clinical judgment alone.

5 These results have been remarkably consistent
6 across these two trials that had somewhat different
7 methodologies.

8 Also of interest, both trials used the same
9 algorithm to interpret the data for genotyping. The
10 algorithm is actually contained in table 8 of the Stanford
11 Guide for the Management of HIV-Infected Patients. It is a
12 little pocket guide.

13 [Slide.]

14 So in choosing an effective salvage regimen for
15 patients who are failing on combination therapy, I think we
16 have a number of clinical parameters available; number and
17 duration of prior antiretroviral drugs, knowledge of cross-
18 resistance patterns between the drugs.

19 This data can be supplemented with resistance
20 testing. I think the bottom line is to have an effective
21 salvage therapy in patients who fail combination regimens.
22 You need to be able to find two or three drugs that remain
23 active against the patient's circulating virus.

24 [Slide.]

25 The current dilemma is even with the availability

1 of repeated genotypic testing, we can only get 30 percent of
2 patients suppressed below 500 copies per ml. This just
3 emphasizes the need for us to continue to get salvage drugs
4 available for these patients.

5 [Slide.]

6 In the last few minutes, I would like to go over
7 the public-health data looking at resistance. This is the
8 percent of patients with AZT resistance by year. Before
9 1987, we never actually saw an AZT-resistant virus in an
10 untreated patient. In 1987, the drug entered clinical
11 practice. It was available to about 20 percent of patients
12 because you had to have late-stage disease to get access to
13 the drug.

14 So, from 1987 to 1990, about 20 percent of our
15 patients had access to AZT and there was about a 1 percent
16 level of transmission of drug-resistant virus. In 1990,
17 because of the 116, 119 results of the ACTG, there was an
18 expansion of the use of AZT to patients with less than 500
19 CD4 cells. Two years after the increased indications for
20 AZT usage, the transmission rate jumped to 7.5 percent and
21 then actually moved to between about 8 and 10 percent for
22 the next five years.

23 [Slide.]

24 So AZT resistance was seen at about an 8 to
25 10 percent level in new seroconverters from 1993 through

1 about 1996. In 1996, 3TC became available within a year.
2 Because of a single-point mutation, 3TC-resistant
3 transmissions were seen. The most recent data, as we have
4 moved to a very broadened use of combination therapy, is
5 that we are seeing an even increased risk of transmission of
6 drug resistance. This is data from both the military and a
7 cohort led by the group at San Diego.

8 What they showed was that, looking for resistance
9 by phenotype and genotype, they found a level of somewhere
10 between 20 and 30 percent of new infections were infected
11 with drug-resistant virus in 1999. What has become a bit
12 scary to the practicing clinician is an increasing number of
13 these patients actually have multi-drug-resistant virus with
14 both nucleoside- and either protease- or non-nucleoside-
15 associated mutations.

16 [Slide.]

17 So I think we can actually categorize a number of
18 high-risk populations for drug resistance; patients who have
19 lost virologic control on a combination antiretroviral
20 regimen, new HIV seroconverters, HIV-infected infants of
21 HAART-experienced mothers--we don't have very many of them
22 but when they occur, these children are at very high risk
23 for drug resistance--and occupational HIV exposure of
24 healthcare workers. These are four categories of
25 individuals who are at high risk of drug-resistant virus.

1 [Slide.]

2 Some unresolved issues. For genotypic testing, I
3 think the companies--I am not aware of any company that has
4 actually brought a technical-validation panel to the FDA. I
5 know the panels are being developed. I think this is
6 probably one of the big issues for the companies is to prove
7 that they have a kit where three different techs and four
8 different labs can get the same result with the same sample

9 I think that is coming and I think you will see
10 that type of data very soon. Interpretation of complex
11 genotypes is difficult in these patients. The ultimate
12 clinical utility, if you want to go to long-term durability
13 or clinical outcomes, has not been proven but at least at
14 time frames of three months to year, there is a clear
15 benefit of using genotypic testing to get better virologic
16 suppression.

17 [Slide.]

18 From our studies, and in the CPCRA, it has become
19 clear that there are a number of instances where phenotyping
20 does have significant utility, potentially more utility than
21 the genotyping assays. One is in patients who are failing
22 with indinavir resistance because we can tell you, from a
23 genotype, that you are likely to be cross-resistant to the
24 other agents but can't tell you which ones you are likely to
25 be sensitive to, whereas the phenotyping can actually

1 identify the individual drugs to which the virus remains
2 susceptible and then complex genotypes of apparent multi-
3 drug-resistant phenotype where, in a potential of mutational
4 interactions, you can find a drug or two by phenotyping that
5 you won't be able to detect with our knowledge of
6 genotyping.

7 [Slide.]

8 For phenotypic resistance testing, I think
9 technical validation remains an issue as well. Of bigger
10 concern are the current susceptibility breakpoints where
11 they are actually set somewhat arbitrarily not related to
12 either clinical progression or to loss of virologic control.
13 I think there is going to be a major effort by the companies
14 to get these breakpoints better established.

15 A concern is, right now, that it appears that the
16 non-nucleoside susceptibility breakpoints from all the
17 current assays may be set a little bit too low for sensitive
18 virus and they are calling a few false positives for low
19 levels of resistance.

20 Clinical-utility data is clearly coming from these
21 types of assays but there are no prospective trials
22 available to report at this time.

23 [Slide.]

24 So, where are we? I think, for biologic
25 plausibility, this is a slide similar to the slide I

1 presented in 1996 to this committee for viral-load
2 measurements. I think, for biologic plausibility, we
3 certainly have that for both genotypic and phenotypic
4 resistance. There is good retrospective data for quite a
5 few drugs that both genotypes and phenotypes can predict
6 response to drug and can predict loss of activity to the
7 drug.

8 For prospective data, the short-term data of three
9 months to twelve months for genotyping from two trials,
10 ongoing trials for phenotypes. There are long-term trials
11 going on for both types of assays at this time.

12 I point out to the committee that, when it was
13 presented with the decision for viral-load measurements, an
14 approval of viral-load measurements, there were no
15 prospective studies available to the committee when they had
16 to make this decision. At this point, there are two
17 prospective studies for resistance measurements.

18 Technical validation is ongoing for both types of
19 assays and standardized interpretation in getting the
20 standards out there is also ongoing for both types of
21 assays.

22 I thank you.

23 DR. HOLLINGER: Thank you, Doug.

24 Andy, do you want to go on to Dr Murray?

25 DR. DAYTON: I will leave it up to you.

1 DR. HOLLINGER: Let's see if there are some
2 questions here of Dr. Mayers.

3 DR. CHAMBERLAND: In the packet of information
4 that we got, there was a recent Lancet publication on the
5 results of the VIRADAPT study. Has the GART trial been
6 published?

7 DR. MAYERS: The GART trial has been in submission
8 to JAMA for over eleven weeks right now so that if they ever
9 release it, we hope they will publish it.

10 DR. CHAMBERLAND: Because one of these papers, I
11 think, referenced an abstract for the GART study. Could you
12 just clarify, in the GART study, in the methodology, in the
13 GART arm, physicians got results of genotypic testing. In
14 the non-GART arm, what information--I wasn't clear, exactly.
15 It looks like they got the recommendation from--

16 DR. MAYERS: No.

17 DR. CHAMBERLAND: No; they didn't get anything?
18 What did the non-GART get?

19 DR. MAYERS: What the non-GART got was that the
20 team gave them the current recommendations and they gave
21 them regular updates from the ongoing meetings for salvage
22 regimens in that population. So, basically, we sent out to
23 them--after each meeting, we would send out to them an
24 update; "Here is what we are seeing with these drugs in
25 these types of patients."

1 So we did an educational component, but we did not
2 give them direct recommendations.

3 DR. CHAMBERLAND: So they just got general
4 guidance about current thinking for salvage therapy--okay.
5 But even in the non-GART arm, would you feel that clinicians
6 were probably getting more information than the average
7 treater gets because--

8 DR. MAYERS: I think that is fair. I think the
9 thing that has encouraged us that this was not biased in a
10 significant way is the French study where there was no
11 expert advice given at all and all they got was the genotype
12 given back to them. And the fact that GART and VIRADAPT
13 essentially lie on top of each other at similar time points
14 suggest that there was, probably, not a huge bias in that.

15 DR. CHAMBERLAND: Can you tell us more who the
16 physicians were who participated in the GART and the non-
17 GART arm? Where I am going is that I think there is a
18 spectrum of treaters out there who treat HIV patients. At
19 one end of the spectrum, we have folks like yourself, very
20 knowledgeable, involved in research and, at the other end,
21 are treaters who--and I think they may constitute the
22 majority of treaters--who really are trying very hard to
23 keep up with a moving target.

24 They have these publications from the Public
25 Health Service and from these advisory committees about what

1 to do, but they are not really well-educated about
2 genotypic, phenotypic, information, et cetera and they get
3 these very complicated reports from these laboratories.
4 They are tough to interpret. I do very limited clinical
5 work and it is tough.

6 DR. MAYERS: I have the same problem, myself,
7 getting some of these reports.

8 DR. CHAMBERLAND: So I kind of wanted to know,
9 because we don't have a publication, unfortunately, who was
10 in the GART trial, where to they fall in this spectrum of
11 treaters because I think this is important to the committee
12 ultimately having to vote on this issue, to have a kind of
13 an understanding of what information is going to be--

14 DR. HOLLINGER: Yes; and how was it determined who
15 was non-GART and GART?

16 DR. CHAMBERLAND: Exactly.

17 DR. MAYERS: Basically, I want to apologize to the
18 committee for giving you the GART study but the rules that
19 the FDA operates under are if I give it to you, I have to
20 put it out on the table out front. Since it is still in
21 submission to JAMA, I could not give it to the committee.

22 The clinicians throughout the CPCRA--these were
23 the community docs in the CPCRA system, so they were at all
24 the sites in the CPCRA, randomly out there. Any doc who
25 sent a sample in could participate from the CPCRA.

1 The randomization was done in such a way that the
2 patient's provider would send a sample into the team, the
3 group of virologists would look at the sample, interpret it
4 and get the report done, submit that report to that stat
5 center not knowing if they were going to randomized or not,
6 and then the stat center would roll the dice and randomize
7 them after they had received the report from the virologist
8 back to the field.

9 So, basically, this was a large group of community
10 physicians throughout the United States and the reports were
11 done the same if you got the report or didn't get the report
12 as far as the virologists were concerned. We didn't know
13 who was going to get a report when it went out.

14 MR. DUBIN: So any one physician who has seen
15 multiple patients, sometimes he is going to get GART and
16 sometimes not?

17 DR. NELSON: Yes; there were some physicians who
18 treated a few patients with the genotyping and a few
19 patients without it. That's correct.

20 DR. HOLLINGER: And decisions for changes by the
21 non-GART was based on CD4s and HIV concentrations that they
22 received back?

23 DR. MAYERS: The clinicians and the virologists in
24 the study knew CD4s, viral loads, and they also knew the
25 treatment history of each individual patient. So you knew

1 how many drugs they got and what drugs they had had toxicity
2 or not had toxicity on. So it was the data that would be
3 conventionally available to a doctor treating an HIV-
4 infected patient.

5 DR. HOLLINGER: And he would make a decision, or
6 she would make a decision, based upon the results that came
7 back to that person.

8 DR. MAYERS: When the patient came back to the
9 clinic for their randomization visit, the doctor would
10 either get a GART report and have to tell us how it impacted
11 his decision making or her decision making, or they would
12 not get a GART report and have to use the data that they had
13 had available to them prior to make a decision as to which
14 drug to switch to.

15 DR. TUAZON: In terms of having--once this test
16 had been approved, where do you see the clinical utility of
17 both assays? I think, for the experienced, PI-experienced
18 patients, you probably would just go ahead with the
19 phenotypic. Accounting for the cost of both of the assays,
20 what are the practical uses of this?

21 DR. MAYERS: I think one of the real surprises
22 that we have seen across both studies has been that the
23 relative benefit has been the same across rounds of therapy.
24 But that is relative benefit in the face of a shrinking
25 response.

1 What I see happening at this point is going to be
2 in newly infected patients who you know are newly infected,
3 not patients who walk in with PCP but newly infected, that
4 they are at fairly high risk and I think there is going to
5 be a move to take this testing to look for newly infecteds
6 fairly soon because the rates are clearly above 10 percent
7 in that population right now.

8 For other patients, I think we will use CD4 and
9 viral load like we currently do. A person who is below
10 50 copies and is doing well without a genotype, I don't need
11 the test. I think, on early rounds of failure, you will
12 probably see genotypic testing used on those patients
13 because it has a fairly quick turnaround time which will
14 probably start to drop to less than a week as market forces
15 develop and it is less expensive.

16 I think that, for people, as they get to the point
17 where the genotype becomes different to interpret and they
18 can't find the drugs they need, you are going to see a shift
19 toward phenotypic testing because it can find holes that you
20 can't find with the genotype testing in these individuals.
21 That current assessment is based on cost and turnaround
22 times.

23 If the phenotypic companies can get their cost
24 down in the same range and the turnaround time in the same
25 range, I really don't see a great deal of difference in

1 early failures between either methodology. They can both
2 show you where there are potential holes to get active drugs
3 and they can both show you where resistance exists.

4 So a lot of it is going to be how fast they turn
5 them around and how much they charge you. I think the
6 interpretations are clearly improving a lot recently. The
7 reports clinicians used to get back a year ago, and even
8 with some companies, as was stated, get now can be difficult
9 to interpret.

10 I know they are difficult to interpret when one of
11 my docs brings it in to me and I look at it and scratch my
12 head and try and figure out what they are saying so that I
13 can tell him what to do. I think that this is an area where
14 there really does need to be some work by industry to
15 develop a standardized reporting format.

16 I can tell you that there are some groups that
17 develop computerized algorithms that give a very nice report
18 that says, sensitive, partially resistant, resistant, just
19 like you would get from your microlab for a bacteria. And
20 you can do it for both phenotype and genotype if the
21 companies want to.

22 DR. NELSON: Again, back to the GART trial. You
23 gave the randomized physicians recommendations for which
24 drugs to use. Did you also measure how the recommendations
25 were followed by the patients and the physicians? Was that

1 done?

2 DR. MAYERS: Yes; we did. Actually, the scariest
3 comment from my first IRB was when the head of the DSME
4 asked me did I actually think the docs were following my
5 recommendations. The honest answer is that some did and
6 some didn't. Some used the data in their own way--

7 DR. NELSON: It is not only the doc. It is the
8 patient.

9 DR. MAYERS: Yes; I understand. The bottom line
10 was that for docs who took the advice regularly and
11 consistently, the difference actually increased to almost a
12 log difference between the GART and the non-GART arms. For
13 the docs who didn't use the advice given, it narrowed down
14 considerably. I think that this is one of the things that
15 is going to drive HIV care, especially for the experienced
16 patient, into the special provider and take it out of the
17 primary-care realm.

18 This is just too complicated to deal with the
19 cross-resistance issues and try and deal with the drug
20 interactions to select an effective non-toxic regimen for
21 these patients.

22 DR. HOLLINGER: Doug, along those same lines, you
23 obviously have patients who are receiving information that
24 you are imparting to them in the GART test and I presume the
25 same thing was true in the other study.

1 So what was the compliance? We now know that
2 probably the greatest challenge is making patients
3 compliant. Those patients who are not compliant with their
4 medications are the ones who different resistance the
5 fastest. So the issue would be is that in the group that
6 was not getting this information, did you measure
7 compliance, other than just asking the patient. Were there
8 any other basic measurements to be sure that these patients
9 were taking their medication as were the ones who were on
10 the GART trial

11 DR. MAYERS: We are currently going back into the
12 trial. This trial, when it was originally done, was done as
13 a pilot study that Tom Merigan and I and John funded off our
14 laboratory internal budgets. So there was a certain limit
15 as to how much testing we could do for free.

16 But what has happened is those restored samples
17 done at every time point on these patients and we are now
18 going back into these samples doing genotyping, phenotyping
19 and drug-level measurements at every time point for every
20 patient with support from a number of the companies. And we
21 will have that data to bring back.

22 We did not have timing so I won't be able to tell
23 you high/low. But I will be able to tell you, yes, they had
24 drug or no, they did not have drug, of a very sensitive
25 assay. The data from the French trial that was recently

1 completed with de-escalation of therapy suggests that this
2 is adequate data to at least predict were they taking drug
3 at all or not taking drug.

4 And so we are looking at that but it is not
5 available at this time.

6 DR. McCURDY: It appears that even though there
7 are certain mutations where it is pretty well established
8 that either alone, or in combination, they confer
9 resistance. The question that I have is suppose a new
10 mutation or a new group of mutations seems to correlate with
11 resistance, perhaps, to a new drug or something like that.

12 How is the decision made as to how this new work
13 is interpreted? Is this based on limited data and then you
14 follow along?

15 DR. MAYERS: Basically, I can tell you how we do
16 it in the CPCRA where I have a little control. Basically,
17 if the mutation associated with loss of viral activity of a
18 drug or is associated with a clear change in phenotype, we
19 will add it into the algorithm fairly early on and then look
20 for its impact prospectively.

21 I think what is developing over time is a number
22 of groups, the CPCRA, a number of industrial groups, the
23 ACTG, are developing very large databases that include
24 mutation's response to the next round of therapy. So what
25 is going to happen fairly quickly is that as soon as a

1 mutation is detected, or what we think is a polymorphism
2 today is shown to be a true resistance mutation, you are
3 going to be able to have two or three groups very rapidly
4 tell you, yes, we see this to be true or no, this appears to
5 have been an artifact.

6 So I think the ability to actually confirm or rule
7 out these mutations in a very rapid fashion is actually
8 getting much better almost by the day.

9 DR. McCURDY: Dr. Stroncek made the analogy to HLA
10 typing. For a number of years, there were workshops that
11 got together that developed--wet workshops and others that
12 got together to define new types. Is this sort of thing
13 happening? Are the databases getting together or is this an
14 individual entrepreneurial activity?

15 DR. MAYERS: The honest answer is it is not
16 happening as much as it should. One of the problems is that
17 each of the major commercial sponsors have developed a
18 proprietary algorithm which they are trademarking and
19 patenting as quickly as they can so that we don't have sort
20 of your blue-collar framework everyone agrees to at this
21 point for either breakpoints for phenotyping or genotypic
22 interpretation.

23 I think this is an area where we can do better and
24 this is an area where I think there could be some useful
25 work to develop NCCLES type of standards for genotypic and

1 phenotypic reporting.

2 At this time, most of the systems give fairly much
3 the same results. A 184, you have got 3TC resistance. They
4 all tell you that. I think the areas where there is a lot
5 of debate is in those types of drugs I showed you in the far
6 right-hand corner of the slide where they don't have much of
7 a phenotype and the mutations that are associated with that
8 low-level phenotype have not been well worked out. And so
9 there is a lot of debate, for example, on whether something
10 is D4T partially resistant or susceptible resistant.

11 But I think for most of the major drugs, there is
12 a growing consistency across the reporting formats.

13 DR. McCURDY: That would seem to me to be one of
14 the major potential barriers to switching from so-called
15 class III to class II is how one deals with new changes as
16 they come down the pike.

17 DR. BOYLE: Quite frankly, that is probably the
18 easiest solved problem if there was a barrier. All it would
19 take would be the desire of industry to get together and do
20 it because the data is there.

21 DR. McCURDY: So?

22 DR. HOLLINGER: If there are no questions right
23 now for Dr. Mayers, I think we will go on to Dr. Murray who
24 is going to speak now.

25

CDER Perspective

1 DR. MURRAY: I am Jeff Murray from the Division of
2 Antiviral Drug Products from CDER, Center for Drug
3 Evaluation and Research.

4 [Slide.]

5 I am here today to kind of give you what the CDER
6 perspective, or our division's perspective, is on the
7 current strengths and weaknesses of resistance testing and
8 why we are interested in this and, hopefully, to give you
9 some assurances that it is not only the assay companies that
10 develop, figure out what is important as far as genotype and
11 phenotype but, really, a lot of the work goes on during the
12 development of the drug.

13 [Slide.]

14 What we are not going to use resistance testing
15 for is as a basis for approval. This is just to go over the
16 division's current recommendations for approving
17 antiretrovirals. We have accelerated and traditional.
18 Accelerated is an earlier approval for drugs that show some
19 meaningful therapeutic benefit over existing options or can
20 treat patients who are intolerant or have failed existing
21 options.

22 For accelerated approval, we base it on 24-week
23 changes in HIV RNA. For traditional approval which, up
24 until about two years ago was just based on clinical
25 endpoints only, it can now be based on 48-week changes in

1 viral load or HIV RNA. Our preferred endpoint now is a
2 proportion below the assay limit which is 400 or, now 50, or
3 time to virologic failure above and assay limit.

4 As I said, resistance testing will not change
5 primary study endpoints but we see it as important
6 information on how to use the drug much as information on
7 how to use a drug for renal impairment and that sort of
8 information, how to characterize a drug.

9 [Slide.]

10 Our interest is that we think monitoring
11 prevalence of resistance is crucial. Doug showed you that
12 the prevalence of transmitted HIV that is resistant to
13 current drug seems to be increasing. We think that it
14 provides very useful clinical information in the label much
15 as other drug-interaction information, other safety
16 information and dosing information would.

17 We think that including the information in the
18 label will not only help clinicians use a drug but would
19 stimulate further research in defining clinical resistance
20 and assay development. We are interested in it to provide a
21 level playing field for drug sponsors so that a standard or
22 kind of routine set of data describing how their drug
23 affects viral mutations and susceptibility.

24 So we see the need for a level playing field and
25 to aid in negotiation of fair and balanced promotional ads

1 which might use resistance data to promote their drug.

2 [Slide.]

3 For instance, a hypothetical example is a drug
4 sponsor might say, "Use our drug, Drug X, first because
5 there is less drug class cross-resistance after failure on
6 Drug X compared to if you start with Drug W, or Y, or Z."
7 Sometimes, this is just the supporting data. It might be
8 just from a retrospective analysis of patients pooled from
9 several studies and there might be less than 50 patients.

10 So we want to try to have a more uniform standard
11 of resistance data submitted so we can figure out if these
12 sorts of label claims and characterizations are valid or
13 not.

14 [Slide.]

15 We are so interested in this that we are going to
16 host and advisory committee meeting--it is more like a
17 workshop--to cover the following issues in four sessions.
18 We are going to dedicate some time to performance
19 characteristics and limitations of the currently available
20 both genotypic and phenotypic assays.

21 Session 2, we are going to evaluate the
22 relationships between HIV resistance testing and treatment
23 outcomes. So we will go over some of the same data that
24 Doug Mayers just summarized. We are going to talk about
25 practical considerations for the use of resistance testing

1 and clinical trials in drug development. In the fourth
2 session, we are going to talk about potential roles of
3 resistance testing in drug development.

4 The purpose of this meeting, really, is to get
5 feedback on how much, what type of data would the committee
6 think is necessary for us to fairly characterize resistance
7 data in the label knowing that this can be pretty important
8 for promotional claims.

9 [Slide.]

10 There are a lot of available assays commercially.
11 This is not a comprehensive list but these are some of the
12 assays, genotypic and phenotypic, that are being used in
13 clinical trials and that physicians are also getting a hold
14 of now using it as a research tool to make decisions on
15 their patients.

16 There are probably more available genotypic
17 assays. Some use PCR amplification and sequencing
18 techniques and some use hybridization and there are pluses
19 and minuses to either of those. There are probably less
20 available phenotypic assays. The ones that are more
21 commonly used would be the recombinant viral assays where,
22 as Doug said, where the RT and the protease gene are
23 inserted into a lab-type strain or a backbone, and then
24 there is a cell-culture step.

25 [Slide.]

1 This is from the Hirsch paper that you should have
2 received as background. Just some relative advantages of
3 genotyping versus phenotyping is availability, quicker
4 results, cheaper, technically less demanding and actual
5 mutations may proceed phenotypic changes so you might get a
6 jump on some important information.

7 For phenotyping, there are some advantages to
8 that, so that is what we usually think about from the
9 antimicrobial paradigm. It is a direct measure of
10 susceptibility. It is clinically familiar. You have break
11 points and it takes into account increases and decreases in
12 susceptibility in combination therapy because some genotypic
13 mutations--not all genotypic mutations are bad. Some of
14 them actually can increase, perhaps, sensitivity to other
15 drugs.

16 [Slide.]

17 Some relative limitations; this is just kind of
18 the reverse may of the other slide. Genotyping is an
19 indirect measure of susceptibility. Certain mutations may
20 not always correlate with a change in phenotype. With
21 thirteen drugs and lots of different mutations, sometimes
22 expert opinion is required for interpretation, as we talked
23 about.

24 Both assays could be very insensitive for minor
25 species. And then I mentioned the effect of sensitizing

1 mutations. For phenotyping, its limitations would be
2 restrictive availability, a longer processing time,
3 technically a bit more demanding, clinically significant
4 cutoffs not defined for all drugs and, again, insensitive
5 for minor species.

6 [Slide.]

7 Our division thinks that probably the major
8 limitations of the assays are not so much the clinical
9 correlations but the analytical limitations. I think this
10 was true with HIV RNA is that we were very anxious for the
11 assays to get reviewed and approved by CBER so that we could
12 know what the lower limit bounds, what the limit of
13 quantification and the variability of the assay so that we
14 could use it.

15 In fact, for HIV RNA, the clinical correlations
16 that eventually supported a prognosis indication, we saw
17 those clinical trials maybe a year or two before, so we felt
18 pretty comfortable with the clinical correlation of the HIV
19 RNA test even before it was approved for the indication of
20 monitoring.

21 Likewise, where I think these genotypic and
22 phenotypic assays which are probably several magnitudes of
23 order more difficult analytically than just an HIV RNA test,
24 we think that it is the analytical limitations that really
25 need to be focused upon such as amplification sensitivity,

1 how high does the patient's viral load have to be to pick up
2 new mutations, analysis sensitivity--what proportion of
3 minor quasi-species can be detected. 20 to 25 percent is an
4 estimate--reproducibility and quality control--is it
5 reproducible between labs, between different people running
6 the labs.

7 Also, interpretation of results is a problem.
8 There are complex mutational patterns for phenotypes. we
9 don't have break points for all the drugs. So that still is
10 a limitation as well. Another limitation of the assays is
11 that, at this point, they are a bit technically demanding
12 and they is a turnaround time and cost associated with that.

13 [Slide.]

14 Other considerations; clonal versus population
15 sequencing. Are resistant mutations all on the same genome?
16 Clonal methods be much more technically demanding so this is
17 something that maybe needs to be addressed. Studies would
18 indicate that, for the most part, they are linked on the
19 same genome. Are plasma samples good enough or should we
20 also be looking in other viral reservoirs, lymph node, gut.
21 Of course that wouldn't be feasible for clinical use.

22 And then other considerations are timing of when
23 you get the sample because if you are off a drug, quite
24 often, you will see reversion to wild type.

25 [Slide.]

1 Reproducibility; I think this is data from
2 Schuurman et al. This was recently presented at a
3 resistance conference in San Diego. There still is some
4 problem with correct calls of genotype between labs. In
5 five samples that were sent to 60 labs with results reported
6 from 33, the labs were pretty good at making correct calls
7 for 100 percent wild type with reverse transcriptase. They
8 have a perfect record for that and, for protease, about
9 94 percent correct calls.

10 If they are 100 percent mutant samples, about two-
11 thirds of the calls were correct. But if they were viral
12 mixtures of 50 and 50 percent for RT and protease, the
13 percentage of correct calls was less. So I think, clearly,
14 there is room for improvement in lab-to-lab reproducibility
15 for viral mixtures which is what we are very likely to see
16 in the clinic.

17 But, again, this is a technical limitation.

18 [Slide.]

19 As Doug showed you, I think the evidence
20 supporting clinical relevance is that there are two
21 prospective studies. There is no completed prospective
22 study for phenotyping but there are some ongoing. And there
23 are several retrospective studies.

24 As far as the retrospective studies, some show
25 predictive value of certain mutations at baseline and how a

1 patient will respond. Others show the more gross
2 associations that show a relationship between the number of
3 mutations and outcome and the number of sensitive drug
4 classes available but not, perhaps, specific mutations and
5 outcome were seen in the retrospective studies.

6 [Slide.]

7 So for the prosecutive studies, two of similar
8 design. Again, the difference between viral-load response
9 at 3 and 6 months for GART and VIRADAPT, respectively, was
10 about a half a log. So it is very similar. For GART, it
11 looked like each sensitive drug added about a 0.28 log
12 reduction.

13 Criticisms have been the expert opinion in GART
14 but, as that was not seen in VIRADAPT, it seems to allay
15 concern related to that criticism. Shorter-term follow up
16 for GART; that was three months. But VIRADAPT had a longer
17 follow up so that helps. In the VIRADAPT study, there were
18 more zidovudine mutations in the control arm which might
19 have made a difference but that didn't seem to be a problem
20 in GART.

21 So, as in drug approval, we do two studies because
22 no study is perfect, but these studies are pretty much
23 complementary and I think help to confirm the results. I
24 might say a half a log difference in HIV RNA we do think is
25 clinically significant. If this were a drug, it would

1 probably confer a clinical benefit in terms of decreasing
2 morbidity

3 [Slide.]

4 As far as retrospective studies, I think Doug
5 mentioned most of these, the Zolopa and Deeks study. What I
6 might say is that not all of the retrospective studies
7 necessarily showed the relationship of a specific mutation
8 but with the number of mutations, perhaps, and treatment
9 outcome. This is just because in these studies, it is 50
10 patients here and 50 patients there tested so they might
11 have not had power for each individual's specific loci.

12 [Slide.]

13 Also, as Doug suggested, even before the
14 prospective studies and the retrospective studies looking at
15 baseline mutations and eventual treatment outcome, for
16 zidovudine, in ACTG116 and 117, there was a definite
17 correlation between the presence of zidovudine mutations and
18 clinical outcome. Both the risk of disease progression and
19 the risk of death was increased in patients who had both the
20 215 and a 41 mutation associated with zidovudine.

21 This also correlated with the phenotypic
22 susceptibility; those who had 215 and 41 versus wild type
23 had about a ten-fold decrease in the in vitro phenotypic
24 susceptibility. So it really kind of pretty much hangs
25 together for zidovudine.

1 Also, for the non-nucleoside reverse-transcriptase
2 drugs which do lose their susceptibility sometimes after
3 one--may times after one mutation. In the current
4 nelvirapine immune package insert, resistance issues come up
5 in the warning and the indication section based on data from
6 24 patients in phase I/II trials. It should be 100 percent
7 of patients had a greater than 100-fold decrease in
8 susceptibility at 8 weeks. This is when nelvirapine was
9 being used as sometimes monotherapy or only dual therapy not
10 the way it should be appropriately used.

11 All of these 24, with decrease in susceptibility
12 of greater than 100-fold, had one or more characteristic
13 mutations. The mutations are listed there, at 103, 181,
14 188, or 190. 80 percent of them were at 181. As it turns
15 out, the 181 is in the RT binding site of the drug. So not
16 only did this fit with the virologic outcome, it fits in how
17 we know this drug is interacting with the enzyme. So it has
18 near perfect biological plausibility.

19 [Slide.]

20 Other correlations between genotype and phenotype.
21 Virco has a good, large database. I guess this was
22 presented at the San Diego conference of 7,000 samples or,
23 perhaps, more that show good correlations between genotype
24 and phenotype for many drugs including 3TC for the 184
25 mutation and for multiple zidovudine mutations and for

1 several protease-inhibitor mutations, particularly for
2 nelfinavir.

3 Other retrospective correlation between genotype
4 by Harrigan et al., also presented at the same conference,
5 showed strong correlations between genotype and phenotype
6 for many antiretrovirals except for, in his study, 59
7 patients. Less for abacavir and D4T which had moderate
8 correlations and lower correlations for ddI and ddC. I
9 think Doug brought up the fact that there are certain drugs
10 for which I think it will be harder to maybe correlate a
11 genotype and its relationship to treatment outcome.

12 It is more a characteristic, I think, of the drug
13 rather than the assay.

14 [Slide.]

15 There are a lot of experts and panels who get
16 together frequently to decide how genotype correlates with
17 treatment outcome, and to devise these panels to be used in
18 clinical trials and for clinical use, mostly based on
19 consensus opinions of the experts, as you saw a table
20 similar to what I am talking about in the Hirsch article.

21 It is based on literature abstracts, data from
22 industry and academia, like the IDSA consensus algorithm.
23 The GART and the VIRADAPT used a similar algorithm. And
24 then a resistance collaborative group which is a group made
25 of academia and industry and government has also come up

1 with an algorithm for defining genotypic resistance.

2 These will be modified and are diligently worked
3 on by a lot of different hard-working groups to define these
4 relationships.

5 [Slide.]

6 As Doug mentioned, proposed clinical use of HIV
7 resistance testing will be, of course, crucial to monitor
8 the prevalence transmission of resistant virus. It will
9 probably be used more and more in adult-naive patients,
10 especially in high-risk areas or high-risk groups for
11 resistance such that you might consider starting them on
12 different regimen if they had got infected with a resistant
13 virus.

14 The problem here is that wild type tends to
15 outgrow resistant virus in the absence of drug pressure.
16 For use in pregnancy, especially in naive patients in high-
17 risk groups. Also in the treatment-experience patients to
18 help protect vertical transmission. It is a little
19 controversial at this point.

20 Probably the biggest use of these assays now, kind
21 of by clinicians who are getting them, are after first
22 virologic failure to help guide in the selection of second-
23 line treatment and, in subsequent virologic failures, to try
24 to put a new drug regimen together when you have failed
25 several.

1 The problem is that we are kind of limited by the
2 number of drugs we have on putting new drug regimens
3 together because of cross-resistance. Again, if you are
4 taking the sample when you are not on drug, you could come
5 up with the wrong conclusions, perhaps.

6 [Slide.]

7 So I think our division's conclusions would be
8 that knowledge of genotypic data appeared to affect
9 treatment outcome in two randomized, controlled prospective
10 trials. The effect in HIV RNA was of the magnitude that
11 would potentially support a drug approval.

12 Retrospective studies also have shown associations
13 between genotype or susceptibility in treatment outcome
14 although some of the retrospective studies showed more gross
15 associations. Zidovudine mutations have been shown to be
16 prognostic for clinical progression.

17 [Slide.]

18 Clinicians desperately need guidance in selecting
19 second-line regimens. However, I think the current
20 limitations mostly in assay analytic sensitivity,
21 specificity, reproducibility and lack of clinical
22 correlations for some drugs prohibit recommendations for
23 routine monitoring of individual patients for all drugs.

24 Another conclusion is monitoring prevalence and
25 transmission of HIV resistance to HIV is crucial to the

1 whole field. Compared to HIV RNA testing, HIV RNA
2 resistance testing is drug specific, much like therapeutic
3 monitoring of drug concentrations. Mutational algorithms
4 and breakpoints will need to be revised for each new drug
5 that enters the market.

6 Really, an efficient use of resources would be for
7 the antiretroviral drug sponsors to characterize both the
8 clinical relevance of genotyping and phenotyping
9 susceptibility in the context of drug development because
10 you don't have mutations if you don't have the drugs.

11 Really, I think the best and most convincing data
12 could come from the randomized, controlled clinical-trial
13 setting. So if this could be folded into drug development,
14 we could have information by the time the drug hits the
15 market on how this could be used and what resistance testing
16 means for that particular drug.

17 That's all of my comments for today.

18 DR. HOLLINGER: Any questions of Dr. Murray?

19 DR. McCURDY: I was a little bit concerned about
20 the report of the variability between laboratories in
21 detecting subpopulations and so forth. I was disturbed by a
22 couple of things. One as the variability and the other was
23 that approximately half of the laboratories that were
24 involved in this did not reply or did not provide data.

25 I was wondering what kind of assurance does one

1 have that these tests are likely to be done well in the
2 laboratory. Is this something that may be regulated or is
3 regulated under CLIA or some other way to be sure that once
4 the tests are approved, as class whatever, they are actually
5 going to be done well?

6 DR. MURRAY: I don't know if I am the person to
7 answer that question. I used that information from an
8 abstract to just illustrate a point of where I saw the
9 limitations. I thought technical and quality control were a
10 big part.

11 There might be somebody else who could better
12 answer that question. I know that certain assay sponsors
13 have looked at CLIA certification and that sort of thing.
14 As with any test, it is a very important thing to iron out.
15 Probably most of our discomfort with using HIV RNA was not
16 its relationship to clinical outcome which I think has the
17 most impact for the decision you have to make today, but it
18 was with the more technical aspects of the assay; can it
19 really measure what it is saying it is measuring.

20 I think if those areas are controlled, the
21 clinical use of the assay will fall in place as it is
22 defined in clinical drug development and as it is defined in
23 the clinical setting among the experts.

24 MR. WILSON: One the issue of CLIA control, I
25 think you would have to refer to HCFA, generally who

1 controls the CLIA regulations. Typically, there is a
2 reimbursement in CLIA control over tests which are approved
3 or cleared by the Food and Drug Administration. You would
4 have to talk to them specifically about how that applies.

5 The second point that I would like to bring up is
6 that, as part of a premarket review process, be it a 510(k)
7 or a PMA, we would typically ask for three or more sites to
8 run the test and then have, for example, certain types of
9 controls being run concurrently to make determinations as to
10 how well the instructions for use are written, how well
11 known positives can be recovered, et cetera.

12 The point I wanted to make is that whether it is
13 voted as a class II or a class III, those types of
14 evaluations would be embedded in either premarket approval
15 process.

16 DR. BUCHHOLZ: I was about to say something
17 although not what Len said. It seems to me that as we talk
18 about a number of things that we have discussed this
19 morning--we have hit clinical acumen, we have hit promotion
20 and claims, education of physician, labeling content,
21 adequacy of performing, testing, QC--that there is a
22 blurring here of things that I think would be issues whether
23 this is a class II or a class III.

24 I think it is very confusing for the panel to have
25 the information that has been presented which, in fact,

1 blurs these distinctions and really gets us into an area
2 that I don't think we are being asked to make an assessment
3 about.

4 I think we are being asked is this a class II
5 device or, in fact, should it be a class III device. But
6 whether physicians can use it adequately or whether there is
7 CLIA testing and compliance, it seems to me that should be
8 an issue for these products, whatever classification it is.

9 So can I just ask you to help me understand and,
10 perhaps, help some of the other panel members understand
11 what our charge is here because it seems to me we are being
12 presented with information that is far more than we need to
13 make the assessment I thought we were being asked.

14 DR. HOLLINGER: I think you are right. To me, I
15 understand we are being asked whether this should be
16 reclassified since it would ordinarily be classified as a
17 class III just because there is no predicate test available
18 or anything, an equivalent test that they can compare it to.
19 This will be classed as a class III and they are asking if
20 it could be now classed into class II for a variety of
21 reasons, primarily the one that premarket approval is not
22 being required, although we have learned that they could ask
23 for clinical trials also and make it as stringent in
24 class II as it is in class III.

25 Is that correct?

1 DR. DAYTON: Yes; that is absolutely correct. We
2 wanted you to see the science today so that you would have
3 an idea for what is out there. If nothing were known about
4 these, it would be a very different story. So we are asking
5 you to realize that an awful lot is known out there and that
6 we can make good judgments based on that.

7 As I said, it would be a very different story if
8 there were no track record of clinical and scientific data.

9 DR. HOLLINGER: I am going to call on the
10 committee here, but just to give you some idea of where we
11 are going here in terms of this, in terms of your questions,
12 and so on. We still have an open public hearing of which
13 there are at least four people who have asked to speak,
14 mostly from companies involved with these products.

15 So we are going to do that, but I want you to sort
16 of understand this because we will probably take a break
17 right after this here for about fifteen minutes and then
18 return for the open public hearing.

19 DR. NELSON: One of the things that confuses me a
20 little bit is the fact that this isn't actually--if you look
21 at it technically, it isn't one test. What we are looking
22 at is that there are dozens of different genotypes, some of
23 which the association with an outcome or clinical
24 application is clear and has been well--and there are others
25 where it is very fuzzy in which the data are not clear.

1 So it is a little different. Maybe that is why it
2 is a device. I don't know. But it is different than the
3 question we are often asked.

4 DR. HOLLINGER: I think that is why they have
5 called these HIV mutations test which is going to be the
6 name, I suppose, of what you are doing.

7 DR. DAYTON: Or something like that. But, in
8 general, most of these sequencing assays, in particular,
9 will look across and entire region and give you a sequence.
10 So, in a way, they are all looking at the same thing,
11 basically. But then, for each of the individual codons,
12 then there is a distribution of knowledge.

13 DR. NELSON: But I mean for some tests where the
14 meaning of a result isn't clear, let's say a codon is
15 identified and, with regard to this drug, you don't know
16 what it means. The FDA would not allow that--or there would
17 be a different report or a different standard.

18 DR. DAYTON: You would have a claim-specific
19 issue.

20 DR. NELSON: Right.

21 DR. BOYLE: I am confused, and I am confused
22 because I took away from this excellent presentation three
23 points that don't seem to quite add up to me. I would like
24 to find out which of these I am wrong on. It looks like
25 that what is being presented is that the data on drug

1 resistance is critical to the optimal management of HIV.
2 The data that is presented seems to be very clear on that
3 point.

4 Secondly, genotyping and phenotyping analysis from
5 HIV drug assays can provide that kind of information for
6 optimal management. Of course, the converse side is if it
7 is done wrong, then it is worse than random. Basically, the
8 information is critical.

9 The third piece, though, seems to be moving this
10 type of thing that is life-sustaining from class III to
11 class II classification, in looking at these comparisons,
12 the main difference is that clinical data is not always
13 required in class II but in class III, you have to have
14 clinical trials.

15 Having convinced me how important this is and how
16 the tests have to be done right or you basically are in
17 serious trouble, why are we proposing not requiring clinical
18 trials for a particular test or test kit?

19 DR. DAYTON: First of all, we don't know that
20 wrong results are better than random. It may actually not
21 be the case. It may be equal to random. And then our
22 essential approach here is is there enough information in
23 the literature to say that these things are useful.
24 Actually, we see two studies, the GART and VIRADAPT, are
25 saying, actually, in practice they are useful.

1 And we have seen, particular for AZT, evidence
2 where individual mutations are quite well validated. So we
3 have to come back to that point. I think those are the key
4 points to keep coming back to.

5 You don't want to get off on the tangent of
6 saying, "Well, this is all very complex science and it isn't
7 all worked out." That is true, but it is not a barrier to
8 getting something out there that is useful.

9 DR. BOYLE: Then is this the equivalent of
10 approving a class rather than a drug?

11 DR. DAYTON: No; you are not approving anything.
12 You are not approving anything. You are just classifying
13 it. We are not here to approve any particular individual
14 test. We are just categorizing at what level we regulate
15 them.

16 MR. WILSON: Maybe I can help here. Number one,
17 these products have not been approved or cleared by the FDA.
18 In the regulations, there is a section that describes what
19 is to placed in the package insert. In the package insert,
20 there has to be adequate directions for use in detail. Now,
21 I am going to assume that because these tests that are out
22 there that are being used as home brew haven't had this
23 level of scrutiny and that is why we have an FDA to evaluate
24 these things.

25 So, oftentimes, we will review the package insert

1 procedures or interpretations, et cetera. This is not going
2 to run clearly by technologists at a reasonable level of
3 competence, et cetera. So a lot of times--all the time, to
4 the level of the state of the art, these types of issues get
5 cleared up. So I think what you are seeing is that we have
6 a new test coming into being used, and this kind of happens
7 routinely. It is not all organized and standardized as well
8 as it could be two years from now, but the idea is in a
9 premarket review, the labeling requirements for the 510(k)
10 are the same as the labeling requirements for the PMA.

11 The other point I would like to make is that, as I
12 had stated in my slides earlier, a special control could be
13 additional labeling. And what I was hearing from the
14 presentations is that there is some difficulty interpreting
15 results.

16 The committee can take the position that if they
17 elect to vote for a class II, that is special-labeling
18 consideration should be made. Let me give you an example,
19 but it is up to the committee. It may be a boxed warning
20 that states that the interpretation of such results need to
21 be carefully considered by physicians who are engaged in
22 whatever, or it could be in a section called the limitations
23 of the procedure. It doesn't have to be a boxed warning.

24 There could also be recommendation by the
25 committee to have some pretesting of some of the labeling,

1 some of the instructions or some of the interpretations as
2 part of the review process to better insure absorption by
3 the physicians who would be using this test.

4 What would happen in that type of a situation, we
5 would get a study-design proposal for the interpretation and
6 it would be known correct answers, and how absorbable is
7 this information. And the manufacturers then would modify
8 the labeling to control that.

9 So this kind of thing can be controlled under
10 class II classification.

11 MR. DUBIN: I think one of the problems is for
12 those of us that have had a lot of experience with AIDS
13 drugs, this is not a new picture because, really, from '93
14 on, this issue is coming up before other committees quite
15 regularly. I think in the BPAC, we don't face this kind of
16 issue very often where something needs to go to market in a
17 rapid way that might directly impact care in the way we are
18 facing.

19 I think, from our perspective, that is the core of
20 the issue and it is the context with which we need to look
21 at within. I think, John, you are right, we are looking at--
22 --and you said the same thing--we are looking at a body of
23 tests. We have better handle on some, on some we don't.

24 I think, from our perspective, reclassifying this
25 will put more flexibility in the clinicians' hands. As I

1 said earlier, our experience is that that is a necessary
2 tool right now. Certainly, I can only speak for hemophilia,
3 but I also chair California's--just finished as Chair of
4 California's HIV AIDS working group. The resistance issues
5 are coming right to the fore in every community and
6 everybody is concerned, as they are about side effects.
7 That is why I raised the issue of postmarket and our
8 concerns there.

9 So I think this is critical and it is important to
10 get this into clinician's hands. My concerns, and I think
11 they can be addressed--I think some of them just were. I
12 think we, as a committee, can set some labeling standards to
13 educate physicians because I do think--Mary, you said
14 something really important that is our experience, too.
15 Some of our clients are with Dr. Gottlieb in Los Angeles or
16 some very well-known--and then we have got clients in rural
17 areas who are with hematologists, who are busting their
18 butts to stay on top of this.

19 But it is difficult. It is not their area. I
20 think the second thing you just said that is important is
21 the review process of the labeling considerations. Let's
22 say you all decide to start with a box insert. I would like
23 to see, personally, some review written into that so if you
24 all discovered that the doctors weren't really absorbing
25 that, maybe you would go to another way of getting that

1 information across.

2 But I do think that is important. I do think this
3 is evolutionary. And I do think it is important. I
4 certainly support reclassifying this because of our
5 experience. We discussed this at length within our medical
6 team and a lot of our people who do a lot of Washington
7 work. We think this has got to happen but we also think
8 that it has got to happen the right way.

9 And that is why I raised my earlier concerns about
10 postmarket and some difficulty because postmarket is a big
11 job. In some areas, it seems to have been more difficult
12 for FDA than others. Now, I don't have a lot of experience
13 in devices. I admit that. I have heard, repeatedly, that
14 is the strongest part of the agency, actually.

15 Regardless of my concerns, though, I think this is
16 important to do and I think it is a little more complex than
17 you were saying because I don't think it is so cut and dry
18 because I do think we need to look at labeling
19 considerations and ways to insure that the information
20 needed to go with these tests to the clinicians because the
21 top clinicians are going to know how to deal with it and
22 they are going to understand the limitations and others
23 aren't.

24 DR. DAYTON: If you remember the questions we
25 proposed, whatever decision you make, you have an

1 opportunity now to suggest specific additional controls for
2 labeling requirements.

3 MR. DUBIN: That is what I am talking about.

4 DR. DAYTON: And also when the draft guidance
5 document is publicized, there will be an opportunity for
6 public contribution to that. So there are at least two
7 opportunities that we identify to do that.

8 MR. DUBIN: I think if we look at it in this kind
9 of broader context, it is a little different than we
10 normally do, it is not so confusing. And there is a way
11 through this that I think the committee can make some good
12 recommendations and, just to underline the one part, and
13 build in certain reviews to insure that there is an ongoing
14 review of certain aspects that we have concerns about.

15 DR. FITZPATRICK: The essence that I understand of
16 what we are going to do is accelerate the time line--

17 MR. DUBIN: It is fast-track.

18 DR. FITZPATRICK: --that FDA is required to review
19 these things and impose the same restrictions and structure
20 that they would to bring it to market under a class III.
21 And that doesn't appear to be a bad thing. And we have the
22 opportunity of putting those restrictions now and that seems
23 what we should be focusing on.

24 DR. BUCHHOLZ: I am a little concerned that there
25 was an impression left in the asking and the response to an

1 earlier question about a class II device and a 510(k), that
2 there was no clinical data required. I work for Fenwal
3 Laboratories which makes blood-collection and processing
4 equipment. We deal mostly with device applications.

5 I have sat here for a while trying to think of a
6 510(k) submission that we have submitted that we have had
7 not had clinical data. I can't believe that we are that
8 different from the typical device that CBER regulates. So I
9 would like to ask somebody what is the percent of 510(k)s
10 that have clinical data because I think it is very valid
11 concern if the level of scrutiny here is significantly less
12 between a 510(k) and a PMA.

13 But, at least in our experience, there is clinical
14 data that is routinely required.

15 DR. HOLLINGER: Does anyone know that information
16 or, Len, can you give maybe just a little bit of a hint?

17 MR. WILSON: I will do my best. I don't think
18 that the statement is incorrect. I think what the question
19 really is for the table here is what type of clinical data.
20 For example, in this particular instance, we were looking,
21 as Dr. Dayton described regarding known panels of samples,
22 some retrospective testing, some repositories.

23 That would be, in a sense, clinical data as
24 opposed to a full-blown prospective clinical trial. So what
25 we were looking at here was trying to get some testing

1 validity with some real samples and some analytical testing.
2 That is kind of where we were coming from, if that gets to
3 the point.

4 DR. MITCHELL: I had a couple of clarifications.
5 One is about the drug resistance assays so I would assume
6 that that would apply to both the phenotypic as well as the
7 genotypic tests; is that correct?

8 DR. DAYTON: Well, we are actually just bringing
9 for the classification of the genotypic assays in this
10 meeting. We are not bringing forward the classification of
11 the phenotyping assays. Phenotyping assays can be used in
12 direct clinical situations. That is what we are discussing
13 right now. But there are also phenotyping assays that are
14 done in vitro to validate the genotyping assays.

15 So, at the moment, we are only discussing the
16 classification of the genotyping assays in direct clinical
17 use and we are not addressing the classification of the
18 phenotyping assays in direct clinical use.

19 DR. HOLLINGER: That was clear to me, either,
20 Mark. I am glad you asked that.

21 DR. MITCHELL: The second question I had was about
22 the minor typing. I guess I am very concerned about minor
23 types because, obviously, once you treat the major type, it
24 is going to be replaced and that is going to be the new
25 major type. So I am very concerned about the sensitivity of

1 the tests and picking up minor types.

2 So I am assuming that one of the things we can do
3 is ask for some level of minor type that a test would be
4 able to pick up.

5 DR. DAYTON: Absolutely. It will probably be in
6 the neighborhood of 25 to 30 percent of the overall species.
7 Yes; the ability to pick up a minor type is a major concern.
8 But, of an even larger concern is, even if you are only able
9 to pick up major types, is that of clinical benefit. I
10 think the answer is yes.

11 But we certainly are concerned about minor types
12 and we will ask sponsors not only to claim or what is the
13 lowest percentage of a species that they can detect, but
14 also to titer through that so we know just how quickly assay
15 performance deteriorates when you go below what they claim.

16 So we are concerned about that.

17 DR. MITCHELL: My next question, then, is do they
18 even know--I mean, is it easy to characterize a percentage
19 of a type. Do we know whether the major type is 60 percent
20 or 95 percent.

21 DR. DAYTON: You can do that in research settings.
22 So you could certainly do that on spiked samples. You can
23 do that in panel type specimens where you can make multiple
24 subclones and multiple sequences of each of those clones so
25 that you can identify what the swarm is.

1 So, yes; you can do that in a research setting.

2 DR. McCURDY: I have a certain amount of objection
3 to the implication that we might reclassify this to get it
4 out faster. I think it ought to be gotten out right and, if
5 it is a right to have it a class II with the appropriate
6 kind of controls, and I am currently tending in that
7 direction, then that is fine.

8 But I think the idea of getting it out faster by
9 reclassifying it is not the right way to go.

10 DR. FITZPATRICK: I don't think it will
11 necessarily mean, by reclassifying, that it would go out
12 faster but it requires the FDA to review it faster.

13 DR. STRONCEK: I may come from a different view.
14 I think this makes a lot of sense. I have worked with HLA,
15 the field, and we do genotyping. When you are on the
16 cutting edge, manufacturers don't make the kits. You make
17 them in-house in laboratories.

18 I assume that Dr. Mayers' tests were developed by
19 himself. He orders the primers. He orders all the
20 reagents. So this field is going to progress. Now, he has
21 published this data or he will. He is showing it is
22 effective. So now we are in a situation where we have an
23 effective test but we need lots of clinical trials to show
24 that it works. And there are no commercially available
25 tests to further the field.

1 So manufacturers can't sell these tests to make
2 them widely available until they go through the FDA. So the
3 field is in a situation where commercial tests are not
4 available to have the field progress, yet there is data in
5 the literature, peer-reviewed literature, that says it is
6 effective.

7 So I think it makes perfect sense to go this as a
8 class II based on the data in the literature but then, as
9 you are proposing, to closely monitor the kits that go out
10 to make sure--to try and do some premarket evaluation as
11 best you can and then to try to monitor them afterwards.

12 This is going to be a very fast-moving field, too,
13 so it is very important to have a structure where you can
14 change things quickly. I think what you are proposing will
15 do that.

16 MS. KNOWLES: I would support a change from class
17 III to class II based on having strict controls, the
18 standardized reporting form, close postmarketing monitoring,
19 and then Dr. Murray's last comment about including some of
20 the issues of testing in the pre-drug development.

21 DR. MACIK: I kind of look at it, too. Two
22 reasons get you into class III; either it is a life-or-
23 death-type experience or there is no predicate device. One
24 of the things here is that it will help management, but the
25 bottom line is that you are still going to be looking at

1 viral load and you are going to be looking at CD4 count.

2 So if this test told you something wrong, you know
3 about it. At best, it gives a running leap at the right
4 guess, but there is still a good follow up to know whether
5 that test gave you the right answer and it is no worse than
6 where you were before if you didn't use the test.

7 So, in my mind, it really is--clinically, it has a
8 good backup. I think, from that standpoint, would make me
9 want to put it into a class II type category.

10 DR. HOLLINGER: I think Dr. Gutman, who is the
11 Division Director of the Clinical Lab Devices at CDRH wants
12 to say--

13 DR. GUTMAN: I just want to clarify. I realize
14 there are a lot of very complex issues on the table and I
15 have absolutely nothing to do with the product line at all,
16 so I am absolutely free to speak, although, obviously, the
17 decision you make would be of interest to folks over in the
18 devices area as well.

19 I just wanted to clarify that when we look at the
20 scientific review process, which is quite complex and
21 possibly multilayered here, that we feel quite comfortable,
22 frankly, in carrying the exact same rigor of science between
23 the PMA and the 510(k) program, that we have no difficulty
24 at all if we think appropriate clinical information is
25 necessary to characterize a product to have immensely

1 complex and intense reviews and requirements for
2 manufacturers in the 510(k).

3 It sounds to me, as an outsider, that there are
4 very complex clinical issues to be dealt with in the context
5 of the guidance that would be developed to support this, the
6 special controls to support this and that there, in fact,
7 might be various approaches to different analytes within the
8 context of that guidance.

9 Although I realize you might shy away from trying
10 to provide administrative relief, it sounds to me, again, as
11 an outsider, that what this division or this group is saying
12 is that they do have a fair amount of scientific knowledge
13 to draw from, that they do understand the questions of
14 safety and effectiveness that they would like to apply to
15 this product line and that they think they can do good
16 scientific review on your behalf and the public's behalf in
17 the context of the more flexible 510(k) program.

18 From my perspective, we have lots of experience
19 doing this and we do everything we can as we move across
20 administrative paths to preserve scientific thresholds. We
21 have done this with--the closest that I think in our shop to
22 this product, the scary product, was tumor markers and we
23 downclassified a variety of tumor markers because we had
24 such a rich literature and methodology and experience and
25 statistical methodologies to draw from.

1 I think what we have done in that case is serve
2 the public well because we have made it easier for us and
3 for sponsors to bring out a wider array of tumor markers and
4 to improve choice.

5 I realize there are a lot of complex issues but I
6 just wanted to assure you that whatever decision you make,
7 this group--I know and love this group. This group isn't
8 going to sell the scientific product short.

9 DR. HOLLINGER: That is probably not a good
10 example about tumor markers. I will tell you that they
11 don't have a lot, sometimes, of clinical application and we
12 do spend a lot of time with AFPs and CEAs and CA125s with
13 high values that don't have much meaning at all. So I am
14 not so sure that clinical application would not have been
15 very useful there.

16 Just from my standpoint, I will say, so far, I
17 sort of share initially what Mary brought up here. We have
18 no data. There was one study here which is the GART study.
19 We don't have the paper to look at. There are some major
20 issues about its utilization.

21 I don't think there is any question that
22 resistance does make a difference and does make a difference
23 in terms of treatment. The question is whether the data is
24 there to tell us if the tests are going to make a difference
25 in the management of these patients over and above what we

1 have today which are CD4 count and HIV viral loads and so
2 on.

3 While it may, and the data looks like that, there
4 are some really difficult issues that have not been
5 addressed. Certainly, the question is, in class III, at
6 least you are required to do a PMA evaluation whereas we
7 have to accept the fact that it may be asked for by the FDA
8 but it is not a requirement.

9 So, right now, at least from my standpoint, I am
10 looking more at this not to reclassify but I am going to be
11 listening to what others have to say here plus the other
12 material that is going to be presented in the open public
13 hearing.

14 DR. BUCHHOLZ: I think one thing that the
15 committee may not be aware of with a class III device--I
16 mean, I think there is general agreement that it takes much
17 longer for regulatory review and approval of a PMA-type
18 device or a class III device. That is a double-edged sword
19 because I think the regs read something that you are
20 required to file a submission, be it a 510(k) or a PMA, if
21 you have a significant change in an existing product, a
22 significant change that impacts safety or efficacy.

23 I know from personal experience that Fenwal has
24 had some situations where we find a problem in the product
25 that is marketed and we say, "Oh; well, we want to fix

1 that." We are perfectly willing to implement that change.
2 I think any reasonable reviewing group would say, "Yeah; it
3 makes sense to fix that. That is an unforeseen problem."

4 Yet, with the PMA situation, we may go through a
5 year or more of putting the file together which takes longer
6 than a 510(k) and also getting that regulatory review and
7 blessing to make that change when it is a change that
8 improves the product, that enhances safety, that enhances
9 efficacy.

10 So that is a double-edged sword in terms of the
11 PMA process in that it can significantly lengthen the period
12 of time simply by virtue of the more complex review that it
13 takes to implement good things in an existing product.

14 DR. HOLLINGER: Can I have one more response from
15 Dr. Chamberland and then I think we are going to take a
16 break. I think people need a break for a minute. And then
17 we will come back. So, Dr. Chamberland?

18 DR. CHAMBERLAND: I guess I have just been trying
19 to put together everything that I have heard presented
20 formally and then the discussion so far among the committee.
21 At least, I hope I have this right. If I don't, somebody
22 correct me. But what I have heard is that FDA is asking us
23 to--they feel that downclassifying these types of tests from
24 a III to a II is okay for two reasons. One is that there is
25 a body of performance data out there about these assays. It

1 tells you about how good sensitive-specific reproducibility-
2 -the data may not have been derived in the traditional
3 clinical-trial approach, but they feel that there is
4 adequate data out there to address it.

5 The first conclusion, though, on Dr. Murray's
6 slide said that the knowledge of this genotypic data--and
7 this is the second reason that FDA gave us, at least what I
8 heard, why they felt this downregulation or downclassifying
9 was indicated was that there was a benefit "to public
10 health," that clinicians need this kind of information.

11 The first conclusion, in Dr. Murray's talk, that
12 knowledge of genotypic data appeared to affect treatment
13 outcome in two randomized prospective studies. I think, for
14 me, that is--my gestalt tells me that that is probably true.
15 But I don't think we, at the committee, have the amount of
16 detailed information to have a sense that data from these
17 two trials is readily generalizable to the larger field of
18 practicing clinicians.

19 I think that your ability to generalize really
20 depends on how these patients and physicians were selected
21 for both of these prospective trials and, secondly, the kind
22 of information that was presented to the clinicians, how
23 these genotypic test-results data were presented.

24 In looking at the Lancet article, it seems like
25 the physicians got information about--and this was the

1 VIRADAPT trial--they got information about what the
2 mutations and the codons were which, for most clinicians
3 doesn't mean, necessarily, a whole lot. You know, V75T.
4 But they also gave the clinicians information on the drug,
5 then, that they would not suggest you choose, that there was
6 some interpretation to these data.

7 So I think the question is still out there a bit
8 on the utility, the usefulness, the public-health benefit of
9 these tests. I think it really rests on how the information
10 is presented to clinicians in a way that they can use on a
11 day-to-day basis that is interpretable.

12 So I have some reservations about the statement
13 that we have two prospective studies which appear to
14 demonstrate that knowledge of genotype impacts significantly
15 on clinical coursing in the patient because I think there
16 are only two, the selection of the physicians to participate
17 in the trial is not clearly outlined, and then I think it
18 made a big difference on how the data on genotypic results
19 were presented.

20 DR. HOLLINGER: We are going to take a break and
21 then we will come back to other discussions later on.

22 [Break.]

23 DR. HOLLINGER: We have four speakers in the open
24 public hearing who--four companies have asked to speak and
25 their representatives. The first one is from Visible

1 Genetics. That is going to be Dr. Curtis Scribner.

2 **Open Public Hearing**

3 DR. SCRIBNER: My name is Curt Scribner. I am
4 here presenting on behalf of Visible Genetics. They were
5 rained out because the planes weren't flying from Toronto
6 last night so I am here to present their information.

7 [Slide.]

8 Visible Genetics is developing a true-gene HIV I
9 procedure which comes as a complete kit. The first few
10 sections up here are all done using standard laboratory-
11 based criteria. Then there is a bidirectional sequencing of
12 the material presented her, separation by electrophoresis,
13 analysis by our gene objects, a computer system with, then,
14 the report that comes in.

15 [Slide.]

16 The report comes out initially looking like this.
17 Unfortunately, this is the fax because this got taken care
18 of by Floyd as well, but we see that we have resistance with
19 the protease inhibitors, the non-nucs and the nucs, with a
20 further report here with these two pages of exactly what
21 kind of information we have seen and the scientific basis,
22 the literature basis, upon which we have made these
23 decisions.

24 These decision-tree recommendations--not
25 determinations, but recommendations--of those drugs which

1 may not be useful are based on a scientific committee which
2 meets on a regular basis to evaluate all scientific data and
3 put them together.

4 [Slide.]

5 However, for Dr. Chamberland, of course, we always
6 put this together which shows definitively the types of
7 mutations or changes that are demonstrated in our process.

8 [Slide.]

9 Performance of any kind of a kit is vitally
10 important. These are the types of studies which are already
11 ongoing which you are going to be looking at. We have taken
12 collection of plasma from nine people with viral loads from
13 anywhere from 1300 to 300,000 which have now been aliquoted
14 in a blinded fashion and will be separated and sent to
15 multiple sites for validation looking at site-to-site, day-
16 to-day, technician-to-technician to make sure that the
17 sensitivity, specificity and reliability of the test are
18 adequate and important.

19 We have a multicenter study already going for
20 reproducibility and accuracy and we are concurrently working
21 on the freeze-thaw studies using multiple viral-load samples
22 to make sure that we understand the differences or the
23 problems with freeze-thaw, a difficult problem, as we have
24 already known from the viral-load PCR testing.

25 [Slide.]

1 Interfering substances, of course, are important.
2 These are the types of things we are already looking at,
3 other pathogens, including viruses, biochemicals, including
4 drugs, and with the antiretrovirals. We are looking at
5 mixtures to address the question of what is the sensitivity
6 looking at a mixture of wild type versus resistant, and we
7 are using various ratios working from 100 percent wild type
8 down to 100 percent mutant.

9 We are as concerned as you are with the NVA II
10 study. Since there are sixty sites around the country,
11 apparently, which are doing this, we believe that is vitally
12 important that this information be readily available and
13 published for people to examine.

14 We are doing plasma-extraction studies as would be
15 necessary depending on the type of plasma that would be
16 needed and anticoagulants. Everyone has understand the
17 limitations of heparin. There are multiple other
18 anticoagulants which are also available, each of which will
19 be determined.

20 [Slide.]

21 Our clinical trial is base on search. It is a
22 twelve-month, prosecutive controlled study. It is ongoing
23 using 300 randomized subjects. The randomization in this
24 case will be to those people who will have the genotyping
25 provided and those who will not.

1 We have almost completed enrollment into the
2 trial. The basic difficulty is that, as you all realized,
3 this type of testing is already readily available in the
4 United States at the present time through the home brews
5 through several large clinics, through several large
6 laboratories.

7 All of the subjects have had pre-treatment and are
8 failing. The primary endpoint is fixed at 24 weeks and we
9 will examine the change in viral load from baseline and then
10 carry it on out to one year.

11 [Slide.]

12 At the same time, we are looking at the studies,
13 both GART and VIRADAPT, which you have heard today, with the
14 reanalysis of all of their samples looking at the ability of
15 our device to find the same types of mutations or changes in
16 the clinical trials so that these data could be used by
17 reference in our application.

18 [Slide.]

19 Also part of the PMA submission--I say PMA
20 submission with the understanding that it is our assumption
21 it might take as long as 18 to 24 months in order to get
22 these types of final rules finally completed. We will have
23 more than 400 assays performed at greater than eight sites
24 looking at the device characteristics including
25 reproducibility.

1 Clinical utility will have at least 400 assays
2 looking at the various samples that we have already talked
3 about before, done at two to three sites to make sure that
4 we can have good reproducibility.

5 I also have three comments that I would like to
6 add based on what we have seen before. We have not, of
7 course, seen the guidance document that has been presented
8 to you in incomplete draft form but we have serious concerns
9 about the use of genotyping with clinical validation if the
10 IC50 or IC90 in an in vitro process is greater than eight-
11 fold.

12 It is very difficult to find these patients. We
13 would very much welcome suggestions on the appropriate
14 clinical methodology to treat these, to find these, patients
15 and to have appropriate reproducibility for those studies.

16 We also would like to point out that it is very
17 difficult to do studies right now with a randomized process.
18 With the availability through the large clinical
19 laboratories of unpublished genotyping testing, it is
20 difficult for a person in a clinical setting to decide
21 whether or not they will use genotyping since it is readily
22 available commercially.

23 We find that it would probably be almost
24 impossible to do clinical studies after approval based on
25 the fact of having an approved or cleared test already in

1 place. That is a subject you might want to keep in mind.

2 Finally, we want to note that the Visible Genetics
3 Organization is in the process of enrolling a clinical study
4 to address the issues that we had talked about before of
5 reproducibility across populations as well as
6 reproducibility of looking for new genotype changes by
7 enrolling up to 30,000 people over a long period of time
8 such that this would form the basis for evaluation of new
9 genotypes that would be reported.

10 Thank you very much.

11 DR. HOLLINGER: Thank you. We appreciate it.

12 Just so the speakers will know, I am going to
13 limit you to seven minutes. Just so you will know that
14 ahead of time so you can get to the critical issues.

15 The next one is from Innogenetics, Michael Ussery.

16 MR. USSERY: Thank you. We appreciate the
17 ability to speak to you today. Since we are not actually
18 talking about approval of our specific test, I am not going
19 to go into great detail. I have provided copies of the few
20 slides that I have brought with me and there are a number of
21 papers in the open literature about the performance of our
22 test.

23 [Slide.]

24 The line-probe assays, as were mentioned before,
25 are quite different from the sequencing-based assays. There

1 is an amplification step and then there is a reverse
2 hybridization with lines on a nitrocellulose strip. Where
3 we are looking, on each strip, there is a mutant and a wild
4 type oligonucleotide that will provide a line for either
5 mutant or wild type or, in the case of mixtures, for
6 mixtures.

7 There are some advantages and disadvantages to
8 this kind of approach. It is rapid. It is very cheap,
9 relatively, and it is very good at picking up mixtures. We
10 have clinical data that shows an ability to pick up
11 5 percent mixtures, readily.

12 Sensitivity; the studies that we have so far,
13 routinely, we can detect 500 copies per ml and we have, down
14 below that, at even 50 copies per ml, we can detect about
15 half of the samples and give you a readout. But, anyway,
16 that data would be provided in either our PMA or our 510(k).

17 I wanted to comment on just a few of the issues
18 that were raised from an industry standpoint. Dr. Murray
19 mentioned that a lot of the data on the clinical relevance
20 of specific codons is not going to come from the diagnostic
21 companies. We provide our tests to the pharmaceutical
22 companies in their clinical trials and we would, of course,
23 agree with the FDA that this clinical utility of a
24 particular codon has to be established, but most of that
25 data will come from the pharmaceutical companies in the

1 course of approving a drug.

2 They are asking for quite a bit of this
3 information and I think we would fill in the holes and the
4 gaps where they were necessary.

5 One of the things that I did want to mention.
6 This is our reverse-transcriptase strip. There is another
7 strip on the next slide for the protease mutations.

8 [Slide.]

9 The other thing I wanted to mention was a little
10 bit of the real-world situation in terms of trying to plan
11 well-designed prospective trials. We have, at least from
12 experience recently with a well-designed, randomized
13 prospective trial, similar, in some ways, to the GART and
14 VIRADAPT, with our test that the IRB at Johns Hopkins said
15 was really no longer ethical because of the results of those
16 two trials.

17 There are certainly other kinds of clinical-
18 utility data that we can gain and I think that what we hope
19 to gather from this process would be a better definition
20 from the FDA of what studies we really need to do.

21 But the concern there was that, even though these
22 tests that are home brew are not being reimbursed, if we are
23 actually going to do the test in two different arms of
24 patients, then, at this point, they feel that the relevance
25 of the testing information is so important that we have to

1 let the doctors know. We can have a group of doctors that
2 would not know the outcome of our test even though it is, as
3 of yet, unapproved.

4 So that makes some kind of randomized prospective
5 trials difficult. There are other kinds of performance
6 clinical trials that certainly need to be done and we hope
7 to work with the FDA as I am sure all the other sponsors do
8 in defining what exact trials would be acceptable and we are
9 supportive of this proposed change.

10 [Slide.]

11 I just wanted to mention a few pieces of
12 information that apply to all the resistance tests that the
13 different manufacturers are talking about, not just ours.
14 There was data that was mentioned by Doug on the GART trial.
15 I think this just really goes to the issues of risk/benefit,
16 of allowing these kinds of tests on the market a little
17 sooner.

18 If you looked in that study, the patients that did
19 not-their management was not based on GART, they refused
20 fewer drugs that were active against the strain of HIV that
21 they were infected with. So, as a corollary to this, they
22 were exposed to toxicities of a higher number of drugs which
23 were inactive against their virus strains and, thus, had
24 little or no clinical benefit to add to their management.

25 The fact that these patients were treated often

1 with only two active antiretroviral drugs and, in 10 percent
2 of the patients, only one because they didn't have the
3 genotypic data, makes these patients even more likely to
4 rapidly develop resistance to those few remaining drugs that
5 they were susceptible to.

6 I think that that is an important thing to keep in
7 mind. One of the observations was made that the genotypic
8 data will not be looked at by clinicians alone. There will
9 be CD4 and viral load data and that can serve as a check in
10 case there are some wrong calls made in genotyping.

11 [Slide.]

12 Finally, I just wanted to mention a study that was
13 reported at the San Diego workshop looking at the VIRADAPT
14 study from a pharmacoeconomic analysis. It was interesting
15 that even in these short studies that there still was a
16 significant trend towards a reduction in the cost of
17 antiretroviral drugs in the genotyping arm and that the cost
18 of genotyping--in this case, it was by sequencing which is,
19 maybe, somewhat more expensive than our test, but, anyway,
20 that cost was offset by the savings in antiretroviral drug
21 costs. I think that is also important for the management of
22 our patients.

23 I think that is all I have today.

24 DR. HOLLINGER: Thank you.

25 The next speaker is Tony Lam from Applied

1 Biosystems.

2 MR. LAM: My name is Tony Lam from Applied
3 Biosystems and PE Biosystems.

4 [Slide.]

5 Before I start, I want to point out one thing,
6 that the PMA also has the requirement of manufacturer
7 information submitted and also a preapproval for quality
8 system inspection. So these are additional to just the time
9 line that you have to submit the 510(k) which is going to be
10 a lot slower and a lot of time to get ready.

11 [Slide.]

12 This is our product. Our product is basically a
13 genotype system with sequencing-based HIV genotyping and
14 utilizing PCR sequencing and software technology. It is an
15 RNA assay to give you nucleotide sequences of DRT and the
16 protease gene in the HIV of the patient. The genotype is,
17 actually, compared to a known HIV antiviral drug resistance
18 mutation on a public database.

19 Two reasons that the downclassification is that
20 the background is that the HIV drug resistance has been
21 identified with treatment already, failure already, and the
22 patients and all the other parties are actually using it
23 regardless of approval. But in the absence of a cleared
24 product, cleared HIV product, will make sure that the
25 inconsistency is still going to be there and the delay would

1 also create a public-health risk of substandard testing.

2 [Slide.]

3 So the technology is very commonplace now and the
4 main thing is the intended use should be falling under the
5 purview of the Food, Drug and Cosmetic Act but not the
6 Public Health Service Act. The reason is that this is to
7 provide guidance to physicians not used as a blood-banking
8 diagnostic as a primary test.

9 [Slide.]

10 To compare class II to class III, will require
11 flexibility from the regulatory agency. And, as I mentioned
12 before, class III also needs manufacturing data and, also, a
13 preapproval inspection plus all the other 180-days and all
14 that long kind of review.

15 The class II is a lot more flexible as a lot of
16 people have already mentioned. It will give you a lot of
17 flexibility and have fast approval process and it is easier
18 to update for improvements and changes.

19 [Slide.]

20 We have an any for this. CBER has already
21 accepted a concept of a similar HLA device. I put them next
22 to each other. The first point is it could be validated by
23 an outside academic consensus group similar to the HIV which
24 has already a public database compendia and independent peer
25 review.

1 And then the new information will be incorporated
2 in diagnostic labeling claims without any more submissions.
3 This should be the same, that the database is continually
4 updated with new resistance, mutation resistance.

5 [Slide.]

6 We should focus on analytical performance because
7 510(k) or PMA, at this point, is lacking a standard and what
8 should be done and how should it perform. The 510(k) proof
9 of performance should use some panels, but not very many,
10 for mutation and then it will be the same for the new
11 mutations. It should not require a lot of data and isolets.

12 The benefit will be that it will avoid delay in
13 the process in clinical access for this kind of information
14 and also to avoid expensive large-scale clinical studies
15 which are not necessary.

16 [Slide.]

17 Also evidence of analytical performance is there
18 is an ongoing database which will enable the incorporation
19 of new resistance data. This will be continuously updated
20 and improved by the independent peer review and not based
21 only on the submission of on PMA from one manufacturer,
22 limited resources.

23 [Slide.]

24 Again, more analytical performance. And, if it is
25 available in a fast, short time frame and it could be used

1 by the pharmaceutical companies for their antiviral drug
2 develop. And it reduces inherent available and unknown
3 performance of home brew.

4 [Slide.]

5 Another important point is to adopt a standard or
6 guideline. Right now, the HIV Resistance Collaborative
7 Group has already drafted a proposal which provides clear,
8 and a key word is, technology consensus because that is what
9 we don't have at this point. So we have an analytical
10 performance to validate the assays for the 510(k).

11 This is consistent with the FDAMA Congressional
12 mandate that the FDA should favor consensus guidelines.
13 Together with the use of this public database and the
14 guidelines will protect public health.

15 [Slide.]

16 If we don't downclassify, it will result in
17 delayed use of the clear products and then encourage home
18 brew, create a public misconception that FDA is raising high
19 hurdles for approving products and delay patient access to
20 more effective existing and new antiviral therapies.

21 [Slide.]

22 In summary, it is low technology risk because it
23 becomes commonplace and the intended use is not a stand-
24 alone but guidance and not diagnostic. We require
25 flexibility from regular agencies to serve public health,

1 interests. We should focus on analytical performance and
2 then make use of the public database compendium and adopt or
3 create a consensus guideline by the FDA so we could use it
4 for clinical validation as basis for a 510(k) clearance.

5 [Slide.]

6 So this, basically, will end up as a Tier III
7 which is identical to the technical and scientific
8 requirement of the PMA and the FDA could still exercise
9 appropriate oversight.

10 Thank you.

11 DR. HOLLINGER: Thank you.

12 The last speaker is Brendan Larder from Virco.

13 MR. LARDER: Thank you for the opportunity to
14 speak here. Virco is not actually a kit manufacturer. We
15 are a service-based company and we provide both phenotyping
16 and genotyping in the U.S. and the rest of the world.

17 [Slide.]

18 The reason I am here is really to make a few
19 comments about interpretation which, I think, is quite
20 appropriate, or interpreting genotypes, is quite appropriate
21 considering some of the discussion earlier this morning.

22 [Slide.]

23 By way of background, and this has, obviously,
24 been touched on quite a lot this morning, that phenotypic
25 testing is complex and it requires specialized central labs,

1 specialized equipment and well-trained scientists. I don't
2 think anybody really thinks that phenotypic testing is ever
3 going to become a kit-based assay. I think it would be very
4 difficult for this to happen.

5 As such, this is now regulated in the U.S. under
6 CLIA, the CAP and New York State's regulations which we
7 adhere to. That actually puts a lot of the validation and
8 regulatory processes in place in the actual lab and is quite
9 exacting and demanding.

10 Obviously, genotyping assays, as we have heard,
11 are more amenable to kit-based formats although, again, they
12 are being used by centralized labs, so-called home brew.
13 But these also are regulated and can be regulated by CLIA.
14 I would just like to point out that the Rob Sherman study,
15 those 30 labs, most of those labs were academic labs that
16 weren't carrying out genotyping under CLIA regulated
17 conditions.

18 But the real crux, I think, is relating complex
19 genotypes to phenotypic resistance. This is really quite
20 difficult. Doug Mayers touched on this as did Jeff Murray.
21 Really, to interpret genotypes in a sensible and informative
22 way, these large phenotype-genotype databases really should
23 come into their own in facilitating interpretation and
24 enhance the value of the genotypic testing.

25 [Slide.]

1 Just as a quick overview, these are the assay
2 principles of the assays that we carry out at Virco and by
3 LabCor for providing the testing in the States. ABI-based
4 sequencing, computer analysis an interpretation, which I
5 will touch on a bit later to give the Virco genotyping
6 report. And then recombinant virus assay for phenotyping
7 where a PCR fragment is recombined into homologous virus.
8 The available virus is grown up, titered and tested against
9 drugs. That is the antivirogram report.

10 [Slide.]

11 This is the antivirogram. You can see it can give
12 a fairly simple and direct readout of phenotypic resistance.
13 This shows the drugs tested, the panel of drugs tested, all
14 in one test. This shows the assay range and sensitivity to
15 each drug is where the blue dot is.

16 Just, in summary, you can see red for resistance,
17 green for no-resistance, et cetera, so it is very easy to
18 read off. These values are based on cutoffs of around about
19 for intermediate resistance or resistance greater than four-
20 fold or ten-fold.

21 [Slide.]

22 When we come to genotypes, and I think you have
23 seen lots of mutations already today so I won't, obviously,
24 dwell on this, but the list of mutations is enormous. This
25 shows nucleosides, non-nucleosides, protease mutations.

1 This is not exhaustive. The problem is the more work we do,
2 and the more samples that we analyzed, and we have analyzed
3 thousands and thousands, the more mutations you come across.
4 So interpretation become a real problem, particularly since
5 they are not seen singularly but in complex mixtures.

6 [Slide.]

7 This is some data that we presented at the San
8 Diego meeting a few months ago on samples from routine
9 testing greater than 5,000 samples, just showing the
10 percentage, for example, 215 mutation and 50 percent 184
11 mutation, non-nucleoside mutations, protease-inhibitor
12 mutations. There is a lot of resistance out there and, as
13 more people get tested, we find more mutations and more
14 complex patterns of mutations.

15 [Slide.]

16 Other examples here are new mutations that we can
17 find, again, using database-type analyses, again some work
18 we presented. This was quite a surprise but when everybody
19 says, "Yeah; we know what 3TC resistance is, it is the 184,"
20 well, actually, that is not the whole story.

21 We found here that, in the absence of the 184,
22 there are quite a substantial number of samples from
23 patients that show phenotypic resistance to 3TC. This is
24 due to what we consider polymorphisms in a background of AZT
25 mutations. Without having this consistent back reference

1 phenotype to genotype, we will never discover this sort of
2 information.

3 If we just look at the genotype, we are really
4 kept in the dark.

5 [Slide.]

6 Again, if just concentrate on individual
7 mutations, and this is just an example for non-nucleosides,
8 again we can make some probably wrong decisions. So, for
9 example, a common non-nucleoside mutation, 198A,
10 phenotypically, the virus is resistant to nelvirapine but
11 susceptible to the other non-nucleosides.

12 You can see, as we get more complex mixtures of
13 these mutations, sometimes you can see resistance to all
14 three, sensitivity to one here or another here by phenotypic
15 testing.

16 [Slide.]

17 One of the answers that we feel is really to
18 direct comparisons with genotypic and phenotypic databases;
19 our database at the moment--actually, this is a bit old--has
20 more than 15,000 genotypes and over 30,000 phenotypes with
21 all the drugs. What we do know is we don't depend on
22 algorithms because I think algorithms, once you establish
23 algorithms of what mutational patterns might mean in terms
24 of phenotype, it is a static thing. You need something that
25 takes into account that everything is changing all the time.

1 So this database continues to get updated with
2 genotypes and phenotypes. Now, through the software that we
3 developed, we can input a sequence. The software can
4 recognize complex patterns of mutations and scan the
5 genotypic database and find matching samples that match and
6 then, with all the samples that match with the same patterns
7 of mutations, pull out all the phenotypes and then condense
8 that down into a relative risk, if you like, of a virtual
9 phenotype and to say what percentage of these phenotypes
10 were resistant, what were intermediate and what were
11 sensitive in terms of this original sequence.

12 So what we have done is taken the sequence and
13 turned it into a phenotype through this database matching.

14 [Slide.]

15 This is the kind of report that we soon will be
16 launching as our version II report. It is fairly similar to
17 the antivirogram but shows mutations. This is just
18 genotyping information. It shows drugs. That
19 interpretation, via distribution of matching phenotypes from
20 the database, showing how many matches there are--some of
21 these are about 8,000 and some are a few hundred--and then
22 showing distribution so you can quickly read this off,
23 easily read this off, saying, "Well, there is a large amount
24 of resistance of red here so the virus is likely to be
25 resistant to this drug via this pattern recognition of

1 matching the genotype with the phenotypes in the databases."

2 [Slide.]

3 The other thing I should say, and I think this is
4 important for the committee to consider, that the
5 phenotype/genotype interpretation, the interpretations on
6 algorithms can be tested and they should be tested
7 statistically.

8 This shows a little bit of data where we took a
9 whole bunch of phenotypes where all these viruses were
10 phenotypically resistant to the protease inhibitors. We ran
11 the sequences through our database and said, "What is the
12 prediction just from the sequence, for each of the four
13 proteases that we looked at showing that, in most cases,
14 there was a high level of good prediction of high-level
15 resistance just by taking the sequence and saying, "How do
16 they match and what sort of phenotypes do we see?"

17 You can apply statistics to this and I think that
18 should be done in terms of interpretation. It is really
19 essential. If people are saying we have an algorithm or
20 system for interpretation, then it should be tested
21 statistically.

22 [Slide.]

23 Just to conclude, I think everybody is in no doubt
24 now that there are numerous different combinations of
25 specific mutations that are frequently seen in routine

1 clinical practice. Somehow, predictable phenotypes, 184 3TC
2 resistance--some have less predictable phenotypes or, in
3 fact, are not even known at the moment.

4 What we are trying to work towards--we are not
5 making kits but we are trying to enhance the interpretation
6 of genotypic information through use of a large relational
7 phenotype-genotype database which enables us, now, to
8 generate these virtual phenotypes that can be derived just
9 from the sequence, comprehensive sequence, data.

10 We feel now that this is really going to be a
11 valuable tool in helping genotypic interpretation.

12 Thank you.

13 DR. HOLLINGER: Thank you very much.

14 Let me just find out, is there anyone in the
15 audience, before we close the open public hearing--does
16 anyone else need to respond or comment?

17 If not, then I am going to close the open public
18 hearing. I am going to ask Dr. Tabor to make a few comments
19 here and then we are going to open it up for the committee
20 discussion on the question.

21 DR. TABOR: We have been spending the morning
22 discussing an issue that has become more and more complex as
23 we have heard more and more presentations. I would like to
24 try to clarify some of that for you, perhaps reiterating
25 some of what I said before.

1 What you are being asked to do, as a committee, is
2 not to rule on the approval or disapproval of any particular
3 product but to give an opinion on an approach, in a
4 regulatory approach, to a certain category of product, the
5 genotyping assays for mutation detection in HIV.

6 We are only talking about the genotyping assays at
7 present and that was what was in the public announcement and
8 that was the intention of the FDA in bringing this to you at
9 this time.

10 What we are talking about whether something that,
11 in the absence of your acting, would be a class III device
12 requiring a PMA, a longer review time, essentially mandatory
13 levels of clinical information. We are asking you to decide
14 whether the category of device can be regulated as a class
15 II device.

16 We can still ask for as much clinical information
17 as we want of a class II device. The difference is on the
18 impact, or the potential impact, in the health of the
19 patient and the public safety. So, if we have an
20 application that we decide--let's just say that you say it
21 can be a class II device; if we have an application that
22 deals with well-known genotypes with well-known associated
23 mutations, we can ask for less clinical data than if we have
24 an application that is dealing with new mutations or areas
25 that are not as well studied or as well known.

1 DR. SMALLWOOD: The Blood Products Advisory
2 Committee is sitting today for this issue as a medical-
3 device panel. This is permissible under the charter of the
4 Blood Products Advisory Committee which states that it
5 allows the committee to sit as a medical-device panel when
6 there are such issues which would involve classification
7 issues and the setting of standards as this discussion
8 today.

9 I know you have heard a lot of information
10 regarding this. What I would like to do is reiterate the
11 salient points of procedure to assist you when you are
12 making your deliberations on this particular topic.

13 As has been explained, we are asking you for a
14 recommendation for reclassification from class III to class
15 II. You have heard the definition of a class II. I will
16 just state, again, the devices which cannot be classified in
17 class I because the general controls, by themselves, are
18 insufficient to provide reasonable assurance of the safety
19 and effectiveness of such devices but for which there is
20 sufficient information to establish special controls to
21 provide such assurance.

22 Examples of special controls include performance
23 standards for which you have heard postmarket surveillance,
24 development and dissemination of guidelines. They may
25 include clinical data on a 510(k). They may address

1 labeling content regarding indications for use, instructions
2 for use, contraindications, warnings, precautions and
3 adverse effects. Also, design controls.

4 It is discretionary that FDA may find it necessary
5 to implement other controls to protect the public health or
6 provide the safety and effectiveness data.

7 What we need from the panel, essentially; a
8 recommendation for reclassification of the devices that are
9 the subject of this panel session. These recommendations
10 may include a summary, or summaries, of the reason for the
11 recommendation and a summary of the data upon which the
12 recommendation is based and identification of special
13 controls for class II which have been presented to you in
14 the concept memo.

15 What will follow after these deliberations and
16 your recommendations will be a decision on the appropriate
17 class. Obviously, FDA has presented their concept and their
18 thinking regarding this. There will be published a public
19 notice of panel recommendation to reclassify these devices.

20 There will be a review of all comments and,
21 finally, there will be a published Federal Register notice
22 of reclassifying these devices. All committee members were
23 provided with Form FDA 3428 which is entitled In Vitro
24 Diagnostic Product Classification and Questionnaire.

25 I know that it may seem overwhelming to you but I

1 hope that I can help you in making it a little easier.
2 Essentially, questions 1, 2, 3, 4, 5 and 7 would pertain to
3 these deliberations. I believe that after you have engaged
4 in the discussion here and have decided what your
5 recommendation will be that you will be able to easily
6 complete this form.

7 As has been mentioned before, if there are any
8 particular special controls that you feel should be
9 implement or that you may recommend, please include these on
10 the form.

11 You also have a supplemental data sheet and that
12 is only needed if you have additional information that
13 cannot be filled out on the first form, FDA 3428. After
14 completion of this form, I would request that it be mailed
15 to me not the address that is on the form after this meeting
16 within two weeks.

17 If there are any further questions, you may
18 contact me regarding this after these deliberations.

19 Thank you.

20 DR. MACIK: Very quickly, what is the generic type
21 of device? What are we supposed to call this?

22 DR. HOLLINGER: Do you want to call this HIV
23 mutation test for right now?

24 DR. DAYTON: Why don't you call it HIV genotype
25 drug resistance test.

1 DR. SMALLWOOD: I believe Mr. Wilson had displayed
2 a slide which indicated how these would be described.

3 MR. WILSON: That is a proposal, so I would defer
4 to Dr. Dayton's language.

5 MR. DUBIN: How about HIV drug resistance assay
6 test/genotype.

7 DR. DAYTON: That's okay. The key words are
8 genotype and drug resistance and HIV.

9 MR. DUBIN: And they are all there.

10 DR. SMALLWOOD: Are there any further clarifying
11 questions that I can answer at this time?

12 DR. HOLLINGER: Thank you, Linda.

13 **Committee Discussion and Recommendations**

14 DR. HOLLINGER: I am going to now open this up for
15 committee discussion but, Dr. Mayers may have to leave. I
16 would like to ask, first of all, if there are any clinical
17 questions that you would like to address to him regarding
18 any of the studies or what your thoughts are or anything
19 like this before he has to leave.

20 DR. MAYERS: Dr. Hollinger, I have rescheduled his
21 afternoon.

22 DR. HOLLINGER: He has rescheduled his afternoon,
23 but we could still ask him the questions anyway.

24 DR. TUAZON: Doug, in your opinion, for what
25 percent of AIDS patients would this test for clinically

1 useful?

2 DR. MAYERS: Over the course of their illness?
3 Essentially all of them on multiple occasions. It has been
4 shown, I think for newly infecteds, this is clearly becoming
5 increasingly important. The French ANRS has actually made a
6 recommendation to their government that newly infected
7 patients with less than one year since their seroconversion
8 should all have resistance testing done.

9 If it is more than one year, they are recommending
10 not doing the testing because there is a very low rate and
11 because of the concerns of back reversion that Jeff Murray
12 mentioned. But, then, subsequently, I think it is going to
13 become the practice to provide additional data as you try
14 and find late rounds of therapy.

15 DR. TUAZON: I think, eventually, you probably
16 would need this information because if the transmission of
17 the newly infected ones will be infected by resistant
18 strains, then you would need this in your primary management
19 of patients.

20 DR. MAYERS: The fundamental problem is that, when
21 we checked our clinic at Henry Ford Hospital in Detroit,
22 48 percent of our patients have seen at least two PIs in the
23 non-nuc and have positive levels of RNA. So right now,
24 there is a huge population of patients with multi-drug-
25 resistant virus potentially going to transmit to the next

1 generation of patients.

2 DR. NELSON: I think that I agree with Dr. Mayers.
3 I think that this will be extraordinarily useful data to the
4 practicing clinician. One of the concerns I have, and I
5 don't know if it really relates to the class II versus class
6 III issue, is I see the possibility of some abuse because of
7 the fact that it is a gene, or two genes, that are being--or
8 segments of the gene that are being analyzed.

9 Data could be reported on a genotypic variation or
10 mutation to which there is not good clinical relevance. I
11 could even see a scenario where a pharmaceutical company
12 that had developed a new drug, or had a drug, was also doing
13 resistance testing and was using this for commercial gain or
14 what have you, not necessarily for patient benefit.

15 The issue is there are some genotypes described by
16 Dr. Mayers that are clearly related to AZT resistance,
17 nelvirapine resistance and individual or combinations of
18 drugs. But there are others in which the data are unclear.

19 I guess my question is how will that be regulated?
20 Will that be on the brochure of the product insert or will
21 the company that is doing the genotype testing can only
22 report genotypes to which there is some scientific data to
23 back up its importance? How will that occur?

24 I can see where it could be regulated by FDA
25 whether or not there was a class II or a class III approval

1 process. I don't understand that issue very well.

2 DR. TABOR: I think your point is a good one but I
3 really think, at this point, we ought to really focus on
4 whether this should be a class II or a class III device and
5 then go on to the special controls that the committee would
6 like to see because that is what we really need to
7 accomplish today.

8 DR. NELSON: To simplify my question, is my
9 concern relevant to the class II versus class III, or is it
10 a secondary issue?

11 DR. DAYTON: It will be handled adequately and in
12 either class II or class III. Yes; the assays will make
13 occasional errors but, on average, they already seem to be
14 doing better. But class II or class III, we can handle that
15 equally well.

16 DR. HOLLINGER: Doug, I have got a couple of
17 questions on this issue. I know you have a conflict of
18 interest here because this is what you really are interested
19 in. You are also the expert in the area. You got to have
20 both ways.

21 There was a thoughtful editorial by Judith Faloon
22 on the Lancet article. I hope you have read it. Without
23 putting you on the spot, she makes some very interesting
24 observations like there are no clinical outcome data and few
25 data correlating baseline genotype with viral-load response.

1 She talked about several other issues about this and the
2 data.

3 Do you believe, at least right now, that there is
4 enough clinical data--and I know what we are talking about,
5 but this has to do with the classification of III and II
6 because III requires premarket approval. It requires
7 clinical data before it is approved. It is a longer process
8 but it does require--we vote on a lot of things that later
9 on we say, "I wish we had done that study and got the
10 information because we will never get it after this."

11 So I would like to know whether you think there is
12 sufficient evidence under these two things, with small
13 numbers of patients in each one of these studies and with
14 the data and with the questions that we brought up about
15 compliance and other things, which you don't have the data
16 on yet--but give me some feeling about where you are with
17 this and some of her response, if you would.

18 DR. MAYERS: I think, to a certain extent, it
19 becomes is the glass half full or half empty. In this
20 particular instance, I think the glass is probably about
21 80 percent full. I do not believe that you are going to be
22 able to get clinical-endpoint data for this issue in a
23 similar way that drug development is having trouble getting
24 clinical-endpoint data anymore because your original test
25 and the clinical outcome are going to be so far apart that

1 their relationship will be vague even when you do get the
2 outcome.

3 I personally have the same problem that the
4 Hopkins IRB had in that knowing that I can get a patient
5 that is twice as likely to be undetectable with the test as
6 they are without the test, I have problems taking them
7 against no test anymore whereas if I take a genotype against
8 a phenotype, I think that is a very doable trial, but I
9 think the sample size approaches that of the infected
10 population of the United States, so I am not sure that that
11 one is doable either.

12 I think with the data available, we know that we
13 can manage patients more effectively in the short term with
14 the data than without it. I think that the concerns the
15 committee has expressed about both quality control for
16 testing and standardization of interpretation are both very
17 valid concerns.

18 To my mind, I think that making the companies
19 prove that they can detect the mutation accurately and
20 consistently and, if they market a kit, that that kit gets
21 the same mutation no matter who does the assay is a very
22 reasonable requirement of any company.

23 I might suggest, from having listening to this
24 discussion, that, perhaps, it might be useful, since I don't
25 think any of these companies want to prove that a mutation--

1 they have to and individually prove that their mutations
2 that they can detect with their kit are clinically relevant-
3 -it might be very useful for the FDA to consider having an
4 expert panel that actually meets for them to decide what
5 mutations have reached that level in which they are
6 comfortable with it and what mutations have not because then
7 the issue becomes does the company measure the mutation
8 accurately.

9 If the company measures the mutation accurately,
10 that would be the basis for what the company would have to
11 do. What mutations does it cover could be addressed more
12 globally by has this mutation reached a level of validation
13 that the FDA is comfortable saying if you can detect it that
14 you can report it as having this meaning.

15 So you might have to break the process in two. I
16 am not sure, but that is my own personal opinion, though,
17 Blaine. I think we are to the point where we can use it and
18 use it usefully and it gives useful information. There are
19 some areas of greyness. Some of them may be resolved and,
20 quite frankly, some of them may never be resolved.

21 DR. HOLLINGER: You feel that outcome would be
22 beneficial--might be--if you could do it long enough, even
23 more so than what we currently have available.

24 DR. MAYERS: I think that the outcome gets better
25 if you can do repeated measures similar to those done by the

1 VIRADAPT group. If you can repeat the test on multiple
2 bases, you can--but I think the bottom line is, in 1999,
3 with the drugs available to the clinician, that, right now,
4 you are going to hit a wall and it is about 30 percent of
5 your patients.

6 When you hit that wall, you cannot break through
7 it no matter what test you use because we just simply do not
8 have the drugs to bring those patients' virus under control.

9 DR. HOLLINGER: Thank you.

10 DR. McCURDY: I think he put it fairly succinctly
11 in my thinking on this. I think there is very little
12 question that the technology can detect mutations. So the
13 issue is does the individual test kit detect the mutations
14 that it says it does. This is solvable on review, I think.
15 The interpretation of it is also a very difficult one
16 although there appear to be, from the presentations, some
17 mutations which are pretty commonly, or almost universally,
18 associated with resistance.

19 I think that this can be taken care of in the
20 labeling and relabeling if new mutations come along. The
21 idea of an expert panel dealing with mutations that do cause
22 resistance or multiple mutations that cause resistance is a
23 good one and it is analogous to what both Dave Stroncek and
24 I have referred to in the HLA--the designation of certain
25 HLA class I and class II alleles.

1 So I think that it is reasonable to reclassify
2 this to a class II device and that it can be managed with
3 the controls that have just been mentioned here and that can
4 be put in place by the agency.

5 DR. HOLLINGER: Thank you.

6 Other comments before we put the question up on
7 the screen? All right; let's put the question on the
8 screen. The question is, if we could make the amendment,
9 then to this question, because you want to say genotype;
10 right?

11 DR. DAYTON: Yes.

12 DR. HOLLINGER: If I may, I am going to make a
13 recommendation that we change it to, "Does the committee
14 support the reclassification of HIV genotype drug resistance
15 assays from class III devices to class II medical devices?"
16 I would like to vote on that change, if you will.

17 All those in favor of that change, raise your
18 hand.

19 [Show of hands.]

20 DR. HOLLINGER: All opposed.

21 [No response.]

22 DR. HOLLINGER: Any abstaining?

23 [No response.]

24 DR. HOLLINGER: With that change, then, we will
25 have a vote on this. All those who are affirmative with

1 this or want to vote yes to have this change, reclassified
2 from class III to class II medical devices, so indicate by
3 raising your hand.

4 [Show of hands.]

5 DR. HOLLINGER: Those opposed?

6 [One hand raised.]

7 DR. HOLLINGER: Abstaining?

8 [No response.]

9 DR. HOLLINGER: Would you please read the results.

10 DR. SMALLWOOD: The results of voting for question
11 No. 1 as modified, and I will read the question as modified;
12 "Does the committee support the reclassification of HIV
13 genotype drug resistance assays from class III medical
14 devices to class II medical devices?"

15 The results of voting; 13 yes votes, one no vote,
16 no abstentions. At this time, I would ask the
17 recommendation from the industry rep.

18 DR. BUCHHOLZ: I vote yes.

19 DR. SMALLWOOD: The consumer rep left. However,
20 she did leave her vote which I will read. Her
21 recommendation was yes for genotype assays to be
22 reclassified to class II. And she did have a commentary;
23 "with strong recommendation of standardized reports as part
24 of the controls and close postmarketing monitoring, and also
25 to include the statement coming from Jeff Murray's last

1 point of using genotype/phenotype testing in new drug
2 development."

3 DR. HOLLINGER: Thank you, Linda.

4 Now, we have the hard part--maybe the easy part.
5 Now, let's have the second question because we are not going
6 to deal with the third. The second question is, "If the
7 answer to No. 1 is yes, what additional special controls or
8 requirements, if any, does the committee recommend?"

9 I know we have had several made here already that
10 can be gleaned from all this data. But, specifically, would
11 somebody like to make some comments on this?

12 DR. MACIK: I think the easiest way to address
13 that is to look at the form where it says "controls," and
14 just vote on each of those and then add in anything that is
15 left. For example, it starts out with postmarket
16 surveillance. Maybe we could vote on each of those and then
17 add in anything else that was extra.

18 DR. HOLLINGER: I don't think we have to vote on
19 this. I think, mostly, and correct me if I am wrong, but I
20 think you are asking for information, Andy. But can you
21 please help us?

22 DR. DAYTON: Somebody correct me if I am wrong,
23 but my understanding is that you have to vote on a),
24 classification, which you have done, and special controls.
25 In this case, we would propose that special controls would

1 be postmarketing surveillance such as you have just
2 identified and the formulation of a guidance document the
3 highlights of which we have discussed.

4 So if you feel that the discussions are such that
5 we will know what to put in the guidance document and we
6 know what to put in postmarket surveillance, you could vote
7 to accept those as is, for example. Does that clarify the
8 situation? And there might be more.

9 DR. BOYLE: Would the guidance document include
10 performance standards and testing guidelines?

11 DR. DAYTON: Oh, yes. I didn't go into that
12 because that was assumed, obviously.

13 DR. HOLLINGER: On the form, just as you know, if
14 you all see 3B, they talk about postmarket surveillance,
15 performance standards, testing guidelines--that is the
16 guidance document, part of that--device tracking and then
17 other.

18 First of all, do the members all feel that at
19 least the first four, the ones I read--not the other, but
20 the four--

21 MR. WILSON: Not device tracking.

22 DR. HOLLINGER: Sorry; what is device tracking,
23 anyway?

24 MR. WILSON: Device tracking is where you would
25 track the individuals individually who the device is used on

1 in the event that there has to be a follow up to the
2 company.

3 DR. HOLLINGER: Okay. End users. So the three.
4 does the committee at least certainly agree--and I would
5 just ask you for a quick vote at least on the postmarket
6 surveillance, performance standards, testing guidelines or
7 guidance document, if you will.

8 All those who certainly agree that those are some
9 of the special controls, raise your hand.

10 [Show of hands.]

11 DR. HOLLINGER: Any opposed?

12 [No response.]

13 DR. HOLLINGER: Any abstaining?

14 [No response.]

15 DR. HOLLINGER: What about the "other."

16 MR. DUBIN: Labeling, because I don't see labeling
17 listed in this breakout so I think in the "other," we should
18 talk about labeling.

19 DR. HOLLINGER: How do you mean labeling?

20 MR. DUBIN: One of the things we talked about
21 earlier is in terms of how the information flows to
22 physicians. If you kind of juxtapose an infectious-disease
23 doctor who is on the cutting edge with a hematologist
24 treating hemophilia who is treading water to stay on the
25 cutting edge, it seems to me it is important that FDA have

1 some sense of how to ascertain how the information is being
2 taken in and used. That could be done in a labeling
3 environment and a review of that, some kind of outcome
4 assessment, that lets you know that information is being
5 internalized. That is what I am suggesting.

6 DR. DAYTON: We certainly are open to suggestion
7 for labeling. Many of these things we normally would handle
8 in any labeling procedure. Probably the best thing, if you
9 want to focus on labeling which, of course, is a reasonable
10 thing to do, is try to focus on things that we might
11 otherwise not normally do.

12 MR. DUBIN: You would do everything that I just
13 articulated?

14 DR. DAYTON: What would be the list, then?

15 MR. DUBIN: Labeling in terms of the information
16 needed by physicians using the test, understanding that
17 there is quite a gradient between physicians in terms of
18 understanding.

19 DR. DAYTON: Oh, yes.

20 MR. DUBIN: And some type of outcome review of
21 that labeling so you know if it is being internalized out
22 there in the world.

23 DR. DAYTON: That is a tough one. We could do
24 that.

25 MR. WILSON: In other words, this would be voted

1 on as a special control and, in the premarket review of the
2 product, as part of the 510(k), we would be asking the
3 companies to evaluate the reports in terms of how the
4 physicians interpret them appropriately.

5 MR. DUBIN: Absolutely.

6 MR. WILSON: If they are getting it all wrong all
7 the time, we will not clear the product.

8 MR. DUBIN: Right; that is what I am talking
9 about.

10 DR. HOLLINGER: I'm sorry. Excuse me a minute.
11 Linda needs to read the response to what we voted on just a
12 minute ago.

13 DR. SMALLWOOD: This is for clarification so that
14 everyone will understand the action that the committee just
15 took on their last vote. There was a unanimous vote for
16 additional special controls or requirements. What the
17 committee included in that vote were postmarket
18 surveillance, performance standards and testing guidelines.

19 DR. HOLLINGER: Thank you.

20 MR. DUBIN: They were going to answer. He was in
21 the middle of answering.

22 MR. WILSON: We did not make a recommendation,
23 although the committee can, relative to performance
24 standards. Performance standards are, for example,
25 voluntary or involuntary national and international

1 standards that would apply to various elements of the
2 performance characteristics of the product.

3 There would be none existing for this type of
4 product currently. It takes an extremely long period of
5 time to develop standards. In lieu of that, what FDA does
6 in term of develop criteria for the clearance of the
7 product, is embed some of that information in the guidance
8 document.

9 So what would happen is that if the committee were
10 to approve the performance standards, none exist formally so
11 we would not be able to apply that. Maybe if some become
12 available, the committee can recommend, if available. But
13 none exist currently.

14 The safety and efficacy is largely going to be
15 framed out in the guidance document.

16 DR. CHAMBERLAND: Is it standard procedure for the
17 FDA to have the BPAC review draft versions of the guidance
18 document?

19 MR. WILSON: If the guidance document were to be
20 available, we would have provided it to you. It is still
21 under development. Lots of things are moving very quickly.
22 However, the process of the approval of the guidance
23 document would be to publish it in the Federal Register. We
24 could certainly provide that to the committee selectively,
25 also. Comments can be made on it. They can be made by

1 anyone who reads the Federal Register.

2 We are obligated to review every one of the
3 comments so that you can get your input in as everyone else.

4 DR. CHAMBERLAND: I think that is somewhat what I
5 am personally struggling with which is it is hard to know if
6 additional special controls are needed when the postmarket
7 surveillance and testing guidelines have not been spelled
8 out in a very detailed way. So it is hard to know where the
9 gaps might be.

10 DR. HOLLINGER: I agree with you, Mary. You have
11 got a document. We haven't seen it. I think that what I
12 would like to see, at least right now, is at least for us to
13 express what things we ought to do. And they can take them
14 as recommendations, not necessarily voted on.

15 We have discussed this throughout this session
16 today. Then we can see where we are going to go from there.

17 MR. DUBIN: We were still on labeling. I don't
18 want that to get lost. I don't want it just hung out there.
19 That is the one we didn't vote on.

20 DR. HOLLINGER: Tell me what--

21 MR. DUBIN: FDA just made a proposal back that
22 sounded decent.

23 MR. WILSON: The "other" on the box is what we--
24 normally, 510(k)s are obligated to have labeling consistent
25 with 21 CFR 809.10. So you already get labeling.

1 MR. DUBIN: I understand that.

2 MR. WILSON: What we would be asking for here is
3 what we would call "special labeling."

4 MR. DUBIN: That's right. That is what I am
5 talking about.

6 MR. WILSON: That would be at the direction of the
7 committee on some of the interpretational issues that were
8 discussed earlier. You could make that recommendation to us
9 and then what would happen is that, based on those
10 recommendations, we would exercise that in the review
11 process.

12 MR. DUBIN: Right. I think what we were
13 suggesting was twofold, in terms of labeling and then some
14 review of the doctors are internalizing that labeling
15 because there is such as gradient between people who are
16 practicing infectious disease in HIV AIDS on the cutting
17 edge and people who are not.

18 That is not to make a negative statement about--it
19 is just the truth of what is out there.

20 DR. TABOR: I think we want to be careful not to
21 get too bogged down in details. I think, as Dr. Hollinger
22 suggested, you can make a group of suggestions that we would
23 take into consideration in the review of specific products.
24 The question that is up there, question No. 2, is asking
25 about specific special controls or requirements.

1 I think some of what you are suggesting are in the
2 category that Dr. Hollinger was referring to which is
3 discussion items that we should take into consideration
4 during the review of these products.

5 Here, you are talking about something that would
6 apply to every class II device in this category.

7 MR. DUBIN: Let me back up and try to be clear.
8 The question gets asked is is this dangerous. Obviously,
9 this does not pose a direct health risk. However, if this
10 test is used incorrectly to inform--used diagnostically and
11 it is not used correctly and the diagnosis is misdiagnosed,
12 I think we would all agree that could cause some problems
13 for the patient, and the doctor, as well.

14 So I don't know if we are just lost in the part of
15 this that is just loose recommendations. I think there has
16 been expressed some serious issues about labeling at this
17 table. I have been hearing them. I don't want to just
18 write it off as "other."

19 DR. HOLLINGER: Dr. Boyle, do you want to respond,
20 also, to this?

21 DR. BOYLE: Just in that it may not be a labeling
22 issue so much as what has been said is that there is an
23 interest in a standard for interpretability of the assay
24 findings for the average user. That is a separate issue.
25 That is one issue that has come up here and it would be one

1 thing that I would put on the table.

2 DR. TABOR: I think that is the kind of thing we
3 want to hear and to take into consideration.

4 DR. HOLLINGER: Because the question is, if you
5 are out there--what is "partially resistant" going to mean?
6 Does that mean you jump in and you do another--for the
7 general clinician that is out there who sees something that
8 says, "partially resistant," or an AZT that says,
9 "resistant," do they stop their medication? Do they not?
10 Should there be guidelines for that kind of thing?

11 Let me see how you perceive that because that is
12 what is being asked here in two places about interpretation
13 and what the FDA needs to, then, sort of generate in their
14 guidance document and other things as it relates to this
15 because it sounds like it is a pretty important question.

16 DR. MAYERS: As I sort of said earlier, I am not
17 sure if the FDA is going to invite me back, but I think this
18 really comes down to two issues. One issue is a technical
19 issue which is can you measure a mutation and, when you say
20 the mutation is present, is it there. I think that is a
21 very reasonable expectation for the companies, to prove that
22 they can measure it, to prove to what level they can measure
23 it, to prove what is the reproducibility of their product
24 is. I think that is a very reasonable standard.

25 DR. HOLLINGER: It is it relevant.

1 DR. MAYERS: I think it is very relevant.

2 DR. HOLLINGER: I mean, and is the mutation
3 relevant.

4 DR. MAYERS: But that is where I don't think the
5 company should have responsibility. I think that there
6 should be some standard place where--and I think that CDER
7 is probably a better place than CBER, quite frankly, because
8 I think it should be part of the drug-development process.

9 I think the company should, as part of their
10 package when they submit, find out what mutations cause loss
11 of activity of the drug and what mutations when someone
12 enters the trial caused their drug not to work and what
13 level of resistance causes their drug not to work. This
14 should be part of the approval process for a drug.

15 As part of the evaluation of that drug approval,
16 that part of the package should be looked at. So I think
17 there should be someplace, somewhere in the system, in which
18 we say, "When you have a 184, we have validated that this is
19 associated with this, this and this. When you have a 215,
20 we have validated this, this and this."

21 That should not be on the back of each strip
22 manufacturer and each sequencing company. What they should
23 be able to prove is, "I have got a good product that gives
24 me a good sequence. When I report the sequence out, the
25 sequence is clean and you get the same result if my tech

1 does it, your tech does it or somebody else's tech does it."

2 But then, I think it probably is a good idea
3 because of the issue about politically interpreting results
4 to have some group which has some vested authority which
5 says, "We believe this has reached a level of validity that,
6 once you have proven you can measure this mutation," and for
7 a strip manufacturer, they are going to have to prove they
8 can measure 184 in that strip.

9 For a sequencing person, that is a little bit
10 different. They are going to have to prove they can get a
11 sequence that is clean across the whole stretch. But, once
12 you have got that, it goes across all the manufacturers. If
13 you find a 184, it counts no matter who finds it, by which
14 technology, it has the same interpretation.

15 So I think it might really be better to split the
16 technical validation of an assay, which I think is a very
17 strong--something that the company should do--from the
18 interpretative result of that assay which, I think, also
19 needs some sort of controls placed on it.

20 But I think it should go across the whole system.
21 If you can find it, it counts.

22 DR. McCURDY: Blaine, I was going to suggest that
23 we recommend a consensus designation or determination of new
24 or resistance mutations. There are certainly, now, a number
25 of consensus--and exactly how that is done, but I would

1 second what was just said that it needs to be done and it
2 should be done by some type of consensus group in or out of
3 the government or whatever.

4 It is part of the situation with the kits because
5 the kit manufacturers may make, or want to make, labeling
6 claims that they can detect mutation X which is a resistant
7 mutation. They need to be sure that that is consensus
8 resistant mutation.

9 DR. TABOR: Paul, I assume you are using the word
10 "consensus" in the literary sense and not in the molecular-
11 biology sense. If I am right, I think you may be placing
12 too much of a constraint on the review process. I really
13 think that, in a changing field like that, the reviewers
14 need flexibility to make their own decisions as a group
15 based on whatever expert opinion they can get at the time.

16 I certainly don't think we want to set up
17 committees or advisory groups to determine what are
18 resistant organisms and what are not because it is a
19 changing field at all times.

20 DR. McCURDY: I think I am using the word
21 "consensus" more generically. I think that it should not be
22 something that is reported once in the literature or at a
23 meeting or something and then immediately leapt upon by
24 everybody. There ought to be a certain amount of
25 confirmation that a given mutation is responsible for. This

1 could be done in the review process.

2 DR. TABOR: I think this is just part of the
3 review process.

4 DR. HOLLINGER: It could be like an NIH consensus
5 conference. Are you talking about something like that,
6 Paul?

7 DR. McCURDY: No.

8 DR. HOLLINGER: Nothing like that?

9 DR. McCURDY: No; no, I was not.

10 DR. DAYTON: If I could address this point in
11 particular, I did mention this when I was reviewing the
12 highlights of the guidance document--in the guidance
13 document, we are trying to lay down requirements for just
14 how much validation we need to see in the literature.

15 I gave you an example of, for instance, if we see
16 a certain change in the IC50 or 90, we may or may not accept
17 that as prima fascia evidence that it works. The point is
18 that that is going to be a major focus of the debate on the
19 guidance document. So, if you trust the process, the
20 guidance document will provide an answer to that.

21 DR. HOLLINGER: Is that okay?

22 DR. McCURDY: Yes; I think that is--

23 DR. FITZPATRICK: The guidance document and the
24 review process can focus on that, but when we started doing
25 western blots for HIV for diagnostic and clinical samples,

1 there was a great deal of difference in the interpretation
2 of that western blot. It took consensus and standardization
3 before we got the same answers from the same laboratories or
4 we diagnosed patients the same way based on the western-blot
5 results.

6 This test seems to be in that same stage of
7 development to me. We can validate the test and we can know
8 that the test is providing us the right codon, but we need a
9 way for everyone to interpret those tests correctly. I
10 think it is going to need to go beyond the review process to
11 get that.

12 DR. HOLLINGER: I am assuming, Dr. Smallwood, that
13 since we were all given one of these copies here that,
14 literally, I mean, basically, we can put down what we want
15 to under "other." It doesn't have to be a consensus for
16 this, so I presume, Corey, that this is an opportunity for
17 you to write in--there is a supplemental sheet. I guess, if
18 you want to write four or five pages, you can do so.

19 But I think that is important because these are
20 issues that they would want to speak do.

21 Are there any other issues before I bring this
22 meeting to a close?

23 DR. STRONCEK: I have a question on question 4.
24 It is addressed to device II and III. Are there any
25 suggestions on what we should consider if we check that

1 answer off, 4A?

2 DR. HOLLINGER: About the performance standards?

3 DR. STRONCEK: Yes.

4 DR. HOLLINGER: I think what he was saying is they
5 don't have any performance standards.

6 DR. SMALLWOOD: Right.

7 DR. HOLLINGER: But I don't think that he said
8 that they would not be useful if they had them.

9 DR. SMALLWOOD: Essentially, they do not exist.
10 That is what was stated by Mr. Wilson.

11 DR. HOLLINGER: Thank you, Linda.

12 DR. BOYLE: My form, 7A, do we have to restrict it
13 in terms of who uses it? I am not sure what the intent
14 there is.

15 DR. HOLLINGER: Could you explain that, maybe just
16 to those of us who are not--

17 MR. WILSON: Restricted equals by a prescription.
18 That is the short interpretation. There are very few
19 restricted devices that are in distribution.

20 DR. HOLLINGER: Unless you wanted to have a
21 prescription, you would answer "yes" on something like that.

22 MR. WILSON: Correct.

23 DR. STRONCEK: No; you would answer "no."

24 DR. HOLLINGER: Okay. No; you would answer "yes."
25 The answer would be yes. If you want prescriptions on this,

1 then answer "no."

2 I want to thank this committee again for all their
3 hard work, as usual. Everybody was prepared and came and we
4 appreciate it. We are not going to have a meeting in
5 December. The next meeting will be in March or June?
6 Linda, do you have the times so we can mark it?

7 DR. SMALLWOOD: The next regularly scheduled
8 meeting is tentatively for March. It will generally be the
9 third week in March, that Thursday and Friday, pending
10 availability of appropriate facilities. The meeting
11 following that would be scheduled for June and then
12 September, accordingly. We will talk about whether there
13 will be a December meeting in the Year 2000.

14 DR. HOLLINGER: Thank you all very much.

15 The meeting is adjourned.


16 [Whereupon, at 1:13 p.m., the meeting was
17 adjourned.]

18

- - -

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in black ink and is positioned above a solid horizontal line.

ALICE TOIGO