

*Official Transcript of Proceedings*

*NEAL R. GROSS AND CO. M.M.P.P.O.N*

Title: Advisory Committee on Nuclear Waste  
188th Meeting

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Wednesday, April 9, 2008

Work Order No.: NRC-2116

Pages 1-201

**NEAL R. GROSS AND CO., INC.**  
**Court Reporters and Transcribers**  
**1323 Rhode Island Avenue, N.W.**  
**Washington, D.C. 20005**  
**(202) 234-4433**

1 UNITED STATES OF AMERICA

2 NUCLEAR REGULATORY COMMISSION

3 + + + + +

4 188TH MEETING

5 ADVISORY COMMITTEE ON NUCLEAR WASTE AND MATERIALS

6 (ACNW&M)

7 + + + + +

8 WEDNESDAY

9 APRIL 9TH, 2008

10 + + + + +

11 ROCKVILLE, MARYLAND

12 + + + + +

13  
14 The Advisory Committee met at the Nuclear  
15 Regulatory Commission, Two White Flint North, Room  
16 T2B3, 11545 Rockville Pike, at 8:30 a.m., Dr. Michael  
17 Ryan, Chairman, presiding.

18  
19  
20 COMMITTEE MEMBERS:

21 MICHAEL T. RYAN, Chairman

22 ALLEN G. CROFT, Vice-Chairman

23 JAMES H. CLARKE, Member

24 RUTH F. WEINER, Member

25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 PANEL MEMBERS PRESENT:

2 MARY HELEN BARCELLOS-HOFF,

3 Lawrence Berkeley Laboratory

4 BERNARD LE GUEN, Electricite de France

5 JAMES K. HAMMITT,

6 Harvard School of Public Health

7 VINCENT HOLAHAN, NRC RES

8 CHARLES LAND, National Cancer Institute

9 KENNETH MOSSMAN, AZ State Laboratory

10 JEROME PUSKIN, EPA

11 THOMAS TENFORDE, NCRD

12

13

14

15

16

17

18

19

20

21

22

23

24

25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

I-N-D-E-X

<u>WITNESSES :</u>	<u>PAGE</u>
JAMES HAMMITT	6
JEROME PUSKIN	43
VINCENT HOLAHAN	76

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1  
2 P-R-O-C-E-E-D-I-N-G-S

3 8:38 a.m.

4 CHAIRMAN RYAN: I'll go ahead and get  
5 started, please, so the meeting will come to order.  
6 This is the second day of the 188<sup>th</sup> meeting of the  
7 Advisory Committee on Nuclear Waste and Materials.  
8 During today's meeting, the Committee will continue  
9 with the working group on the effects of low radiation  
10 doses. At the end of the day the Committee will  
11 consider and discuss ACNNW letter reports on other  
12 topics.

13 This meeting is being conducted in  
14 accordance with the provisions of the Federal Advisory  
15 Committee Act. Neil Coleman is the designated federal  
16 official for today's session. We have received no  
17 written comments or requests for time to make oral  
18 statements from members of the public regarding  
19 today's sessions. Should anyone wish to address the  
20 Committee, please, make your wishes known to one of  
21 the Committee staff.

22 I believe we have the bridge line open,  
23 Mr. Brown? So the bridge line is open if callers want  
24 to call in. We'll have them announce as they arrive.

25 It's requested that speakers use one of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the microphones, identify themselves, and speak with  
2 sufficient clarity and volume so they can be readily  
3 heard. It's also requested that if you have cell  
4 phones or pagers that you kindly turn them off at this  
5 time.

6 Feedback forms are available at the back  
7 of the room for anyone who would like to provide us  
8 with his or comments about the meeting.

9 Thank you all very much.

10 Our session today will build on the  
11 activities that we had yesterday. We have three  
12 presentations schedule. One, first, by Professor  
13 James Hammitt, from the Harvard School of Public  
14 Health, on an economic perspective on regulatory  
15 decision making, benefit versus cost on the linear and  
16 nonlinear models. We're interested in that topic.

17 Dr. Jerry Puskin, from the United States  
18 Environmental Protection Agency, will give the U.S.  
19 EPA perspectives. And Dr. Vince Holahan, from the  
20 U.S. Nuclear Regulatory Commission staff, will off the  
21 NRC staff perspectives. That will be the morning  
22 session.

23 We will have a lunch break and then a  
24 panel discussion among all participants from both days  
25 for a time and then some time is allotted for any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stakeholder's views, comments, or perspectives that  
2 will be offered at the end of the day. Then we'll  
3 close somewhere around 4:00.

4 So, without further ado, let me turn the  
5 microphone over to you, Professor Hammitt. Welcome  
6 and thanks for being with us.

7 PROFESSOR HAMMITT: Thank you.

8 CHAIRMAN RYAN: I guess we can get you  
9 right up front.

10 PROFESSOR HAMMITT: Up here?

11 CHAIRMAN RYAN: Yes, that's fine.

12 PROFESSOR HAMMITT: I'm glad to be here  
13 and disappointed to have missed yesterday's  
14 discussions. I was hoping to learn a lot from that.

15 So what I'm going to do today is talk  
16 about sort of an introduction, and for many of you a  
17 review, of the basic economic perspective on decision  
18 making with regard to risks. And then I'm going to  
19 illustrate with several contexts for the discussion,  
20 building up from the very simple case where we're  
21 making decisions for a single individual and we know  
22 the exposure response function to the more complicated  
23 situations where we're making decisions for a  
24 population and we don't know the exposure response  
25 function, which is, of course, more realistic, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then illustrate with a simple example involving radon  
2 and drinking water.

3 The objective of economic decision making,  
4 our economics assumes the objective of decision making  
5 is to maximize well being, and individual well being  
6 depends, of course, on health, but on other things we  
7 care about, education, housing, food, entertainment,  
8 many others. The objective from an economic  
9 perspective in setting exposure level, for example to  
10 radiation or something else, is both to minimize the  
11 harm and/or maximize health benefit and also to  
12 minimize control costs.

13 So this requires inherently that we're  
14 making tradeoffs between smaller risk of harm and  
15 greater control costs so you have to face up to the  
16 tradeoff of what incremental control costs justifies  
17 what level of reduction in health risks. You have to  
18 compare the benefits of better health to lower health  
19 risks with the costs of control.

20 And the way this is done is to put a  
21 monetary value on risk production or health  
22 improvement, and that monetary value is often  
23 described as willingness to pay for the improvement  
24 and it is defined as if somebody pays money to have a  
25 smaller health risk, that's money he could have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 otherwise used for other purposes so he's foregoing  
2 other things he cares about, that are housing or  
3 whatever, and so the maximum value of those foregone  
4 alternatives is the willingness to pay for the health  
5 improvement.

6 In choosing regulation for population, the  
7 general framework is to try and maximize the sum of  
8 benefits minus costs where the health benefit can be  
9 calculated as the product of the number of people  
10 affected by the regulation times their average  
11 willingness to pay for the individual risk reduction  
12 each faces. And often this is done in a short hand of  
13 the expected reduction in the number of cases of  
14 cancer or premature fatality multiplied by the value  
15 per statistical case.

16 So if willingness to pay is proportional  
17 to the reduction in the probability of harm, as it  
18 should be under most theories, then you can have  
19 either many people paying a small amount for a small  
20 risk reduction or you can -- mathematically that's the  
21 same thing as a value for each case avoided times a  
22 large value for each case.

23 What I'm going to do just to focus ideas  
24 is focus mostly on the contrast between a linear  
25 no-threshold model and hormetic dose response exposure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 response function. And the thing that's really  
2 critical here is if, as in the usual case, we have  
3 data on exposures at some relatively high level and we  
4 measure harm or probability of harm at that relatively  
5 high level, over on the right hand side of the screen,  
6 and we know that at no exposure there would be no  
7 harm. So we have an interpolation problem, but we  
8 can't observe harm or probability of harm in the range  
9 we care about.

10 And then on the hormetic function, I want  
11 to define two points, what I call  $e_0$ .  $e_0$  is the  
12 exposure level where there's zero effect or the same  
13 health effect as there would be at zero exposure. And  
14 then  $e_M$  is the exposure level at which the health  
15 effect is minimized. And then of course a threshold  
16 exposure response function could be very similar to  
17 this hormetic line over this range and then simply  
18 flat over this interval.

19 But what I wanted to say is, if this is  
20 the case where we observe harm at this relatively high  
21 exposure level, are interpolating down to 00, then it  
22 must be the case for the hormetic exposure response  
23 function or a threshold response function the exposure  
24 response function is steeper in some range of  
25 exposures than the linear, and, of course, flatter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than the linear in other ranges of exposure.

2 So now the optimal exposure for an  
3 individual in the very simple case where we know  
4 exactly the exposure response functions just to fix  
5 ideas. In the linear case, I have in mind  $e_U$  as the  
6 uncontrolled exposure level. So at this level there  
7 is no control costs because we're doing nothing to  
8 control exposure, and there is some harm or  
9 probability of harm, and I'm measuring this in  
10 monetary units.

11 If we think of reducing exposure, the  
12 costs of control will rise and typically rise at an  
13 increase rate of the convex function of the exposure  
14 reduction, and the harm or probability of harm will  
15 fall at a linear rate under this linear model. So  
16 what we want to do is minimize the sum of control  
17 costs and expected harm, that's this line, and the  
18 exposure level that does that is what I've called  $e_L^*$ ,  
19 which is the minimum of this curved line.

20 With the hormetic exposure as Fonda's  
21 function, the analysis is the same. It's the same  
22 cost function, a different exposure response function.

23 If you sum those and find the minimum cost plus  
24 health harm point, it's this level  $e_H^*$ . And then if I  
25 combine those two graphs just for comparison, you can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 see the optimal control level is different under the  
2 two exposure response functions, logically enough.

3 In this case, the linear no-threshold  
4 model suggests more exposure reduction, a lower  
5 optimal exposure level than the hormetic response  
6 function, but that doesn't follow necessarily. It's  
7 just true in this illustration.

8 Another way to do this analysis is to  
9 think in terms of marginal or incremental benefits,  
10 meaning incremental reduction in health risk and  
11 increment cost. But here, again, now I have this  
12 marginal, think of derivative. The comments always  
13 say marginal when they mean incremental or derivative  
14 or slope, marginal harm, marginal cost and exposure.

15 So starting at the uncontrolled exposure  
16 level again, there is zero cost of control, and  
17 because the cost function was becoming increasingly  
18 steep as we reduced exposure more and more, the  
19 incremental cost of more stringent control is rising.

20 And in a linear model, the incremental benefit of  
21 reducing exposure is constant. The linear exposure  
22 response function has a constant slope.

23 So if you start out here at the  
24 uncontrolled level, the incremental benefit from  
25 reducing exposure a little bit is much larger than the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incremental cost. So it would be a good idea to  
2 reduce exposure until you get to some point where  
3 they're about equal or exactly equal. If you go  
4 beyond that point, the incremental cost incurred  
5 through more stringent control exceeds the incremental  
6 benefit in terms of reduced risk, and so that would be  
7 excessive control. So, again, the way to identify  
8 this optimal exposure level is where the marginal  
9 benefit and marginal cost curves intersect.

10 Same analysis for the hormetic response  
11 function. And here, you see this is higher than in  
12 the linear case because, remember, at the high  
13 exposure levels the exposure response function has to  
14 be steeper than the linear curve. At some point, I  
15 guess this is what I called  $e_M$  before, the slope of the  
16 hormetic exposure response function is zero. So the  
17 marginal benefit of incrementally reducing exposure  
18 around this level is about zero. Down in this region,  
19 this is where the exposure response is downward  
20 sloping. So reductions in exposure would be harmful  
21 in a health perspective.

22 And so the optimal exposure levels where  
23 marginal benefit and marginal cost intersect here, and  
24 put these together on the same graph, and, again, you  
25 see  $e_H$  at a higher exposure level than  $e_L^*$ . For this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 example, if I keep the exposure response functions  
2 exactly as shown here, but assume the cost and control  
3 and the marginal costs and control are higher, this  
4 dash line, now the optimal control level under the  
5 linear model is here,  $e_L^*$ , the optimal exposure level  
6 under the hormetic model is here,  $e_{H1}^*$ , and so you see  
7 the hormetic response function calls for more  
8 stringent regulation, larger exposure reductions than  
9 the linear model and that is because this is a  
10 situation where the incremental costs of control are  
11 pretty high so it's only worth controlling a little  
12 more when the incremental benefits are pretty.

13 And in this high exposure region the  
14 incremental benefits control are steeper under the  
15 hormetic than the linear model because the hormetic  
16 exposure response function, and similarly a threshold  
17 response function, are steeper at these high exposure  
18 levels.

19 CHAIRMAN RYAN: Just a second, Dr.  
20 Hammitt. My apologizes for interrupting, but we need  
21 to announce the caller.

22 Could the caller identify who you are,  
23 please?

24 MR. EHRLE: Lynn Howard Ehrle.

25 CHAIRMAN RYAN: I'm sorry. Say again?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. EHRLE: Lynn Howard Ehrle.

2 CHAIRMAN RYAN: Good morning. Thanks for  
3 joining us. Dr. Hammitt?

4 PROFESSOR HAMMITT: Thank you. So now  
5 let's go to a slightly harder and slightly more  
6 realistic problem. A decision again for an  
7 individual, but we don't know exactly what the  
8 exposure response function is. And here the standard  
9 economic decision theoretic perspective would be to  
10 assign probabilities to the different possible truths  
11 about what the exposure response function is, and then  
12 use that to calculate expected harm, so the harm  
13 conditional -- here, let's assume the exposure  
14 response function might be either the linear or the  
15 specific hormetic function I showed in the previous  
16 graphs, we think there's a probability  $p$  that the  
17 linear model is most accurate. A complimentary  
18 probability, the hormetic model, is most appropriate.

19 The expected harm is just  $p$  times the harm  
20 if the linear model is right, plus  $1 - p$  times the  
21 harm if the hormetic model is right. Obviously,  
22 estimating these probabilities is not easy, but,  
23 conceptually, this is what one would want to do and  
24 there are practical methods for estimating these kinds  
25 of probabilities.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The expected marginal benefit is just  $p$   
2 times the expected marginal benefit in the linear  
3 case, and so forth. And then the optimal exposure  
4 level will be between the linear and hormetic  
5 solutions. It's going to be some sort of a weighted  
6 average of the two. The weight obviously depends on  
7 what the probabilities are assigned to the two  
8 exposure models and, also, the marginal harms of the  
9 alternative models.

10           So here is the graph I already showed with  
11 the marginal benefit of exposure reduction under the  
12 hormetic and linear models, the marginal costs, and  
13 the optimal exposure levels conditional on each model  
14 being accurate. This line, now, is the expected  
15 marginal harm in the case where we assign probability  
16 0.3 to the linear model being correct and probability  
17 0.7 to the hormetic model being correct.

18           So this line is always between the two and  
19 it'll be roughly twice as far from the linear model as  
20 the hormetic model for this value of  $p$ . And so the  
21 point where the expected marginal benefits are equal  
22 to the marginal costs is  $e^*$  between the two models,  
23 the two exposure levels that are optimal in the case  
24 where we know exposure response function for sure.

25           So as that last graph shows, what's really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 critical is the slope of the exposure response  
2 function, the marginal benefit, the marginal health  
3 risk reduction associated with reducing exposure. So  
4 the question is, how similar is the slope of either a  
5 threshold or a hormetic exposure response function to  
6 the linear model?

7 Well, we don't know in general, but one  
8 thing we can say is that think of the average slope of  
9 the hormetic exposure response function -- I mean  
10 threshold function between the uncontrolled level and  
11 this level  $e_0$ , which is either the threshold or the  
12 level at which there is no harm under the hormetic  
13 model. And the average slope of the hormetic function  
14 will be equal to the slope of the linear model divided  
15 by this number.

16 So think about if  $e_0$  is very, very small  
17 compared with the uncontrolled level  $e_U$ , this fraction  
18 is close to zero, so we're dividing by something close  
19 to one, so the average slopes will be roughly equal.  
20 And in that situation, uncertainty about whether  
21 there's a threshold or not doesn't really matter  
22 because it doesn't affect the slope of the exposure  
23 response function in the region that may be condition  
24 on costs being high enough such that the optimal  
25 control level is in this region higher than  $e_0$ .

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Contrast if  $e_0$  is pretty large, compared  
2 with the uncontrolled level, this fraction can never  
3 be bigger than one, but it could approach one. And so  
4 we would be dividing by one minus something close to  
5 one, and so the average slope of the hormetic response  
6 function would be much steeper than of the linear  
7 response function. And then it might, uncertain about  
8 which exposure response function is accurate, could  
9 have a big effect on the implied optimal degree of  
10 exposure.

11 And then, of course, if the exposure is  
12 smaller than  $e_0$ , then with a threshold case we're on  
13 the flat of the curve; with a hormetic case we may be  
14 in an area where reducing exposure is even harmful to  
15 people. In that region, knowing which exposure  
16 response function is accurate is clearly critical to  
17 knowing what exposure level is appropriate.

18 So the real problem we have is a  
19 population level decision where both the exposure  
20 levels and the exposure response functions may differ  
21 between individuals. Also, they are uncertain. We  
22 don't know exactly the exposure response function. We  
23 don't know exactly any individual's exposure.

24 And one implication of this is we can't  
25 write a rule that will ensure the optimal exposure for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 every person. Now, the social choice problem of  
2 balancing benefits to some people against harms are  
3 foregone benefits that we could have provided to other  
4 people instead.

5 Under a standard economic perspective,  
6 economists assume there is no objective way to compare  
7 changes in well being between people, so we can't say  
8 objectively who suffers more from a certain disease  
9 or, you know, who bears more pain. So the kind of  
10 minimal idea that's accepted is the idea of Pareto  
11 improvement. If we can have a policy change that  
12 helps some people and hurts no people, that's defined  
13 as a Pareto improvement and we, more or less, all  
14 agree that that's a good thing.

15 The caveat there would be it could  
16 increase inequality. So something that improves the  
17 well being of the very wealthiest, something that  
18 improves the well being of Bill Gates had has no  
19 effect on anybody else in the country would count as a  
20 Pareto improvement even though lots of people in the  
21 country might think that's a bad thing socially.

22 (Laughter.)

23 So that doesn't get us far. We're rarely  
24 in a situation where we can help some people and at  
25 least forego helping others instead.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So benefit cost analysis tries to identify  
2 what are called potential Pareto improvements. And a  
3 potential Pareto improvement is defined as a situation  
4 where the people who benefit benefit enough such that  
5 they could, in principle, pay monetary compensation to  
6 the people who are harmed. And after the compensation  
7 was paid everybody would consider themselves better  
8 off with the policy change and the compensation paid  
9 or received then without.

10           And so we talk about the Kaldor-Hicks  
11 compensation test as just the test for whether a  
12 change is a potential Pareto improvement, and the way  
13 this is done is you add the monetary value of the  
14 benefits across the people who benefit from a change,  
15 add the monetary value of the harms across the people  
16 who are harmed; if total benefits exceed total costs,  
17 then, in principle, compensation could be paid such  
18 that everybody would perceive themselves as being better  
19 off. So that's the logic behind the benefit cost  
20 test.

21           Why is that a reasonable thing to do when  
22 this compensation is purely hypothetical; we're not  
23 suggesting it be paid? Well, there are two arguments.

24           One argument is that if we make many  
25 decisions over time using principles like this, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people who gain in each particular case will not be  
2 the same. And so, in the long run, we, as a society,  
3 will all be better off making decisions on this basis  
4 rather than some other basis. And there's hand  
5 waving here because what is the alternative basis on  
6 which we'd make these decisions? It's not clear.

7 One thing to say is benefit cost analysis  
8 at least counts the preferences of everybody in the  
9 population. So, in that sense, it's more populous and  
10 egalitarian than something where just some elite  
11 decides or the classic politicians in the smoke-filled  
12 room decide in their own interests.

13 A better argument, I think, is that  
14 redistribution of resources can be handled more  
15 efficiently, more directly through means other than  
16 setting health regulations at a non-optimal level,  
17 things like tax programs, social transfers, and the  
18 like.

19 What I want to say here is, in calculating  
20 the population effect of some reduction in exposure,  
21 under the linear no-threshold model, we don't have to  
22 know anything about anybody's background exposure  
23 level because the incremental benefit of reducing  
24 exposure is the same regardless of the exposure level  
25 at which one starts. We know if we reduce everybody's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposure by x, everybody will get the same incremental  
2 benefit.

3 Under the hormetic model, because the  
4 slope depends on your total exposure level, it's much  
5 more complicated. People who are at high exposure, if  
6 we reduce their exposure a little bit, will benefit.  
7 People who are at very low exposure, if we reduce  
8 their exposure, will either not benefit; conceivably,  
9 they will even be harmed. So we need to know how the  
10 exposure reduction correlates with the baseline  
11 exposure across the population.

12 Let me illustrate now with an example,  
13 just very simplified, doing violence to lots of  
14 detail. But I developed this example because there  
15 was a regulatory assessment published, a draft  
16 regulatory assessment, published by EPA associated  
17 with regulating radon in drinking water. And here, as  
18 I'm sure probably all of you know, the primary  
19 exposure pathway is that radon volatilizes from the  
20 water into the air and is then inhaled. That's a more  
21 important exposure source than drinking the water  
22 apparently. And then this was a good example for me  
23 because Ken Bogen had published a couple of articles  
24 in which he estimated hormetic exposure response  
25 functions for radon and air and the risk of lung

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cancer.

2 So here the policy alternatives EPA was  
3 considering was to set a maximum contaminant level, or  
4 MCL, for community water systems. To estimate the  
5 benefits of different MCLs, what they did is estimated  
6 the distribution of radon levels in drinking water,  
7 calculate the reduction in radon in drinking water as  
8 a function of whichever MCL they chose, and then they  
9 estimated the change in indoor air concentration as  
10 10,000-fold smaller than the change in water  
11 concentration based on models and measurements of  
12 how, essentially, the effect of drinking water  
13 volatilizing into the air and then being breathed in.

14 So in this table, what I'm showing here is  
15 potential maximum contaminant levels and pCi/l, 4,000,  
16 2,0000, all the way down to zero. The population of  
17 people service by water systems with radon levels  
18 higher than each threshold, so 77,000 people, have  
19 drinking water with higher than 4,0000 pCi/l.

20 The population average concentration of  
21 radon is something higher than 4,000. I made up this  
22 5,000 actually. But what this table shows you is that  
23 average radon concentration for the people above each  
24 concentration level. So you see, for the people above  
25 the highest concentration level, the average radon

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concentration is quite high. For the people with any  
2 radon in their water, the average concentration is  
3 very low because most of the people have very low  
4 radon concentration in their water.

5 And then this shows the incremental  
6 reduction on average radon concentration water as a  
7 function of the MCL chosen. So for these high MCLs,  
8 there's a big reduction in exposure to the small  
9 number of people affected. For the lower MCLs,  
10 there's an, on average, small reduction, but applying  
11 to many, many more people. That is just obviously the  
12 distribution of radon drinking water is highly skewed.

13 This illustrates a graph from one of Ken  
14 Bogen's papers where this is his estimate of a  
15 hormetic exposure response function. I've  
16 superimposed his threshold exposure response function  
17 on that, and this is linear exposure response function  
18 with which he compared.

19 You see here the lowest point on the  
20 hormetic function is at a level of about 5 pCi/l.  
21 This is indoor air concentration now. It's a relative  
22 risk of lung cancer.

23 Now it turns out that only five percent of  
24 household levels have radon levels indoor exceeding  
25 the EPA action level of 4 pCi/l. Distribution of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 radon in indoor air, residentially, is roughly  
2 lognormal, geometric mean, geometric standard  
3 deviation, 98<sup>th</sup> percentile six-and-a-half. So almost  
4 everybody is in this region where their exposure level  
5 is close to 5, maybe even below 5. So under that  
6 specific hormetic exposure response function reducing  
7 exposure would be reducing a beneficial effect to  
8 these people.

9 And under the threshold function, reducing  
10 exposure would have no benefits to these people. So  
11 that, of course, makes the policy decision very simple  
12 if we believe either of those exposure response  
13 functions that no regulation would be justified  
14 because we're doing essentially no benefit and  
15 incurring costs.

16 So to make a more interesting problem I  
17 imagined some community with very high background  
18 radon in their air and, specifically, I'm assuming 25  
19 percent of the people have only 2 pCi/l, 25 percent  
20 have 5, 25 percent have 10, 25 percent have 15. And  
21 then relative slope of the hormetic exposure response  
22 function relative to that for the linear no-threshold  
23 model is for people at roughly the 5 exposure level,  
24 the hormetic function is flat, zero slope. People at  
25 lower exposure have a negative slope, so reducing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their exposure would be harmful. And then for people  
2 at high exposure, this function is steeper than the  
3 linear model, and the average is about 2. So on  
4 average where just seeing exposure to this population  
5 would help some people a lot, have no effect on  
6 others, hurt some people some, on average the total  
7 risk reduction would be twice as large as it would be  
8 under the linear model.

9           Then here I'm plotting -- should have  
10 reversed the X-axis on this -- but here, going from  
11 left to right, is increasing regulatory stringency  
12 reducing the MCL and the black curve is the costs.  
13 These increase at an increasing rate as expected. It  
14 turns out here the benefits under the linear model,  
15 the blue, and under the threshold model, the green,  
16 are almost exactly equal and that comes about, I guess  
17 you can see it here, under the threshold model this  
18 -2.8 becomes a zero. So we're averaging 001.8 and 2.4  
19 and the average of that is pretty close to 1 it turns  
20 out. And so that's why we get the linear no-threshold  
21 and the threshold model having roughly equal benefits  
22 of exposure reduction in this case.

23           Under the hormetic model, we have lower  
24 benefits because reducing exposure helps some people,  
25 but is harming others. So on that it's doing less

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 good.

2 This is here the total benefits under each  
3 model and the net benefits, benefits minus costs. And  
4 then I've highlighted the optimal control levels under  
5 the different models. Under the linear no-threshold  
6 model, a 1,000 pCi/l would be the optimal MCL. Under  
7 the hormetic function is a little bit less stringent.  
8 Under the threshold it happens to be a little more  
9 stringent.

10 Obviously, there's some kind of jumpiness  
11 in this because I just have different increments of  
12 control level. You'd want to do this better by having  
13 a more continuous function of the MCL.

14 Now, to deal with uncertainty about which  
15 exposure response function is correct, I said before  
16 what we want to do is calculate the expected benefits  
17 as the sum of the probability that each exposure  
18 response function is accurate times the harm if that  
19 response function is accurate. So here, for example,  
20 I'll put probability 0.6 on the linear model,  
21 probability 0.4 on the hormetic model, and probability  
22 zero on the threshold.

23 And there, again, we have total benefits,  
24 benefits minus costs under each model, so the linear  
25 no-threshold and the hormetic are the same as in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pervious charge, and then the expected benefits, the  
2 weighted average of these two, is here, and turns out  
3 in this case the optimal regulation would be the same  
4 as under the hormetic, the 2,000 pCi/l plus stringent  
5 and under the linear.

6 So just to conclude, the first point is  
7 economic evaluation can accommodate non-linear  
8 exposure response functions. There's no difficulty in  
9 principle. It's harder in practice because the  
10 incremental benefit of reducing exposure depends on  
11 the background exposure level of the people whose  
12 exposure is reduced. So you have to know the  
13 co-variation of background exposure and exposure  
14 reduction due to the regulation. Whereas, under the  
15 linear model, you don't need to know that.

16 Uncertainty about exposure response  
17 functions can be accommodated in principle by saying  
18 any of these might be true, and we assign  
19 probabilities which are a numerical statement of  
20 degree of belief in the truth of the model in this  
21 case to each and calculate the expected benefits.

22 So in a way that's just a generalization.  
23 When we say, you know, there's a risk of getting lung  
24 cancer from radon or something, in fact, an individual  
25 will either get lung cancer from radon or will not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So already we're dealing with that probability. And  
2 at the individual level maybe this is stochastic,  
3 maybe this is deterministic, who knows.

4 When we back up a level and say, well,  
5 we're not sure exactly what the slope of the exposure  
6 response function is or even what the shape of it is,  
7 that's just kind of another level of uncertainty that  
8 we can assign probabilities to the different potential  
9 outcomes and aggregate in that way.

10 And then, finally, the last point is while  
11 many people think that threshold and hormetic exposure  
12 response functions necessarily imply that less  
13 stringent regulation is appropriate than the linear  
14 model, if decisions are made on the basis of  
15 maximizing benefits minus costs, that is not  
16 necessarily true because these alternative anomaly  
17 models will tend to be steeper in some parts of the  
18 exposure region than a linear model. And in that  
19 region it will be appropriate to reduce exposure more  
20 than would be appropriate under the linear model.

21 Thank you.

22 CHAIRMAN RYAN: Thank you. Any questions  
23 or comments from the panel members?

24 (No response.)

25 DR. TENFORDE: May I ask, do you have any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opinions about the Cohen research on radon where he  
2 did county by county modeling of concentrations and  
3 concluded there was some apparent hormetic effect?

4 PROFESSOR HAMMITT: Yes. No, I don't want  
5 to put myself forward as having any great experience  
6 in the epidemiology or the estimation of these  
7 exposure response functions. My interest here was in  
8 showing if you know or if you thought you knew what  
9 the exposure response function was, what you would do  
10 with that in terms of decision making.

11 MR. MOSSMAN: Dr. Hammitt, you mentioned  
12 with the LNT theory that you really didn't have to  
13 know the total background exposure. It was  
14 incremental exposure that was important. And I'm  
15 assuming that that's based on your assumption that the  
16 origin 00 is a measured point and that you were  
17 interpolating. But, in fact, we don't know what 00  
18 is, and the reason why we don't know 00 is because we  
19 don't know the proportion of cancer incidents or  
20 cancer mortality that's attributable to natural  
21 background and natural background radiation is  
22 irreducible.

23 So, in fact, whatever you add, and  
24 particularly when you get at very, very small doses  
25 where the incremental dose is some significant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percentage of the natural background, becomes very  
2 difficult to identify what that value is. I  
3 understand what you are doing, but it may be a picky  
4 technical point, but the idea is is that 00 is not a  
5 truly measured point because you can't eliminate  
6 natural background to determine what actually is the  
7 cancer rate in the absence of radiation altogether.

8 PROFESSOR HAMMITT: Yes, but can we handle  
9 that by defining my axes as the origin is the natural  
10 exposure and cancer rate given the natural background  
11 exposure? And then I'm just talking about increasing  
12 the exposure of both natural background and increases  
13 in cancer risks above what it would be at the natural  
14 background.

15 MR. MOSSMAN: I suppose you could do that,  
16 but it doesn't completely eliminate the fundamental  
17 problem of understanding what the cancer rate is in  
18 the absence of radiation.

19 PROFESSOR HAMMITT: Right, right.

20 MR. MOSSMAN: I mean when we talk about --  
21 you know, frequently LNT is interpreted when I look at  
22 zero, I'm looking at the cancer rate in the absence of  
23 radiation, when, in fact, you're not. You're looking  
24 at cancer rate in the presence of whatever the natural  
25 background rate is.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 PROFESSOR HAMMITT: Right. And also in  
2 the presence of many other things that cause cancer.

3 MR. MOSSMAN: Right. And other things,  
4 right. But for smoking and other kinds of things, you  
5 can --

6 PROFESSOR HAMMITT: You can eliminate  
7 exposure.

8 MR. MOSSMAN: You can account for that.

9 PROFESSOR HAMMITT: Right, right.

10 MR. LE GUEN: This is a question about all  
11 compounding factors that you can have.

12 PROFESSOR HAMMITT: Yes. So doing the  
13 epidemiology and estimating these things is very  
14 difficult, I agree.

15 MR. LE GUEN: Yes.

16 MR. EHRLE: Mr. Chairman, I have a  
17 question for the doctor.

18 CHAIRMAN RYAN: Okay.

19 MR. EHRLE: And it is for the whole  
20 Committee. Why has this conference omitted a model  
21 that has been written about since 1990 and identified  
22 in Gofman's impressive book on low dose radiation that  
23 was compared favorably with BEIR V, and that is the  
24 super linear model. Ken Mossman skipped right over it  
25 in his delineation and citing of several models. He

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 omitted it. And now the conference has elevated the  
2 hormesis thesis to the same level as LNT and it's been  
3 subjected to numerous --

4 CHAIRMAN RYAN: Mr. Ehrle, that's a  
5 comment, not a question. Do you have a question?

6 MR. EHRLE: The question is, is there any  
7 way that you can deal with, objectively, the super  
8 linear or biphasic model?

9 CHAIRMAN RYAN: Okay. Does anybody want  
10 to answer that question?

11 PROFESSOR HAMMITT: I would say that in  
12 terms of economic analysis that can certainly be  
13 accommodated just like any other non-linear exposure  
14 response function. If you have a function and if  
15 you're willing to give some probability that it's  
16 valid, you would calculate the marginal benefits of  
17 exposure reduction under that function just as per all  
18 the other non-linear functions I showed.

19 MR. EHRLE: The reason I raise the  
20 issue --

21 CHAIRMAN RYAN: Mr. Ehrle --

22 MR. EHRLE: -- an opportunity to hear Tom  
23 Hay from Columbia who made this presentation at Mayo  
24 Clinic --

25 CHAIRMAN RYAN: Mr. Ehrle?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. EHRLE: -- to be up there --

2 CHAIRMAN RYAN: Mr. Ehrle?

3 MR. EHRLE: Yes.

4 CHAIRMAN RYAN: I'm sorry, but I'm going  
5 to have to ask you to hold your comments until the  
6 comment period later on, if you don't mind?

7 MR. EHRLE: Well, I doubt if I'll be here  
8 at that comment that and that's why I appreciate the  
9 opportunities to submit this query.

10 CHAIRMAN RYAN: Now is not the best time.  
11 If we have some time later in the morning, I'll  
12 certainly give you that time to make comments. But we  
13 need to press on to other questions.

14 MR. EHRLE: Okay. Thank you.

15 CHAIRMAN RYAN: Dr. Weiner, have you got a  
16 question?

17 DR. WEINER: Thank you. First, a comment.  
18 I don't know if you're aware of there is a recent  
19 paper by Thompson et. al. in I believe it's the next  
20 to last issue of *Health Physics* where he actually  
21 demonstrates the hormetic effect. It would be  
22 interesting to compare your thing.

23 PROFESSOR HAMMITT: Yes.

24 DR. WEINER: But my question is, how does  
25 the notion of perceived harm figure into this, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when you have perceived harm, then the effect and the  
2 costs are no longer independent, or could be no longer  
3 independent?

4 PROFESSOR HAMMITT: Yes. Well, that is I  
5 think the central problem of health and environmental  
6 decision making and decision making under uncertainty.

7 So from the economic perspective, well being is  
8 defined and assessed by individuals. So you can't  
9 tell me that in my preferences over health states and  
10 health risks should be determinative in principle.

11 But there is huge amounts of evidence that  
12 all of us don't understand probabilities very well,  
13 make all kinds of inconsistent decisions in the face  
14 of probability and risk. So some of those  
15 inconsistencies are clearly just mistakes, and if you  
16 point that out to me, I will say, you're right, I'm  
17 making a mistake, I was confused, you know, framing  
18 effects, things like that.

19 Some of them may not be mistakes, and  
20 sorting out which is which is critical. So in terms  
21 of -- I didn't really talk about this, but valuing  
22 health risk, we talked about value per statistical  
23 life and things like that. In principle, there's no  
24 reason why I could not have, for myself, a different  
25 value of statistical life or a different willingness

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to pay for a probability reduction associated with  
2 different causes of death, you know, car crash,  
3 radiation exposure, terrorist incident, all kinds of  
4 things. There's nothing incoherent about that because  
5 those ways of dying are different and that might  
6 matter to me. I might be willing to spend more money  
7 to reduce one risk than another.

8 But, because we're not very good at  
9 dealing with probabilities and small probabilities and  
10 numbers in general, when you do surveys of willingness  
11 to pay and you ask maybe two different sets of people,  
12 what would you pay to reduce your chance of dying this  
13 year by 1:10,000, in a different group, what would you  
14 pay by 2:10,000, in theory you should get numbers that  
15 differ by a factor of 2 or very, very close to that.

16 Often you'll get numbers that differ by  
17 not at all or by 1.3, or something like that. So if  
18 you take those as valid responses, that says people  
19 would be willing to pay something for a 1:10,000 risk  
20 reduction but much less for another 1:10,000 risk  
21 reduction.

22 Do people really believe that? I don't  
23 think so. I think that's confusion.

24 Another version of that is we tend to like  
25 the idea that we could eliminate a risk, we could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eliminate the risk of lung cancer from radiation,  
2 let's say. But given that we have faced many other  
3 risks, why is it important to drive this one all the  
4 way to zero as opposed to reducing some others more?

5 So I think it's very important to focus on  
6 the probability of reduction and harm and reflect on  
7 that and help people reflect on that and how much they  
8 really care about these other attributes, whether it's  
9 radiation or a car crash, or something else.

10 DR. WEINER: How do you extend that to a  
11 population? Because if you looked at the Tengs report  
12 of some years ago, the differing cost --

13 PROFESSOR HAMMITT: -- life saving?

14 DR. WEINER: Yes.

15 PROFESSOR HAMMITT: So I think, by and  
16 large, because we're not good at dealing with numbers,  
17 we often don't even know the numbers. We base our  
18 judgments much more on the things we can understand,  
19 things like perceived control ability and  
20 voluntariness, and dread factor large in people's  
21 judgments about risks. But if people reflect more, I  
22 think those factors become less important and the  
23 quantitative probability becomes more important.

24 DR. WEINER: Thank you.

25 CHAIRMAN RYAN: Dr. Clarke?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CLARKE: Nothing at this time.

2 CHAIRMAN RYAN: Dr. Land?

3 DR. LAND: I love this stuff that you're  
4 giving. I was just wondering, how does it sell as a  
5 way of influencing public opinion, public regulatory  
6 behavior, and so forth? Is it accepted?

7 PROFESSOR HAMMITT: Well, yes and no. So  
8 often when people learn a little bit about it, I mean  
9 it's basically common sense, right? We're making  
10 tradeoffs all the time whether we buy something, how  
11 much do we think it will give us pleasure, or  
12 whatever; what are we giving up by buying this instead  
13 of something else? So that's easily accepted.

14 In the U.S. government you probably know  
15 when many agencies write regulations they have to have  
16 a formal regulatory impact assessment, a regulatory  
17 assessment, which is basically doing this stuff.  
18 That's required by executive orders going back a  
19 couple of decades now.

20 There is certainly a community of  
21 activists and of scholars who reject a lot of this,  
22 but they don't, in my view, have any very compelling  
23 way to tell us what to do, how to make decisions other  
24 than this. They tend to talk about, well, let's have  
25 more discussion and things like that, which, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly could be helpful. I think it's pretty  
2 accepted, but, as you know better than I probably,  
3 real decisions are based on many, many factors,  
4 including some narrower political things. So how much  
5 effect this really has is hard to know.

6 MR. MOSSMAN: It would seem to me that,  
7 following up on Dr. Land's comment, that an important  
8 consideration is this notion that we have the capacity  
9 to do something. In other words, if you look at the  
10 history of radon regulation, you know the 4 pCi/l,  
11 where did that come from? It didn't come from a  
12 systematic evaluation of risk. It was before that.

13 And where it really came from was from the  
14 Colorado plateau and a determination of what was  
15 technically feasible, what could we get down to and it  
16 wouldn't cost an arm and a leg to do it. And so we  
17 just select 4 pCi/l, and so now we're scrambling  
18 around to be able to defend that in a scientific and  
19 an epidemiologic sense, which is fine, but it was  
20 always curious to me that that seems to be a major  
21 driver.

22 Why, in waste management, are we always  
23 trying to get down to zero? Because we've got the  
24 technical capacity to do it. And, you know, that, to  
25 me, is a major issue and it goes to the heart I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of a lot of what you're talking about that sometimes  
2 these decisions are not done with any systematic,  
3 rational kind of way that, you know, if we can do it,  
4 then we ought to do it.

5 CHAIRMAN RYAN: Ken, there's another good  
6 example if I could add to the question, and that is  
7 that very often we regulate real dose, obviously, and  
8 we also regulate the potential for a dose. Waste  
9 management is a real good example where we're  
10 regulating and setting requirements based on the  
11 possibility of some dose to some people at some  
12 distant future time without any realization of that  
13 risk.

14 So could you talk a little bit about how  
15 do you weight or value future risk versus real risk  
16 today? I mean smoking and radon will be a real risk  
17 today. Whereas, some of these other things where  
18 there's a potential for a dose, a hundred, or a  
19 thousand, or ten thousand or more years in the future,  
20 we're weighing that as well.

21 PROFESSOR HAMMITT: Let me separate a  
22 couple of things. The real risk from the possible  
23 risk, to me there's not really any bright line there.  
24 Everything can be quantified by probability, and  
25 while you take as a real risk means you and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 scientific community think there's a very, very high  
2 probability close to one that this exposure may cause  
3 cancer under these circumstances, or whatever. Or  
4 it's a possible risk like the idea that there is  
5 stored waste and it will only harm people conditional  
6 on getting out and people getting exposed to it.

7 This is a little bit more complicated to  
8 causal pathway. First, there has to be a release or  
9 people have to get into the site, or something, and  
10 then they might get exposed and then they might be  
11 harmed. So there's no real conceptual difference  
12 there I think that's important. The timing is -- so  
13 the question if it's a current risk is, what will  
14 people give up now in terms of foregone other benefits  
15 to reduce this risk to them or to people now?

16 In the future risk, what will people give  
17 up now in terms of reducing the risk to some future  
18 generation maybe far, far off in the future? And that  
19 I guess what economics could tell you is that in  
20 thinking about that question, you should think of all  
21 the things we can do that will affect the well being  
22 of these future generations and how effective is  
23 controlling radioactive waste relative to many other  
24 things and let's weigh the whole portfolio of them.

25 In terms of how much we should care about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 future people, economics probably doesn't have very  
2 much to say except most economists would sort of say,  
3 well, treat people equally. The fact that this is  
4 another generation has no real moral content relative  
5 to it being the current generation. And so I'll leave  
6 it at that.

7 It's sort of apropos Dr. Mossman's point.

8 I think the really critical thing the economic  
9 perspective brings that we all know, but often  
10 overlook, is that it's tradeoffs. You can always  
11 reduce some risks more. Some risks you can even  
12 eliminate. It's just by doing that you're spending  
13 your time and your resources that you could have used  
14 on other things that might have provided a larger  
15 total gain in mortality risk reduction or other things  
16 we care about.

17 DR. MOSSMAN: On that matter, if you look  
18 at countervailing risks, in other words, I apply some  
19 risk management strategy to the target risk, but at  
20 the same time I'm now introducing some new, perhaps  
21 unrelated risk. Is it simply a matter of again  
22 probabilities and cost analysis, as you've gone  
23 through, to include the possibility of a  
24 countervailing risk?

25 PROFESSOR HAMMITT: Yes, I think it is and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's an important point. The way our brains work,  
2 we kind of segment things and we identify some risk as  
3 of concern and we forget about all the other risks we  
4 could control and the countervailing risks. So if you  
5 think of the precautionary principle, the  
6 precautionary principle says when we're uncertain  
7 about the harm, we should be more cautious about it.  
8 So that's fine.

9 But what if actions to reduce this one  
10 harm increase the risk of other harms? Being  
11 precautionary against one entails, by necessity, being  
12 less precautionary against the countervailing risk.  
13 So which one do we take the precaution against?

14 CHAIRMAN RYAN: Anything else?

15 PROFESSOR HAMMITT: I think the only  
16 answer to that is kind of tradeoffs. How much do you  
17 think you're gaining in reducing one risk, increasing  
18 another? Is it worth it?

19 CHAIRMAN RYAN: And I think the judgment  
20 ultimately ends up on the certainty or uncertainty of  
21 what you know, what you're think you know.

22 PROFESSOR HAMMITT: Right. Just caution,  
23 I agree, but certainty and uncertainty are more of a  
24 continuous variable than a discrete one to me.

25 CHAIRMAN RYAN: Sure. With that, we're on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the schedule for a short break until 9:45. So, Dr.  
2 Hammitt, thank you for being with us today and we'll  
3 look forward to your participation for the rest of the  
4 day. We'll take a short break and reconvene at 9:45.

5 (Whereupon, the foregoing matter  
6 went off the record at 9:35 a.m.  
7 and went back on the record at  
8 9:50 a.m.)

9 CHAIRMAN RYAN: All right. If we could  
10 come to order, please, we'll begin our next  
11 presentation. Dr. Jerry Puskin from the Environmental  
12 Protection Agency. Good morning.

13 DR. PUSKIN: My talk is entitled EPA  
14 Perspective, but some of it of it's going to be my  
15 perspective I guess based on the work I do, which  
16 is --

17 MR. COCHRAN: This is Tom Cochran phoning  
18 in. Thank you.

19 CHAIRMAN RYAN: Good morning, Tom.

20 DR. PUSKIN: -- assessing health risk from  
21 ionizing radiation and I try to track all the  
22 literature and epidemiology and the radiation biology  
23 that bear on this. Let's go to the next.

24 The first slide is definitely EPA point of  
25 view though, why we use LNTs.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 DR. PUSKIN: First very good reason is  
3 it's the default assumption for EPA and for the  
4 federal government generally that this is something  
5 that is a carcinogen, that's clear, and it is also a  
6 mutagen. So it's guidance for the agency, for I  
7 believe IARC. It is OSTP guidance going back to the  
8 Reagan administration. It says when something's a  
9 mutagen and it's a carcinogen through that type of  
10 mechanism, that use in linear no-threshold. Also,  
11 that we have guidance from NCRP and ICRP and National  
12 Academy that specifically ionizing radiation to use  
13 LNT.

14 Well, right now, of course, we have to  
15 have some sort of model for extrapolating because the  
16 epidemiological studies have insufficient statistical  
17 power to test LNT down at the low doses we're  
18 interested in, which for EPA it's really usually your  
19 near background levels. And so far the biological  
20 research has not filled this gap, so we need to have  
21 some sort of model for extrapolating, and, as I said,  
22 we have this advice.

23 Now, I would particularly highlight the  
24 last one that the National Academy has said that the  
25 scientific weight of evidence still favors LNT.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Previous National Academy reports and NCRP reports,  
2 they always kind of hedge; they say, well, if LNT is  
3 not inconsistent with the data or something like that.

4 In this report, since we're spending so much money on  
5 it, we decided, well, we want more information now.  
6 We're want to say, given all the -- we know how far  
7 the epidemiology can take you, how far down it can  
8 take you.

9 What we want to know is, in light of the  
10 scientific evidence, what is the best way of  
11 extrapolating risk? Not from a policy standpoint,  
12 just, scientifically, in the judgment of this expert  
13 committee, what is the best scientific evidence? And  
14 they said, unequivocally, LNT. Now, that's a very  
15 powerful reason to use it at this point until that  
16 changes.

17 Scientific basis. First of all, both  
18 animal and human data on cancer generally is  
19 consistent with LNT. That is, as you reduce the dose,  
20 the incidence of cancer goes down linearly, whether  
21 you do animal studies or human studies, as far down as  
22 you can go until the statistical power is gone. So  
23 that's one reason.

24 Another is there is a scientific basis in  
25 the idea that there's a mechanism that electrons cause

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ionizations in the cell leading to damage of the DNA  
2 and that there's a good chance that some of this DNA  
3 will not be repaired properly. And we also, knowing  
4 the monoclonal origin of cancer, that a single  
5 mutation in a cell will increase the probability the  
6 cell will become malignant. Not that a single  
7 mutation is sufficient, but that it's one step in a  
8 process, but you increase the number of cells that can  
9 be transformed.

10 Now, this is a picture from Dudley  
11 Goodhead showing the pattern of ionizations. I'm  
12 going to talk mostly about low LET radiation because I  
13 think that's where the main interest is here and  
14 there's even more evidence I think for LNT for high  
15 LET.

16 But for low LET, while there's a -- on  
17 average the ionizations are further apart. When you  
18 get down to the ends of the electrons, as the  
19 electrons slow down, they produce clusters of  
20 ionization, and this is shown on a scale here, with  
21 where you see it, the distant, how they're distributed  
22 typically at the end of these tracks and with the same  
23 scale the DNA molecule. And you can see that this can  
24 produce rather complex damage: double strand breaks,  
25 which you see there in red, or green will be single

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 strand breaks; then you can get base damages, that  
2 sort of thing. So it's often possible to get a double  
3 strand break and -- or, two double strand breaks close  
4 together, a double strand break and a base change,  
5 this is not something that easily can happen with  
6 chemicals.

7 So there's the fact that this damage can  
8 be clustered creates much more complex damages, more  
9 difficult to repair, and that's why a threshold is  
10 very much less likely for ionized radiation. I know  
11 Dr. Le Guen said yesterday that this type of damage  
12 won't be repaired, cells just die, and I think in many  
13 cases that would occur. But I think this is generally  
14 thought to be the mechanism and I would say that for  
15 low LET radiation a substantial fraction of the energy  
16 is deposited at the ends of tracks like this.

17 What do we mean by a threshold? Normally,  
18 I guess strictly speaking, a threshold's defined as  
19 the radiation dose or dose rate below which you have  
20 no harm to anybody, even the most sensitive individual  
21 and the risk would be absolutely zero to everybody.

22 That's perhaps very unlikely. I'm going  
23 to relax the definition here and talk about a  
24 practical threshold, which means, really, just that  
25 LNT -- below some level of dose LNT greatly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 overestimates risk, that maybe there are just some  
2 sensitive people or maybe it's linear, but with a much  
3 lower slope than what we would extrapolate based on  
4 epidemiology. That might affect our regulations.

5 Or you might imagine that we could have  
6 something like hormesis, that below some dose,  
7 beneficial effects, you might still get some cancers  
8 caused by radiation, but maybe the radiation prevents  
9 more cancers than it causes or it prevents many more  
10 heart attacks than it does cancers, or whatever, but  
11 that the net health benefit might be beneficial.

12 Is there a low dose threshold?  
13 Epidemiology is generally, generally sensitive down to  
14 about 100 mGy low LET. People could argue a factor of  
15 2 up or down from that based on the A-bomb survivor  
16 data. You can't really get much lower than that  
17 because the risk is just too small and you don't ever  
18 have enough people.

19 Well, you can recognize that from natural  
20 background radiation you get, over a life time, about  
21 75 mGy of low LET radiation, and we get additional  
22 exposures from medical and so for. So in terms of  
23 life time dose, there's really not much of an  
24 extrapolation. It's just 100 mGy that -- if we get  
25 75 mGy from natural background and we know there's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risk at 75 plus 100, since the A-bomb survivors got 75  
2 plus 100, you know there's a risk at 175, we're  
3 interested in is there a risk at 75. That's not much  
4 of an extrapolation.

5 If that were the case I guess we'd be  
6 done. The fact is there is a big extrapolation  
7 because the difference is that in life span study, the  
8 A-bomb survivors received all their dose, essentially,  
9 instantaneously, or at least over a few minutes. So  
10 they got about 100 tracks per cell nucleus in a very  
11 short period of time. And we're interested in natural  
12 background rates, which is one or two tracks per cell  
13 nucleus per year. So in that sense there is a huge  
14 extrapolation.

15 If there is a threshold, it's most likely  
16 one dose rate, or the way I'd like to think about it  
17 more is some dose increment over some critical time  
18 period. So it might be, let's say, the time for DNA  
19 repair is typically a few hours. So what matters is  
20 how can you, as long as it's there, you get more than  
21 a certain amount of dose in that time period there  
22 could be a threshold let's say. Maybe that's the  
23 wrong time period. Maybe what matters is time for  
24 cell division, which would be weeks maybe, depending  
25 on the type of cells.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Right now we know that there's these  
2 various low dose phenomena which might modulate the  
3 risk at low doses and normally you -- these were  
4 already described by Dr. Le Guen and Dr. Barcellos-  
5 Hoff. Some of these could be beneficial. Some of  
6 them could be harmful. I guess I would even -- I've  
7 indicated that by with a plus that this is potentially  
8 protective. Normally you would think of the adaptive  
9 response that way as being protective, but it's not --  
10 some of these aren't too clear.

11 Let's take the bystander effect. There's  
12 a case where we -- presumably, when you get up to  
13 doses where all the cells are hit, the bystander  
14 effect is going to be less important than those direct  
15 hits. That's at least the theory. Below that, the  
16 bystander effects might be dominate. But the  
17 bystander effect would be either harmful by causing a  
18 mutation in a nearby cell, or it could be protective  
19 either by inducing the adaptive responses in a  
20 neighboring cell or killing off transformed cells as  
21 there is some data to suggest.

22 Genomic instability, I said, is harmful.  
23 Actually, I'm not even sure that's the case  
24 necessarily. It could be -- it's really more a matter  
25 of which of these mechanisms are operative at very low

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doses as compared to higher doses. So, in fact, I  
2 guess genomic instability, while it's a bad thing to  
3 happen, I guess if it happens at high does, not low  
4 does you could think of it as protective -- not  
5 protective, but it would give you a hormetic dose  
6 response.

7 The same for low dose hypersensitivity, we  
8 know that at very low doses cells are more readily  
9 killed. That could be a good thing if it kills off  
10 cells that are transformed. It could be a bad thing  
11 if it leads to mutations.

12 Another thing, though, is there are types  
13 of hormesis that aren't even covered here, like just  
14 kind of a general effect, you know. I think of  
15 exercise. If you exercise, you know, you go out and  
16 you use all kinds of free radicals, tear down your  
17 macromolecules and all this kind of thing, and, yet,  
18 the general effect on the body is beneficial.

19 Now, you might think, well, maybe  
20 radiation works that way too, you know, kind of just  
21 an overall stimulus to your system? I think I would  
22 argue that's unlikely, but I think some people are  
23 thinking in those terms. Or it could stimulate an  
24 immune response let's say again, perhaps unlikely, but  
25 possible.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Well, one thing I would say, which  
2 radiation biologists maybe don't like the sound of  
3 this, epidemiology trumps radiobiology. Where we  
4 actually have the epidemiology data, I mean you've got  
5 to think, well, no matter what the experiments on  
6 cells show, if increased radiation leads to increased  
7 rates of cancer, you've got to think that takes  
8 precedence.

9 Or putting it another way is that if we  
10 show that there's these kind of protective effects in  
11 tissues, and so forth, before we would want to apply  
12 it to human risk estimation, I think we'd want to show  
13 that these mechanisms would operate in humans in a way  
14 that would actually modulate the risk. So, yes, you  
15 might not be able to -- as I say, you probably can  
16 never get down to -- you can never do an  
17 epidemiological study at natural background levels and  
18 see an excess risk I don't think, or it's going to be  
19 very, very hard.

20 However, you might be able to, if you  
21 understood the mechanism well enough based on cells,  
22 you might be able to look for some kinds of changes in  
23 the cells of people to say, yes, we can see all the  
24 damage is repaired or we can actually see these  
25 beneficial changes in the tissues, so we can really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have confidence that radiation risks are lower than  
2 would be projected from epidemiology. So I think we  
3 would need that step before we could make the changes.

4 Well, contrary to a lot of assertions you  
5 see, there is epidemiological evidence for risks below  
6 100 mSv or 100 mGy low LET. And Dr. Mossman nicely  
7 summarized the first one yesterday that prenatal  
8 x-rays at about 5 or 10 mGy led to increases in  
9 childhood cancer. Now, I had some of the same  
10 problems with it as Ken does. I mean this is one very  
11 small part of the population, so, even if it's true,  
12 it doesn't really affect the population risks very  
13 much.

14 Secondly, it's not seen in the atomic bomb  
15 survivors where you might have expected to see it, and  
16 it's a rather small effect. But I would point out  
17 that the dose -- but you do see a positive dose  
18 response, which is one of the very strongest evidence  
19 that it's a real effect, and the other thing I'd say  
20 is these are x-rays rather than gamma rays.

21 What's the difference? Well, for gamma  
22 rays, as I said before, at 100 mGy, we were seeing  
23 around 100 tracks per cell nucleus. Here, because  
24 they're x-rays, they're actually fewer electron tracks  
25 for a given dose. So it turns out that 5 mGy of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 x-rays, you're really getting down to very close to  
2 about one or two tracks per cell, and so we really  
3 have evidence here for a finite risk down to nearly  
4 one track per cell.

5 If you believe this resolve, if you're  
6 going to look for a threshold, we're only going to  
7 have to look between natural background and one track  
8 per cell. So that's going to be a very special  
9 mechanism. It doesn't work -- it doesn't occur one  
10 track per cell, but it's occurring below that.

11 Two other examples, though, are ones where  
12 -- by the way, why is that you can see this? I just  
13 said that you couldn't get down below 100 mGy. The  
14 reason you can here is this is a very large  
15 population, and the other thing is that you're looking  
16 at childhood cancers, which are very rare. So you  
17 have a lot of more statistical power than you could  
18 for just whole body radiation of the population.

19 For two other populations, we have data  
20 where the individual doses are very small. As I said,  
21 I thought what really matters is probably the dose  
22 over a short time period. We have two groups of  
23 patients who were followed in their treatments,  
24 tuberculosis patients. They were fluoroscoped  
25 periodically every couple of weeks or so. Scoliosis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients, their treatment was being monitored to see  
2 the changes in their spine.

3 And we're particularly interested in  
4 hearing the female patients who received fractionated  
5 doses, that is, so at any one time they only received  
6 a few mGy, less than 10 mGy, but they received  
7 repeated, up to 100 or so fractions. So the total  
8 dose was large enough to cause a measurable increase  
9 in cancer even though the individual doses were very  
10 small.

11 In both these groups they saw an increase  
12 in breast cancer. Now, again, breast cancer rate is  
13 a special case. It's possible, but it's certainly a  
14 very important one since we have a lot of young women  
15 who might be susceptible. It appears that, again,  
16 just a few tracks per cell nucleus could -- this  
17 provides evidence that that can cause breast cancer.

18 And then still in other cases, tinea  
19 capitis group who were irradiated for ring worm in  
20 Israel and they got slightly higher dose, 17 mGy,  
21 which is still pretty low, and that saw an increase in  
22 thyroid cancer in that group. So that's another type  
23 of cancer.

24 But, again, both these cancers are  
25 hormonal. We can't say that it applies to everything,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 but this is pretty strong evidence that -- one other  
2 thing, not only did these tuberculosis patients get  
3 breast cancer, they got it at about -- the risk per  
4 unit dose about the same as in the A-bomb survivors.  
5 So that would say that LNT, even, goes down to the --  
6 not only is there not a threshold, but LNT works  
7 pretty well down to this type dose.

8 DR. BARCELLOS-HOFF: But that's  
9 cumulative, right?

10 DR. PUSKIN: What?

11 DR. BARCELLOS-HOFF: You required a  
12 cumulative dose?

13 DR. PUSKIN: Yes, right. But these  
14 individual tracks somehow caused cancer.

15 DR. BARCELLOS-HOFF: Were added --

16 DR. PUSKIN: Yes.

17 Well, can we go lower still? And I think  
18 there's some chance by looking at epidemiological  
19 studies of chronically exposed individuals where,  
20 again, you have to have enough total dose to see a  
21 cancer, but the dose over a day, a week, can be even  
22 smaller than what we saw in the fractionated dose.

23 Here are some populations that are  
24 chronically exposed. The nuclear workers is the one  
25 that immediately comes to mind and it's questionable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether this does have the statistical power because  
2 the doses are pretty low and there's potential  
3 confounding.

4 I would say what we really have out of the  
5 nuclear workers' study so far is that the risks that  
6 we're estimating for chronic radiation are not way  
7 low. We know that the LNT is not greatly under  
8 predicting the risk. You know, if the risks were ten  
9 times higher than what we project, I think you would  
10 have seen something, nuclear workers or some other  
11 studies. You'd probably also see increases of  
12 leukemia in Colorado and the rest of the country and  
13 things like that.

14 Some of these studies may not be useful.  
15 They all have problems. So far the first population  
16 hasn't really shown any clear indication of increased  
17 risk. The Mayak workers probably are not going to be  
18 very informative just because their doses are so high  
19 that even one day they get what those TB patients got,  
20 and they've got additional doses from medical, so  
21 their doses are extremely high of the order of 10 mGy  
22 a day.

23 The Semipalatinsk gives another one that's  
24 -- I don't want to discuss that one. But the two of  
25 them that are probably the most promising I think are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Techa River cohort and the occupants of the cobalt  
2 60 contaminated buildings in Taiwan, but both are --  
3 you know, they're still working out the dosimetry.  
4 The cobalt 60 population, the epidemiological followup  
5 is very short.

6           Interestingly, both of these studies show  
7 a statistically -- at this point, at least based on  
8 the current followup and the current dosimetry, both  
9 these studies show a statistically significant  
10 increase in both solid tumor cancer and leukemia.  
11 Again, this is probably down well below 1 mGy per day  
12 perhaps. I don't know. It's not too clear because  
13 the Taiwanese, for example, there's a big range of  
14 doses and they really haven't broken it down, dose  
15 rates.

16           And Techa River, there is also quite a  
17 range of doses, so more needs to be done. But the  
18 preliminary results suggest about the same risk per  
19 unit dose as the A-bomb survivors, suggesting the  
20 DDREF is not very super high, not ten or more, or  
21 something like that, and that there's not a threshold.

22         Now, that's sort of to the side.

23           But the risk principles I'd like to talk a  
24 little bit about how we apply these to standards. I  
25 don't know, from the introduction I got yesterday,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe this is less interesting than policy here. I  
2 think risk protection standards need to account for  
3 uncertainty, and, particularly as Dr. Land talked  
4 about yesterday, we have to ensure that we are not  
5 greatly underestimating the risk.

6 So if there is a reasonable probability,  
7 even if we think there is likely to be a threshold,  
8 even if there is a substantial probability there is  
9 not and that LNT is correct, even it were to say one  
10 chance in three, we would probably not be able to  
11 change our regulations. We would have to -- in order  
12 to protect, to make sure that everyone is -- that the  
13 bulk of the population is at a low risk level, we  
14 would still have to regulate radiation fairly  
15 stringently.

16 If we did get new signs and were really  
17 convinced -- or there was pretty good evidence that  
18 there was a threshold or hormesis, or something like  
19 that, at these very low dose levels, would we change  
20 our regulations?

21 Well, one thing, is suppose the risks went  
22 up substantially, a super linear dose response, based  
23 on past history, regulations are likely to get  
24 tightened if that were a very significant increase.  
25 If the opposite were true, if let's say we had strong

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evidence that there was a practical threshold, not a  
2 strict threshold, but let's say we've said, oh, risks  
3 are really ten times lower, 50 times lower at least,  
4 would we change the regulations?

5 The answer is maybe, maybe not. It would  
6 depend. It depends whether the statute would permit  
7 it and you would also have to say that there's a need.

8 Some people would say, oh, let's take the drinking  
9 water rates. Somebody might say, well, these are too  
10 stringent; the risks are really 50 times lower. Well,  
11 people would say, but everybody's meeting them; what's  
12 the compelling need to change them? So that would be  
13 the --

14 Before rejecting LNT I would say that EPA  
15 would want a scientific consensus as reflected in  
16 these kind of reports from National Academy, UNSCEAR,  
17 NCRP, and so forth, that we want a concurrence from  
18 our science advisory board. In fact, right now we are  
19 revising our risk estimates based on BIER VII  
20 primarily, and our changes are subject to science  
21 advisory board review. And they've already talked,  
22 weighed in a little bit on this issue. They wanted us  
23 to go beyond BEIR VII to some extent and acknowledge  
24 more of the uncertainty about the risk at low doses.  
25 Tony Brooks was on our advisory committee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We'd want acceptance from the other  
2 federal agencies I think, you know, try to -- I think  
3 we want as much consistency across the federal  
4 government as we can have. And we would also want a  
5 transparent, public process that people from the  
6 public would have a chance to criticize what we're  
7 doing and that we would have to consider and we would  
8 want our advisory board to consider any evidence that  
9 people would want to, at least make it clear that we  
10 do consider all the evidence from everywhere.

11 Well, if we did think there was a  
12 threshold, let's say, how might that affect  
13 regulations?

14 First of all, if the threshold is below  
15 natural background, it's not going to have any effect.

16 I mean nobody really cares if, okay, we get as I say  
17 1 mGy per year. If there's a threshold of 0.1 mGy per  
18 year, it doesn't really matter. That's not going to  
19 have any -- and remember, in case of radon, we're  
20 actually in this situation that for radon we already  
21 know that levels that people get from natural -- in  
22 their homes, indoor levels of radon that a lot of  
23 people get, has been shown with epidemiological  
24 studies that there's a increase in lung cancer.

25 Now, if there was a practical threshold

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 above background, they could perhaps change some  
2 regulations that are based directly on risk. One is  
3 the soil clean-up levels potentially. Another is the  
4 drinking water MCLs. I talked about the MCLs, about  
5 the compelling need.

6 But even more so, there's also a provision  
7 in the Safe Drinking Water Act amendments that says  
8 what they call no backsliding, that if you have a  
9 regulation and it's working and you now -- you cannot  
10 make the regulation more stringent -- less stringent,  
11 sorry, you cannot relax it unless, let's say you said,  
12 oh, it's really a strict threshold and there's no  
13 risk, in that case you could.

14 If it was a practical threshold, I think  
15 it's a gray area. I think if the risks were below  
16 1:1,000,000, which is where EPA normally doesn't  
17 regular below 1:1,000,000 maybe, but if the risk went  
18 from  $10^{-4}$  to  $10^{-5}$ , no backsliding regulation would say  
19 you really can't do anything about it. Now it might  
20 be that at that point Congress would say change that  
21 no backsliding regulation.

22 This is important because a lot of  
23 clean-up levels and things relating to waste disposal  
24 are tied to the Safe Drinking Water Act in terms of  
25 the MCLs for drinking water.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Well, issues in setting a threshold based  
2 standard, well, obviously, would be magnitude of the  
3 threshold dose or dose rate. The uncertainty and  
4 where that dose is, the uncertainty and how big the  
5 risk is below that level would have to be considered.

6 You would have to consider sensitive  
7 subpopulations. It's a threshold for most people, but  
8 what about people with let's say they're missing some  
9 repair enzyme or something or they have less of it.  
10 And you have consider multiple sources. Say, for  
11 example, and there's no epidemiology that rules this  
12 out, let's say that that there's a threshold for  
13 chronic radiation at 10 mSv/y, 10 mGy/y has no risk,  
14 okay, so no one would be harmed by this dose.

15 Well, you still, for an individual source,  
16 you would still want to set the level lower than that  
17 because people are exposed to radiation from multiple  
18 sources. So it might be that if there were a  
19 threshold of 10 mSv/y you might still have an  
20 individual source limit that was 1 or 2 mSv/y. This  
21 is along the same lines where, for example, ICRP  
22 recommends that, from all sources combined, you can  
23 receive 1 mSv/y. Then they have individual source  
24 constraints I guess they call them that are 25 or 30  
25 percent I think of that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Well, what are the down sides of LNT? I  
2 think we've heard a lot about that already the last  
3 couple of days. You've spent too much money  
4 obviously. That actions taken to reduce these very  
5 low risks may not be warranted from a cost benefit  
6 standpoint. We're spending more money than we'd like  
7 to.

8 The other is probably more important. I  
9 think more people are disturbed by this. That this  
10 perception of the risk of low doses cause people to  
11 either oppose beneficial nuclear technologies or to  
12 potentially shun advisable medical procedures like  
13 mammograms. I don't think actually think the latter  
14 occurs so much, but those people trust their doctors  
15 so much. But it could and I think this is a problem,  
16 and I can't say that I've got the solution to it.

17 How do we live with this? The obvious  
18 answer is education and I think a lot of people are  
19 frustrated. We've tried hard at this and had very  
20 limited success. I suggest you try to help the public  
21 put the risk into perspective and to balance the risks  
22 and benefits and to make clear to them that you cannot  
23 -- life has risks and some risk is unavoidable.

24 The thing about LNT though is it says that  
25 low dose's risks are very low. That's what LNT,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's the message is that risks decrease as the dose  
2 decreases. I guess I've spoke up several times  
3 already. I think that if we try to say that, well,  
4 we're not really sure there is a risk, so let's just  
5 not say there is one. I think it's going to damage  
6 the credibility and work against the trusted  
7 scientific community and the radiation protection  
8 community in particular.

9 So to summarize, radiation protection is  
10 based on LNT and that's consistent with current  
11 science, and the recent Academy recommendations. We  
12 would really need a consensus of these kind of  
13 scientific bodies before we would adopt a threshold.  
14 If you could show there's a threshold, yes, it could  
15 change regulations conceivably. However, you'd have  
16 to worry about things like safety factors, sensitive  
17 subgroups, and multiple sources.

18 That's all I have.

19 CHAIRMAN RYAN: Thank you very much.  
20 Questions? Dr. Mossman, then Dr. Tenforde, then  
21 Dr. Le Guen.

22 DR. MOSSMAN: On your last slide, what do  
23 you mean by a change in standards? To me the whole  
24 problem about thresholds and the like is not about the  
25 dose limit, it's about how you apply ALARA. In other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 words, I don't think any of this discussion has  
2 anything to do with dose limits because what radiation  
3 protection is all about is a top down approach in  
4 which the dose limit is the ceiling, you use ALARA to  
5 reduce the dose as low as reasonably achievable.

6 The question about a threshold then  
7 becomes how far do you take the ALARA down? Because  
8 once you reduce the dose, if you're down below  
9 threshold, then, of course, you're not getting any  
10 more incremental benefit for additional costs of dose  
11 reduction. So, to me, the whole issue is not so much  
12 the dose limit, it's how you apply ALARA. Could you  
13 comment on that?

14 DR. PUSKIN: Well, I would say this, that,  
15 first of all, you can think of regulation -- I don't  
16 know that it always works this way, but I think this  
17 is the way it was envisioned and to some extent, great  
18 environmental regulations work this way, but,  
19 unfortunately, they don't entirely. It's to set a  
20 level of acceptable risk, okay -- or, unacceptable,  
21 and above that we're going to regulate, and that might  
22 be a  $10^{-4}$  risk or something like that. And then below  
23 that we look at cost benefit and we try to reduce it  
24 further as if it's cost effective.

25 As far as I know, it's almost always

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 decided by the first, that it's almost never cost  
2 effective to go lower than what you're already doing  
3 with this risk. Now that may be not true in the  
4 occupational setting. I don't know. But  
5 environmental, you set the standard.

6 Let's say it's 15 millirem per year,  
7 whatever. They never say, oh, wow, let's calculate  
8 whether we can go down to 1 millirem and it's still  
9 effective. It won't be. Probably the 15 wasn't  
10 effective in terms of if you put a reasonable value on  
11 human life, are risks avoided is a better way to say  
12 that. You probably wouldn't have reduced it to 15.  
13 But we've decided that 15 was -- that above that was  
14 unacceptable, or 15 and lower was acceptable. So  
15 that's usually the driving point.

16 I know when we set the standards for the  
17 Clean Air Act, it was more looking at how many people  
18 were in different risk ranges and it was decided that  
19 taking the overall picture, again, that roughly  
20 10 millirems, which is about  $10^{-4}$  risk, was about as --  
21 didn't want to go lower than that, but there was -- in  
22 fact there was a court case which kind of said that  
23 the risks should be not much above the  $10^{-4}$ , something  
24  $10^{-4}$  range, and at times EPA has said  $10^{-4}$  ranges means  
25 three times  $10^{-4}$  or two times  $10^{-4}$ . It's sometimes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 higher than one times  $10^{-4}$ .

2 So things are generally thought -- usually  
3 staying in that -- not going above that is usually the  
4 driving thing. Now, the exception could be in the  
5 Safe Drinking Water Act where sometimes there are  
6 carcinogens out there that, you know, can easily be  
7 regulated down to 1:1,000,000, you know, they're not  
8 there and so it's possible to do.

9 I hope that answers your question.

10 DR. MOSSMAN: Managing chemical risks is  
11 an entirely different game than radiation risks. I  
12 mean chemical risks, you're quite right, it's a bottom  
13 up approach. With ionizing radiation, it's a top down  
14 approach. So there's a different philosophy. Now I  
15 can't tell you whether one's better than the other.  
16 It's just from historical --

17 DR. PUSKIN: Also, I'd say that, for  
18 example -- maybe Mike could speak to this. The NRC  
19 operates more on this top down approach, that here's a  
20 limit and we really try to go lower than that. EPA  
21 sets the limit pretty low and say, if you can meet  
22 that, you're done, you know, kind of thing.

23 CHAIRMAN RYAN: Anybody else? Tom, you  
24 had a question.

25 DR. TENFORDE: I just wanted to make a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comment. You were talking about the ICRP 1 mSv/y  
2 public dose limit, which is the same as NCRPs, and you  
3 mentioned that for a single source under the control  
4 of a single operator or group of operators, they  
5 recommend three-tenths of a mSV. But I wanted to  
6 remind you in 1984 NCRP wrote a statement at the  
7 request of EPA when they were beginning to develop the  
8 CERCLA regulations recommending 0.25 mSv/y --

9 DR. PUSKIN: That's where I got confused.

10 DR. TENFORDE: --for any single source  
11 given that the other exposures of an individual  
12 exposed that source may be unknown. And, therefore,  
13 the idea was you might have as many as four such  
14 sources contributing up to 1 mSv/y.

15 But 0.25 was conservative and there was  
16 huge debate about that in terms of shielding for  
17 medical facilities and so for. And, in fact, in 2004,  
18 NCRP published statement 10 reaffirming the public  
19 dose limits and the applications of public dose  
20 limits, and reconfirmed that this was, you know, not  
21 an unrealistic or unreasonable limit, and in a 70 year  
22 life span will get you a risk of more than  $10^{-4}$  of  
23 cancer, more like  $10^{-3}$ . But it's still a very low risk  
24 compared to natively occurring natural cancers, or  
25 cancer caused by other sources associated with life

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 style, you know, smoking, whatever, or, for that  
2 matter, radon at a higher level anyway.

3 I just wanted to reconfirm that that  
4 single source limit is still in place.

5 CHAIRMAN RYAN: Dr. Le Guen was next.

6 DR. LE GUEN: Well, I would like to come  
7 back on two sides. First side is on the  
8 epidemiological studies of chronically exposed  
9 cohorts. From my point of view you forgot to mention  
10 another study. For example, you remember women  
11 workers who painted with radium, watches, and has  
12 developed radium osteosarcoma. And in this kind of  
13 study they showed also a threshold.

14 And also about Mayak workers and internal  
15 contamination, I think the publication has shown  
16 curvilinear. So you remember what I said yesterday,  
17 from my point of view there is not only one, but  
18 perhaps more than one and perhaps several curves  
19 between dose and effects.

20 And my question about the slide, why  
21 didn't you take into account people exposed to all  
22 natural background, natural radiation for a risk  
23 assessment? Because it is chronic exposure and I  
24 think that it would be very good to have  
25 epidemiological studies on this population.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PUSKIN: I know Charles could speak to  
2 that latter one.

3 DR. LAND: Well, you know, there are such  
4 studies. There's the high background area in China.  
5 Personally, I think these studies tend to be  
6 disastrous because -- well, if you look at the reports  
7 from the Chinese study, every time there's something  
8 you see in excess, well, it's because these women have  
9 few children, or so forth, and it's just -- we just  
10 don't get anything, any good information out of it  
11 because it's so difficult to control that the sort of  
12 things that might have the same level of effect as the  
13 exposure you're studying. I mean maybe in a more  
14 regulated world it might be possible.

15 DR. LE GUEN: Because in China and India,  
16 we have begun to have these kind of studies in France  
17 and also to associate it with molecular biology  
18 because we simply say it's a different dose. From our  
19 point of view, if you receive ionized radiation, if  
20 you receive from natural background or from external  
21 sources, if we assess the dosages, it's the same dose.  
22 So from our point of view it would be very  
23 interesting to estimate the risk.

24 DR. PUSKIN: The problem is like if you  
25 have -- an example in the case Charles gave, let's say

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 the level, let's say it's even five times normal, I  
2 mean we think that natural background radiation causes  
3 roughly three percent of the cancer. So in this other  
4 area it might cause 12 percent, 15 percent. So that's  
5 12 percent higher.

6 I mean the difference between Connecticut  
7 and Louisiana is more than that, and here's two  
8 separate areas of China, which we don't know that much  
9 about, so they could easily differ by that amount.  
10 It's hard to -- the potential for confounding is too  
11 great.

12 DR. LE GUEN: Yes, but perhaps what's so  
13 interesting about life styles if we have a good  
14 control group, because one of the problems that we  
15 have at low dose, say, is not only one genetic  
16 connection, but there is a lot of them, and perhaps  
17 we'll see factors due to life styles. And I think t  
18 his kind of study, which can -- of course, I'm sure  
19 that it's not because you will have only one study  
20 that you will change everything.

21 But I think we must be open minded and we  
22 must continue to work on this field to a lot of  
23 different experiment. Because, of course, I said  
24 yesterday from my point of view, if we have Hiroshima  
25 and Nagasaki just one case, one exposure, we've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutron and gamma ray and very short exposure, and you  
2 can see and you mentioned different studies and  
3 cohorts, and we have different sources. We have  
4 internal contamination with plutonium. We have  
5 external exposure and so on, so different case.  
6 Yesterday we mentioned the problem of dose rate, and  
7 that's why that it's very difficult. Of course,  
8 that's why, today, we are here. It's because it's so  
9 sophisticated. Because we have different kind of  
10 source, different kind of exposure, and we must take  
11 into account all of this. Okay?

12 DR. PUSKIN: Yes. I would say the radium  
13 dial painters, I don't get into that much because  
14 that's a high LET situation, but there is -- not  
15 everyone thinks that that is convincing the threshold.

16 For example, there risk study where they  
17 have injected radium in patients where -- radium-  
18 induced bone cancer where it's certainly consistent  
19 with linear no-threshold. And the radium dial  
20 painters is very high dose. What's clear is it takes  
21 a lot of dose to see an excess of bone cancer and it's  
22 a very high dose. The damage to the bone tissue is  
23 very high, so we're not really looking at the kind of  
24 low dose kind of a phenomenon.

25 CHAIRMAN RYAN: Jerry, just a follow-up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question if I may. In some of the other studies that  
2 are in your list, the Techa River cohort, it's  
3 reconstructed doses, and you commented on some of the  
4 issues that that's tough. That's real tough, I mean,  
5 you know, the fuel cycles, and how they processed  
6 fuel, and when they processed fuel all contribute to  
7 the short lived component.

8 I guess I'm not picking on that so much as  
9 saying that I think -- I don't know whether it's a  
10 background study or high background study, or a real  
11 exposure case, or a mixed exposure case with alpha and  
12 gamma. Every study has good points and bad points in  
13 how you can extract the data.

14 DR. PUSKIN: It's a question of how well  
15 you can do that. I mean it's whether -- I don't know  
16 what you'll end up with.

17 CHAIRMAN RYAN: Well, what my point is I  
18 think -- the point I would offer is that all of them  
19 probably have some value and all of them probably have  
20 some flaws. So try to pull all the evidence together  
21 rather than just setting one aside for whatever  
22 reason.

23 DR. PUSKIN: I guess I would maybe retreat  
24 a little bit. When I was saying that the epidemiology  
25 takes is trumps, if you have an epidemiological study

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is positive and you have a strong radiobiology  
2 indicating that it can't be positive, you should look  
3 at the weaknesses of that epidemiology study and see  
4 whether you can reconcile it. I mean that's part of -  
5 - I mean it's not --

6 DR. LE GUEN: But you know just an example  
7 about nuclear workers, you know that for a different  
8 study we observed an LC effect, and the LC effect,  
9 there are two reasons. Perhaps we have a natural  
10 selection about workers and we follow those works.  
11 That's one of the reasons, also, for the moment if I  
12 take into a French cohort, I say yesterday, because  
13 this cohort is too young. And we need time, also, and  
14 that's why for this kind of epidemiological studies, I  
15 say it's not only one research that changes something.  
16 We need to be very serious, but we must take  
17 everything into account, not only one point.

18 CHAIRMAN RYAN: If I may, I think we want  
19 to make sure we get Dr. Holahan's presentation in this  
20 morning, and we can certainly continue this discussion  
21 after lunch in our roundtable. So, with that, let's  
22 hear our second presentation and we'll go from there.

23 DR. HOLAHAN: Good morning. I'm Vince  
24 Holahan. I'm a senior level advisor for health  
25 effects research programs in our office of Nuclear

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Regulatory Research.

2 I'd like to, first, apologize or account  
3 for Dr. Cool. Dr. Cool would loved to have been here.

4 He's my counterpart in our materials office.  
5 Unfortunately, he's part of a drafting session in  
6 Vienna, and I guess Vienna in April versus Washington  
7 in April, he made the decision to do some traveling.

8 I'd also like to express the thanks of the  
9 health effects group, as well as our environmental and  
10 rad transport group. We appreciate the guidance that  
11 you've provided to our groups up on the ninth floor  
12 over the past years, and I hope even in an advisory  
13 status with the ACRS that you'll be able to give us  
14 very valuable input.

15 With that said, what I would like to do  
16 today is provide what we would call a staff  
17 perspective on the low dose work and some of the  
18 changes that have gone on in the literature for the  
19 past 15, 17 years. This is a staff perspective,  
20 because as we've previously briefed the ACNW, the  
21 staff is looking at some of the materials that have  
22 been produced. We're looking at our regulations, part  
23 20, part 30, part 50, part 62, to see whether or not  
24 we should make a wholesale change to part or all of  
25 this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 My role is to look at the technical basis  
2 for those reviews. Options will be prepared and we're  
3 hoping to send to the commission a staff requirements  
4 memorandum at the end of this year. Therefore, if  
5 this meeting were held approximately a year from now,  
6 I'd love to tell you exactly what the Agency was going  
7 to do.

8 It's a staff perspective because I've been  
9 specifically told, try not to get ahead of our  
10 commission on what we think might happen because we  
11 really don't know what's going to happen. So with  
12 that in mind, what I'd like to do is discuss some of  
13 the biology through the rose-colored glasses that I  
14 wear as a regulator.

15 I'm appreciative to Dr. Puskin for  
16 providing the science, but I'm not going to get into  
17 the damage of the DNA double strand break, and I hope  
18 not to get into too much detail on the epidemiological  
19 studies. But how does this information affect our  
20 regulations and where we should change? I'll talk  
21 about some of the technical basis information that we  
22 look at, where we think the science might be today,  
23 and how it's going to impact our regulations.

24 First off, you have to understand we've  
25 got three basic fundamentals in our radiation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 protection system. (1) You must have justification  
2 from any exposure to radiation. We don't permit  
3 licensees to have unauthorized or frivolous exposures  
4 to radiation. (2) We have a limitation on the  
5 exposure, whether it be occupational or public. And,  
6 (3), optimization, and our regulations would call that  
7 ALARA.

8 For all intents and purposes, it's a dose  
9 based system. We've heard a little of the differences  
10 between EPA and NRC, that is to say it's  
11 observationally based. We look at effects in human,  
12 animal systems and we start setting dose limits below  
13 that. And then we use a series of constraints, if you  
14 will, in some cases to worry about source specific  
15 items.

16 There are a number of assumptions. We  
17 assume in our regulations that there's a linear  
18 no-threshold response for stochastic effects,  
19 primarily cancer hereditary effects. Our regulations  
20 are gender averaged and age averaged. And right now  
21 we protect the most exposed individual. EPA is  
22 looking at differences such as looking at the most  
23 sensitive individual, but that's a discussion that's  
24 going to probably go on with their science advisory  
25 board for at least a number of months.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Cool wanted me to put in that our  
2 system of protection in the U.S., at least with the  
3 NRC, is supposed to be coherent as well as  
4 predictable. That doesn't necessarily mean it's  
5 comprehensive or consistent. The reason I say that is  
6 many of our regulations are based on regulations from  
7 the ICRP, 2, 26. We actually have 60 involved. And  
8 there are many things that we're doing today that are  
9 consistent with the recommendations in report 103.

10 But it's been a period of time since we've  
11 done a major revision. That was some 17 years ago.  
12 That revision was the product of many years of work by  
13 the staff. I guess the question is, and this is a  
14 question that will come up next week at the NCRP  
15 meeting on the low dose radiation as a topic that Dr.  
16 Lipoti as specifically asked on the second day, what  
17 would it take to prompt a change in the NRC  
18 regulations?

19 First and foremost, we'll have to go back  
20 to 10 CFR Part 50. That's our backfit rule. That is  
21 to say a revision would have to prompt a substantial  
22 increase in the overall protection of public health  
23 and safety, and that increase is going to have to keep  
24 in mind both the direct and indirect costs associated  
25 with that change.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           In 1991 we had great difficulty  
2 demonstrating that significant increase in public  
3 health and safety, with ICRP 60 and many of the  
4 changes that proceeded that. Even though we had DS86  
5 changes in the risk coefficients, that wasn't  
6 sufficient to prompt a change because of backfit. But  
7 the Commission has the ability to waive that.

8           What other things might we consider?  
9 Well, clearly, updated scientific information.  
10 Obviously, there have been many changes that we'll  
11 talk about in a couple of minutes. Possibly reduction  
12 in burden, risk informed regulation, and the last item  
13 here that Jerry also eluded to that would be new for  
14 the Commission is inner agency alignment. Clearly,  
15 none of our federal agencies are on the same page.  
16 This might be a reason to prompt a change in our  
17 regulations.

18           So what do we do? Obviously we look at  
19 the basic research. This includes the DOE low dose  
20 radiation program. That's a 10-year, \$17.5 million  
21 program. For all intents and purposes it dwarfs much  
22 of what NIH is doing. We also look at much of the  
23 work that's done in the EC with Neil Kelly. That  
24 program is on the order of about \$30 million euros,  
25 and given the difference between the euro and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dollar, it's a very significant program.

2 We take a look at peer-reviewed  
3 publications, as well as unreviewed publications. We  
4 find that many of the states will do epidemiological  
5 studies for cohorts around various facilities. Those  
6 aren't necessarily in the journals, but we'll take a  
7 look at those. There was a recent report in Germany  
8 about childhood leukemia I believe it was in proximity  
9 to their power plants. That has not necessarily been  
10 peer reviewed and published per se. I think it's more  
11 of an agency report, although it's got their own  
12 internal procedures.

13 Literature reviews, this is one of the  
14 areas that we, as an Agency, get very much involved  
15 in. We were one of four sponsors of the BEIR VII  
16 report where we looked to established, balanced  
17 technical review committees to survey the literature,  
18 put together a review and recommendations on future  
19 research. I'd have put up here the French National  
20 Academy review, but I didn't have a copy of the page  
21 to insert in.

22 (Laughter.)

23 DR. HOLAHAN: The other item here is  
24 UNSCEAR, the United Nations Scientific Committee on  
25 the Effects of Atomic Research. They actively are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 engaged in looking at both radiation sources, looking  
2 exposures, and evaluating the impact of those  
3 exposures.

4 We have a number of bodies that will look  
5 at all of this information, both the summary reports  
6 and the individual reports, generally, again, focusing  
7 on the peer review publications, make some summary  
8 recommendations in terms of radiation protection,  
9 whether it be the ICRP or the NCRP. We fund both  
10 organizations to provide their guidance. And all of  
11 this, again, all of it impacts both the regulations  
12 here in the U.S., in one case it's our 10 CFR series,  
13 as well as the international series, that's the basic  
14 safety standards.

15 Well, needless to say, in 17 years there  
16 has been a substantial amount of work that's gone on.

17 We were and continue to be participant at the DOE  
18 workshops. We were at workshop I, and, quite frankly,  
19 myself and some of the other regulators tried to  
20 articulate to the investigators what low dose is,  
21 trying to explain to them in regulatory space we're  
22 interested in mSv exposures or several mSv exposures  
23 and we're talking to investigators that have been  
24 working in gray type of exposures.

25 I know that when we worked with Dr. Upton

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we defined for LNT. We were interested in low dose.  
2 That low dose was 20 rads. And at that junction the  
3 question was, is, well, there's no information there.

4 Well, the reason there wasn't a lot of information  
5 there is we didn't have the tools. And, fortunately,  
6 by articulating to a low dose program that we were  
7 interested in exposure of 10 rad, 10 centigrade or  
8 less, it's prompted a lot of research to develop tools  
9 so we can examine some of the effects of the very low  
10 doses.

11 JCCRER has been a program that this Agency  
12 has been very much involved with for over 10 years.  
13 Now, Dr. Puskin mentioned he was little concerned  
14 about the doses that the workers are receiving, but we  
15 view those as intermediate doses that are between the  
16 atomic bomb survivors and some of the very low dose  
17 studies. But, more importantly, there is a huge  
18 cohort of female workers that were exposed either  
19 externally or internally to help us ferret out some of  
20 the gender differences, and we're hoping to see some  
21 of that come out of that data.

22 Just in the last year or two we have had  
23 some significant information out of the RERF. A  
24 revision of the dosimetry system, DSO2, a re-analysis  
25 of the mortality data, which basically reaffirmed that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the estimates that were in ICRP 60 are very relevant  
2 and valid today. But, more importantly, last year we  
3 got some information on cancer incidents, and that is  
4 going to be of more value to us than probably the  
5 mortality data because of the advances in various  
6 countries on treatment of cancer. The mortality data  
7 tells very little if we're dealing with exposure to  
8 radioiodine.

9 UNSCEAR, the last major compilation of  
10 data was put out in 2000; inheritable effects in 2001.

11 There are at least five reports that should have been  
12 out last month. These reports are going to be dealing  
13 with the epigenetic work. We've got non-cancer data  
14 that's going to be presented in a separate annex.  
15 We're looking at a review of the Chernobyl. So we're  
16 hoping in the next couple of months we'll have a  
17 series of reports out of UNSCEAR. Not only coming out  
18 this year, but we have at least four more annexes that  
19 we're looking at this year for finalization for next  
20 year.

21 BEIR V, BEIR VII, the French National  
22 Academy report's come out, again, it will be very  
23 interesting to get a group of folks together to find  
24 out why two groups can look at virtually the same data  
25 and come up with diametrically opposed conclusions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In ICRP over the last 17 years has come out with some  
2 43 reports, possibly 44 reports, and the question  
3 we'll have to ask is, as an agency, do we want to look  
4 at ICRP 60 recommendations or do we want to make a  
5 jump all the way to 103 and see if we can entice our  
6 sister agencies to make the same type of change.

7 I put this up here very briefly. I think  
8 we've got pretty much consensus if we're looking at  
9 epidemiology, and if we're looking at excess relative  
10 risk, it can be fit with a linear curve, maybe a  
11 linear quadratic curve. Maybe the limit of the data  
12 is down to about 100 mSv. We had a sponsors' briefing  
13 in 2005. I asked the epidemiologist on the group, Dr.  
14 Gilbert, what the lower limit of their sensitivity  
15 was, and she was 100 mSv, that's it. I asked the same  
16 thing of Dr. Bill Dewey, the molecular biologist on  
17 the group. He said 1 centigrade.

18 Dr. Puskin indicated that there are a  
19 number of studies that seem to be pushing these limits  
20 a bit. I could be the recent mortality morbidity  
21 study from RERF. With the trends analysis they think  
22 they might be able to go down to about 10 mSv. But  
23 there's some question there. You can force the fit of  
24 that curve to actually show that you could possibly  
25 have a practical threshold of maybe 60 mSv.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The Techa River data is down into the 10s  
2 of mSv. But, again, as we've discussed over the last  
3 day or so, there's a lot of question, and not only the  
4 cancer incidence, but the certainty we have on the  
5 dose estimates.

6           And the workers' studies. Dr. Cardice is  
7 indicating that there's an increase at very low doses  
8 to radiation exposure occupationally. Much of that  
9 was driven by the Canadian data. However, there was a  
10 problem with the Canadian data. They underestimated  
11 the exposures to the workers.

12           In the 1970s they set up a national  
13 database for radiation exposure. At Chalk River they  
14 zeroed all the workers out, so any of the prior  
15 exposures to those workers prior to 1974/1975 was not  
16 included. When you include that data, there shouldn't  
17 be an excess increase in the Canadian workers.

18           That information is prepublication, but  
19 the Ministry of Health up in Canada is working to get  
20 that out. Therefore, when I extrapolate from 10 rem  
21 to 1 rem, 100 mSv to 10 mSv, I'll put that in as a  
22 dash line. The cellular data, depending on the  
23 source, is primarily out of BEIR VII, would take this  
24 down to about 1 rem, again, showing dicentrics,  
25 acentrics, increased mutation frequencies at these low

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doses.

2 But still, even with this information, we  
3 have to put a dash line in assuming LNT. Because,  
4 quite frankly, we don't know what's going on here.  
5 And our concern, whether it be DOE, EPA, or the NRC,  
6 is it's this very low dose region right down here that  
7 we're concerned for regulatory purposes.

8 We've seen these phenomena over the last  
9 day, day-and-a-half now. The question is, what impact  
10 may, could, should, will that have on our regulations?

11 With bystander effects, this was considered by the  
12 BEIR VII committee; temporarily discounted. This has  
13 got a huge impact on LNT and target theory.

14 What is the size of target when we talk  
15 about radiation exposure? Is it the nucleus? Is it  
16 the whole cell? Is it a group of cells? What impact  
17 does that have on the surrounding tissue? What impact  
18 does that have on the organ? Keeping in mind that  
19 type of information might help us understand what's  
20 going on, but it doesn't necessarily change the  
21 epidemiology.

22 Genomic instability, is this real? Can we  
23 actually induce damage in cells that will perpetuate  
24 to the daughter cells, to future daughter cells, to  
25 future daughter cells? We heard that there might be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 some information for that. Maybe apoptosis takes care  
2 of that.

3 In either case, as an agency, what I'm  
4 interested in is to see if this type of information  
5 can be repeated from laboratory to laboratory. One of  
6 the problems that they've had with investigators in  
7 the DOE program is getting results to repeat between  
8 different laboratories.

9 Adaptive response, priming dose required  
10 to some reduced sensitivity to a following challenge  
11 dose. Those priming doses are greater than our public  
12 dose lines. We're not going to use that for public  
13 protection.

14 What about emergency responders? We're  
15 not going to allow our emergency responders to receive  
16 more than 25 to 50 rem, 250 to 500 mSv. Chances are  
17 we're not going to do an adaptive response. We're  
18 going to control the exposure of those individuals.

19 Hyper-radiation sensitivity, I've actually  
20 seen it in the tissue culture. Haven't reported on  
21 it. I thought it was an artifact where at very low  
22 doses, for some reason, you'll see a dip from let's  
23 say 95 to 90 percent surviving fraction.

24 Now, the question is, does that incur in  
25 organs and tissues? Have we observed this in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinic? Have we observed with conventional  
2 radiotherapy a 10 percent breakdown in surrounding  
3 tissue to where we've got a targeted region? So I  
4 guess the question would be with hyper-radio  
5 sensitivity, do we see this in vivo?

6 What issues might prompt a change? Well,  
7 here are several of them. What is the real threshold  
8 for lens opacification? ICRP 60 say 5 Sv. Dr. Wortle  
9 last year, prior to his passing away in February, in  
10 *Radiation Research* published an article on lens  
11 opacification for the Chernobyl liquidators suggesting  
12 that it might be on the order of about 700 mSv for a  
13 threshold, not 5 Sv.

14 Can that be reduced in other studies?  
15 That might be important because that might prompt a  
16 change on our regulations ocular exposures.

17 Non-cancer diseases, RERF is starting to  
18 report that there might be an occurrence of  
19 cardiovascular diseases, possibly the same type of  
20 thing in some of the Chernobyl workers. The problem  
21 we have with non-cancer diseases is the induction of  
22 those type of diseases is about one-tenth the excess  
23 risk than radiation, very low levels.

24 The second problem that you run into is,  
25 what is the impact of socio-economic effects on those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individuals? And I'll cite the Russian liquidators as  
2 an example. You have a group of individuals that  
3 smoke, high alcohol consumption, diet is very fatty.  
4 They've had a decrease in the life span of the Russian  
5 males. It's currently about 57 years of age compared  
6 to surrounding populations where we're talking late  
7 60s, early 70s.

8 How do you account for all of those  
9 confounding factors and then make judgments about  
10 non-cancer diseases? It appears to be a deterministic  
11 effect. But if it is, what's the threshold?

12 Gender sensitivity, our regulations are  
13 gender averaged. Is there a real difference between  
14 males and females to 1 Gy exposures? We don't know.  
15 Should it be something that we need to tease out? It  
16 would be something that would be after consideration.

17 Age sensitivity, children, with children, should they  
18 be protected because they might be three to five times  
19 more sensitive than adults? Should we take that into  
20 consideration in our regulations? And, finally,  
21 should our regulations reflect us protecting the most  
22 sensitive individual as opposed to the most exposed?

23 Dr. Puskin mentioned we've got statutes  
24 that limit what we can do, and this is a big one right  
25 here, Johnson Controls Act. In this particular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 situation, Johnson Controls prevented women from  
2 working in areas where they could be exposed to lead,  
3 and the rationale was is that if they became pregnant,  
4 the embryo fetus might incorporate the lead, would  
5 have developmental problems. You know, the are  
6 workers sued basically contending that the woman had  
7 the right to choose whether she wanted to work in that  
8 environment and accept the economic benefits of  
9 working there or protect the fetus, and the Supreme  
10 Court sided with the woman's right to choose based on  
11 Title VII of the Civil Rights Act.

12 So what impact does that have now if  
13 there's a gender difference? Most likely none because  
14 we're limited from doing anything.

15 Would we be able to also discriminate  
16 based on age? Are older workers more sensitive than  
17 younger workers? Steve Wing has expressed some  
18 concerns about that. We may not have anything we can  
19 do. That would be discrimination based on age now.  
20 So there are going to be certain limitations that we  
21 as an agency, we as a federal government can do  
22 without changes in the statutes and court decisions.

23 So let's go back to our curve here where  
24 we've nominally expressed some biological effect as  
25 dose. On the solid line I've got what we believe are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the actual effects. We'll call it linear. And we've  
2 got this postulated linear extrapolation.

3 And just for exercise, let's say there's a  
4 practical threshold. Now I've set this, if that's a  
5 logscale, probably around 20 or 30 mSv. We heard  
6 yesterday, Dr. Le Guen said that if there was a  
7 practical threshold it might be between 10 and 60. So  
8 for purposes of illustration, this could be fairly  
9 close. What does that mean to NRC from a regulatory  
10 standpoint?

11 Well, a practical threshold might say,  
12 well, we've got efficient repair below that level.  
13 Either efficient or maybe there are mechanisms, like  
14 apoptosis, that can take care of air prone type of  
15 situations, and above it we saturated the repair  
16 processes or we've induced some sort of air prone  
17 repair process.

18 What impact might that have on our  
19 regulations? Well, as it was expressed earlier this  
20 morning, we're going to have to consider what  
21 exposures now do we have to monitor and record?

22 Right now we monitor and record the  
23 occupational exposures. But what about differences in  
24 background radiation? Clearly, if there's a practical  
25 threshold, we're going to be concerned with monitoring

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medical exposures for each of those workers.

2           What about a frequent flyer? Should be  
3 put the additional cosmic radiation exposure into that  
4 to see whether or not we are below or above a  
5 practical threshold? Is there a single threshold or  
6 are there multiple practice thresholds? Do men and  
7 women have the same practical threshold? Do children  
8 have a different practical threshold? Are there other  
9 groups in the population that could have a different  
10 threshold? If there are different thresholds, now  
11 which one do we regulate to?

12           Dr. Weiner, you were asking about the  
13 fourth point there, that history exposure. Does it  
14 fade? Is that an annual practical threshold or is a  
15 lifetime practical threshold? If I receive a mrem  
16 today, and a mrem next year, and mrem the third year,  
17 is that a total of three years or a total of one? We  
18 don't know.

19           Then the last point would be is, how do I  
20 deal with different workers that have different  
21 exposure histories? That is to say I have two  
22 workers, one's above the practical threshold, one's  
23 below. Do I try to not give any additional exposure  
24 to the worker that's above the threshold and assign a  
25 task to one below it, or not? Can I do that? How do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I regulate that?

2 Well, let's go back, maybe do some case  
3 study if you will with our practical threshold here.  
4 First thing to keep in mind, and this comes out of a  
5 case study back in 1975, that just because there's a  
6 practical threshold or we have a lack of adverse  
7 effects of any substance, it does not generally mean  
8 that being below that threshold is safe.

9 Because of that, we're going to have to  
10 regulate our non-threshold, or deterministic effect if  
11 you will, with a series of safety factors. We see  
12 this in ocular hazards, acoustic hazards, exposures to  
13 heavy metals, exposures to organophosphates.

14 Safety factors, well, they can be a number  
15 of things. First and foremost, what's the type of  
16 data that we have in animals? Do we have consistent  
17 information on rats, mice, dogs? If not, we have to  
18 throw a safety factor in, anywhere from three to ten.

19 What about variation between humans? Again, in some  
20 cases that'll be a variation of three to ten. How  
21 confident were in the exposure? How confident were  
22 you with the duration of exposure? Each of those  
23 could have safety factors of ten. EPA, in fact, has  
24 something on the order of I think six different  
25 classes of safety factors to consider.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Note that when Dr. Puskin mentioned  
2 something about statutory authority to look at  
3 practical thresholds, carcinogens are explicitly  
4 excluded from consideration in the system. FDA, when  
5 they're looking at food and drug, typically their  
6 safety factors are anywhere from 200 to 2,000. In  
7 1996 the Food Quality Protection Act set even tougher  
8 standards for children. They said another safety  
9 factor of ten would have to be put into this.

10           So what's that do with our curves? Well,  
11 we could have a series of safety factors for just  
12 illustrative purposes that might reduce our observable  
13 concerns from let's say 100 mSv down to 1 mSv, or a  
14 factor of maybe 20 or 30 below that practical  
15 threshold.

16           Do we have sensitive groups we have to  
17 deal with? And, finally, what about constraints?  
18 We're talking about multiple sources now. We're not  
19 talking about a single source of exposure.

20           The point I bring here is a practical  
21 threshold may not necessarily give us any regulatory  
22 relief. We're basically back in the same system where  
23 we have right now.

24           This was a toxicity profile that was  
25 conducted by the Agency for Toxic Substance and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Disease Registry. It was done in September of '99.  
2 This is required as part of CERCLA. And in that  
3 assessment for ionizing radiation, they tried to  
4 derive an estimate of what the minimum risk level  
5 should be for ionizing radiation. The minimum risk  
6 level would be is what type of radiation can you  
7 receive on a daily basis so you won't have an adverse  
8 effect.

9 The no-observable adverse effect level  
10 they selected was 360 mrem/y, background radiation.  
11 Now, why did they select it?

12 (1) It represents the U.S. population.  
13 It's representative.

14 (2) It considers radon. This particular  
15 level is not associated with an adverse effect. I  
16 think everybody's pretty much in agreement there that  
17 we don't think we have any adverse effects there, and  
18 it is below some of the levels where we might see some  
19 deterministic effects in the embryo fetus. They  
20 corrected this value for an uncertainty factor of  
21 three because of variability between individuals, and  
22 with that they came up with an MRL of 100 mrem/y, or  
23 in today's parlance 1 mSv, which is our public dose  
24 limit.

25 Things they didn't consider, however, back

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in '99 is, could the human variability be higher where  
2 we factor in gender differences? There is no  
3 uncertainty factor considered for children, which has  
4 been an issue, and it doesn't consider source  
5 constraints. But what we might find is, is we've got  
6 an MRL something less than 1 mSv/y potentially.

7 With all this in mind, what I'd like to  
8 sum up with is a couple of statements. (1) Without a  
9 doubt, it's my firm belief, it's a staff belief, our  
10 regulations, our standards are adequately protecting  
11 public health and safety. That does not necessarily  
12 mean that we wouldn't be convinced that we need to  
13 take a look at our regulations for consistency  
14 purposes if nothing else.

15 Adoption of the new biokinetic models,  
16 risk coefficients, and weighting factors will not  
17 significantly improve public health and safety. We  
18 mentioned this committee when we were looking through  
19 the ICRP recommendations that was a bottom line, we're  
20 adequately protected. Does that mean we would still  
21 not do it? No.

22 For some of the other considerations I  
23 mentioned earlier, the better science, we know that  
24 we'll probably get some burden relief by just adopting  
25 the ICRP 66 lung model. And on a case by case basis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we allow many of our nuclear fuel cycle licensees to  
2 do just that. So it's possible, especially if we want  
3 to talk about consistency, getting EPA, OSHA who's  
4 back in ICRP 2, DOE that's not going to ICRP 60, and  
5 our Agency on the same thing, we'd consider that.

6 And for my standpoint, based on some of  
7 the things that we've seen and where we're concerned,  
8 we right now don't see any radical developments in the  
9 science that are going to have a significant impact,  
10 at least in the near future, on our regulations.

11 With that said, does that mean DOE should  
12 not continue their program? No. We're firm advocates  
13 of that, firm advocates of the EC program because this  
14 is our basic research program that, even though they  
15 might not have a near term practical application in  
16 the regulatory community, there are other things that  
17 might come out of these programs, a better  
18 understanding of the cell and molecular biology that  
19 might have applications in the clinic, and, as such, I  
20 would firmly endorse continuing those programs.

21 Thank you.

22 CHAIRMAN RYAN: Thank you, Vince. Just a  
23 quick question. Could you back up to your slide?  
24 Let's see, one more. You know, I kind of focused on  
25 360 because that number's been around for a long time,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and I recall last year's NCRP meeting when 360 may not  
2 be the best number to represent the background or  
3 distribution of the various components of the  
4 background. What would happen if it were 600, the  
5 medical and radon and everything else being  
6 considered? What do we do then?

7 DR. HOLAHAN: Well, keep in mind 360 is  
8 the 1999 ATSDR number.

9 CHAIRMAN RYAN: Sure.

10 DR. HOLAHAN: So keeping that in mind.  
11 Let's say we adjust it and we say that the background  
12 is something higher because, obviously, 360, it  
13 includes radon, it's industrial sources, other  
14 commercial sources, and medical. And let us assume  
15 that the medical goes to something on the order of 3,  
16 3.2, 3.5 mSv, whatever the final number is going to  
17 come out. So, yes, it's going to go up to 600 or  
18 6 mSv a year. Fine.

19 Now the question I would have is, is they  
20 used an uncertainty factor of three. Typically they  
21 use ten. When we look at inner human variation in EPA  
22 and FDA space, that's going to wipe out --

23 CHAIRMAN RYAN: But you could actually  
24 argue the other way, that because of the NCRP report,  
25 the uncertainty has perhaps been at least the same or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reduced by further update. I just throw that in to  
2 think that these numbers aren't necessarily fixed in  
3 stone and they have a two-way impact. One is, what do  
4 you with a different number, higher or lower, either  
5 way? And then, you know, how does that factor into  
6 any kind of derived standard or requirement that falls  
7 out of that? So it can be a complicated question.

8 DR. HOLAHAN: The other issue that you're  
9 going to run into is there are deterministic end  
10 points, and one of the concerns in another analysis  
11 would be reduction IQ. And if you look at a single  
12 acute exposure of reduction IQ, we're down into the  
13 several Sv level. So it's not going to be a whole lot  
14 difference.

15 And, really, the point I have is I  
16 wouldn't chase decimals on any of these discussions  
17 here. It's just illustrative that our system of  
18 radiological protection that we have right now, that  
19 those limits that we've established, the optimization  
20 in the ALARA programs that we've done, the constraints  
21 that we have on some sources are protected, and if we  
22 were to have a practical threshold, quite frankly, I  
23 think we're going to end up in the same place we're  
24 already at now.

25 CHAIRMAN RYAN: One other practical thing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think in your next slide on harmonization that's  
2 important to think about is just within the NRC that's  
3 everything from ICRP 2 to support reactor --

4 DR. HOLAHAN: Exactly.

5 CHAIRMAN RYAN: -- calculations right on  
6 up to the ability to use the most recent  
7 recommendations for models and those calculations, and  
8 so forth, and then you mentioned a broader issue that  
9 across other agencies is a wide variation of what  
10 underpins various regulations, so that's a bigger  
11 issue than just the NRC's.

12 Have you talked to other agencies at this  
13 point? Do you have any insights about the inner  
14 agency task force on what their thinking is?

15 DR. HOLAHAN: We actually brought this  
16 topic up two weeks ago. We have an inner agency  
17 steering committee subpanel report federal guidance  
18 subcommittee and this is one of the topics that we  
19 brought up. The question is is what is each agency  
20 going to do, and, of course, I was specifically said  
21 we are going to put NRC on the hot seat, and they  
22 directed the question to me, and my response was  
23 pretty much what I said about 30 minutes ago, pass me  
24 an ear because we're going to have to bring this up to  
25 the Commission and get Commission direction.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But, quite frankly, across the board, the  
2 other agencies are just starting to think about it.  
3 Impacts as simple as we're not going to anything this  
4 year because it's an election year. That was one of  
5 the responses and that's just, welcome to D.C.

6 Unfortunately, the rule making processes,  
7 they take time. We need for our agency to get  
8 guidance from the Commission because, quite frankly,  
9 we're talking about a huge investment financially in  
10 technical basis. We're looking at Fed guidance 11,  
11 Fed guidance 12, Fed guidance 13. Updating and  
12 changing all of the annual limits on intake; derive  
13 air concentrations, that's in appendix B; that's a lot  
14 of work that has to be done and it's going to take  
15 some contract dollars.

16 That, plus any time you manage that  
17 program or get into rule making space, we're talking  
18 full time equivalents and staff time. And, quite  
19 frankly, none of this is budgeted in even our 2010  
20 budget. And if we have a flat budget, the  
21 Commission's going to have to make a decision, if we  
22 put resources there, where are going to take resources  
23 away from.

24 CHAIRMAN RYAN: If I could impose one more  
25 second on your plan? You're actually going to produce

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a Commission paper at the end of 2008?

2 DR. HOLAHAN: At the end of 2008 a paper  
3 will be prepared laying out a series of options with  
4 resource requirements, costs if you will, for the  
5 Commission to consider.

6 CHAIRMAN RYAN: And just for the folks  
7 that might be interested, what would be the public  
8 part of the process on reacting to anything you might  
9 do or what the Commission might do? What does the  
10 public have input?

11 DR. HOLAHAN: Well, the public will have  
12 input on the actual rule making process because we'll  
13 solicit information before an advanced proposal is  
14 prepared. Public comments will be solicited. There  
15 will be public meetings on the topic. Obviously,  
16 we'll be going to the advisory committees looking for  
17 their input, working with the other federal agencies.

18 Annually, they have a public meeting. I'm sure that  
19 will be a topic of discussion there as well.

20 All of the proposals are put in the  
21 Federal Register. Comments are solicited.  
22 Undoubtedly, we will receive thousands of comments.  
23 And, quite frankly, every one of those comments has to  
24 be considered and reconciled.

25 CHAIRMAN RYAN: Right. I just wanted have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 that kind of requirement and everybody here hear it as  
2 well. Thank you.

3 Other questions? Dr. Tenforde first.

4 DR. TENFORDE: Is ISCORS directly involved  
5 in the inner agency dialogue or is that separate?

6 DR. HOLAHAN: ISCORS is the Interagency  
7 Steering Committee on Radiation Standards --

8 DR. TENFORDE: Right.

9 DR. HOLAHAN: -- and it's membership  
10 includes all of the federal agencies --

11 DR. TENFORDE: Right.

12 DR. HOLAHAN: -- to include OSTP, and we  
13 have representatives on the federal guidance  
14 subcommittee for all of those agencies that have  
15 representation with radiation regulations.

16 DR. TENFORDE: So the inner agency  
17 committee reflects the ISCORS composition was my  
18 question. That wasn't so clear.

19 DR. HOLAHAN: Yes.

20 DR. TENFORDE: I think that's good, and,  
21 at the same time, I've been a little discouraged and I  
22 think others around the table have written on this  
23 that there doesn't seem to be a constructive end point  
24 to some of the inner agency dialogues, and I mentioned  
25 yesterday one of our reports, which you didn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mention, 146. I'm looking at the final  
2 decommissioning goals of EPA and NRC and I think  
3 that's just one example of a number where a little  
4 more harmony and constructive dialogue would really be  
5 helpful because I do think things need to be looked  
6 at, at least periodically, even if no changes are made  
7 and I'm glad this is happening.

8 But I hope that the end goal will be to  
9 make whatever changes seem appropriate in view of the  
10 exposure to the public, as well as, of course, the  
11 occupational setting. So I'd like your sense on that  
12 subject.

13 CHAIRMAN RYAN: Allen?

14 DR. CROFF: Can you go back to your slide  
15 8, please? If my math is correct, natural background  
16 is on the order of 15, 20 rem, and you're showing the  
17 region of regulatory interest being well less than  
18 one. Maybe I don't understand the scale or something  
19 about this graph.

20 DR. HOLAHAN: Here we're just talking  
21 single exposures for all intents and purposes. I'm  
22 not talking about cumulative background. I mean if  
23 you want to think about it as such, this is the  
24 discussion that was earlier this morning. That  
25 biological effect isn't zero if you're talking about a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cumulative effect. You've got a mortality rate of 20  
2 to 21 percent. And, clearly, the dose here we're  
3 talking about is that addition to background.

4 Background, if we're talking about the  
5 lower LET is what, 1 mSv/y times 70 years. That  
6 would, what, 7 mSv. Not 7 mSv, 70 mSv, 7 rem.

7 (Laughter.)

8 DR. HOLAHAN: Keep in mind, our  
9 regulations, we have rem first and parenthetically we  
10 have mSv. Thank you.

11 DR. CROFF: I guess I understand your  
12 response. Let me just let it go at this point.

13 CHAIRMAN RYAN: Ken?

14 DR. MOSSMAN: Could you go to your slide  
15 11? I've been interested for a little while on the  
16 question of, do we need additional protections for  
17 sensitive subpopulations? And it's really interesting  
18 that the Commission has been at the forefront of this.

19 In fact, the Commission essentially  
20 preempted the Supreme Court on this decision because  
21 we are quite right that in the Johnsons Controls  
22 decisions, essentially what the Supreme Court said was  
23 it's up to the woman, and that's exactly what the  
24 Commission says with regard to pregnancy. You know,  
25 in other words, a pregnant woman can declare her

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pregnancy; under those circumstances, the employer is  
2 obligated to provide additional engineering controls  
3 or other kinds of controls, and there's a new dose  
4 limit that's established for that person temporarily.

5 My question is this: if we think of the  
6 pregnancy situation as just being a broad model for  
7 sensitivity, then if we identify sensitive  
8 subpopulations, and there have been estimates anywhere  
9 between one percent and ten percent of the U.S.  
10 population might be sensitive, that's a very, very  
11 rough estimate, then could we adopt a pregnancy-type  
12 model and allow workers to say to the employer, yes, I  
13 am sensitive, and by doing so, then the employer  
14 either educates the worker, assigns new positions,  
15 establishes new engineering controls, whatever it is,  
16 and just like we have for pregnancy, the worker could  
17 also undeclare the sensitivity if they don't happen to  
18 like what the employer is going to do for them, or  
19 whatever? Are you looking at that, at the sensitivity  
20 question that subpopulations in the pregnancy model at  
21 all?

22 DR. HOLAHAN: It hasn't been discussed.  
23 It's something I guess we could look at. But I guess  
24 the question would be, from a simplicity purpose or  
25 point of view, how many different standards do I want

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to set for a worker?

2 DR. MOSSMAN: Well, you already have the  
3 pregnancy standard that you've set.

4 DR. HOLAHAN: But, again, that's  
5 voluntary.

6 DR. MOSSMAN: Right.

7 DR. HOLAHAN: It's not required. That's  
8 in this country. Now, if you go over to the European  
9 Union, the fetus has the right of an individual --

10 DR. MOSSMAN: Right, right.

11 DR. HOLAHAN: -- and that fetus basically  
12 is limited to 1 mSv during the term of the pregnancy  
13 and there is no choice about voluntary, involuntary  
14 declaration.

15 DR. MOSSMAN: I'm talking the U.S.

16 DR. HOLAHAN: And that's one of the  
17 concerns or one of the problems we have with adopting  
18 the BSS because of those type of considerations.

19 CHAIRMAN RYAN: Dr. Le Guen, do you want  
20 to make a comment on that?

21 DR. LE GUEN: Well, we have an AEN meeting  
22 on this topic and I sat during this topics, but it's  
23 not my point of view. It's much more a Europe point  
24 of view. No one should be discriminated by gender  
25 characters. And when you have a good radiation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 protection process, you must process the most  
2 sensitive. And as a second point, if you look, if you  
3 remember yesterday what I mentioned about the dose  
4 received by the nuclear workers, but also true about  
5 ideologies. In fact, about nuclear war, the average  
6 dose was 1.5 mSv. And for the moment we don't have  
7 describe population. We are very sensitive for 1.1  
8 mSv. But, you know, sometimes this is a rule. But  
9 sometimes much more complex, the real life is much  
10 more complex.

11 I have a story, as a physician, I remember  
12 a few years ago one woman, she had breast cancer and  
13 after five years she survived. And she asked me  
14 because she wanted to work again, and she was in the  
15 hospital and she was a technician for radiography, and  
16 she said, well, I would like to work again. And the  
17 occupational physician also called me and said, well,  
18 I have trouble because I know about radiation, there's  
19 a link between radiation and breast cancer. And so  
20 what is the solution? And I told him, you know, she  
21 survived after first cancer. If you said to her, you  
22 cannot work, you will die again, so be careful about  
23 that. And I say, well, can we have a work place  
24 study? He said, yes, of course. So where the risk  
25 is? In fact the risk is when she need to go in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 emergency service close to the patient and you must  
2 make some radiography. But if she stay in the  
3 department behind all protection, she receive no  
4 radiation. So I say okay. So she can work, but she  
5 will work only in the department and that's all.  
6 That's why it's sometimes not so easy.

7 DR. MOSSMAN: No, no, I certainly didn't  
8 mean to imply that it was easy. But, you know, in the  
9 case of subpopulations, you may want to consider  
10 alternative work environments simply because there is  
11 some enhanced sensitivity. There's two ways you can  
12 do that. (1) You can have different administrative  
13 levels or you can just use some kind of average limit  
14 as we are currently doing. There's any number of ways  
15 of doing it, but it's an issue that's important. I  
16 know that the Nuclear Energy Agency, I was on the  
17 committee that Henri Metivier had shared and one of  
18 the questions that surfaced was this whole notion of  
19 how you deal with sensitive populations, and is it  
20 something that we in the international radiation  
21 protection community should be concerned about? Is  
22 the current system protective of everybody?

23 And, again, it's a utilitarian philosophy  
24 versus one in which, well, no we need to be very  
25 specific about how we're going to deal with sensitive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subpopulations. So it's an ongoing debate, but it's  
2 very important.

3 DR. LE GUEN: Yes. About sensitive  
4 population, I can one point. In France we are looking  
5 for people who have cancer at the moment of treatment.

6 And, of course, we try to have different tests  
7 because if they are sensitive to radiation, we will  
8 try to have another kind of treatment, chemotherapy  
9 for example, much more than the radiotherapy because  
10 we are looking for the certain malignant cancer in  
11 case of radiotherapy.

12 But so, all the time it's a problem of  
13 dose and, of course, in case of sensitive population,  
14 it exists but at very high dose. So you remember  
15 what you say yesterday, you believe much more in ALARA  
16 process, me too. In this case I think we need to  
17 protect everybody and I think this is a most important  
18 thing.

19 DR. MOSSMAN: I agree.

20 DR. HOLAHAN: What I would suggest that  
21 you do is, if you're interested, we have a radiation  
22 exposure information reporting system report that the  
23 agency puts out on an annual basis. All of the NRC  
24 licensees that report into this system we publish  
25 exposures for each of several groups of individuals

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 and break out the ranges where we have the exposures.

2 We find that with our ALARA system, on average, most  
3 workers receive zero exposure.

4 Now, in that type of situation, if you're  
5 using ALARA, you're optimizing the exposures, I'm not  
6 sure what benefit in an occupational setting a  
7 differential, multi-tiered system is going to have  
8 because the exposures are so low. We're saying on  
9 average most of these workers are received a mSv or  
10 less, and that's the average. There are a few that  
11 might exceed 2 mSv, but generally that's a fraction of  
12 one percent; 99.some percent are below that. And  
13 that's the value of, again, the optimization, the  
14 ALARA programs that our licensees have because, quite  
15 frankly, they want to keep, if nothing else for  
16 litigious purposes, exposures as low as possible.

17 CHAIRMAN RYAN: That's a great way to  
18 finish up, Vince. Thank you for a very informative  
19 presentation, and Dr. Puskin, and all our presenters  
20 today and yesterday.

21 I hope that after our lunch break, when we  
22 reconvene at 1:00, we can have a rich panel  
23 discussion. We'll start with that some question from  
24 the members and we'll continue on from there.

25 Again, thank you all for participating in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what has been a real rich meeting today. Hopefully  
2 this afternoon will be even better. Thank you. See  
3 you at 1:00.

4 (Whereupon, the foregoing matter  
5 went off the record for lunch at  
6 11:35 a.m. and went back on the  
7 record at 1:06 p.m.)

8 CHAIRMAN RYAN: If I could get everybody  
9 to take their seats, please, we'll come to order for  
10 our afternoon sessions. We are scheduled for a panel  
11 discussion and individual summaries by all of our  
12 participants and questions from the committee members  
13 and any other questions that might arise and that's  
14 going to go on from 1:00 to 3:00.

15 I've had one request from Mr. Dennis  
16 Nelson of the organization SERV to speak for about  
17 five minutes and he will be --

18 (OTR comments)

19 CHAIRMAN RYAN: As others join the  
20 conference call line, we'll have them announce  
21 themselves when they do that, so please forgive any  
22 interruptions. Dr. Mossman, you started us off  
23 yesterday morning. How about starting us off now?  
24 And let me set the stage, if I may. We started off  
25 yesterday with Commissioner Lyons giving us his

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interesting perspectives on an interest in this topic  
2 and I guess I'd ask all of you to think about what  
3 advice or insights would you share with the Committee  
4 as we think about what sort of a letter and what kind  
5 of information we might want to convey to the  
6 Commission and the Commissioners in particular.

7 DR. MOSSMAN: Thank you, Mr. Chairman. I  
8 sort of summarized my comments yesterday and so I'll  
9 just spend just a couple of minutes conveying my  
10 thoughts about today. I was particularly grateful to  
11 Professor Hammitt for taking time out from his busy  
12 schedule to come join us and talk a little bit about  
13 some of the economic perspectives which is a  
14 perspective that I, for one, don't fully appreciate  
15 but realize how very important it is in the grand  
16 scheme about how we deal with the science.

17 You know, we'll be making some decisions  
18 or perhaps, in the future there will be some decisions  
19 about the nature of the dose response and whether we  
20 should continue to use LNT as policy and part of that  
21 is going to include the economic considerations and I  
22 think Professor Hammitt's overview of some of the  
23 basic principles on costs and benefits and the issues  
24 about threshold and whether that's really relevant in  
25 the end, I think, was very important, so I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particularly grateful for Professor Hammitt's  
2 perspective on that and I think that whatever we do we  
3 need to consider that.

4 I was also very interested in the comments  
5 by Dr. Puskin and Dr. Holahan, differing agencies, but  
6 I think we all have the same kinds of issues in mind  
7 about linear no-threshold theory and the underlying  
8 radiobiology and what this particularly means.

9 At lunch today, I -- we had a very  
10 interesting discussion on future directions and one of  
11 the issues that we brought up that we might want to  
12 explore later was, would it be useful for the  
13 Commission to revisit the Below Regulatory Concern  
14 policy, the BRC policy, that was, for lack of a better  
15 word, a disaster back in 1988 and '89, primarily  
16 because of a -- because it was not -- the concept  
17 wasn't marketed well. And I think a lot of people in  
18 the public had -- the general public had some concerns  
19 about whether safety was being compromised by a BRC  
20 kind of proposal.

21 The interesting thing is from my  
22 perspective as a scientist, BRC is really on very  
23 solid ground, the notion that there may be risks even  
24 though they're non-zero risks nonetheless, they're so  
25 low that they don't cause us any heartburn. They're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not anything that we should be concerned about with  
2 regard to public health protection and should we be  
3 concerned about ratcheting -- or should we be  
4 concerned about expending resources to very, very  
5 small doses that, in fact, the incremental benefits  
6 that you would be expected really aren't very real at  
7 all.

8 So one of the things I'd like to see is a  
9 revisit of that and maybe that's something that might  
10 be considered for this letter that you want to write.

11 CHAIRMAN RYAN: That might actually be a  
12 little bit beyond the scope of our information  
13 gathering --

14 DR. MOSSMAN: Okay.

15 CHAIRMAN RYAN: -- for this session. So  
16 that certainly could be something that could be  
17 considered by somebody down the line but it would be a  
18 little bit out of the wheelhouse of gathering  
19 information on that topic for this letter.

20 DR. MOSSMAN: Okay.

21 CHAIRMAN RYAN: But I can clearly see it's  
22 a logical extension of --

23 DR. MOSSMAN: My -- the reason why it's  
24 brought up is the idea of risk communication, how you  
25 frame risks, become very important and that was the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failure point, if you will, in the whole evolution of  
2 the BRC initiative.

3 CHAIRMAN RYAN: I understand that and I  
4 think what our letter is going to focus on is the  
5 appropriate and best way to communicate risk and to  
6 characterize risk and to analyze risk.

7 DR. MOSSMAN: Right.

8 CHAIRMAN RYAN: And whether it's applied  
9 to any one regulatory effort or another, I think that  
10 our focus ought to be on the risk aspects that we've  
11 heard this time but I appreciate your point.

12 DR. MOSSMAN: I understand. That's really  
13 all I wanted to say.

14 CHAIRMAN RYAN: Okay, anybody else? Mary  
15 Helen.

16 DR. BARCELLOS-HOFF: Well, I wanted to add  
17 -- I thought it was very useful for me as a basic  
18 scientist to hear how regulatory decisions are made  
19 and the complexity for each agency. It leaves me a  
20 little bit to wonder how relevant basic biology is,  
21 but I think there is an underlying assumption that I'd  
22 like to just bring out and that is essentially that we  
23 know the basis for radiation's action as a carcinogen.

24 I think that's one of the underlying  
25 assumptions and thus, you know, radiation is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mutagen, a poor mutagen. I think that one of the  
2 considerations that the basic biology brings to the  
3 table is not only the complexity of biological  
4 responses but given that that complexity may well be  
5 very much dependent upon dose, and that there may be  
6 contributing factors at high dose that really augment  
7 the carcinogenic potential of that mutagenic effect  
8 and that's what we're really trying to bring to the  
9 table, is that the non-targeted effects that we have  
10 this kind of question, well, these are very  
11 interesting biology but what does it mean to us, is  
12 that that non-targeted -- those non-targeted processes  
13 are the ones that more and more basic biology is  
14 focusing on as really the drivers in carcinogenesis  
15 and understanding then the dose dependence of those  
16 non-target effects become critical to actually saying  
17 not only do we have a regulatory model to evaluate  
18 risk in a population but we have a good biological  
19 understanding what that risk is due to.

20 I think that allows us to do something  
21 that we haven't been able to do before and that is  
22 actually think about susceptibility in a different  
23 fashion and I can go on about that but I'm not going  
24 to.

25 CHAIRMAN RYAN: Please do. I find this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 part of our meeting fascinating because you know, as a  
2 physical scientist based person, you know, ergs per  
3 gram is just fine and has been for a long time but you  
4 know, I'm re-educated over the course of these two  
5 days by the details that are so important, well,  
6 that's not fine. I mean, it really is energy  
7 deposited in what, where, how, when and next to what.

8 DR. BARCELLOS-HOFF: And the consequences  
9 of the --

10 CHAIRMAN RYAN: And the consequences. So  
11 I would appreciate you expanding on that a bit.

12 DR. BARCELLOS-HOFF: So I guess my -- the  
13 thought I'm trying to convey here is that we have, for  
14 example, in the presentation -- I'm sorry, I can't  
15 read your name from this far away. Vince, and I'm  
16 terrible with names as I demonstrated yesterday. It  
17 was Peter O'Neal whose name I was trying to remember  
18 yesterday.

19 So in one of your slides you had dose and  
20 effect. It was one slide we went back to later and  
21 there was the epidemiology and then there was cellular  
22 molecular biology and then there was this line and one  
23 of the things that the cellular molecular biology you  
24 referred to was cytogenetic and clearly we can see  
25 cytogenetic effect. But effects, like cytogenetics is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 really an assay or really reflects dose and therefore,  
2 we think the effect is also associated to the risk and  
3 the only -- the main point I'm trying to convey in the  
4 biology is that risk is multi-faceted. The process of  
5 carcinogenesis is multi-faceted and that what we're  
6 really looking at is in cancer incidents is the  
7 culmination of this. And that while it's true we see  
8 very early effects and that we can track them linearly  
9 with dose and there's absolutely no question that  
10 there is a linear consequence of radiation exposure at  
11 one level, which is generally DNA damage, and that it  
12 does have a probability of causing mutation and that  
13 mutation has a probability of contributing to  
14 carcinogenic process, that it's really a more  
15 complicated process and one of the things that allows  
16 a tissue to develop a clinical cancer is perturbation  
17 in all the other cell types that are not mediated by  
18 mechanisms dealing with mutations.

19 And that's -- but it's a two-part problem.

20 I believe you have to have the genetic change in a  
21 cell and that radiation is good at doing that, but I  
22 also believe you have to have this perturbation of the  
23 system that we referred to and that actually high dose  
24 radiation is good at perturbing that system and that's  
25 why it's good carcinogen at high doses.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           But the question that remains is whether  
2 it perturbs the system at low doses and whether it  
3 does it in a deleterious fashion. And that's my  
4 assessment of the biology and so of cancer as a  
5 process. And that's the part I don't see represented  
6 when we talk about radiation effects being a damage  
7 and then leap to carcinogenesis. There's a big leap  
8 there and we see it over and over when we draw these  
9 models and I know everybody -- I just wanted to bring  
10 that up.

11           DR. MOSSMAN:       Is this a merchant's  
12 problem, I mean, you know, where you're looking at  
13 individual cells and then extrapolating over to the  
14 grosser pathology.

15           DR. LAND:       Is there anything radiation  
16 specific about the non-targeted effects?

17           DR. BARCELLOS-HOFF:   Is there anything  
18 radiation specific about the non-targeted effects?  
19 No. Well, I'm afraid that my -- I don't know anything  
20 but radiation. No, so I couldn't compare and contrast  
21 it to like a chemical carcinogen. The experiment that  
22 I showed you yesterday -- here I can, I can. Okay, so  
23 here's a non-targeted effect, right?

24           The experiment I showed you yesterday,  
25 where you have your mouse and you take out the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 epithelium from the mammary gland and then you  
2 irradiate the mouse, right? This is the experiment I  
3 did and then I transplanted in unirradiated epithelial  
4 cells and showed that they readily went to cancer even  
5 though the host had only been irradiated, right?

6 And that actually that was a very strong  
7 effect, because I could see an increase in cancer, a  
8 30 percent increase in cancer at 10 centigrade. Okay,  
9 so that's acting on all of those other processes not  
10 on mutagenic load in the target cells. So that  
11 experiment has been done with two other chemical  
12 carcinogens by colleagues of mine, one with NMU and  
13 one with DNBA.

14 In the case of DNBA, in rats, DNBA in rats  
15 or NMU in mice or vice versa, but anyway they're both  
16 carcinogens of mammary gland. In the case of NMU,  
17 there was no effect via the host. If you treated the  
18 host, you didn't change NMU's carcinogenic potential  
19 but in DNBA if you treated the host you almost -- it  
20 was almost 100 percent of the cytogenetic potential.

21 So are there other agents that act through  
22 additional processes than mutation? Yes. And there  
23 are actually a lot of carcinogens that aren't very  
24 good mutagens, asbestos. Asbestos actually acts  
25 indirectly through the production of reactive oxygen

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to generate mutations but not a direct mutagen.

2 DR. MOSSMAN: Mary Helen, do you want to  
3 comment on the school of thought that this  
4 guesstimates derived from epidemiologic studies  
5 already include consideration of non-targeted effects?

6 I mean, it would have to. Simply, is there anything  
7 more -- I mean, so in terms of our understanding of  
8 risk, if in fact, linearity holds and it is true, then  
9 the risk estimates that we get primarily from studying  
10 effects at high doses, say above 200 mSv, 20 rad, then  
11 whatever influences, positive or negative, that  
12 bystander effects would have and things like that are  
13 already accounted for in the risk.

14 DR. BARCELLOS-HOFF: Well, that's true but  
15 that's only true as far as the epidemiology shows an  
16 effect.

17 DR. MOSSMAN: Right, right.

18 DR. BARCELLOS-HOFF: After that, you're  
19 extrapolating based on underlying assumption that you  
20 understand the mechanism and that the mechanism isn't  
21 linear. And I have a slide. I don't know that we  
22 have an AV person, and we don't have a chalkboard.  
23 And actually, I'll talk about and try to present this  
24 idea next week at the NCRP but if you think about that  
25 linear component, and we say it's a two-compartment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 problem that you have to have both compartments or  
2 both modes of actions, right, I talked about modes of  
3 action yesterday. Both modes of action have to occur  
4 in order to actually get that effect, that consequence  
5 cancer.

6 So you're linear no-threshold, right,  
7 that's targeted effect. So remember one of the  
8 things about non-targeted effects is they tend to have  
9 a step-function dose response. A very small dose will  
10 elicit the response, a larger dose doesn't increase it  
11 considerably. It's not proportional to dose. It's  
12 more like it's a biological process that turns on and  
13 once it's on, it's on.

14 And so then it becomes a question, well,  
15 at what dose does those other processes occur? And you  
16 could put your linear no-threshold. You could say,  
17 okay, at 10 centigrade, see, I use a completely  
18 different set of -- 10 rem, right, that's where it  
19 turns on and anything below that all you're going to  
20 have is that linear component and it's therefore, not  
21 going to be as efficient as a carcinogen because all  
22 you've got is the mutagenic potential.

23 And I think if you go to the chemical  
24 toxicology literature, there's a lot of discussion  
25 about modes of actions and how they intersect with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 each other and how they change as a function of dose.

2 But in radiation biology for some reason we kind of  
3 left off that whole other effect that radiation really  
4 has and that may well be acting in concert with the  
5 mutagenic effect and we don't understand it.

6 So that's the -- I started off trying to  
7 say what we tried to bring to the table is from the  
8 science side is what we understand about the  
9 biological processes and clearly we understand a lot  
10 more about DNA damage than we did 25 years ago and we  
11 have an exhaustive amount of information about the  
12 mechanisms of damage repair and resolution and cell  
13 type specificity and now I think we'd like to have  
14 that equal depth of knowledge about these non-target  
15 effects, changes in phenotype that have persist on  
16 genomic instability. It's really a phenotype. It's  
17 not a mutational -- it's not a train mechanism  
18 frequencies consistent with a mechanism mutation.  
19 It's a phenotype.

20 CHAIRMAN RYAN: So the next leg of this  
21 chair is to kind of gather that all up at the cellular  
22 and now we're going to talk about you know, groups of  
23 cells and tissues and organs and organ systems and the  
24 whole --

25 DR. BARCELLOS-HOFF: The systems biology

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where we try then to compile all that information in  
2 that useful fashion that we begin to predict how -- so  
3 when you get back to sensitivities of populations,  
4 what I begin to -- what I find very interesting about  
5 radiation cancers is that it's not -- there's nothing  
6 unique about it. It's no different. You don't induce  
7 a particular set of cancers. There's a susceptibility  
8 inherent in the population. We seem to be augmenting  
9 that susceptibility and whether that susceptibility is  
10 lifestyle, in the case of the gastric cancers somebody  
11 mentioned yesterday or is it a case of genetic  
12 predisposition, it could be that you're actually  
13 dealing with an accelerated -- well, you know, I don't  
14 want to say that because it gets into very -- but in  
15 breast cancer right now, there's a large effort in  
16 understanding not only those very strong genotypes  
17 that drive familial breast cancers like BfCR1 and 2  
18 which only contribute to -- only account for what is  
19 it, five percent of all breast cancers is familial; is  
20 that right, Charles, something like that. But the  
21 preponderance of breast cancers are actually due to  
22 interactions between very weak polymorphisms so  
23 there's -- but they're high frequencies so the BrCAl  
24 are very strong but they're very infrequent.

25 And then you have the genetic component

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where you have a lot of weak high frequency  
2 polymorphisms and there's an argument right now that a  
3 large proportion of those cancers that we distribute  
4 across the population actually only occur in a very  
5 small portion of the population. This is Bruce  
6 Ponder's analysis of polymorphisms in the breast  
7 cancer populations.

8 And I think that's an interesting idea  
9 that we should consider in radiation protection is a  
10 sensitive population, whether those cancers are really  
11 occurring randomly throughout the population or really  
12 in a very discrete set of individuals.

13 CHAIRMAN RYAN: Interesting. Thank you  
14 very much. Jerry?

15 DR. PUSKIN: May I respond to that? Maybe  
16 my take on it and you can respond to this. If it's  
17 correct let's say that radiation causes mutations but  
18 then it also causes other things and these other  
19 things are necessary in order to get a cancer from  
20 this mutation, it would seem like a threshold, a real  
21 threshold you're in likely because we already know  
22 that whatever processes convert a mutation into a  
23 cancer are already occurring in the body without any  
24 extra radiation, people get cancer. So if all these  
25 cancers kind of rise out of these mutations. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 wouldn't that argue that yes, the dose response could  
2 be non-linear below where we can see the epidemiology,  
3 sort of the question that Dr. Mossman asked but it  
4 could be very non-linear because the relative  
5 importance of these different processes, the effect of  
6 radiation on these processes could be very different  
7 low doses than they are at higher doses. So you might  
8 get something that doesn't look like a linear dose  
9 response but you still -- radiation should still be  
10 able to cause some cancers.

11 Now, you would say --

12 CHAIRMAN RYAN: I think the secret there  
13 is some, you know, but not all.

14 DR. PUSKIN: That's right, that's right.

15 CHAIRMAN RYAN: So that's a little bit of  
16 a confounder there.

17 DR. BARCELLOS-HOFF: And so you could have  
18 two parallel curves with a drop in between, right?  
19 And so then my question is, yes, there's -- the linear  
20 component will always give some kind -- we did talk  
21 about this concept of negligible and at some point it  
22 does become negligible in a body of, you know, 14  
23 cells, that one mutation and one randomly hit cell.

24 DR. PUSKIN: Or you can prevent some  
25 cancers, you know, and that sort of thing.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BARCELLOS-HOFF: And one of the ideas  
2 that comes out of thinking about it, is that you can  
3 actually begin to think of ways of reducing the  
4 carcinogenic potential of radiation which you can't do  
5 with mutations because you don't know what your  
6 mutation is. You can't come in and target your p53 or  
7 your, you know, whatever, ETR. It's hit and you  
8 don't know what it's going to be but these other  
9 processes actually do lead you to other strategies for  
10 thinking about carcinogenic risk and it's  
11 inevitability.

12 DR. LE GUEN: We must keep in mind that if  
13 we observe cancer due to the edge, it's do to an  
14 accumulation of mutation due to the edge and in fact,  
15 at high dose we accelerate the process and that's why  
16 you know, of course, that after high exposure you have  
17 a risk of cancer not next year after the exposure but  
18 15 -- an average of 15 years after high exposure.

19 It's only time -- the need, time to need -  
20 - no, the need to have a second mutation and to have a  
21 process and in fact, for us to -- the first exposure  
22 is the beginning of the process, this is a first step  
23 but you need to have other steps before to have the  
24 cancer, the tumor and for sort of tumor it's between  
25 10 and 15 years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And that's why in fact, I wanted to say  
2 this morning we talked about the different non-  
3 targeted effect but from my point of view, it's not  
4 good to try to compare one non-targeted with another  
5 and say this one is good for the body, this one is not  
6 good. This is a war reaction of the body and we must  
7 take this reaction as a war and particularly, you  
8 know, today we know that cells react at very low dose.

9           This is a reaction and it's not a problem. And for  
10 people to say, "Well, of we observe a reaction, it's  
11 bad".

12           No. We live under stress and if we are  
13 not a reaction of a cell we die. And in fact, this is  
14 a reaction and this is normal reaction. Yesterday I  
15 said about the evolution and probably because now at  
16 this dose we have a lot of different stress. Today we  
17 talk about raising radiation, but we must take into  
18 account also the other stress. That's why about  
19 education on the seven point, I full agree with Ken  
20 and also Vincent who says this morning that we must  
21 think about which kind of communication we must have  
22 with the population.

23           And if we are talking about risk, we must  
24 talk about all the genetic toxic agent because if we  
25 want to focus only on one, it's not fair because we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 live with other stress and to -- the body is a  
2 marvelous device because if we can live under stress  
3 it's because we have different mechanisms. The  
4 problem is and when you begin to have trouble when we  
5 begin to be on the way of the cancer and that's the  
6 difficulties that we have. But to have a -- to  
7 observe a reaction at low dose, I think it's not bad.  
8 It's normal.

9 CHAIRMAN RYAN: One of the things that,  
10 you know, in this whole issue of, you know,  
11 accumulating dose and thinking about the natural  
12 background and then workplace exposure, there's one  
13 part we really haven't talked about and I'd be happy  
14 to have any insights, and that's medical exposure.  
15 Medical exposure is usually given compared to the  
16 workplace or compared to the natural environment, a  
17 very high dose rates relatively speaking in very short  
18 bursts. So I'm not so sure, you know, fluoroscopy can  
19 be 10s or even 100 centigrade over, you know,  
20 typically, you know, major portions of the body.

21 How do we account for what is -- what NCRP  
22 has reported last year and hopefully will publish soon  
23 an increasing population of folks, now I know not  
24 everybody gets, you know, the same level of medical  
25 care. Certainly nuclear workers get a level of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medical care that's appropriate for good health and  
2 all of that, but how do we deal with that now  
3 significant component of what is typically ignored as  
4 part of their background exposure?

5 DR. BARCELLOS-HOFF: I was actually very  
6 struck by that comment. Essentially, isn't it doubled  
7 almost.

8 CHAIRMAN RYAN: Yeah, it's more than that  
9 actually.

10 DR. BARCELLOS-HOFF: Yeah, I mean and so  
11 I'd characterize it as a schizophrenia, right, because  
12 on the one hand we regulate to incredibly small doses.  
13 On the other hand there's no regulatory checks other  
14 than, you know advisor decision --

15 CHAIRMAN RYAN: And again, I'm asking this  
16 question about the radiation biology and how that  
17 would flow into the epidemiology. I realize people  
18 judge medical exposure differently than they would  
19 workplace and background. I'd just like to leave that  
20 on the side.

21 DR. BARCELLOS-HOFF: Well, how can you  
22 treat it differently?

23 CHAIRMAN RYAN: Well, I mean, very often  
24 it's not recorded or known and yet it's double the  
25 background if not more in some cases. Some folks have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lots and lots of exposure. Some have very little and  
2 some are in this kind of average condition but there's  
3 a large fraction of folks who get up into the 50s that  
4 have cardiac scans and all the rest. You know, those  
5 could be up in the near 100 rad.

6 DR. BARCELLOS-HOFF: Well, my colleague at  
7 DOE always asked the question about the RERF data set  
8 and how the population there has been very carefully  
9 monitored with radiation and how that doesn't -- that  
10 piece of information isn't part of the dose exposures  
11 or the cumulative dose is not included in that.

12 CHAIRMAN RYAN: Can you, Tom, talk a  
13 little bit about what the NCRP is finding in this area  
14 in terms of the numbers?

15 DR. TENFORDE: Yes, actually we will be  
16 soon putting the draft of the Committee report on our  
17 website and that will be publicly available at that  
18 point and it will undergo then formal council review.

19 It's about to undergo expert panel review, which we  
20 do before the council review but in brief, the average  
21 medical exposure per annum for an individual in the  
22 United States has increased from about 50 millirem in  
23 the early 1980s to a little more than 300 millirems in  
24 2006, a six-fold increase, which is very substantial.

25 So now in looking at the total exposure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with average values for terrestrial, cosmic, internal  
2 body, radon, and minor contributions from  
3 occupational, et cetera, adding medical you're up to  
4 about something like 6.2 mSv per year. About twice  
5 what it was at the time Report 65 was published in  
6 1987.

7 Now, this introduces in my mind a lot of  
8 very interesting questions and complications. When we  
9 were talking about average exposures, let's say 20  
10 years ago, we were talking about roughly 300 millirem  
11 of which nearly all was chronic exposure, very low  
12 rates, like a millirem a day. Now, we're suddenly  
13 looking at a background exposure including medical,  
14 where about half of the exposure consists of acute  
15 exposures to fairly significant, non-trivial doses at  
16 higher dose rate, much higher dose rate.

17 So given the fact that a lot of  
18 regulations are built around the idea that exposures  
19 are chronic at low dose rates, how do you now compare  
20 those regulatory guidelines with the current, if you  
21 will, total average amount received by US -- a member  
22 of the US population? This is true, by the way, in  
23 Europe, Japan and a number of other countries, having  
24 looked at this --

25 CHAIRMAN RYAN: And if we pick up on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Vince's point that the large fraction of the workforce  
2 has, you know, low cumulative doses, you know, it  
3 really boils down to even in the nuclear workforce,  
4 it's really the medical exposure is in excess and at  
5 the higher dose rates than the work exposure.

6 DR. TENFORDE: Right, and the issue, where  
7 I was headed on that is that you now have the  
8 complexity of comparing low chronic doses delivered at  
9 low dose rates with a much higher average annual, if  
10 you will, background, including medical --

11 CHAIRMAN RYAN: Right.

12 DR. TENFORDE: -- for the population and  
13 half of which is delivered at a much higher dose rate.

14 CHAIRMAN RYAN: And in small bits or in  
15 bits across --

16 DR. TENFORDE: Yeah. And I don't -- this  
17 is a very complex issue. In regulatory circles  
18 typically, in the past, medical has been set aside,  
19 the idea being that this is a beneficial use of  
20 radiation and you really need to look at health  
21 benefits versus the risk of having radiation  
22 administered for medical uses and you know, we've  
23 tended to ignore that but the level of medical  
24 exposure now is reaching a point where I'm not sure it  
25 should be ignored in terms of public or occupational

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 exposures.

2 CHAIRMAN RYAN: And just to take the point  
3 -- and I don't disagree that that premise is a valid  
4 one to think through but the fact that there's now  
5 these episodic exposures that are significant compared  
6 to the chronic exposure from what we've learned about,  
7 you know, these more sophisticated ways to think about  
8 the biology, it would seem that the biology could be  
9 confounded by these short higher dose rate exposures  
10 as well as you know, the question of is there a  
11 question of appropriate, you know, requirements for  
12 control, et cetera. So am I right there, that that  
13 could be a confounder?

14 DR. BARCELLOS-HOFF: But it would also be  
15 compounded by, except in the whole body CT scans, you  
16 have very localized radiation and one of the things, I  
17 just don't know how to extrapolate is, is whether --  
18 we were talking about this over lunch, your colomated  
19 (phonetic) tumor would elicit an immune response,  
20 right, even though it was a local volume that was  
21 irradiated, but, you know, volumes irradiated also  
22 might impact this.

23 CHAIRMAN RYAN: Oh, sure.

24 DR. MOSSMAN: Mike, if I could add --

25 CHAIRMAN RYAN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MOSSMAN: -- you know, this problem  
2 with medical exposures and the high doses isn't  
3 anything new. You can go back better than 20 years  
4 and the American College of Radiology and other groups  
5 fully recognized even back then that doses were very  
6 high for many of these procedures. The problem became  
7 very acute within the last four or five years when it  
8 was recognized that you had this tremendous increase  
9 in number of examinations that were done from three  
10 million CT scans in the early 1980s to over 60 million  
11 today and so that's the fundamental problem.

12 It might behoove the Advisory Committee to  
13 look at the paper that Amos, et al., published in the  
14 Journal of the American College of Radiology back in  
15 May or June of last year in which they set up a whole  
16 structure of dose reduction, the kinds of issues that  
17 they needed to look at that included unnecessary  
18 repeat examinations, partnerships between patients,  
19 physicians, insurance companies, that were major  
20 drivers in elevating the dose.

21 I mean, there are all sorts of stories  
22 about a patient going to his primary care physician.  
23 The primary care physician orders a CT exam of the  
24 abdomen. That study is done. The patient is then  
25 triaged to a gastroenterologist specialist. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 gastroenterologist specialist within two weeks does  
2 the exact same exam all over again and why are they  
3 doing it, well, in the name of ligation, in the name  
4 of whatever philosophy of patient care that they have  
5 but it's those kinds of problems.

6 From a personal standpoint, I think the  
7 driver in all of this is not so much the public health  
8 impact of the increased radiation dose, but the  
9 medical costs. I mean, I think that the major issue  
10 is the tremendous costs of doing these CT  
11 examinations, but if you look at the ACR White Paper,  
12 they have a well-thought out strategy about how to  
13 deal with what is ultimately a dose reduction problem.

14 How do you eliminate unnecessary x-rays things of  
15 that nature.

16 CHAIRMAN RYAN: And I appreciate those  
17 additional, you know, areas of interest and concern,  
18 but again, I'm trying to narrow our --

19 DR. MOSSMAN: No, no, but in terms of  
20 where we're going in terms of it's a dose problem from  
21 a radiation protection standpoint, it's how you  
22 eliminate the dose and there's all sorts of reasons  
23 why you have the high dose.

24 CHAIRMAN RYAN: Right. No, I appreciate  
25 that and not all just because it's more. I mean, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understand. Thank you.

2 DR. LE GUEN: I agree, just to moderate  
3 but all is true and I agree with everything but one of  
4 the increase is also due to the aging of the US  
5 population and because in the modern democracy in  
6 Europe and in US we have trouble that we have an  
7 aging, an important aging of the population. And of  
8 course, if you increase the aging, you increase the  
9 number of medical examinations and that's why if we  
10 are talking about -- as the problem yesterday I  
11 mentioned that from my point of view, it's very  
12 important to focus on the most sensitive population,  
13 so children, pregnant women and so on, much more than  
14 other all population because if you are 80 years old  
15 or 75 years old, it's not a problem if you have two CT  
16 scans but if you are younger, yes, of course, it's  
17 much more interesting to take into account.

18 CHAIRMAN RYAN: It would be interesting to  
19 try and figure out how many nuclear workers or  
20 radiation workers have medical exposure that exceeds  
21 their workplace exposure.

22 DR. LE GUEN: Yeah, yeah, you're right.

23 DR. HOLAHAN: Well, I think that  
24 information might be available. One of the things hat  
25 we haven't seen yet because the report is not out, is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with CT demographics. And it's pretty much equal  
2 across the board. The children under age 10 are  
3 getting as many CT scans as the geriatric cases in  
4 their 70s and 80s.

5 DR. TENFORDE: Yeah, actually, I have a  
6 slide that shows the distribution. It does -- it's  
7 sort of bell-shaped with a peak in the 50s, age-wise  
8 but it's not a, you know, it's not a huge drop-off  
9 between the very young and the very old. It's a very  
10 understandable peak because people begin to develop  
11 health problems that require nuclear cardiology and CT  
12 exams in their late middle age and as they get older,  
13 either the problem is cured or they die, you know, or  
14 their judged not to be curable. So they don't get  
15 more and more exams.

16 So that's the explanation of the curve, I  
17 think.

18 DR. HOLAHAN: But the issue that I'd go to  
19 is those children are also the most sensitive. All  
20 you have to do is look at the life span study and the  
21 children under three and five are much more sensitive  
22 than somebody radiated in their 30s or their 50s and  
23 what's going to be interesting to see what happens to  
24 those kids 50, 60, 70 years from now, because if you  
25 look at the life span study, when did most of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 solid cancer start showing up? It's only been that  
2 last 10 or 20 years.

3 That is to say, it was the folks that were  
4 exposed under age 20 at Hiroshima and Nagasaki, so  
5 that age dependence is going to be very important.

6 DR. MOSSMAN: But you know, in that  
7 regard, though, the Oxford Childhood Cancer Survey is  
8 very -- is very instructive because one of the issues  
9 in trying to understand the nature of causality was  
10 asking the question, what was the medical reason for  
11 the woman to have the exam to begin with. And did  
12 that medical status or risk of disease have any impact  
13 on the risk calculations?

14 We can ask the same questions here with  
15 regard to CT exposure of children. Why are they  
16 having the examinations?

17 DR. HOLAHAN: Traumatic injury. I mean,  
18 traumatic injury won't necessarily be disease.

19 DR. MOSSMAN: And -- it may not be, but we  
20 don't know. I mean, we just -- we don't know whether  
21 it's some kind of chronic illness. We don't know if  
22 it's, you know, and appendicitis or something like  
23 that. Sure you might say that it's an isolated  
24 disease, we don't have a problem but we just don't  
25 know and all I'm saying is that it's -- that kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concern complicates the interpretation of the data in  
2 trying to attach some kind of public health impact to  
3 CT exams of children and you know, that's the only  
4 reason I bring it up is that those kind of issues have  
5 been brought up before and it makes the interpretation  
6 difficult.

7 CHAIRMAN RYAN: Jerry.

8 DR. PUSKIN: Along those lines, another  
9 concern is CT scans of infants and that often happens  
10 if there's problems, spinal fluid and so forth.  
11 There's -- there was a study done by a Swedish group  
12 Herr Hall and others that showed that infants who are  
13 radiated for birthmarks on their face that years  
14 later, it turned out they had lower cognitive ability  
15 than controls and the doses weren't that much higher  
16 than typical head CT scans.

17 You know, the total dose was around six  
18 rad. You know, if you get a series of three CT scans  
19 to the head, you're in that same range. So that's  
20 certainly another concern.

21 DR. LAND: Also true of the tinea Capitis  
22 patients.

23 DR. MOSSMAN: Reduced?

24 CHAIRMAN RYAN: Well, it's a dimension I  
25 think we've kind of heard a number of, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 examples of the studies that address this idea that  
2 medical exposure is certainly increasing and certainly  
3 there's some evidence that say that's part of the  
4 overall radiation risk profile for workers or others  
5 and as well as background and workplace exposure.  
6 That's an interesting observation.

7 DR. PUSKIN: This is off of medical.

8 CHAIRMAN RYAN: Please change the subject.

9 That's fine.

10 DR. PUSKIN: I just wanted to sort of make  
11 a final few points along the lines that I made. First  
12 of all, I would second what Dr. Holahan said, that you  
13 know, that aside even from the question of radiation  
14 risk, that we certainly second the support for the low  
15 dose program at DOE. I think there are very  
16 interesting things coming out of there that I think  
17 will have wide implications in terms of understanding  
18 carcinogenesis and biology in general. And also we're  
19 interested, very glad that DOE and NCI are supporting  
20 the Techa River study and other studies of chronically  
21 radiated cohorts.

22 What I've seen here though is that we have  
23 these effects, these low dose effects and undoubtedly  
24 they are real in some systems at some doses and so  
25 forth but what we don't really know is do they have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 any significant effect on the US and I think that's  
2 really what drove BEIR VII. In Committee they said,  
3 yeah, these effects occur but any effect on the reask  
4 at this point is highly speculative.

5 You know, in looking at it, we don't see  
6 why it would necessarily have a big effect and given  
7 also that as far down as we can look, there's no  
8 indication of a strong deviation from LNT. And as I  
9 tried to bring out, I think we're going down pretty  
10 far. You know, it's true, it's not as far as we need  
11 to go, but and we don't see that.

12 So right now, I think the effect on risk  
13 is at least highly speculative and given that, I don't  
14 think there's really an alternative to LNT either for  
15 risk assessment and especially, I think Dr. Holahan  
16 made the point stronger than I did but on regulation.

17 That we're really not going to be able to relax the  
18 risk estimates in the -- or relax regulations based on  
19 these kinds of studies any time really soon.

20 And I guess that's really what I was --

21 DR. BARCELLOS-HOFF: And good I just add  
22 as the biologist here --

23 CHAIRMAN RYAN: Yes, please.

24 DR. BARCELLOS-HOFF: -- that as a citizen,  
25 I hope you don't. The precautionary appearance of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ALARA all those things hold. What we're trying on the  
2 basic biology side is really to understand radiation's  
3 action as a carcinogen. It is the only known human  
4 carcinogen that we have to understand this process  
5 better. I often speak to cancer biologists who go in  
6 and mutate that and then make a mouse that's all  
7 mutated or you know, and say this oncogene drives all  
8 of carcinogenesis and I say, "But does that tell you  
9 anything about spontaneous cancer or does it tell you  
10 about exposures in terms of how we think about human  
11 populations". And it's very hard to get them to come  
12 to that, you know, "Oh, well, radiation is spontaneous  
13 DNA damage, it would cause this mutation one out of  
14  $10^{14}$  times, you know.

15 And you could do those calculations. So  
16 it's really important just to understand that  
17 radiation is very interesting as a biological -- in  
18 terms of the biology it elicits. And what we're  
19 trying to understand better is, is that biology and  
20 you're absolutely right, some of these effects may be  
21 just that, effects, transient. And one of the goals  
22 if the DOE program is to make sure that people try to  
23 take that biology and link -- make the next linkage  
24 which is does that effect have a consequence that fits  
25 into this model of cancer?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And it's easy to do with DNA damage. But  
2           it's going to be much easier to do in the next 10  
3           years with the biology that's coming out now to link  
4           all these so-called non-targeted effects. I just want  
5           the radiation biology community to be aware of them  
6           and to be thinking about how that might impact the way  
7           they consider radiation's action as a carcinogen. But  
8           it's actually true, we're not done.

9           DR. MOSSMAN: Mary Helen, do you see in  
10          the future moving away from cellular radiobiology  
11          studies all together and focusing on tissue and organ  
12          effects in a system biology approach recognizing as --  
13          we see that cellular effects are fine but they are  
14          very limited in terms of what it is that they can tell  
15          us about cancer as a tissue and as a multi-cellular  
16          organism phenomenon. Do you see a general shift in  
17          the kinds of models that you will be using that --

18          DR. BARCELLOS-HOFF: That's one of the big  
19          emphasis in the DOE program against a fair amount of  
20          resistance if a portion of the radiobiology community  
21          because it is easier to look at things that you can  
22          have a flat on a dish, you know. There's a lot of  
23          technical advantages to that when you're trying to  
24          control variables.

25          As we get into more complicated models

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's more difficult to control variables and to  
2 attribute. And actually, you know, it's very hard in  
3 the United States right now and I think even worse in  
4 Europe to do an animal study.

5 DR. MOSSMAN: Yeah, that's true.

6 DR. BARCELLOS-HOFF: But it's -- you know,  
7 to put all those pieces together, I think requires a  
8 slightly different framework that we brought up  
9 earlier.

10 DR. MOSSMAN: Right, right.

11 DR. LE GUEN: If we have a -- just to  
12 complete because that's an interesting point. I  
13 believe in that. You know, if you have a look on the  
14 story, during the '60s I was too young but a research  
15 was -- worked on the protein and after the discovery  
16 of the molecular biology and we begin to work on the  
17 genome, and after the genome, perhaps it's interesting  
18 to look on the function of the genome, so we have the  
19 transfetom (phonetic).

20 Now, we are talking about proteinic so  
21 about the protein again, because it's only a part of  
22 the answer, the gene. After it's very important to  
23 have the function into the cell and after into the  
24 cell, into the tissue and into the body. And we have  
25 a lot of disease about that just -- I don't know who I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was talking to yesterday about that to say, when we  
2 have a higher radiation, we don't --

3 DR. BARCELLOS-HOFF: We were talking about  
4 that, multi-organ failure.

5 DR. LE GUEN: Yeah, absolutely. In fact,  
6 this is a reaction, this is a reaction of the body.  
7 We die at the end due to an important inflammation.  
8 And the reaction is too strong and we know that.  
9 That's very important after we observe physical  
10 evidence but yesterday I say it's important to know  
11 what will be the outcome, what will be the  
12 consequence. And as a consequence we must take into  
13 account the tissue reaction and the body reaction.

14 So that's very important to all of this.  
15 And one of the problem, and I full agree with you Mary  
16 Helen, it's that today it's very hard to work on  
17 animals, that's true. And you remember yesterday I  
18 mentioned that it's very hard to extrapolate from a  
19 model to the body because we miss something and of  
20 course, it's very important to have this link between  
21 the observation and the consequences as label, in 3-D  
22 in the body, not only in vitro experiment.

23 DR. TENFORDE: Let me add one thing, I  
24 don't know whether this has been said yet or not but  
25 in my own mind, the very important research that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 being done with low dose radiation effects to me it is  
2 important for more than one reason, more than just  
3 understanding low dose effects in the context of  
4 policy, practices and regulations. To me it's basic  
5 science that will undoubtedly eventually pay off in  
6 terms of medicine. I think there's no question about  
7 that.

8 We know that localized insults to tissue  
9 propagate. I mean, this has been known for many  
10 years, I mean, in terms like abscopal effects, you  
11 know, and that the more we understand about response  
12 of integrated tissues to localized radiation effects,  
13 the more we will be able to put that knowledge to work  
14 in terms of treating disease not only at the tissue  
15 level but you know, a major issue that's still being  
16 dealt with, we deal with it at NCRP and ICRU as well,  
17 is what happens outside the treatment volume because  
18 we know there is scattered radiation and there are  
19 certain norms for how much that can be for various  
20 types of radiation and we know that this is an  
21 appreciable amount of radiation compared to the amount  
22 that people are getting from natural background or  
23 other sources.

24 So I think that a lot of this basic  
25 knowledge will ultimately translate into the medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 arena and lead to some enlightened decisions on either  
2 proactively or retrospectively treating secondary  
3 effects of disease or treatment of disease.

4 DR. MOSSMAN: I wanted to add that --

5 CHAIRMAN RYAN: Vince, did we skip over  
6 you, Vince? Did you have --

7 DR. MOSSMAN: Yeah, I need to leave and I  
8 just wanted to make one comment --

9 CHAIRMAN RYAN: Oh, please, okay, all  
10 right, sure.

11 DR. MOSSMAN: -- on Dr. Tenforde's  
12 comment. I agree with you 100 percent. I think that  
13 the more we get to know about a system or systems and  
14 understand their behaviors, the better off we are in  
15 managing it. But on the flip side of the coin, it's  
16 interesting to note that historically all of the major  
17 treatment strategies for radiotherapy in cancer back  
18 in the 1910s, 1920s, 1930s were done and understood  
19 and in place before we ever understood the concept of  
20 radiation repair or anything like that.

21 We learned about fractionation and all of  
22 that stuff and the benefits of doing that before we  
23 ever understood one single thing about cellular basis  
24 of ionizing radiation repair and the like. So the  
25 flip side is interesting but I concur with you 100

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent that we need to learn more about these things  
2 in order to be able to develop new therapies like  
3 clevat (phonetic) for the treatment of CML that only  
4 came about because of findings in molecular biology in  
5 the nature of the ABL oncogene and things like that.  
6 I mean, I think that that was absolutely critical and  
7 is a perfect example. And with that, I excuse -- I  
8 need to excuse myself, Mr. Chairman. Thank you.

9 CHAIRMAN RYAN: Thank you very much.

10 DR. MOSSMAN: Good to see everyone, thank  
11 you.

12 CHAIRMAN RYAN: Vince?

13 DR. HOLAHAN: I guess my thought might be  
14 to Mary Helen and actually Dr. Mossman is we have to  
15 be very careful with the information technology and  
16 availability of information. That is to say many of  
17 the young investigators know the internet and nothing  
18 else. And here's my point; back in the '60s and '70s  
19 Al Klein (phonetic) was doing experiments in sub-  
20 lethal damage repair and potentially lethal damage  
21 repair.

22 That's not a new phenomenon. I mean, we  
23 knew going back to your four R's of radiotherapy,  
24 there is going to be repair, repopulation,  
25 reoxygenation, redistribution. Much of this is where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 we got our tissue, much of this is where we get our  
2 DDRF. And I would go back to the French National  
3 Report. That was all discounted. It's there. It's  
4 nothing new. The BEIR VII report acknowledged that,  
5 yet the French Academy Report pounded them on that  
6 issue there.

7 We know that there are single strand  
8 breaks in every cell. It occurs daily. You cannot  
9 transcribe and translate information unless you break  
10 the DNA, unwind it, transcribe it, wind it back up and  
11 like it. It goes on daily. You indicated that there  
12 was no repair at the very low dose but you said  
13 yourself there's eight double strand breaks a day in  
14 every cell. It's metabolic damage depending on the  
15 proximity those can be realigned.

16 You've got non-homologous end joining  
17 techniques that can repair them but it might be error  
18 prone. But this isn't new, so I would caution you  
19 that we've known that different tissues have different  
20 sensitivities to radiation. Rapidly population  
21 tissues are more sensitive than slowly dividing  
22 populations. We know that there aren't  $10^{14}$  sensitive  
23 cells. Many of those are internally differentiated,  
24 subject to cancer but we hear these things. I mean,  
25 I've heard  $10^{14}$  unfortunately at least three times in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the last hour, that's not the case. Not every cell is  
2 going to be --

3 DR. BARCELLOS-HOFF: But in terms of the  
4 initial events, those  $10^{14}$  cells get the same thing,  
5 and I'm just using it to emphasize that there's a lot  
6 of biology.

7 DR. HOLAHAN: We sit there, we radiate the  
8 liver. We have liver functions. If the cells don't  
9 divide, you could have all sorts of double strand  
10 breaks but you haven't lost any genetic material.  
11 Partial hepatectomy, sure you brought that up. What  
12 happens? We express that damage, the organ falls  
13 apart.

14 We also know that the immune surveillance  
15 we talked about yesterday, that again isn't new  
16 either. We go to that palpable one centimeter tumor,  
17  $10^7$  cells. The first thing we do in a radiobiology  
18 course, we sit there and say, "Given the slope of the  
19 radiation survival curve the D sub not, how many Gys  
20 of radiation do we have to kill to sterilize that  
21 cell"? We're talking 35 Gy? Can't do that in a  
22 single exposure because we destroy the normal tissues,  
23 so we fractionate it.

24 Dr. Mossman said, five fractions, two Gy,  
25 six weeks, do we sterilize the cell? No, we've got

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 10<sup>4</sup>, 10<sup>5</sup>, 10<sup>6</sup> cells still there but it's the normal  
2 immune suppression that keeps it in check, ergo we  
3 have basically got cancer survivors that are in  
4 remission. And we hope the immune system keeps it in  
5 check unless it emerges again.

6 So go back to Hall's book, make sure these  
7 kids read this stuff. They're not going to see it on  
8 line because too often what we find is we're using new  
9 techniques to do that same thing over again. Back in  
10 my day we looked at single strand breaks, you gave,  
11 you know thousands of rads because the techniques  
12 weren't sensitive enough to detect anything else other  
13 than that.

14 Now, gee, you know, we don't use BUdR to  
15 look at exchanges. We've got these great probes,  
16 antibody probes, beautiful band-aid techniques, much  
17 more sensitive and that's where the excitement is  
18 going to be, looking at many of the same problems we  
19 used to look at 20, 30, 40 years ago, with the new  
20 techniques. And I say, DOE keep pushing on that  
21 because we'll get a much better understand.

22 DR. BARCELLOS-HOFF: Well, you can't see  
23 this probably from the other side there, but this is  
24 my systems biology slide for the old -- you know, what  
25 is systems biology? It's linking physiology, cell

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 biology and molecular biology. It's what we used to  
2 call -- physiology is what I want people to think  
3 about in terms of radiation biology because we've been  
4 down here for so long that we have forgotten all these  
5 other levels exist and so my next slide is the  
6 oxygenation, repopulation and repair. They're exactly  
7 the same levels of organization and that's what I was  
8 saying yesterday, radiation biology actually deserves  
9 a round of applause. We've always been systems  
10 biologists. We've always considered all the way from  
11 the molecular to the physiological response to  
12 radiation but it's so hard to get people like you say,  
13 to move out of their particular box, their favorite  
14 Google window and think about what actually is  
15 occurring. Did I show you that? Yeah.

16 So it's the same thing. I think it's you  
17 know, just needs a new framework and unfortunately it  
18 requires a new word and that's systems biology but  
19 it's basically --

20 DR. LE GUEN: Well, it would be one of the  
21 conclusions in your letter to create a science -- the  
22 3-D approach as I said.

23 CHAIRMAN RYAN: Yeah, it's been a very  
24 rich discussion on the biology question and so we  
25 appreciate all. And thank you, Vince, for your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 emphasis on making sure -- I mean, there is stuff that  
2 was discovered before 1970.

3 DR. BARCELLOS-HOFF: Oh, yeah. And  
4 actually I think we're going to go back to the cell  
5 membrane, so another 50 years from now.

6 DR. HOLAHAN: Ron Koss was looking at the  
7 microtubule exchange back in the '70s, Bill Dewey's  
8 lab, looking at what's being exchanged between cells  
9 for hypothermia. And I'm one of the feeder folks. We  
10 use feeder cells all the time. Increase survival, two  
11 orders of magnitude--

12 DR. BARCELLOS-HOFF: Bystander effect;  
13 right?

14 DR. RYAN: Dr. Land, you've been quietly  
15 taking all this in. What do you think?

16 DR. LAND: Actually, I--well, okay, I'll  
17 say something. I don't think I've heard anything that  
18 suggests a need for anything, except the LNT with the  
19 DDREF. I think it's the same as it was.

20 DR. RYAN: I'm sure you say the current  
21 biological work is probably saying an interesting and-  
22 -

23 [Simultaneous conversation]

24 DR. LAND: Of course it does. I don't  
25 "cue" easily.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HAMMITT: A couple points to make and  
2 I'm not sure where they best fit, but one is--this is  
3 partly, would have come well after Dr. Puskin's  
4 remarks. One is this idea of looking for acceptable  
5 risks in ALARA and stuff like that, and it relates to  
6 the medical exposures versus occupational and natural  
7 background.

8 And that is, to my mind, there's always  
9 this question of how much can we reduce risk and what  
10 do we give up to do it. And that's the central  
11 question. Talking about acceptable risk is saying  
12 there's some level of risk, such that if it was below  
13 that, we wouldn't bother to reduce it. So if it was  
14 above that, we would reduce it, ignoring whatever we  
15 give up to reduce the risk.

16 And ALARA is basically saying that it's  
17 easy to reduce the risk, let's do it, even if we don't  
18 reduce it much. If it's hard to reduce the risk,  
19 let's not do it, even if it might be very beneficial.

20 So both of those are incomplete because they focus on  
21 only one side.

22 And as a way to think about this, the kind  
23 of, the risk of a fatal crash per car trip is  
24 something like one in a million. So that's very, very  
25 small; right? So from that, I might argue any time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you fasten your seatbelt you're just wasting your  
2 time. And this is an acceptable risk. Why do we  
3 bother to fasten a seatbelt? Because it's easy. You  
4 know, it doesn't hurt us much to fasten it.

5 Another framing of the same thing is the  
6 risk of dying in a traffic crash in the U.S., over the  
7 lifetime, is about one percent. That's huge; right?  
8 So why don't we ban traffic, ban cars, ban trucks?  
9 All because there are a lot of advantages to having  
10 them.

11 Well, why don't we reduce the speed limit  
12 to 10 miles an hour. That would eliminate most of  
13 these deaths; right? Well, that's very costly in a  
14 bunch of ways. So it's kind of always how much  
15 benefit you get against how much of what else that you  
16 care about do you give up, and any approach to kind of  
17 ignore that tradeoff might be a useful heuristic, in  
18 many cases might work well, might avoid complicated  
19 calculations, but it's an oversimplification that will  
20 be misleading, at least some of the time.

21 The other point has to do with this choice  
22 of model. So I think it's very clear that a very low  
23 dose is where we can't measure the arm directly, we're  
24 always kind of extrapolating, and it seems to me there  
25 were comments about--maybe you said two different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 groups looked at the same data and came to  
2 diametrically opposite conclusions.

3 I don't know the details of that, but it  
4 seems to me that some of what goes on is people kind  
5 of have a null hypothesis and then say, well, we can't  
6 reject that null, and that low dose risk, so all  
7 reasonable nulls are not rejectable. It could be  
8 linear null threshold. We can't reject that. There  
9 could be some threshold in the lower than EPI range,  
10 we can't reject that, and that's not really a useful  
11 way to think about the problem.

12 Most people, when they learn statistics,  
13 do learn this kind of frequent as classical style, as  
14 a null hypothesis, can you reject it? Failure to  
15 reject is not the same as evidence in favor of the  
16 hypothesis, of course, although we slip over that a  
17 lot of the time, and there's very little power, you  
18 can't reject anything reasonable. And so what I  
19 think, the way I handle this is to recognize there's a  
20 false suite of models or risk levels that might be  
21 true. We can't differentiate among them very well.

22 We just need to acknowledge all these  
23 things are possible, and from biological theory and  
24 various sorts of evidence and EPI evidence, we maybe  
25 able to look, assign kind of rough probabilities to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 different models, and then we need to work with  
2 expected value over those models in the uncertainty,  
3 and then risk over those different models.

4 DR. RYAN: I mean to borrow some risk  
5 language, it sounds like you're talking about see if  
6 you can come up with central tendencies, in a range  
7 around some central tendency as the real predictor.

8 DR. HAMMITT: Exactly. You know, we, as  
9 humans, are always uncomfortable with uncertainty and  
10 tend to be unwilling to admit how much uncertainty  
11 there is about anything we care about, and that's just  
12 a problem.

13 DR. RYAN: That's a good point.

14 DR. HAMMITT: But, you know, to some  
15 extent--maybe this example would help. If we think of  
16 different models. So what we care about as a person,  
17 or a government official, is whether somebody gets  
18 cancer or doesn't get cancer. We don't care per se  
19 about the probability of cancer. That's not  
20 important. It's the outcome that's important.

21 If I have a .5 risk and I don't get  
22 cancer, I have a .1 risk and I don't get cancer, it's  
23 all the same to me.

24 So you can think of these different dose  
25 response models as essentially like buckets of balls

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where there's some--you know, in this bucket there are  
2 two or three black balls and if you draw a black ball  
3 you get cancer, and a lot of white balls--or here,  
4 there are ten or fifteen black balls and a lot of  
5 white balls, and these represent the different dose  
6 response functions.

7           So if we know the dose response function,  
8 then we're drawing from this bucket, we know the  
9 probability of getting cancer. If we don't know the  
10 dose response function, essentially we're saying, you  
11 know, I'm drawing from this bucket or this one or this  
12 one, and maybe I have some rough probabilities for how  
13 likely it is I'm drawing from each.

14           But in that sense, uncertainty about the  
15 model is no different than uncertainty about the  
16 outcome. It's just sort of compound. First, there's  
17 the lottery, which bucket am I drawing from? which  
18 dose response functions; true. Then there's the  
19 lottery--which ball do I pick from? So conceptually,  
20 it's not really much of an addition, but I think people  
21 overemphasize, too much, results conditional on the  
22 model and are unwilling to say I'm uncertain about the  
23 model, and I can handle that by thinking about it as a  
24 risk over which model is actually most accurate.

25           DR. RYAN:       That's a very important

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 insight, I think, for us to think about. You don't  
2 have to pick the, quote, right model. You have to  
3 explore all the reasonable probable models, and  
4 understand what that means in terms of the overall  
5 outcome. Thank you.

6 DR. HAMMITT: And there are cases where  
7 the slopes of these models will be pretty similar, in  
8 which case uncertainty about the model doesn't really  
9 matter.

10 DR. RYAN: I think the graphic  
11 presentations you gave really explain that well too.  
12 Yes. Thank you. I didn't mean to cut you off. Is  
13 there anything else? Okay.

14 Jerry.

15 DR. PUSKIN: As a response to that, I'm  
16 very sympathetic with what you're saying. Let's  
17 assume that LNT is correct and the implication of it  
18 would be, that really matters, is the collective dose  
19 and not maximum individual dose, and the problem, of  
20 course, from a regulatory standpoint is that people  
21 are--you have the equity as well, that nobody wants--  
22 you know, I think part of it is acceptability of risk.  
23 People like to feel like, well, my risk is trivial,  
24 my kids' risk is trivial, and that's important to  
25 them, aside from the fact of what's the expected

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of cancers in the population.

2 From a public health protection  
3 standpoint, you know, we want to minimize the number  
4 of cancers, the right thing to do is to minimize  
5 collective dose. But we don't do that occupationally.

6 If we can, you know, if we could reduce the collect  
7 dose, in some cases is the case, as I understand it,  
8 you could reduce the collective dose by allowing a few  
9 people to have really higher doses and don't have, you  
10 know, allow an individual to stay in there and get  
11 five or ten rads at a time, so we don't keep changing,  
12 getting a extra dose every time you change--

13 DR. RYAN: I don't think any of those  
14 ALARA strategies have a huge impact on collective  
15 dose, anyway.

16 DR. PUSKIN: Right. But anyway, you can  
17 imagine that. The same thing with regard to--well, in  
18 the case of environmental exposures. Generally, it's  
19 just from a public policy, public perception  
20 standpoint, regulating on individual, the maximum  
21 individual doses is more palatable, and that's what  
22 ICRP's kind of come down that way now too. They said  
23 what matters is people's risk. I'm sort of  
24 sympathetic to the idea that people don't really die  
25 of risk, but they do die of cancer, and what really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 matters is what the collective dose is.

2 DR. HAMMITT: If I could comment on that.

3 DR. RYAN: Please. Yes.

4 DR. HAMMITT: I think often, a lot of what  
5 happens is we kind of frame things, so you worry about  
6 the risk of getting cancer from radiation and you  
7 don't like that being distributed unequally within a  
8 population. But that risk is pretty small compared  
9 with the total risk of dying or dying of cancer, and  
10 dying within a year, and I think--you probably know  
11 the work of Daniel Kahneman and Amos Tversky,  
12 psychologists, who developed this idea of heuristics  
13 and biases, which sort of explain the way--heuristics  
14 we use to deal with quantities and probabilities and  
15 stuff, and, you know, certain attributes can be very  
16 salient, and we frame things, we segment stuff.

17 So, you know, I'd be quite willing to  
18 tolerate a cancer radiation risk, I don't know, 10 or  
19 a 100 times after than the average, if my risk of  
20 heart disease went down 5 percent, cause that's  
21 probably a much bigger increase in survival  
22 probability or--you know, I'm making up these numbers  
23 but you know the point.

24 And there were proposals kicked around  
25 with Superfund cleanups, where there are claims that a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of sites, the cost of cleanup relative, is very  
2 high relative to the health benefit, and it's logical  
3 for the community around that site to say, yeah, clean  
4 it up.

5 But what if the responsible parties could  
6 go to the community and say, well, look, instead of  
7 cleaning this up, we'll give you half as much money as  
8 it would cost to clean it up and you can use that  
9 money for things that you might actually find more  
10 valuable, and it's sort of likely the community would  
11 find stuff they'd much rather have than these pretty  
12 small risk reductions.

13 So framing is important in this more  
14 comprehensive view, and can protect us sometimes from  
15 focusing too much on stuff.

16 DR. RYAN: Let me see if our members have  
17 any questions.

18 Jim, do you have any questions or  
19 comments?

20 DR. CLARKE: Just a quick comment, if I  
21 could. Again, I think it's been another wonderful  
22 day, and it's got me thinking about a lot of things.  
23 As I mention, I come in from the risk analysis with  
24 chemicals and Superfund sites into the radiation  
25 arena, and I still think--it kind a pains me when I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hear people say I work with chemicals and I work with  
2 radiation. It always seemed to me that there's very  
3 fertile ground there, where those intersect.

4 But I liked your comment. I've been in  
5 two very serious automobile accidents. Both times I  
6 had my seatbelt on. Both times the air bag came out.

7 I guess I'm glad I did it.

8 And that's the problem with probabilities.

9 You know, they all go to zero or one, and it's really  
10 the outcome that we're interested in. So again this  
11 has stimulated a lot of thinking about chemicals,  
12 initiators, promoters, radiation.

13 Vince's chart with the practical  
14 threshold. What do we do with that? Well, we  
15 probably look at it the same way the EPA looks at  
16 chemicals that don't cause cancer. Incorporate some  
17 safety factors.

18 So again I think there's very fertile  
19 ground here, and thank you all.

20 DR. RYAN: Ruth.

21 DR. WEINER: I too want to thank the  
22 panel. This has been really great. But I do have  
23 some questions and these are things, these are  
24 problems that are of concern in how we apply some of  
25 these to, in my case, to environmental impact

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assessment, and I'd particularly like to address Dr.  
2 Puskin.

3 You mentioned that the real thing is  
4 collective dose. Well, how do you handle the question  
5 of the microdoses to mega populations question,  
6 especially when, if you continue to multiply, and then  
7 multiply your result--if you continue to have a larger  
8 and larger population and then you multiply your  
9 result by some linear conversion factor to latent  
10 cancer fatalities, which is what is done in  
11 environmental impact statements, and this is  
12 presented, then presented to the public as you have X  
13 events and that's going to result in Y cancers.

14 And what people take away from that is,  
15 you know, radiation gives me cancer. They don't look  
16 at, oh, the probability is small compared to some  
17 other probability.

18 And there is a certain, I don't know  
19 whether to call it misuse or fallacy or what, but the  
20 notion--getting back to what Dr. Mossman said  
21 yesterday, if the individual isn't harmed, the group  
22 isn't harmed.

23 How do you square that with your statement  
24 about collective dose and how do you apply the very  
25 small average dose to large populations? How do you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 handle the microdose to mega population?

2 DR. LAND: Can I add something on that?  
3 When I present, or my coworkers present the results,  
4 or our estimates of risk from, say, fallout in the  
5 Bikini tests in the Marshall Islands, one way we can  
6 do it is we put this is the excess and this is what  
7 you would have without that--what they would have had  
8 without that, is what you would predict without that  
9 particular thing. So it tends to be a rather small  
10 amount, except for the people who really did get an  
11 awful lot of dose, and in that case you tend to  
12 overestimate the risk an awful lot because we don't  
13 know that much about the risk from really high doses.

14 DR. WEINER: If I could respond to that.  
15 Yes, we all present it that way. It's presented that  
16 way in every EIS. Oh, the risk of cancer is 25  
17 percent and this raises it to 25.06 or some such  
18 number.

19 I do not think that that conflicts with  
20 the message that people--people don't look at the  
21 relative size of the probabilities. They look at  
22 cancer or no cancer.

23 Yes, I quite agree with you--the number  
24 that you come up compared, with some more realistic  
25 number, is always very small, but we're still sending

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a message that you have this event, and what happens?

2 You get cancer from it. And I think we've somehow  
3 got to send a different message, and if I could get  
4 back to something that Dr. Mossman said, and I wish he  
5 had been able to stay.

6 Your slide, Dr. Puskin, your slide 17,  
7 which said help the public put risks into perspective.

8 And that's what you're saying. I think we've had 20  
9 years of that and it hasn't worked, to be perfectly  
10 frank, blunt, about it. With every talk, we put the  
11 risk into perspective, and the perspective is always  
12 there, and it's always the same, and we still have--  
13 you know, we have whatever "spin" is put on this, it  
14 is that you can say it's safe, it's safe, it's safe,  
15 but at the same time you say it gives me cancer.

16 DR. PUSKIN: I have to think of what the  
17 actual situation is where you'd have such a large  
18 population but--

19 DR. WEINER: Would you like an actual  
20 situation? I'd be happy to provide it right now. But  
21 go ahead.

22 DR. PUSKIN: Well, as I said when I did  
23 that slide, that it is a problem, and I don't have a  
24 magic solution to it.

25 But I would say this--and maybe I'm wrong

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about this--but what is it that the nuclear industry  
2 is not able to do because of this? Sure, there's some  
3 resistance, but is it really that large, that it's  
4 such a huge problem to our society? Actually, I don't  
5 see it. I see a resurgence of nuclear power, people  
6 accepting it, I think is one example.

7 I don't know what to do beyond explaining  
8 to you. I think we can do better at explaining what a  
9 risk means. For example, ten to the minus four risk  
10 is one that we often use. A one in 10,000 risk means  
11 that in a city of three-quarters of a million people,  
12 that's one case a year.

13 Now if the murder rate in your city were  
14 one case a year, would you really be worried about  
15 getting murdered? And one in a million risk is one  
16 every 100 years.

17 I think partly, maybe we need to be more  
18 creative in terms of explaining what these risks mean.

19 I know one thing that's true is that oftentimes, the  
20 risk is concentrated in the people who are closest by.

21 It's not just a huge--the effect of including  
22 everybody doesn't really make that much difference.

23 DR. WEINER: Let me give you the example  
24 that I was thinking of, and this is a real example.  
25 In the Yucca Mountain environmental impact statement,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we calculated the risks from routine transportation of  
2 spent nuclear fuel from 77 sites around the country to  
3 Yucca Mountain.

4 If you do this in trucks, with four  
5 assemblies per truck, this is 53,000 shipments. If  
6 you calculate the population dose from that, and  
7 multiply by, at the time we used five times ten to the  
8 minus four, latent cancer fatalities, which should be  
9 latent fatal cancers--but anyway, latent cancer  
10 fatalities per rem, you get two cancers.

11 DR. PUSKIN: Over what time period?

12 DR. WEINER: Twenty-four years. Now I  
13 believe that we can all come to the conclusion that it  
14 is very unlikely that there will be two cancers from  
15 those 53,000 shipments over 24 years.

16 You take that number with an EIS that I  
17 reviewed recently--

18 DR. PUSKIN: What do you mean "unlikely"?

19 DR. LAND: How do we come to this  
20 agreement that that's very unlikely?

21 DR. WEINER: I find it hard to believe  
22 that taking what is a very small average dose, on the  
23 order of ten to the minus eighth, ten to the minus  
24 eighth, ten to the minus seventh rem--we did this in  
25 rem--taking that and simply multiplying by the number

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of people by the side of the road--

2 DR. RYAN: Part of the problem I think in  
3 these scenarios, and this one, in particular, is that  
4 there is no central tendency evaluation of what is a  
5 likely dose. It's all bounding case.

6 DR. PUSKIN: I'm assuming the dose--  
7 [Simultaneous conversation]

8 DR. RYAN: A bounding case masks the real  
9 central tendency of the risk. So I think that's part  
10 of it.

11 DR. PUSKIN: I would say there's nothing  
12 wrong with the idea of adding up a lot of very small  
13 risk--for example, as we've said, ten to the fourteen  
14 cells in the body, one of them is going to turn into a  
15 cancer cell. So the odds of any one of them is one  
16 out of ten to the fourteen, and yet we see finite  
17 numbers of cancers.

18 So you can add up a lot of very small risk  
19 to get something finite, and obviously it's not  
20 observable.

21 DR. RYAN: And I think the other point is  
22 if there is some estimate--Ruth, excuse me for jumping  
23 in--but if there's two cancers that are excess because  
24 of an activity, that it's really, the question, the  
25 second part of this, Can you distinguish that from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cancer that will occur in the affected population  
2 anyway?

3 DR. LAND: The fact is you'll never find  
4 out.

5 DR. RYAN: Right. I mean, there could be,  
6 you know, three extra cancer deaths in a family of  
7 heavy smokers that moved in during the 24 years. So,  
8 you know, something else, and it really is well down  
9 in the variant rate that's going to occur anyway.

10 DR. WEINER: As a matter of fact, in the  
11 same environmental impact statement, we did a number  
12 of traffic fatalities. You compare it with this, you  
13 compare it with that, and to a member of the public  
14 who wishes to focus on the cancers from ionizing  
15 radiation, this doesn't make any difference.

16 Now let me just carry this one step  
17 further--

18 DR. RYAN: Just one.

19 DR. WEINER: Just one. This is another  
20 real-life environmental impact statement. Instead of  
21 53,000 shipments over 25 years, 24 years, we have  
22 something like 150 shipments over larger distances,  
23 larger populations along the side of the road, over a  
24 period of 40 years, with the result of 1150 cancers.

25 Now you might be able--and I'm sure that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 even those 1150 are a tiny fraction of what you would  
2 get anyway. But that's a big number, and if I saw  
3 that number in an environmental impact statement, I  
4 don't think I would want that project.

5 DR. RYAN: So what's your question?

6 DR. WEINER: So my question is, is this an  
7 appropriate use of collective dose? I've been  
8 hearing, yes, collective dose is fine. But when you  
9 just keep multiplying and multiplying, you get a  
10 ridiculous number.

11 DR. LAND: So what's your alternative?

12 DR. WEINER: The alternative would be to  
13 look at the maximally-exposed individual, to look at  
14 individual doses rather than collective doses, because  
15 multiplying an average dose by the number of people  
16 somehow strikes me as not a dose calculation.

17 DR. RYAN: Ruth, I would point you back to  
18 some of the things Dr. Hammitt talked about, that we  
19 discussed, and that is that if you can get at a  
20 central tendency, and some range of behavior around a  
21 central tendency, you're really exploring the risk for  
22 what it is. You know, then you can judge it based on  
23 those various parameters of risk. A bounding case is  
24 misinformed.

25 DR. WEINER: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RYAN: They're misinformed, and they  
2 mask risk, not--

3 DR. HAMMITT: That may be useful if we can  
4 calculate--

5 DR. RYAN: In some contexts, quite  
6 frankly, you know, the more they use the less I like  
7 them, because they really do overestimate, typically,  
8 and they miscommunicate reality.

9 You know, just to give an example, 10 CFR  
10 61 is based on the agricultural and true-to-scenario,  
11 that grows his food in radioactive trash, which is  
12 plastic tie-back booty shoe covers, shovels and picks.

13 I mean, he has to grind up metal and grow food in  
14 them. It's not a realistic scenario.

15 By the way, nobody that I know grows all  
16 their own food.

17 DR. HAMMITT: Certainly not in soil like  
18 that.

19 DR. RYAN: Certainly not in soil--and by  
20 the way, has to be unemployed cause he has to get  
21 external radiation exposure for 18 hours a day. And  
22 on and on and on down through the scenario.

23 So, you know, the old thinking of, well,  
24 if I bound the problem then, you know, I know I'm  
25 better than that in reality, so I'm okay. Well,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 that's not a good treatment of risk. That's an  
2 engineering type of judgment.

3 So I get back, to answer your question, at  
4 least my view would be to follow, you know, our  
5 predecessor in this committee, Dr. Garrick's view, and  
6 let's get at, you know, a real treatment of  
7 probability and risk.

8 DR. PUSKIN: I would guess that the  
9 exposure's been--the collective dose has been greatly  
10 overestimated. It's some sort of upper bound--

11 DR. WEINER: The dose has been--the dose  
12 may be overestimated by a factor of about five or six.

13 But it is true, that other parts of this exposure  
14 have been greatly overestimated. And Dr. Ryan's quite  
15 right. If you do a central tendency or a more  
16 realistic exposure, these things come down and--

17 DR. RYAN: So you got your answer.

18 DR. WEINER: I do have my answer, from  
19 you. But there is--if you combine collective dose  
20 with the conservative estimates, this is what you get--  
21 -

22 DR. RYAN: Dr. Hammitt wanted to make a  
23 comment.

24 DR. HAMMITT: I was going to try and add  
25 two things. One is first on, back to the linear no-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 threshold and so forth--well, imagine, we think  
2 there's some chance linear in our threshold is  
3 correct, and a much higher chance that there's some  
4 threshold that's relevant, such that there's really  
5 zero risk.

6 If we calculate the expected risk, it's  
7 going to be the probability that the linear no  
8 threshold model's right times whatever risk it  
9 suggests.

10 So if you think there's only a 10 percent  
11 chance that LNT is right, that means you've reduced  
12 your risk by a factor of ten, but that may not really  
13 be enough to actually change any policy or change  
14 policy very much, given the wealth of other  
15 uncertainties here and what the dose is and everything  
16 else.

17 DR. RYAN: And I mean that's a very  
18 important point for us to take away as a complete and  
19 thorough treatment of all the components of risk, and  
20 the uncertainties in them, is really the right way to  
21 get at it.

22 DR. HAMMITT: And then the other thing  
23 was, on this first communication point, is I think a  
24 very powerful book by a guy named Howard Margolis,  
25 who's at Chicago Public Policy School, called "Dealing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 With Risk," I think 1996 or thereabout, and he was  
2 sort of proposing, it makes a lot of sense to me, that  
3 when people react to risks, what matters a lot is  
4 whether there's some activity, whether the benefits  
5 and/or the risks of it are on screen, to me, the  
6 person making the judgment, and, you know, in the case  
7 of people trucking nuclear waste by my doorstep or  
8 having a nuclear power plant near me, I tend to not  
9 really perceive the benefits. I perceive potential  
10 harm to me, I think that's outrageous, and shouldn't  
11 have it; right?

12           Whereas if it's driving a car or  
13 something, I perceive the benefits, I perceive the  
14 harms as well, and make it a somewhat more reasoned  
15 judgment, and there are cases where, you know, I  
16 perceive the benefit but I'm putting the risk off on  
17 somebody else, then I don't worry about the risk  
18 perhaps.

19           And so you mentioned nuclear power plants.  
20           It seems like with climate change, and people  
21 worrying about that, that will improve the discussion  
22 of our nuclear power because there's a big clear  
23 benefit associated with it, and that we're avoiding  
24 some other harm that many people care about.

25           DR. LAND: One thing is would you rather

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 live next to a nuclear power plant or a coal power  
2 plant? And I know the answer.

3 DR. HAMMITT: But we've known the answer  
4 to that for like 30 years--

5 [Simultaneous conversation]

6 DR. HAMMITT: --figured that out yet.

7 DR. WEINER: Nothing has happened.

8 DR. HAMMITT: But with climate, too, maybe  
9 they'll get it.

10 DR. LAND: Maybe.

11 DR. RYAN: All right.

12 DR. LE GUEN: In fact about this, we are  
13 exactly the same experience in France. People who are  
14 living close to the nuclear power plants work in the  
15 nuclear power plant, and live with the nuclear power  
16 plants. So there is an economy region.

17 But when you are talking about waste, you  
18 take waste from another place and you put in another  
19 place, and people say, well, why we must accept waste  
20 from other parts of France, because we have no benefit  
21 about that? And so the acceptance's completely  
22 different.

23 DR. PUSKIN: So what do you do then?

24 DR. LE GUEN: Well--

25 DR. PUSKIN: Are we able to take it?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LE GUEN: Well, we have, we try to  
2 create now an economic region around the west, and we  
3 provide money for that, and from the industry we use  
4 the waste--and this, now, we have decided to give  
5 money, to give grant, and so on, in order to develop a  
6 real economy around the waste disposal.

7 DR. LAND: An economy that depends on  
8 having the waste, that it used the waste, or--

9 DR. LE GUEN: Sorry?

10 DR. LAND: An economy that depends on the  
11 waste, that isn't perceived as sort of a bribe for  
12 having to live next to the stuff?

13 DR. LE GUEN: It's the expectation much  
14 more than--that's why I fully agree with James.

15 DR. LAND: No, but what I mean is that the  
16 economy wouldn't be there if it were not for the  
17 waste, not just because--

18 DR. LE GUEN: Absolutely. No, no, no.  
19 There was nothing.

20 DR. LAND: I mean, the economy depends on  
21 having the waste there, in more than sort of a bribery  
22 sense. That's what--

23 DR. LE GUEN: Yeah; yeah. Okay.

24 DR. LAND: Yeah.

25 DR. LE GUEN: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RYAN: Any comments?

2 Neil, you had a comment?

3 MR. COLEMAN: Neil Coleman, ACNWM staff.

4 One of the take-aways I have from this  
5 meeting is the idea that we might never be able to  
6 differentiate the most applicable biological response  
7 model in the low dose zone.

8 And it has some significance on the  
9 economic models as well. But I'm going to slightly  
10 take issue with that because I think one of the models  
11 is directly amenable to testing, can be tested with  
12 unsophisticated but somewhat difficult experiments.

13 Yesterday, Tom Tenforde spoke about the  
14 idea of extreme low dose effects, where experiments  
15 could be done in very low background environments, the  
16 idea being to see if test subjects actually do suffer  
17 in the absence of background radiation, which in the  
18 U.S. averages about 350 millirem, is this hermetic  
19 effect real as some experiments actually do suggest  
20 now?

21 Unlike the other biological response  
22 models, you can validate this with controlled  
23 experiments. This would help address the unfortunate  
24 public perception that each and every ionization event  
25 carries a cancer risk, leading some people to fear

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 even getting a simple diagnostic dental x-ray.

2 The question is: How could such  
3 experiments be done in a credible way with results  
4 that the public would believe and accept?

5 DR. RYAN: So there. Does everybody  
6 accept the question? I'm not sure I agree with the  
7 question but--

8 DR. METTING: Mike, can I say something.

9 DR. RYAN: Sure. Please just come to the  
10 microphone and tell us who you are for the record.

11 DR. METTING: I'm Noelle Metting. I run  
12 the low dose program. This is an interesting concept.

13 Of course you know that people have been suggesting  
14 that we do that, that we lower the background, and  
15 it's been done, preliminary experiments have been done  
16 with cells. The cells do look like they're worse off.

17 But I don't even want to get into that.

18 I wanted to make one comment about the low  
19 dose program and just biological, the biological  
20 experiments in general, and I think that you may have  
21 missed this but what I think is it's giving, the  
22 biology is giving us a reason to do the experiment, of  
23 ignoring high dose epidemiology. Let's ignore it for  
24 a while and see what just the low dose epidemiology  
25 tells us. Why don't we take a look at that? Let's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pretend that the A-bombs didn't drop. Let's look at  
2 the low dose epidemiology. I think the biology says  
3 it might be interesting. So there's an idea.

4 DR. RYAN: Great. Thank you.

5 Any other final comments? Questions? We  
6 have some other--you've been waiting patiently.

7 MS. MITCHELL: Jocelyn Mitchell from the  
8 Office of Research. I wanted to mention that the NRC  
9 and the Commission of European Communities, about ten  
10 years ago, attempted to get a group of experts, four  
11 from the U.S. and four from Europe, to give  
12 likelihoods, degrees of belief, if you will, on  
13 possibilities for what would be the low dose response,  
14 and it's actually written up in a new reg report, a  
15 new Reg CR report.

16 Unfortunately, the deviation from LNT was  
17 so insignificant, that it just didn't exist for all  
18 practical purposes. Only one person gave a nine/zero  
19 likelihood to something that was not LNT. And I don't  
20 know whether we didn't have the right experts, whoever  
21 they were, but we did attempt to do that, and I don't  
22 know how you would get folks to give you numbers like  
23 that.

24 DR. RYAN: Thank you. Is there anybody on  
25 the bridge line? Hello? Nobody else is there. We've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 had one request for an individual to make comments.  
2 Let's see. It's Mr. Dennis Nelson. Dennis, now is a  
3 good time.

4 DR. NELSON: Right here?

5 DR. RYAN: Right up there is fine. This  
6 is Mr. Dennis Nelson from the organization SERV, S-E-  
7 R-V, and he'll tell us a little bit about that and  
8 make his comments.

9 DR. NELSON: Good afternoon. My name is  
10 Dennis Nelson. I'm a retired naval officer. I have a  
11 PhD in biochemistry. I did biomedical research in the  
12 Navy for a number of years, although my research was  
13 not specifically in the area of radiation, it was  
14 biological. I did work on hemoglobin. I did work on  
15 immune function.

16 But there are a couple of points that I  
17 wanted to make, that I think you should try to  
18 incorporate in your decision making, and one of those  
19 is that--and I also want to follow up on the risk  
20 management thing that was mentioned earlier.

21 Basically, the traditional view of  
22 radiation damage in biological systems has been that  
23 it damages DNA, and that the DNA damage then reflects  
24 a altered protein or a defective protein which then  
25 doesn't do what it's supposed to do.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And that's probably still very true.  
2           However, some of the recent studies have shown that  
3           epigenetic effects may address more, not the integrity  
4           of the gene and the protein but the actual turning on  
5           and turning off of that gene and protein.

6           So it's possible that radiation epigenetic  
7           effects may cause methylations or alkylations of  
8           various control proteins, or substances, which may  
9           turn on or turn off tumor suppressor cells or tumor  
10          promoter cells. Sorry. Tumor suppressor genes or  
11          tumor promoter genes.

12          And this may be the cause of cancer. It  
13          may not be that you have just a defective protein but  
14          you just turned on the wrong gene. So that needs to  
15          be looked at. It needs to be looked at in terms of  
16          dose, dose response.

17          Also, I think that you need to look at  
18          latency, and that's something that's been bugging me  
19          for many years. You know, what causes latency?

20          Now the traditional explanation is that  
21          there's a multi-step model of carcinogenesis, that it  
22          has to get hit once to cause it to transform, and then  
23          another time to promote, and then to transprogress, or  
24          whatever. I don't know all the procedures.

25          But suppose that there's another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explanation, and that other explanation is that  
2 latency is caused by a one-time hit, or defect cause  
3 in a pluripotential stem cell, one that lies dormant  
4 or quiescent for a decade, and then all of a sudden is  
5 recruited in the dividing population when the needs  
6 are there for repair or for growth or whatever.

7 So I think you said earlier that maybe  
8 there aren't ten to the fourteen cells that are  
9 susceptible. Maybe it's only--maybe it's a fraction,  
10 one percent, maybe less, and maybe those are the  
11 susceptible cells.

12 So we have to think about that. Maybe  
13 it's just a one-time thing and when that cell finally  
14 is recruited into the dividing population, it goes  
15 berserk.

16 So there are many alternative, possible  
17 models for carcinogenesis, and I think they all need  
18 to be looked at.

19 Then lastly, the risk-benefit thing, I  
20 wanted to address that because that I think is the  
21 biggest sticking point, and it's a point that you made  
22 earlier, that why can't people accept this. It's  
23 because the same people don't suffer the risks that  
24 get the benefit. And that's precisely why.

25 For example, we have nuclear medicine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients that are floating around amongst us, that may  
2 sit next to you in an airplane, or in a theater, or on  
3 a bus or a train. And they may be emitting 20  
4 milliroentgens per hour, and you're sitting next to  
5 them for two hours, you may get 40 millirems. And  
6 next week you might go to another plane, and you might  
7 sit next to another one, and you get another 20 or 40  
8 millirems. These are not controlled sources. They're  
9 just basically random events.

10 And you yourself have no benefit from  
11 them. The benefit is derived by the person who is  
12 sitting next to you but not by you. So why should you  
13 have any risk whatsoever. So I think that these  
14 people need to be controlled and I think that the NRC  
15 needs to revisit its policy of allowing these people  
16 to leave while they're still very highly radioactive.

17 And conversely, maybe it's not as big a  
18 problem, but these shipments that I talked about  
19 earlier, these radiative casks going to Yucca  
20 Mountain, and as we get more and more medical  
21 procedures, nuclear medicine procedures, as we get  
22 more and more shipments, what we're talking about with  
23 Yucca Mountain, these casks are going to be a lot more  
24 prevalent on the highway.

25 And how do we know that they're going to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be protected? How do we know that the individual  
2 along the road, at the gas station or the truck stop,  
3 who goes over and leans on the truck, isn't going to  
4 get--well, I won't say a huge dose, but a larger dose  
5 than he really deserves, because he's not getting any  
6 benefit from that nuclear waste shipment.

7 So anyway, these are just my observations.

8 That if you want it to be accepted, it's going to  
9 have to be fair, and it's going to have to impact or  
10 cause risk to the people who benefit from it, not  
11 another segment of the population. And that's really  
12 all I have to say.

13 DR. RYAN: Mr. Nelson, thank you very  
14 much. Would you mind telling us again what SERV was.

15 You mentioned it to me.

16 DR. NELSON: SERV. Support and Education  
17 for Radiation Victims.

18 DR. RYAN: All right. Thank you very  
19 much.

20 DR. NELSON: A group that I founded a few  
21 years ago. I am also a down-winder. That's why I  
22 have this interest in this subject, because my family  
23 was affected by the bomb testing in Nevada back in the  
24 late '50s, and I have three members of my family that  
25 died at very young ages, and seven different kinds of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cancer in five family members. So to me, it's a  
2 personal thing. but I'm also a scientist and I want  
3 to understand this scientifically. I'll reject things  
4 that are not scientific but if it can be explained to  
5 me scientifically, and it's defensible, and it's not  
6 just, what I sometimes consider politics or propaganda  
7 or economics or whatever, then it's a lot easier for  
8 me to accept and understand.

9 DR. RYAN: Well, we appreciate.  
10 Hopefully, you've gotten some benefit from the  
11 scientific discussion here with a couple days--

12 DR. NELSON: I have. It was a great--

13 DR. RYAN: Thank you for sharing your--

14 DR. NELSON: --couple days and i really  
15 enjoyed it, and I got something from every one of you.

16 DR. RYAN: Well, thank you very much for  
17 coming, and thanks for sharing your views as well.

18 Are there any other comments from anybody?

19 DR. TENFORDE: I have a question, Mr.  
20 Chair.

21 DR. RYAN: Come on up, Mike.

22 MR. BOYD: Okay.

23 DR. RYAN: Yes. And Tom, why don't you  
24 ask that question in the meantime.

25 DR. TENFORDE: Real quick. I had the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 impression that the outcome of this discussion would  
2 be a letter report from the Advisory Committee to the  
3 commissioners.

4 DR. RYAN: That's correct. Yes. We  
5 actually address i to the chairman on behalf of the  
6 whole Commission.

7 DR. TENFORDE: I'm wondering at this  
8 stage, before some of us depart, we have some  
9 continuing responsibility to review and comment on  
10 your letter report?

11 DR. RYAN: No. What we do is take the  
12 record of the transcript, and then we synthesize the  
13 information into a letter to the Commission as we see  
14 it, and it's not your report to the Commission. It's  
15 our report of what information we gathered and our  
16 assessment of that information to the Commission.

17 If you have anything else you want to  
18 provide to us, in writing, or additional support  
19 information, or you want to make any comments on that  
20 key points, and that's--I think we hit some key points  
21 about biology and some of the other issues, and  
22 modeling, and so forth. From each of you I think  
23 we've gotten, you know, rich views and key points, and  
24 we'll be faithful to summarize those, and that's the  
25 typical scheme for letterwriting here with the ACNW.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And of course once our letter is prepared, we actually  
2 read it out in public before it's finalized.  
3 Anybody's welcome to come and attend that session,  
4 which will be next May, or next month, in May, I  
5 forget which week at the moment, and then we finalize  
6 the letter, we vote on it as a committee and then  
7 that's prepared in final form and sent to the  
8 Commission, at which point it's a public letter.

9 Mike Boyd.

10 MR. BOYD: Mike Boyd with EPA, and I'm  
11 really sorry that Ken Mossman left, because he's the  
12 person I wanted to say this to, but I--

13 DR. RYAN: You can say it and he'll get--

14 MR. BOYD: I'll say it and it'll get into  
15 the record; right. And this is mainly just a little  
16 bit of a defense of the risk assessment process at EPA  
17 and the risk-based cleanup process as opposed to dose-  
18 based, and why I think that the risk-based process  
19 that we use, the classic Superfund approach, actually  
20 has some real advantages.

21 And one of the things is that effective  
22 dose, as you know, is a surrogate for risk, and it  
23 tries to wrap up, and, you know, just a handful of  
24 tissue weighting factors and radiation weighting  
25 factors, you know, all the risks, biokinetics that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 have over, what, Jerry? 3200 risk coefficients--four  
2 risk coefficients for each of over 800 radionuclides.

3 So there's a lot of complexity that we have in our  
4 risk coefficients that gets sort of summarized in the  
5 effective dose term.

6 And another thing that we do, when you do--  
7 -for doing occupational radiation protection, it  
8 absolutely makes sense to use dose as your metric.  
9 But when you're looking at long, you know,  
10 perspective, or retrospective assessments, the risk  
11 assessment approach that we use allows you to account  
12 for decay. I mean, instead of a committed dose, you  
13 actually are looking at a true decaying dose, over  
14 time.

15 So, for example, people say EPA regulates  
16 it 15 millirem, which is three times ten to the minus  
17 four risk. That's not true. 450 millirem happens to  
18 work out, using our risk estimates, to be about three  
19 times ten to the minus four risk, but that's assuming  
20 a 30 year default exposure, and a myriad of other  
21 default exposure factors. So there's a lot that goes  
22 into that three times ten to the minus four number.

23 So to say that say that 15 millirem is  
24 three times to the minus four is really not capturing  
25 it, by any means. But I just wanted to point out that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when you do a risk--if you were to do a three times  
2 ten to the minus four target risk-based cleanup under  
3 Superfund, you would come up with target cleanup  
4 values that almost, across the board, would be a  
5 higher concentration than you would have to clean up  
6 to, to achieve NRC's license termination rule at 25  
7 millirems.

8 So I wanted Ken to know that, really, from  
9 my perspective, there is no difference, and I just  
10 wanted to say that the risk approach that we use does  
11 capture a lot of variables that I think are useful.  
12 You can capture, you know, weathering, decay,  
13 occupational exposure factors. I'm probably just  
14 babbling at this point but--

15 DR. RYAN: No, no, Mike, I think that's an  
16 important point. There is--and you know, you  
17 highlighted in that discussion, I think many of the  
18 points we've heard today, that you really can't pick  
19 one number or one parameter and really understand the  
20 whole profile of dose and risk. You have to look at  
21 it as a system.

22 MR. BOYD: System; right.

23 DR. RYAN: So that's a good point. And  
24 even on the--and you're talking about the assessment  
25 side and all the things that go into that. So we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appreciate that comment. Thank you.

2 MR. BOYD: Sure.

3 DR. RYAN: Anything else?

4 Going once. Going twice. We are a little  
5 bit ahead of schedule but--I'm sorry.

6 DR. LAND: I was just going to make one  
7 last--

8 DR. RYAN: I'm sorry. I didn't see your  
9 hand. Excuse me, Dr. Land.

10 DR. LAND: The discussion about how do you  
11 express risk, I think the one thing you don't do is  
12 say that there isn't any risk. Or you say that it's a  
13 risk and it's too small to worry about; don't worry  
14 about it. That never works.

15 DR. RYAN: Fair enough. My doctor says  
16 don't worry about it. I still worry about it  
17 sometimes. I'm with you.

18 DR. NELSON: There was one thing that I  
19 forgot to say, and that is--

20 DR. RYAN: Yes, please, and just again,  
21 just for the record, this is Mr. Nelson again.

22 DR. NELSON: David Nelson.

23 DR. RYAN: Just come to the microphone.

24 DR. NELSON: This is Dennis Nelson from  
25 SERV again, and I just wanted to say that if you go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 back and look at history, you'll see that there has  
2 been a progressive decline in the level, which was  
3 seen to be biologically significant over 50 years.  
4 Way back when, you know, 50 rads was not much, and  
5 then it went down to twenty, and then it went down to  
6 ten, then to five. Now we're talking in the one rad  
7 range.

8 I just try to extrapolate that  
9 historically and say, well, who knows what's going to  
10 happen over the next 15, 20 years. Maybe we'll get  
11 down to effect seen at millirads.

12 DR. RYAN: Thank you. With that, unless  
13 there are any other closing remarks--yes? I did. Mr.  
14 Early may call back. So I'm going to suggest we take  
15 our 15 minute break and come back briefly for 3:15.  
16 We do have a call-in time, that other folks may be  
17 calling in, so we'll have to honor that obligation for  
18 stakeholder input. So if you wouldn't mind, we'll  
19 just take a 15 minute short break and reconvene at  
20 3:15 and if there are other comments, we'll take them  
21 at that time, and if there are no other comments at  
22 that time we'll finish up. Thank you for your  
23 patience.

24 (Whereupon, the meeting went off the  
25 record at 3:00 p.m. and continued at 3:19 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RYAN: Thank you all for your  
2 patience. I know a couple of folks had to duck out.  
3 I'd like to reconvene if we could, just for a minute  
4 and check. Are there any commentators or members of the  
5 public, or stakeholders, that wish to make any  
6 comments on the bridge line?

7 It is the appointed hour for any  
8 additional--is there anybody in the room that wants to  
9 make any additional comments or observations? Hearing  
10 none on either the bridge line or the room, we'll  
11 adjourn the meeting, and again I thank you all very,  
12 very much for your participation and your information.

13 It's been really enlightening for the committee and I  
14 think we'll have a very rich letter to offer to the  
15 Commission on these topics and the science involved.

16 So thank you all very much.

17 (Whereupon, the meeting went off the  
18 record at 3:19 p.m. and went back on the record at  
19 3:43 p.m.)

20 DR. RYAN: The committee is here. You  
21 okay? All right. We have the microphone. You can go  
22 ahead and take five minutes or so and make your  
23 statement.

24 MR. EHRLE: Thank you very much. There  
25 was much discussion of the problem, the uncertainties

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 related to dose, and I think those were well-taken.  
2 It was a difficult task for Dr. Hammitt to quantify  
3 the specifics relative to any kind of dose cost-  
4 benefit analysis. It's been very difficult.

5 I've been conversant with some of those  
6 issues over the past several years. But what really  
7 peaked my curiosity was the inability of the committee  
8 to deal with the superlinear model, and Dr. John  
9 Gofman, who of course was former associate director of  
10 Lawrence Livermore, I have his 1981 book, and it  
11 appears as though that was the first book that ever  
12 really looked at this particular issue.

13 And he used the Land-McGregor RERF study,  
14 and analyzed it, and concluded that, indeed, it does  
15 show, using the RERF statistics, a superlinear model,  
16 and so he explains it at some length there.

17 But then he goes on and in his 1990 book,  
18 which was very favorably reviewed in New England  
19 Journal of Medicine, he points out that a single  
20 primary ionizing radiation track, operating  
21 independently, these tracks from each other, are never  
22 innocuous with respect to creating carcinogenic  
23 injuries in the cells which they traverse.

24 Every track, without help from any other  
25 track, has a chance of inducing cancer by creating

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 such injuries. And then he cites a study by  
2 Brackenbush and Brady, which is 1988.

3 "Since most cells repair radiation damage  
4 with a characteristic time ranging from a few minutes  
5 to a few hours, it is evident that irreparable or  
6 misrepaired damage must dominate the low LET radiation  
7 effect at low-dose rates."

8 And then he cites UNSCEAR, 1986, and  
9 quotes: "The error-free repair of the DNA, which is  
10 the most likely target involved leaves some fraction  
11 of the damage unrepaired and the error-prone repair  
12 may produce misrepaired sequences in the DNA."

13 And then he quotes Albrecht Kelleher, who  
14 apparently was on the BEIR VII committee and he  
15 describes the type of radiation-induced lesion which  
16 would be difficult to repair.

17 A simple example would be two neighboring  
18 single-strand breaks on opposing strands of DNA which  
19 interfere with excision repairs.

20 And then he points out that there are nine  
21 low-dose studies, human studies, the highest of which  
22 is .9 rad, it isn't even a single rad, which would  
23 have been of course 10 millisievert. So at that  
24 level, he points out that the observation of  
25 radiation-induced cancer means that repair is failing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to become flawless, even when it has to cope with the  
2 average track frequencies per nucleus of only 12  
3 tracks, only ten, only six, only two, only one track,  
4 only .67, and only .29 track. Those of course  
5 correspond to the nine studies.

6 If repair had been flawless, it would have  
7 successfully undone every carcinogenic lesion, and so  
8 there would have been no excess cancer, at all, in any  
9 of the nine studies.

10 He then discusses the question of  
11 unrepaired, unrepairable, or misrepaired carcinogenic  
12 injuries which occur at low dose, right down to the  
13 lowest conceivable dose, or dose rate. And so here we  
14 have evidence, at these very low ranges, and when Dr.  
15 Mossman indicated that we don't have any information  
16 at low doses, obviously there are numerous studies in  
17 the literature, in the peer review literature, which  
18 demonstrate, at these very low doses, every  
19 significant excess impact.

20 Unfortunately, the studies that you're  
21 using, and that ICRP, NCRP and even NRPB, and the UK,  
22 which has now been reorganized, they all use of course  
23 the Japanese study. Consequently, they do not deal  
24 with internal dose. This is external gamma dose.

25 It is internal doses which have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 estimated to be at least 20 times more effective in  
2 terms of the inhalation into the lungs, and then  
3 distribution throughout the other parts and organs in  
4 the body, that has the greatest effect, and UNSCEAR  
5 has recognized this again. In fact the British  
6 National Radiological Protection Board, in 1995, said  
7 that it may be argued, and I'm quoting, that a single  
8 radiation track, the lowest dose and dose rate  
9 possible traversing the nucleus of an appropriate  
10 target cell, has a finite probability, albeit low, of  
11 generating the specific damage that will result in  
12 tumor-initiating mutation.

13 So I would hope that the members of the  
14 committee, and others, would call for some of these  
15 experts who have been studying this issue for years,  
16 to be involved in future conferences, and that a  
17 careful analysis of the superlinear model would be in  
18 order, and would hope that the committee will  
19 recognize that by elevating the hormesis thesis to the  
20 level of LNT is a disservice to the scientific  
21 community and to the public at large, because it has  
22 been vetted by these committees on numerous occasions  
23 and had been found wanting, and obviously, if there is  
24 a superlinear effect, and I mentioned earlier the  
25 comment, I ran into and got in on a meeting at Mayo

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Clinic where Tom Hay from Columbia was giving a talk,  
2 and he showed with a diagram how the superlinear model  
3 works.

4 So it's been recognized by persons in the  
5 field who have high standing, that indeed, this is  
6 worthy of further investigation and hopefully the  
7 committee will respond in kind.

8 Thank you for your time. I appreciate the  
9 work that you've done on this particular conference  
10 and hope that it will lead to other conferences which  
11 will have an expanded scope. Thanks again.

12 DR. RYAN: Thank you, Mr. Ehrle. We  
13 appreciate your comments. Have a good afternoon.

14 MR. EHRLE: You too.

15 DR. RYAN: All right. We're done. Thank  
16 you.

17 (Whereupon, the meeting adjourned at 3:50  
18 p.m.)

19  
20  
21  
22  
23  
24  
25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701