

FIFTY-THIRD MEETING
OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE

CENTER FOR DRUG EVALUATION AND RESEARCH
FOOD AND DRUG ADMINISTRATION

8:33 a.m.
Monday, June 23, 1997

Versailles I and II
Holiday Inn Hotel - Bethesda
8120 Wisconsin Avenue

Bethesda, Maryland

APPEARANCES

COMMITTEE MEMBERS:

JANICE DUTCHER, M.D., Chair
Professor of Medicine
Montefiore Medical Center
Albert Einstein Cancer Center
111 East 210th Street
Bronx, New York 10467-2490

JANNETTE O'NEILL-GONZALEZ, M.H.S.
Advisors & Consultants Staff, HFD-21
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

E. CAROLYN BEAMAN, M.H.S.
Consumer Representative
President, Sisters Breast Cancer Network
123 Poinciana Street
Lake Jackson, Texas 77566

ARLENE FORASTIERE, M.D.
Associate Professor of Oncology
The Johns Hopkins Oncology Center
Department of Medical Oncology
600 North Wolfe Street, Room 128
Baltimore, Maryland 21287-8936

RICHARD GELBER, PH.D.
Professor of Biostatistics
Division of Biostatistics
Dana-Farber Cancer Institute
44 Binney Street
Boston, Massachusetts 02115

DAVID H. JOHNSON, M.D.
Director, Division of Medical Oncology
Department of Medicine
Vanderbilt University Medical School
1956 The Vanderbilt Clinic
Nashville, Tennessee 37232

APPEARANCES (Continued)

COMMITTEE MEMBERS PRESENT: (Continued)

JAMES KROOK, M.D.
Medical Oncologist
The Duluth Clinic Limited
Internal Medicine Department
400 East Third Street
Duluth, Minnesota 55805

KIM A. MARGOLIN, M.D.
Staff Physician
Department of Medical Oncology and
Therapeutics Research
City of Hope National Medical Center
1500 East Duarte Road
Duarte, California 91010

ROBERT OZOLS, M.D., PH.D.
Senior Vice President, Med Science
Fox Chase Cancer Center
7701 Burholme Avenue
Philadelphia, Pennsylvania 19111

RICHARD L. SCHILSKY, M.D.
Director, University of Chicago
Cancer Research Center
The University of Chicago Medical Center
5841 South Maryland Avenue, MC1140
Chicago, Illinois 60637

SANDRA SWAIN, M.D.
Medical Director
Comprehensive Breast Center of
Greater Washington
5335 Wisconsin Avenue, N.W., Suite 440
Washington, D.C. 20015-2034

APPEARANCES (Continued)

FDA CONSULTANT:

DONALD ABRAMS, M.D.
Director, AIDS Activities Division
San Francisco General Hospital
San Francisco, California

PATIENT REPRESENTATIVES:

MICHAEL MARCO, B.A. (Taxol)
AIDS Advocate
Treatment Action Group: Opportunistic Diseases
New York, New York

L. JOEL MARTINEZ, J.D. (Zyrkamine)
AIDS Advocate
The Center for AIDS: Hope and Remembrance Project
Houston, Texas

FDA STAFF MEMBERS:

ISAGANI CHICO, M.D. (P.M. Session)
ROBERT DeLAP, M.D.
JOHN JOHNSON, M.D. (A.M. Session)
ROBERT JUSTICE, M.D.
ALBERT LIN, M.D. (A.M. Session)
GRANT WILLIAMS, M.D. (P.M. Session)

ON BEHALF OF ILEX ONCOLOGY, INC.:

LARWENCE KAPLAN, M.D.
Associate Professor of Clinical Medicine
University of California at San Francisco

ALEXANDRA LEVINE, M.D.
Division of Hematology
University of Southern California
School of Medicine

APPEARANCES (Continued)

ON BEHALF OF ILEX ONCOLOGY, INC.: (Continued)

PEDRO SANTABARBARA, M.D.
Vice President, Medical Affairs

DANIEL VON HOFF, M.D.
The University of Texas
Health Science Center, San Antonio

ON BEHALF OF BRISTOL-MYERS SQUIBB PHARMACEUTICAL
RESEARCH INSTITUTE:

RENZO CANETTA, M.D.
Vice President
Oncology Clinical Research

PARKASH S. GILL, M.D.
University of Southern California
Kenneth Norris, Jr. Cancer Hospital

SUSAN E. KROWN, M.D.
Clinical Immunology

BENJAMIN WINOGRAD, M.D.
Director, Oncology Clinical Research

ROBERT YARCHOAN, M.D.
National Institutes of Health

ALSO PRESENT:

PATTY DELANEY
PETER DOHERTY
MIKI ILAW
ROBERT JORDAN
RICHARD KLEIN
JEFFREY MARTINEZ
MATT MINGOIA
JOANN MINOR

BROOKE MORAN
TIMOTHY TRUEMAN
CEASARO SALAZAR

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT - by Jannette O'Neill-Gonzalez	8
SPECIAL RECOGNITION - by Dr. Robert DeLap	11
OPEN PUBLIC HEARING PRESENTATIONS	
by Richard Klein	12
by Brooke Moran	14
by Matt Mingoia	17
by Robert Jordan	19
by JoAnn Minor	21
by Patty Delaney	23
by Timothy Trueman	26
by Miki Ilaw	33
by Ceasaro Salazar	37
by Jeffrey Martinez	39
by Peter Doherty	151

- - -

NDA 20-709 ZYRKAMINE (mitoguazone dihydrochloride)
indicated for treatment of AIDS-related
non-Hodgkin's lymphoma in patients
previously treated with at least one
potentially curative regimen

ILEX ONCOLOGY, INC. PRESENTATION

Introduction - by Dr. Pedro Santabarbara	45
Background on Mitoguazone - by Dr. Daniel Von Hoff	47

Background to AR-NHL and Mitoguazone
Pivotal Trials: Efficacy and Safety -
by Dr. Alexandra Levine

C O N T E N T S (Continued)

AGENDA ITEM	PAGE
FOOD AND DRUG ADMINISTRATION PRESENTATION by Dr. Albert Lin	104
COMMITTEE DISCUSSION	130
- - -	
NDA 20-262-S022 TAXOL for Injection Concentrate (paclitaxel), Indicated for Second-line Treatment of AIDS-related Kaposi's Sarcoma	
BRISTOL-MYERS SQUIBB PRESENTATION	
Introduction - by Dr. Renzo Canetta	154
Kaposi's Sarcoma - by Dr. Susan E. Krown	157
NCI Study (T93-94) - by Dr. Robert Yarchoan	165
USC/Boston Study BMS CA139-281 - by Dr. Parkash Gill	172
Integrated Summary - by Dr. Benjamin Winograd	180
FOOD AND DRUG ADMINISTRATION PRESENTATION by Dr. Isagani Chico	204
COMMITTEE DISCUSSION	240

1 P R O C E E D I N G S

2 (8:33 a.m.)

3 DR. DUTCHER: Good morning. If people could
4 please take their seats, we are going to begin because we
5 have a very full day. Thank you very much.

6 This is the Oncology Drugs Advisory Committee
7 meeting, and I would like to introduce Lieutenant Jannette
8 O'Neill-Gonzalez who will read the introductory remarks,
9 conflict of interest.

10 MS. O'NEILL-GONZALEZ: Good morning, everyone.

11 The following announcement addresses the issue
12 of conflict of interest with regard to this meeting and is
13 made a part of the record to preclude even the appearance
14 of such at this meeting.

15 Based on the submitted agenda for the meeting
16 and all financial interests reported by the committee
17 participants, it has been determined that all interests in
18 firms regulated by the Center for Drug Evaluation and
19 Research present no potential for a conflict of interest at
20 this meeting with the following exceptions.

21 In accordance with 18 U.S.C. 208(b)(3), full
22 waivers have been granted to Dr. Janice Dutcher, Dr. Robert
23 Ozols, and Dr. Kim Margolin.

24 A copy of these waiver statements may be

1 obtained by submitting a written request to the agency's
2 Freedom of Information Office, room 12A-30 of the Parklawn
3 Building.

4 We would also like to note for the record that
5 Dr. Robert Ozols and his employer, the Fox Chase Cancer
6 Center, and Dr. Richard Schilsky's employer, the University
7 of Chicago, have interests in Bristol-Myers Squibb, the
8 manufacturer of Taxol, which do not constitute financial
9 interests in the particular matter within the meaning of 18
10 U.S.C. 208. Notwithstanding these interests, it has been
11 determined that it is in the agency's best interest to have
12 Dr. Ozols and Dr. Schilsky participate fully in all matters
13 concerning Bristol-Myers Squibb's Taxol.

14 In the event that the discussions involve any
15 other products or firms not already on the agenda for which
16 an FDA participant has a financial interest, the
17 participants are aware of the need to exclude themselves
18 from such involvement and their exclusion will be noted for
19 the record.

20 With respect to all other participants, we ask
21 in the interest of fairness that they address any current
22 or previous financial involvement with any firm whose
23 products they may wish to comment upon.

24 Thank you very much.

1 DR. DUTCHER: I think we haven't had a meeting
2 for a little while, so I think we should go around the
3 table and introduce the participants for this committee
4 meeting. We will start with Dr. DeLap.

5 DR. DeLAP: Dr. Bob DeLap, Division Director,
6 Division of Oncology Drug Products.

7 DR. FORASTIERE: Arlene Forastiere, Johns
8 Hopkins, Baltimore.

9 DR. GELBER: Richard Gelber, Biostatistician,
10 Dana-Farber Cancer Institute.

11 DR. DAVID JOHNSON: David Johnson, oncologist,
12 Vanderbilt University.

13 DR. MARGOLIN: Kim Margolin, oncologist, City
14 of Hope, Los Angeles, California.

15 DR. ABRAMS: Donald Abrams, AIDS Oncology, San
16 Francisco General Hospital, UCSF.

17 DR. DUTCHER: Janice Dutcher, medical oncology,
18 Albert Einstein Cancer Center, New York.

19 DR. KROOK: Jim Krook, Duluth CCOP, oncologist,
20 Duluth, Minnesota.

21 MS. BEAMAN: Carolyn Beaman, Sisters Breast
22 Cancer Network, consumer advocate to this committee.

23 DR. SCHILSKY: Rich Schilsky. I'm a medical
24 oncologist from the University of Chicago.

1 DR. SWAIN: Sandra Swain, medical oncologist,
2 Bethesda, Maryland.

3 MR. JOEL MARTINEZ: Joel Martinez, the Center
4 for AIDS, Houston, patient advocate.

5 DR. LIN: Albert Lin, medical officer, FDA.

6 DR. JOHN JOHNSON: John Johnson, clinical team
7 leader, Oncology, FDA.

8 DR. DUTCHER: Thank you.

9 Before we begin the open public hearing, Dr.
10 DeLap has a few words to say and some special recognition.

11 DR. DeLAP: Well, today marks the occasion of
12 the last meeting as regular committee members for two of
13 our current members, Dr. Forastiere and Dr. Gelber. I
14 would like to express the deep appreciation of the agency,
15 certainly on my part, but also on the part of Dr. Woodcock,
16 our Center Director, and Dr. Friedman, our acting
17 Commissioner.

18 This is a very difficult task that people
19 undertake for us when they become members of this
20 committee. There is a lot of review work that is
21 performed, a fair amount of controversy sometimes, and some
22 difficult decisions that have to be made. But it adds, I
23 believe, immeasurably to the quality of our work to have
24 the benefit of the advice that we obtain from this

1 committee.

2 I have certificates here. I think this is
3 getting more elaborate as time goes on, but we have got
4 certificates here that are signed by Dr. Friedman and by
5 Dr. Woodcock and a nice plaque now that we have that goes
6 on the wall. So, I have one of these for Dr. Forastiere.
7 Thank you very much.

8 (Applause.)

9 DR. DeLAP: Dr. Gelber.

10 (Applause.)

11 DR. DeLAP: Thank you.

12 DR. DUTCHER: Thank you very much and Thank you
13 to Dr. Forastiere and Dr. Gelber.

14 All right. We have a full hour of open public
15 hearing, statements from patients, patient advocate groups,
16 and we welcome all of these comments. This will pertain to
17 both today's meeting and tomorrow's meeting because we did
18 have so many requests for contributions.

19 So, with that, I am going to turn to the
20 portion of the agenda that lists this, and Richard Klein
21 from the Office of Special Health Issues actually is
22 reading a prepared statement on behalf of the AIDS Action
23 Baltimore, AIDS Project LA, AIDS Treatment Data Network,
24 Gay Men's Health Crisis, National Minority AIDS Council,

1 Project Inform, and Treatment Action Group.

2 MR. KLEIN: Thank you. I've been asked to read
3 the consensus statements, and I was going to read both of
4 them together. One is for Zyrkamine, one for Taxol. They
5 are both very long and detailed, and the complete testimony
6 has been distributed to members of the committee. They are
7 available for people to look at and will be entered into
8 the transcript.

9 So, I thought what I would read is simply the
10 community consensus position which simply states we, AIDS
11 Action Baltimore, AIDS Project Los Angeles, AIDS Treatment
12 Data Network, GMHC, National Minority AIDS Council, Project
13 Inform, and Treatment Action Group, support accelerated
14 approval of ILEX Oncology, Incorporated's Zyrkamine for
15 treatment of AIDS-related non-Hodgkin's lymphoma in
16 patients who have previously been treated with at least one
17 potentially curative regimen.

18 We urge the sponsor and the FDA to proceed
19 rapidly with its plans for conducting the proposed post-
20 marketing study.

21 For Taxol, the statement reads, we, AIDS Action
22 Baltimore, AIDS Project Los Angeles, AIDS Treatment Data
23 Network, GMHC, National Minority AIDS Council, Project
24 Inform, and Treatment Action Group, support approval of

1 Taxol as second-line therapy for Kaposi's sarcoma,
2 conditioned on the labeling requirement of Taxol's usage
3 only with G-CSF to ensure safety in the patient population.

4 We urge the sponsor to proceed with its ECOG
5 trial, E1D-95, a pharmacokinetic study looking at the
6 interaction between Taxol and HIV protease inhibitors and
7 subsequently make the data widely available to clinicians,
8 primary care physicians, and patients.

9 New treatment strategies for KS are desperately
10 needed. The currently available and approved treatments
11 for Kaposi's sarcoma in patients with AIDS are clearly
12 inadequate. While palliative care is, of course, needed
13 for those with progressive KS, industry must be willing to
14 develop and test pathogenesis based therapeutics in
15 patients with mild to moderate KS.

16 Infectious disease doctors, primary care
17 physicians, and dermatologists should refer all KS patients
18 to knowledgeable AIDS oncologists so that they may access
19 all currently approved treatments, as well as relevant
20 clinical trials.

21 Thank you.

22 DR. DUTCHER: Thank you very much.

23 We are going to now hear from a group of
24 speakers with respect to the drug that is going to be

1 reviewed tomorrow. We will ask each of them to limit their
2 statements to 5 minutes. The first speaker is Brooke
3 Moran. Please state your sponsorship and whether you have
4 any financial remuneration from the sponsors.

5 MS. MORAN: My name is Brooke Moran. I'm with
6 the American Foundation of Urologic Disease, a 501(c)(3)
7 located in Baltimore, Maryland, dedicated to the expansion
8 of urologic research, education, and public awareness.

9 I think that Janssen sponsored an educational
10 program for the Prostate Health Council, an educational
11 council of the Foundation, in 1996.

12 In his book, *The Prostate: A Guide for Men and*
13 *the Women Who Love Them*, Dr. Patrick Walsh begins the
14 chapter on treating advanced prostate cancer with the
15 statement -- and I quote. "One day, as new and better drug
16 therapies and combinations are developed, it may be
17 possible to cure prostate cancer at any stage, or at least
18 to restrain it, but that day is not yet here."

19 He goes on to state that "when prostate cancer
20 is advanced and when it has swept through the prostate to
21 the lymph nodes or bone, the options for treating are
22 limited. Cure is no longer possible. Instead, your
23 doctor's goal is to stave off the cancer, to buy more time,
24 to alleviate symptoms, and finally to ease debilitating

1 pain." End of quote.

2 Advanced prostate cancer is like a deadly game
3 of leapfrog for these patients. It is coupled with the
4 underlying fear that once hormonal therapy fails, there are
5 limited options. In the game of advanced prostate cancer
6 leapfrog, one therapy will be effective for a period of
7 time, symptoms will decrease, and hope will be restored.
8 Each day becomes a miracle. Then symptoms re-occur and
9 fear replaces hope.

10 Now the physician must find a new therapy for
11 his patient that will supersede the previous in its effect
12 and its approach. Innovative, effective, nontoxic
13 therapies for advanced stage prostate cancer that alleviate
14 symptoms, ease pain, and extend life for any number of
15 months are the instruments of hope for these patients and
16 their families.

17 The Foundation also applauds President Clinton
18 in his March 1996 statements announcing the Food and Drug
19 Administration's accelerated approval and expanded access
20 to new cancer therapies. The FDA is to be commended on
21 instituting these recommendations.

22 In the past year, new therapies and imaging
23 agents for the treatment and diagnosis of prostate cancer
24 in its various stages have received expedient approval by

1 the FDA. The AFUD encourages the ODAC committee and the
2 FDA to continue processing and approving safe, effective
3 therapies as expediently as possible.

4 The inclusion of a patient representative as a
5 voting member of this committee is an outstanding example
6 of the responsiveness of the FDA to the President's
7 statement and the patients' needs. The FDA welcomes the
8 participation of Mr. James Anderson, a prostate cancer
9 survivor, who will sit on this committee tomorrow. It is
10 good to know that the patient's perspective is now an
11 integral part of the committee's deliberations.

12 As I said, the AFUD is a charitable foundation.
13 Our mission is the expansion of urologic research,
14 education, and public awareness.

15 Thank you.

16 DR. DUTCHER: Thank you very much.

17 Our next speaker will be Mr. Matt Mingoia.

18 MR. MINGOIA: Good morning to all. Members of
19 the Oncologic Drugs Advisory Committee, my name is Matt
20 Mingoia. I'm not a medical professional and I am not
21 affiliated with or receiving financial support from the
22 Janssen Research Foundation.

23 I'm the co-Chairman of the US TOO Man to Man
24 Prostate Cancer Survivor Support Group at the INOVA Fairfax

1 Hospital. The group was formed in October 1992. We now
2 have over 300 survivors in our group whose mission is to
3 provide information, education, and support to the newly
4 diagnosed, survivors, and their families.

5 My prostate cancer was diagnosed in December
6 1994. I underwent 39 external beam radiation treatments
7 early in 1995. The PSA did go down from 18.0 to 9.9. The
8 PSA number did rise in 1996, and in March 1996 combined
9 hormonal therapy was started, Zoladex analog and Casodex
10 antiandrogen. A PSA test in late June 1996 indicated a PSA
11 of 0.2, and in December 1996, a PSA of less than 0.1. On
12 June 9th of this year, the PSA rose, still within the
13 normal range, but it did rise.

14 More medications other than chemotherapy are
15 needed for those of us who may become hormone refractory.
16 The addition of Liazol to our meager arsenal in the fight
17 against prostate and other cancers is absolutely needed for
18 the extension of precious life.

19 To quote a 1995 article, chemotherapy has not
20 proven particularly effective in the majority of patients
21 with prostate cancer. It is hoped that in the near future
22 more effective chemotherapeutic agents will be developed to
23 treat patients who no longer respond to hormonal therapy.

24 We would like and need other weapons before

1 administering chemotherapy. Perhaps the statement "in the
2 future" is now with the introduction of Liazal by Janssen
3 and approval by the FDA. We strongly urge that the drug
4 Liazal be approved by the FDA as a viable addition to the
5 drug arsenal to fight this terrifying disease, prostate
6 cancer.

7 All prostate cancer survivors thank you for
8 your consideration and approval of Liazal. Also, thank you
9 for past FDA approvals that have suppressed or arrested
10 other dreaded diseases.

11 Thank you for your time.

12 DR. DUTCHER: Thank you very much.

13 Our next speaker is Mr. Robert Jordan.

14 MR. JORDAN: My name is Robert Jordan. I live
15 in Alexandria. I was diagnosed with metastatic prostate
16 cancer exactly six years ago, stage D1. Statistically I am
17 in a group of less than 10 percent still alive after such a
18 diagnosis, thanks to both radiation and combined hormone
19 therapy. There is increasing research that indicates that
20 two major modalities are better than one. Two is better
21 than one.

22 I am here primarily to represent all prostate
23 cancer survivors. I was not sent by any group but I have
24 attended meetings of the prostate cancer support group at

1 GW University Medical Center since its inception in August
2 1991.

3 I have no association with Janssen nor have
4 they paid me in any way. In fact, I did not know of their
5 existence until learning about these hearings.

6 I am 74 years of age, a retired academic
7 library administrator and professor, which partially
8 explains my interest in keeping well informed about
9 prostate cancer. One of the skills I learned as librarian
10 was critical review and evaluation. My urologist, Dr.
11 Michael Manyak, jokingly refers to me as Dr. Jordan.

12 Since learning about the hearing, I was told
13 that Dr. Manyak is holding a clinical trial on Liazal, but
14 I have not talked to him about this. I did talk to his
15 secretary and Liazal was talked about at my support group.
16 It sounds quite promising.

17 I am only too well aware that heretofore all
18 the chemotherapies used for refractory prostate cancer are,
19 with rare exceptions, only palliative. By rare exceptions,
20 I mean there are a few individuals that it does help but
21 the percentage is discouragingly low.

22 Obviously this unfortunate prospect is of keen
23 interest to me as I find out every three months whether or
24 not my PSA has started to rise. I will have my PSA tested

1 this week. I will find out what my prospects are for
2 living for another few years. A sign that I will then
3 likely have only one or two more years to live if it does
4 start to rise. PSA is a remarkably accurate marker. Only
5 rarely does a rising PSA for those under combined hormone
6 therapy not indicate the onset of refractory cancer.

7 Knowing something about the severe adverse
8 effects of all chemotherapy, essentially poisons, I was
9 startled and pleased to learn that Liazal is not a
10 chemotherapy and has comparatively mild adverse effects.

11 Obviously when I learned that for the first
12 time there might be a treatment which could actually extend
13 life several months or more, I became quite personally
14 hopeful that Liazal would be available to me and to others.
15 For someone in my situation, just a few more months to live
16 would be extremely important.

17 I can see no conceivable reason why FDA should
18 not expedite approval of Liazal.

19 A final word as to why I am here. Any
20 promising new therapy is of great importance to me as the
21 existing chemotherapies are hopeless. I need a new avenue
22 of hope among options that essentially do not exist. My
23 wife has recently been diagnosed with cancer. I would like
24 to survive her to take care of her if that script is in

1 store for us.

2 Thank you.

3 DR. DUTCHER: Thank you very much.

4 The next speaker is Mr. Peter Doherty. Is Mr.
5 Doherty here?

6 (No response.)

7 DR. DUTCHER: No? Okay, JoAnn Minor is going
8 to be reading statements from Sharon Saquella, a nurse from
9 Anne Arundel Medical Center, and from Saul Serota, a
10 prostate cancer survivor.

11 MS. MINOR: Good morning. I'm JoAnn Minor with
12 the Cancer Liaison Program within the Office of Special
13 Health Issues at the Food and Drug Administration.

14 The first letter I'd like to read is from
15 Sharon K. Saquella. She's a nurse at the Anne Arundel
16 Medical Center.

17 Dear Distinguished Members of the ODAC, I am a
18 registered nurse practicing at Anne Arundel Medical Center
19 in Annapolis, Maryland. As clinical pathway case manager
20 for patients at my hospital who have prostate cancer
21 surgery, I work hard to provide each patient with the
22 education and emotional support he needs to handle his
23 disease. Since 1994, approximately 200 men have had
24 surgery at Anne Arundel Medical Center for prostate cancer.

1 In an effort to help patients deal with their
2 cancer, I started a prostate cancer support group in
3 January 1994. The group consists of men in all stages of
4 prostate cancer, post surgery, post radiation, on hormones,
5 hormone refractory. If there is any drug that can help
6 these men by prolonging their lives and giving them a
7 better quality of life by easing their pain, I firmly
8 support its use.

9 I have read literature on the new Janssen drug
10 Liazal and am excited by the possibility of a new drug for
11 the treatment of prostate cancer. To have a non-hormonal,
12 non-cytotoxic drug that shows promise of extending the life
13 of these patients with minimal side effects is encouraging.

14 I urge the Oncologic Drugs Advisory Committee
15 to recommend Liazal to the Food and Drug Administration for
16 use in the United States.

17 Thank you for giving me this opportunity to
18 express my views.

19 Sharon K. Saquella, R.N.

20 And the second letter is from Saul I. Serota.
21 He is from Marshall, Virginia.

22 Dear Committee Members, I am a prostate cancer
23 survivor who has been on hormonal treatment for the disease
24 since March of 1994. Recently my PSA has been doubling on

1 a monthly basis. In view of this, presumably the hormonal
2 treatment has lost its effectiveness.

3 Liazol appears to offer hope to prostate cancer
4 patients who, like myself, no longer are being effectively
5 treated by hormonal therapy. Patients in my category
6 require a drug such as Liazol for improved quality of life
7 while the scientists seek a cure for this dreadful disease.

8 I urge approval of this drug.

9 Yours truly, Saul I. Serota.

10 Thank you.

11 DR. DUTCHER: Thank you very much.

12 Next Patty Delaney will read statements from
13 Robert Frase and Terry Roe.

14 MS. DELANEY: Good morning. My name is Patty
15 Delaney and I'm with FDA's Cancer Liaison Program in the
16 Office of Special Health Issues.

17 The first statement will be from Robert W.
18 Frase from Falls Church, Virginia.

19 I write as an 85-year-old informed patient with
20 prostate cancer which was in remission until about a year
21 ago, but now for the past year has gradually increased to a
22 reading of .97.

23 My cancer was discovered as a result of a TURP.
24 Tests, not including the then little-known PSA, indicated

1 no spread beyond the prostate. Radiation was recommended
2 both by my urologist and a second-opinion urologist.

3 Three months, after five weeks of external
4 radiation at George Washington Hospital, bone pain
5 developed. The score on a PSA test recommended by an
6 oncologist was 120. Choosing between medical and surgical
7 castration, I chose surgical in July 1988. There followed
8 almost eight years of vigorous good health and PSA readings
9 of less than .1. My oncologist now has me on a schedule of
10 PSA and other blood tests every two months.

11 At this stage we do not know whether the cancer
12 is still androgen dependent, suggesting a trial of
13 flutamide or Casodex, or whether it has become hormone
14 refractory. If the indication is that the cancer is
15 hormone refractory, my extensive reading and listening to
16 lectures by the leading prostate cancer researchers in this
17 metropolitan area suggests to me that the available drugs
18 other than Liazal will produce only short-term results and
19 the likelihood of adverse reactions.

20 This leads me to urge that if the statistical
21 and clinical results claimed for Liazal by Janssen hold up
22 under careful scrutiny, FDA approval should be expedited.
23 Liazal seems to hold out promise of a longer and better
24 quality of life for hormone-refractory, late stage prostate

1 cancer than any other treatments now available.

2 Robert W. Frase.

3 My second statement I'm reading on behalf of
4 Terry Roe, who is the Regional Director of the US TOO
5 International in Martinsville, New Jersey.

6 I am a six-year prostate cancer survivor. I
7 also serve as Regional Director of US TOO prostate cancer
8 support groups. I have been a volunteer for them for five
9 years.

10 During that time I have met many prostate
11 cancer survivors and spoken with hundreds on the telephone.
12 Many are concerned as their hormonal therapy becomes
13 refractory. I am on a regimen of Lupron and that thought
14 continually bears on my mind.

15 Liazol appears to give hope to those patients
16 who may run out of hope. I strongly urge the approval of
17 the drug by the advisory committee of the Food and Drug
18 Administration. It is an option that is sorely needed.

19 Thank you. Terry Roe.

20 Thank you.

21 DR. DUTCHER: Thank you very much.

22 Did Mr. Doherty, by any chance, arrive?

23 (No response.)

24 DR. DUTCHER: Is there anyone else in the

1 audience who wishes to make a statement?

2 (No response.)

3 DR. DUTCHER: All right. Is there someone?

4 MS. ILAW: On Taxol?

5 DR. DUTCHER: Yes, you may. We are going to be
6 discussing that this afternoon, so if you would like to
7 make a statement. If you have a written copy, can you also
8 submit it to us afterwards? Please identify yourself and
9 your affiliation.

10 MR. TRUEMAN: Good morning, ladies and
11 gentlemen. My name is Timothy Trueman.

12 I have received no financial remuneration from
13 anybody to be here today. I'm here on my own accord.

14 I'm a 30-year-old senior undergraduate at the
15 University of California, Santa Cruz, as well as a flight
16 attendant for Continental Airlines and a union
17 representative of the flight attendants there.

18 Just this past week I returned to my job as a
19 flight attendant after a one year and eight month absence
20 from work. This was made possible by a little known
21 chemotherapeutic called Taxol.

22 In June of 1994 I was diagnosed with AIDS-
23 related cutaneous Kaposi's sarcoma, KS. At that time I
24 only had one lesion on my leg, but by June of 1995, after

1 being hospitalized for other HIV-related infections, the KS
2 was quite rampant throughout my entire body. Most of the
3 KS was prevalent on my face and head, and I was
4 experiencing edema associated with the KS. My face looked
5 like -- one of my friends called it a watermelon.

6 A month prior to that June, I had begun wearing
7 makeup on my face in order to prevent the usual stares and
8 glances that I received from people from having KS so
9 prevalent and highly visible upon my face. So, I had over
10 20 lesions on my head and face, all of which, like I said,
11 were highly visible. Since I wanted some semblance of
12 normalcy, hence I wore the makeup.

13 In July of 1995, I began a chemotherapy regimen
14 for treating the KS. It was vincristine and vinblastine in
15 combination, alternating each drug once weekly. This
16 regimen did nothing to stem the growth of existing lesions,
17 nor did it stem the growth of new lesions.

18 Ever since I was diagnosed with HIV and later
19 KS, I prided myself on becoming knowledgeable with the
20 disease and actively sought out new and promising drugs and
21 therapies. Ignorance about the disease is terrible. I
22 refuse to be one of those who closes his eyes and ears and
23 mouth to this foreign invader that has ravaged my body.

24 With that, I had read that Dr. Parkash Gill at

1 the University of Southern California was experimenting
2 with possible various treatments for KS. So, I made an
3 appointment and we concluded that an upcoming trial, a
4 separate trial, for cutaneous KS would be worth trying. By
5 September of 1995, I began this new protocol for cutaneous
6 KS.

7 Everything was going well for this treatment,
8 but in October of 1995, I was working a trip from New York
9 to Los Angeles and I had noticed that I was a little out of
10 breath. It seemed strange at the time that I had not been
11 physically exerting myself to any great degree. By the
12 next week, it was clearly evident that I was becoming short
13 of breath upon normal physical exertion. Something was
14 definitely wrong.

15 A few days later I had a chest x-ray and it
16 indicated that there was something there in the lungs, but
17 a definitive diagnosis could not be made.

18 A day or two after that, I woke up in the
19 middle of the night in a panic attack because I was unable
20 to breathe. The only way I could breathe was when I sat
21 upright. From then on I began to sleep in an upright
22 position on the couch in the living room.

23 A couple of days later, I saw a pulmonary
24 specialist, and a couple days after that, we conducted a

1 bronchoscopy. Upon completion of the bronchoscopy and the
2 subsequent biopsy, I was diagnosed with pulmonary Kaposi's
3 sarcoma.

4 By this point I was unable to walk 20 feet to
5 the bathroom without almost passing out. I could not have
6 normal conversations on the telephone without getting
7 dizzy, and I was unable to stand up in the shower. It was
8 completely impossible. I had to resort to taking baths.
9 At the same time, I was coughing up some horrendous orange-
10 colored sputum, and also I was placed on supplemental
11 oxygen. In the meantime, I had stopped working and stopped
12 going to school.

13 At that time, the pulmonary specialist told me
14 to speak to my primary care physician about my options
15 pertaining to pulmonary KS, and at that time there were
16 very few. I realized anybody who was diagnosed with
17 pulmonary KS, upon my research, basically had very little
18 time to live and they just try to make you as comfortable
19 as possible for that remaining time. Nonetheless, I began
20 to get my "affairs" in order.

21 Meanwhile, I returned to Dr. Gill's office and
22 relayed the news. He was extremely concerned about that
23 diagnosis, and then we stopped the trial for cutaneous KS
24 that I was on and he referred me to another clinical trial

1 which was the Taxol chemotherapy. I agreed instantly.
2 Hell, I had nothing else to lose at this point.

3 During the very same day, I completed all the
4 necessary paperwork and blood work to begin the protocol,
5 and five days later I received my first Taxol treatment.
6 This was in the first week of November of 1995. I was
7 hopeful. The research nurses, Miki Ilaw and Sue Cabriales,
8 had said that other patients were on this trial and were
9 responding well thus far to the treatments.

10 After one week, I noticed a lessening of my
11 pulmonary symptoms. I actually was able to walk around the
12 block. The week before I would have passed out if I tried
13 walking around the block.

14 Treatments were every two weeks and after the
15 second treatment, I was able to travel to St. Louis to be
16 with my family for Thanksgiving. At that time I had much
17 to be thankful for.

18 By Christmas my lungs had made noticeable
19 improvement and my cutaneous lesions had shrunk in size and
20 had lightened in color. The Taxol thus far was working.

21 In early January of 1996, Dr. Gill had told me
22 that, though I was in no shape to go back to work, he
23 suggested that I return to school and so I did. After all,
24 I could now walk from my car to the classrooms. School

1 helped me to occupy my mind while I continued treatment.

2 After each treatment, there would be continual
3 improvement of my pulmonary and cutaneous symptoms.

4 In May of 1996, I had another bronchoscopy and
5 the results showed no evidence of pulmonary KS. My
6 pulmonary symptoms were in complete remission.

7 Hearing this news was one of the best days of
8 my life. I was so happy. Added to the happiness, I was
9 accepted to the University of California for the fall of
10 1996, though my happiness was tempered by the fact that
11 that I still have HIV and that anything is possible with
12 this disease, but the news was still great. When one
13 becomes ill due to AIDS, one learns to take each day at a
14 time.

15 Though the pulmonary disease was in remission,
16 I decided to continue the Taxol to help clear up the
17 cutaneous lesions. I began to stretch the treatments to
18 every three weeks, then every four weeks, and finally every
19 six weeks. Then in March of this year, I finally stopped
20 the treatment.

21 In September of 1996, I began the fall term at
22 the University of California as a junior film student, and
23 in December of 1996, my cutaneous lesions had cleared to
24 the point at which makeup was no longer necessary and to

1 this day I don't wear makeup. There is virtually no
2 evidence that KS ever existed on my face now.

3 On June 14th of this year, I returned to my job
4 as a flight attendant and I flew to New York for a very
5 enjoyable layover.

6 Today, with the exception of a few tatoos left
7 by the KS, it all seems like a bad dream. I realize that I
8 am not cured of HIV and AIDS by any means, but with Taxol
9 and a few other anti-HIV drugs, I have a life again and my
10 life is very normal and that I am very thankful for.

11 I will forever owe a debt of gratitude to Dr.
12 Gill, Miki, Sue, Byron, and especially the makers of Taxol,
13 Bristol-Myers. Without all of them, I would not be here
14 before you today. I'm an extremely lucky man.

15 Do you know what it is like to be brought to
16 the edge of death and then be brought back again? Do any
17 of you know? People write about how near-death experiences
18 are life-transforming, and I'm here to tell you that they
19 are. I have been given a second chance at life and a life
20 that is ever so precious and fragile, a life that will
21 never be taken for granted again. I've been given the
22 ability to live and love as never before and I'm doing just
23 that. I have found more meaning in life in the past 20
24 months than most people do in a lifetime and all this from

1 a few people and a drug that is derived from the bark of
2 the Pacific yew tree.

3 I realize the battle against AIDS is not over
4 and people are still dying. With the weapons like Taxol to
5 combat KS, we are one step closer to making HIV/AIDS
6 manageable. Taxol will help people to live and I am living
7 proof.

8 I highly urge your approval of Taxol to be used
9 for a treatment against Kaposi's sarcoma.

10 Thank you.

11 DR. DUTCHER: Thank you very much.

12 Is there anyone else here who wishes to speak
13 on behalf of Taxol? Yes.

14 MS. ILAW: Good morning. My name is Miki Ilaw,
15 a research nurse at the University of Southern California,
16 Los Angeles.

17 I do have to say that Bristol-Myers did pay for
18 my way to be here today, but even if they didn't, I still
19 would have come here on my own because I've been giving
20 this drug for more than two years and I think that this is
21 probably the best chemotherapy I've ever given for Kaposi's
22 sarcoma.

23 I used to work as a nurse in the AIDS ward in
24 Los Angeles County Hospital until four years ago when I

1 went into AIDS research specializing in KS, which I've
2 found a very difficult disease to learn and to follow,
3 probably the most challenging job I've ever had. Most of
4 my patients were young, good looking, creative,
5 intelligent, dynamic professionals who seemed to have so
6 much going for them. They had the world at their feet
7 until the first KS lesion appeared.

8 I had great empathy for this but I did not
9 truly appreciate the feeling of absolute devastation until
10 a couple of months ago when I fell while walking my dogs
11 and my face hit the cement. I felt like everywhere I went,
12 people stared at me, even after the wounds healed.

13 One single KS lesion on the body, especially on
14 the face or anywhere that can be seen right away, can be
15 truly devastating. Having multiple KS lesions drove a lot
16 of patients into acute depression and suicidal thoughts.
17 The swelling of these lesions on the face and on
18 extremities caused a great deal of pain and shame or fear
19 of being seen. KS completely changed people's lives.

20 I screened and treated so many wonderful
21 patients. I saw them come and go. They were some of the
22 nicest people I ever met, and it was hard not to get
23 attached. I stayed for a year and I decided to leave
24 because it took a toll on me. I cried every day at work

1 and even when I got home. They all touched my life and my
2 heart. I saw too much pain and suffering and death, so I
3 decided to leave and work somewhere else researching immune
4 modulators and protease inhibitors. All my patients were
5 healthy. They didn't need me, so I got bored, and needless
6 to say, I went back to my old job in spite of the rough
7 road ahead.

8 The population did not change. Once again I
9 saw so many young, dynamic patients with advanced KS. Each
10 time I walked down the hallway to the KS clinic, sometimes
11 I couldn't bear to look at these sadly disfigured faces.
12 There was just too much physical and emotional pain, some
13 in wheelchairs, some in oxygen tanks, sometimes you could
14 feel the anger in their eyes. Why did it have to happen to
15 me?

16 And then there was Taxol. The last two years
17 of my research work in KS have been the most rewarding time
18 of my life. The dramatic responses that I saw and still
19 continue to see continue to amaze me to this time. I saw a
20 lot of my patients come and go, not to die but to go on to
21 a new life.

22 Four years ago, patients were reluctant to get
23 chemo. Most dreaded their KS clinic appointments. Some
24 would even skip it. They were happy to see me, but they

1 hated the thought of getting chemo afterwards. The
2 infusion room would always be quiet and grave. Patients
3 would always complain of nasty side effects, chills and
4 fever, nausea, vomiting, severe fatigue and so on and so
5 on. It was easy to see someone on adriamycin, bleomycin,
6 and vincristine. They always appeared sick, cachectic,
7 pasty looking. Some even told me I would rather die than
8 get chemo.

9 All this changed, thanks to Taxol. Since we
10 started this protocol, I still have many patients who are
11 alive and well and leading very productive lives. Some
12 went back to school or to work or both. I have never seen
13 the dying come to life so many times. I have never cried
14 and loved so much and actually have fun giving Taxol. I
15 have never thanked God so much for giving these beautiful
16 human beings a second chance at life. I've had some
17 complete turnarounds that still amaze me to this day.

18 I can go on and on about how great this drug
19 is, but briefly Taxol is an excellent chemo for advanced
20 symptomatic KS, usually very well tolerated. Some patients
21 actually look forward to getting this drug, and most of all
22 it has improved one's quality of life immensely.

23 Lastly, the atmosphere in our infusion room has
24 changed from grave and scary to a happy ambience where my

1 patients actually warmly talk to each other and gladly
2 share their painful experiences with their illness and
3 their road to wellness. They help one another. Some
4 become friends. Some give back to their community by doing
5 volunteer work for other AIDS patients.

6 As a research nurse, I feel very fortunate and
7 truly grateful for being given the opportunity to see the
8 wonders of Taxol on this horrible disease. For what it has
9 done and still does for so many patients, Taxol would truly
10 be a great addition to the current KS treatments that we
11 already have.

12 Thank you.

13 DR. DUTCHER: Thank you very much. Can you
14 please make sure we have a copy of your statement?

15 Is there anyone else in the audience who wishes
16 to make a statement? Yes.

17 Just a reminder that this is the only time of
18 open public hearing today, so please, any who wish to speak
19 should speak.

20 MR. SALAZAR: I want you to know I'm not being
21 paid to be here. Bristol-Myers paid for my airline ticket
22 here and that's it. And if they wouldn't, I'd pay my own
23 way to be here.

24 Hi. My name is Ceasaro Salazar.

1 About two years ago, I was told I had AIDS and
2 Kaposi's sarcoma. When I went to the hospital, my face was
3 swollen and disfigured. My eyes were swollen shut. I had
4 over 200 lesions over my body. I had a large KS lesion on
5 the tip of my nose.

6 Do you know what it is like to be young and to
7 loose your looks suddenly, or at any age?

8 I would lock myself up in the house. I
9 wouldn't even open up my front door. I was embarrassed and
10 ashamed of myself and the way I looked.

11 Then I was told about a treatment called Taxol.
12 It was truly the best thing that could have ever happened
13 to me.

14 The next day, three-fourths of the swelling on
15 my face had gone down. The KS on the tip of my nose was
16 much lighter. It was no longer purple. It was a nice pink
17 to a red. I was so happy for the first time in a very long
18 time. I was able to look in a mirror and smile.

19 I had no side effects from Taxol, no nausea, no
20 hair loss. I want you to know I am bald by choice. Call
21 it a fashion statement if you'd like.

22 But before Taxol I didn't want to live. I
23 wasn't even living. I was just existing, and what is
24 existing without living? Nothing.

1 And now, because of Taxol, I am indeed a new
2 person, alive to see a better day. My quality of life has
3 improved dramatically.

4 Before Taxol, I didn't want to live. I didn't
5 want to do anything. I didn't care what I was doing, and
6 now I have a reason to live. I have a reason to look
7 forward.

8 I take care of a lot of animals. I have a
9 garden. I do a lot of stuff now. I'm able to go outside.
10 It has really changed me. It really has.

11 So, I must state at this time that Taxol is a
12 highly effective way to treat Kaposi's sarcoma. My body is
13 living testament to this fact that Taxol indeed does work.

14 So, it is with a heavy heart I ask all of you,
15 please, approve Taxol for use as treatment for Kaposi's
16 sarcoma so perhaps others like myself can benefit from
17 Taxol. May we all live a better life today, tomorrow, and
18 years to come.

19 Thank you.

20 DR. DUTCHER: Thank you very much.

21 Is there anyone else? Yes.

22 MR. JEFFREY MARTINEZ: First of all, I'd like
23 to say that I'm here at the invitation of Bristol-Myers
24 Squibb and that they graciously compensated for all my

1 expenses while here.

2 Ladies and gentlemen, hello. My name is
3 Jeffrey Martinez. I'm a patient of Miki Ilaw Jacobson, who
4 spoke just prior, and Dr. Gill of USC.

5 I'm here to tell you how Taxol changed my life.
6 That is really putting it mildly. Saved my life, that's
7 more like it. For I firmly believe that if it wasn't for
8 Taxol, I would not be here today.

9 To look at me now, no one would ever suspect
10 the really hell that I was going through two years ago,
11 prior to the Taxol study. To give you an idea of how Taxol
12 changed me, let me tell you what I was like prior to the
13 Taxol.

14 Early in 1995, due to fast-spreading, very
15 fast-spreading, KS tumors, I had to start a three-drug
16 chemotherapy combination. That was adriamycin, bleomycin,
17 and vincristine, ABV for short. At that time it was just
18 about the only effective treatment for KS that was
19 available. It seemed to keep the lesions under control for
20 the most part. However, it never really made them go away
21 completely. What did go away was my health, my energy
22 level, my appetite, my weight, my outlook on life, most
23 importantly my hair -- not really. I was used to short
24 hair, bad hair days anyway.

1 (Laughter.)

2 MR. JEFFREY MARTINEZ: I was getting the chemo
3 infusions, the ABV, every two weeks to start with. For the
4 first couple of days after the treatment, I'd feel very
5 miserable and pretty much lifeless, and then a week or so
6 later, just when I was starting to feel better, it would be
7 time for another treatment. Up and down. It was like
8 being on a constant, never-ending roller coaster. I really
9 hated having to get the treatments. It created a lot of
10 anxiety.

11 What else could I do? The KS would eventually
12 destroy me if left untreated. I had to face the fact that
13 I would have to do this for the rest of my life, and at the
14 rate that my health was deteriorating, I was sure that the
15 rest of my life was just around the corner.

16 In August of 1995, I had what I sort of called
17 a farewell birthday party, a family gathering. I was
18 pretty sick and weak by then, but I was determined to have
19 a celebration. I was sure it would be my last one. I
20 would have bet on that, and obviously two years later I'm
21 still here and I would have lost that bet.

22 In fact, Janice, if it would be okay, I did
23 bring a picture of me at that point right before I started
24 Taxol. I'd like to pass it around to the panel. They

1 would get an idea, if that would be all right.

2 DR. DUTCHER: Yes.

3 MR. JEFFREY MARTINEZ: This is right before
4 Taxol. That is about two years ago.

5 By September I had reached my lowest point. I
6 was constantly fatigued and could barely walk. I had
7 fevers, night sweats, coughing, vomiting. With the nausea,
8 I could barely eat a thing. My weight had dropped to an
9 all-time low of 132 pounds. I nearly developed pneumonia,
10 was almost hospitalized. It seemed like the chemo was
11 killing me.

12 I had to stop the chemo for a while. I just
13 couldn't take it anymore. I was in bed practically the
14 whole month of September. All I could do was think about
15 my own mortality and I'd talk with my partner about dying,
16 my last wishes, his ability to let me go. It seemed that
17 there was not much hope left. All I could do was pray for
18 strength to get through this.

19 By October the lesions were starting to act up
20 again. I knew that it was time for round two. Then a
21 miracle happened. It came in the form of Taxol, a new drug
22 with little side effects, very promising results.

23 A new study was underway. I was asked if I
24 would like to participate in the study. They didn't have

1 to pull my arm on this one. I would rather drink Drano
2 than have to go back to that ABV stuff. It was horrible.

3 And so we started. We took a few lesion
4 measurements to get a baseline to go from, and over a
5 period of time -- it was a very quick period of time -- I
6 could see how the lesions shrank, faded, and some
7 ultimately disappeared. It was amazing. I hadn't
8 experienced that with the ABV. ABV basically just
9 controlled the lesions from spreading, but it really did
10 little to make them disappear.

11 I think that a big part of the success of Taxol
12 is that it did not make me sick like the ABV. My health
13 began to improve immediately, thus making the fight against
14 the lesions easier.

15 I still can't get over the fact that Taxol
16 caused no significant side effects on me, no nausea, no
17 vomiting, no fatigue, no appetite loss, no weight loss, no
18 hair loss for at least six months, and especially no
19 anxiety. I actually looked forward to the treatments. As
20 Miki said, she looked forward to giving the treatments. I
21 looked forward to getting the treatments.

22 Taxol gave me lots of energy and definitely
23 uplifted my spirits. I was no longer tired, run down, and
24 listless. That sounds sort of like a commercial, but my

1 friends were amazed at how I had improved. Some had
2 actually commented they would try the new drug just to get
3 the energy it created.

4 (Laughter.)

5 MR. JEFFREY MARTINEZ: None of them even had
6 KS.

7 I did forget to mention one major side effect,
8 though, weight gain. The Taxol must be fattening. I'm up
9 to 182 pounds. That's 50 pounds difference in less than
10 two years. I never thought I would have to diet again, but
11 hello, Jenny Craig.

12 (Laughter.)

13 MR. JEFFREY MARTINEZ: There's another benefit
14 of Taxol I think is worth considering, and that is an
15 economic one. When I was on ABV, I was left disabled much
16 of the time. For me that was difficult. I'm self-employed
17 and I do not get any sick pay. With the ABV, I was just
18 out, and with the Taxol, there were no side effects,
19 nothing. I could work all the time. It was wonderful.

20 It has now been six months since my last Taxol
21 treatment. I check myself every day and the lesions just
22 aren't coming back. My health is better now than it has
23 been in years. I know that Taxol is a major factor in my
24 comeback, and that's not to say that it's the only factor.

1 I did have the love and support from my partner, family,
2 and friends, expert care from my physicians, Miki and Dr.
3 Gill. With the new treatments using protease inhibitors to
4 further boost our immune systems, who can say for sure how
5 much of a factor Taxol played in my recovery. All I can
6 say for sure is that Taxol was with me on the road to
7 recovery a full nine months prior to the use of protease
8 inhibitors.

9 Two years ago I thought I had reached that
10 infamous point of no return and I had walked up to that
11 line but never crossed it. Taxol helped pull me back.
12 It's a godsend. I really, really believe that.

13 Taxol needs to be available to more people. To
14 me there's no doubt about it. It will save lives and lots
15 of lives, I'm certain. Thank you, Bristol-Myers, very
16 much. Thank you for bringing this drug to us.

17 Thank you very much for taking the time to
18 listen to my testimony of what I experienced. It was
19 important for me to give it. Thank you. It has been a
20 pleasure to be here and I really mean that, "to be here."
21 Thank you.

22 DR. DUTCHER: Thank you very much.

23 Are there any other people in the audience who
24 would like to make any comments?

1 (No response.)

2 DR. DUTCHER: I think then we'll move on to the
3 rest of the morning's session. We're going to begin with
4 the sponsor's presentation. We're going to begin with the
5 discussion of mitoguazone for AIDS-related lymphoma and
6 we'll begin with Dr. Santabarbara from ILEX Corporation.

7 DR. SANTABARBARA: Dr. Dutcher, members of the
8 Oncologic Drugs Advisory Committee, Dr. DeLap, members of
9 the Food and Drug Administration, ladies and gentlemen,
10 good morning.

11 My name is Pedro Santabarbara and on behalf of
12 ILEX Oncology, it is my pleasure to introduce this
13 morning's session on mitoguazone, NDA 20-709, sponsored by
14 ILEX Oncology and co-sponsored by SANOFI Pharmaceuticals.

15 Accelerated approval is requested for
16 mitoguazone as treatment of AIDS-related non-Hodgkin's
17 lymphoma in patients who have received at least one
18 potentially curative regimen.

19 The clinical package that will be discussed
20 consists of two multi-center phase II studies in 90
21 patients with previously treated AIDS-related non-Hodgkin's
22 lymphoma. These are referred to as study 004 with 35
23 patients and study 007 with 55 patients.

24 The dose and schedule was common in both

1 clinical trials. Mitoguazone was administered at 600
2 milligrams per meter squared over a 1-hour intravenous
3 infusion on days 1, 8, and every 2 weeks thereafter.

4 The agenda today is listed. The background on
5 mitoguazone will be presented by Dr. Daniel Von Hoff. The
6 background on AIDS-related non-Hodgkin's lymphoma and the
7 results of efficacy and safety of mitoguazone in our
8 pivotal trials will be presented by Dr. Alexandra Levine.
9 Then I'll come back to moderate the question and answer
10 session.

11 In addition, other experts, Dr. Lawrence
12 Kaplan, Dr. John Kuhn, are here with us this morning and
13 will be happy to answer questions that you may have.

14 Now it is my pleasure to introduce Dr. Dan Von
15 Hoff. Thank you.

16 DR. VON HOFF: Thank you, Dr. Santabarbara, and
17 good morning, ladies and gentlemen.

18 Mitoguazone, also known as MGBG or Zyrkamine,
19 was synthesized in 1898 as part of a program looking for
20 new anthelmintics. It has the structural formula shown
21 here.

22 The compound has a unique mechanism of action.
23 It's an inhibitor of polyamine biosynthesis through the
24 inhibition of the enzyme S-adenosyl-methionine

1 decarboxylase, or SAM-DC.

2 Polyamines are important for stabilization of
3 DNA and are increased in rapidly dividing cells,
4 particularly tumor cells. Polyamine biosynthesis is an
5 interesting target, particularly in patients with lymphoma
6 because polyamines are elevated in the serum and urine of
7 patients with lymphoma.

8 In work by Russell and colleagues, there was a
9 4.9 to 5.3-fold increase in urinary spermidine in patients
10 with non-Hodgkin's and Hodgkin's lymphoma compared to
11 urinary spermidine in normal volunteers.

12 Hospattankar and colleagues showed that the
13 total serum polyamine levels were considerably higher in
14 patients with non-Hodgkin's lymphoma and Hodgkin's disease
15 than in normal volunteers. These findings make inhibition
16 of polyamine biosynthesis an attractive target for patients
17 with lymphoma.

18 The clinical history of mitoguazone is of note.
19 It was first given to patients at the National Cancer
20 Institute by Drs. Regelson, Holland, Freireich, Frei, and
21 Karon in the early 1960s. Doses ranged from 21 to 286
22 milligram per meter squared daily for 2 to 208 days. Dose
23 limiting toxicities with a daily administration included
24 severe mucositis, diarrhea, leukopenia, thrombocytopenia,

1 and hypoglycemia. Activity was noted in patients with
2 leukemia in non-Hodgkin's lymphoma. However, phase II
3 testing was not pursued because of the toxicity profile of
4 the agent.

5 Interest in mitoguazone was renewed in the
6 1980s when the first pharmacology done with mitoguazone
7 showed that the terminal half-life was greater than 100
8 hours. Based on that data, it was determined that to avoid
9 drug accumulation, mitoguazone should be administered at a
10 dose of 600 milligrams per meter squared on a weekly or
11 biweekly schedule rather than the daily schedule used in
12 the 1960s.

13 Using this less frequent schedule of
14 administration, hundreds of patients with solid tumors were
15 treated without severe toxicity problems in phase II
16 trials. The most impressive activity was noted in patients
17 with refractory lymphoma. At Memorial Sloan Kettering and
18 in the Southwest Oncology Group, there were response rates
19 of 30 to 46 percent for patients with Hodgkin's disease and
20 24 to 38 percent for patients with non-Hodgkin's lymphoma.

21 Toxicities noted in these trials were not
22 graded but were said to be mild and included transient
23 facial flushing during infusion in all patients, vomiting,
24 mucositis, muscular weakness, and myalgia, which were

1 eliminated in patients going on the every other week
2 schedule, and skin rash in 10 percent of patients.

3 Now, the rationale for conducting a trial of
4 mitoguazone for patients with AIDS-associated non-Hodgkin's
5 lymphoma included the following parameters: the activity
6 of mitoguazone in patients with refractory non-Hodgkin's
7 lymphoma not associated with AIDS, the fact the drug caused
8 minimal myelosuppression and minor other systemic
9 toxicities in prior NCI studies, the high polyamine levels
10 noted in immunocompetent patients with non-Hodgkin's
11 lymphoma, and the evidence for good penetration into brain
12 tumor tissue, 5 to 19-fold higher than plasma, which is a
13 frequent sanctuary for lymphoma in patients with AIDS.

14 During the clinical trials, which you will hear
15 about shortly, my colleagues, Dr. Kuhn and Rizzo, have
16 performed pharmacokinetic studies with mitoguazone in
17 patients with AIDS-related lymphoma. As noted here, they
18 have confirmed the long terminal half-life of 175 hours in
19 these patients. There was no accumulation of drug on the
20 every other week schedule used in these pivotal trials.

21 In addition, we have conducted in vitro studies
22 of the effects of mitoguazone on P450 isoenzymes and have
23 found no inhibition of the 6 isoenzymes studied. Based on
24 this finding, metabolism-based drug-drug interactions are

1 not expected with mitoguazone, which is particularly
2 important for patients included in the indication we will
3 discuss today.

4 Dr. Alexandra Levine will now present
5 background information on AIDS-associated lymphoma and the
6 results of the pivotal trials of mitoguazone.

7 Thank you.

8 DR. LEVINE: Thank you very much, Dr. Von Hoff.

9 I wanted to start with background related to
10 AIDS lymphoma.

11 Lymphoma is the cause of death in approximately
12 12 to 16 percent of patients with AIDS. The incidence of
13 AIDS lymphoma is increasing as people are living longer and
14 longer due to effective antiretroviral intervention. AIDS
15 lymphoma is associated with a median survival of only 7
16 months from the time of initial diagnosis.

17 The disease is usually associated with either
18 high or intermediate grade pathologic types and the
19 pathology most frequently seen includes large cell,
20 immunoblastic, or small non-cleaved lymphomas. There is a
21 very high proclivity to widespread extranodal disease and
22 to central nervous system involvement at the time of
23 initial diagnosis. The disease is associated with
24 substantial morbidity and mortality and is also associated

1 with significant immunocompromise.

2 Over the years, several factors have shown
3 importance in multivariate analyses related to poorer
4 survival. Those factors which are associated with poorer
5 survival include some factors related to HIV, CD4 cells
6 less than 100, or history of AIDS before the lymphoma
7 diagnosis. Some factors relate to the lymphoma: bone
8 marrow involvement or stage III/IV disease, elevated LDH.
9 And lastly, some factors relate to the host: poor
10 Karnofsky performance status, less than 70 percent, or
11 older age, over 35 or 40, or history of injection drug use,
12 each of these associated with poorer prognosis.

13 Recently a trial was published in the New
14 England Journal this month. It was ACTG trial 142. This
15 is in patients with newly diagnosed AIDS lymphoma who were
16 randomized to receive either low dose mBACOD or standard
17 dose mBACOD with GM-CSF. In that trial of 192 patients,
18 there were several factors that were found to be
19 independently associated with poor prognosis and decreased
20 survival. They were age greater than 35, history of
21 injection drug use, stage III or IV disease, and CD4 cells
22 less than 100.

23 Now, if individuals had two of these factors or
24 less, the median survival was 45 weeks and 22 percent are

1 alive at 3 years. On the other hand, if individuals had
2 three factors or more, the median survival was only 18
3 weeks and no patient was alive at 3 years.

4 The patients that we will talk about today have
5 relapsed or failed initial therapy and therefore in trying
6 to look into the literature to see the expected survival of
7 patients in that group, first I will start with the ACTG
8 142 trial. If one looks at the survival from the time of
9 documented progression on mBACOD, median survival, 58 days
10 or 52 days on the two arms of the study.

11 There's another study in the literature from
12 Tirelli. This uses VP16, prednimustine, and mitoxantrone
13 in patients who have failed initial therapy for AIDS
14 lymphoma. If one looks at their median survival from the
15 time they began VPM, it was 60 days. So, these are the
16 numbers we have to compare in the literature.

17 I will now go through data related to two phase
18 II evaluations of mitoguazone, studies 004 and 007, in
19 patients with AIDS-related lymphoma who have received at
20 least one prior potentially curative regimen.

21 The first study 004 was done in 10 different
22 institutions around the United States. The second study
23 was performed in 18 institutions, including 6 of the
24 initial sites.

1 The study endpoints are listed here. We looked
2 at response rate, duration of response. We looked at
3 qualitative and quantitative toxicities, and lastly
4 clinical benefit. This was studied retrospectively in 004
5 and prospectively in study 007.

6 The response criteria were those published from
7 SWOG. Complete resolution of disease or partial resolution
8 of disease required a duration of at least 4 weeks.
9 Progressive disease, indicated by an increase in 50 percent
10 or 10 square centimeters, whichever is smaller, in the sum
11 of the products. These patients were seen every 4 weeks.
12 They had physical exams and x-rays, and every 8 weeks they
13 underwent scans or invasive procedures as clinically
14 indicated.

15 The main inclusion criteria are provided in
16 your books. I wanted just to mention a few.

17 Number one, the patient had to be at least 14
18 days from the last prior chemotherapy. If the patient was
19 less than 14 days, this was allowable with obvious lymphoma
20 progression.

21 Use of biologic agents was allowed within 7
22 days of institution of mitoguazone.

23 The Karnofsky performance status was required
24 to be 50 percent or more. In study 007, that was amended

1 to 60 percent or more.

2 The patient had to have bidimensionally
3 measurable disease.

4 And patients with leptomenigeal disease could
5 be included but they were required to be treated with
6 intrathecal chemotherapy and radiation to brain as well.

7 The exclusion criteria are listed. They are
8 standard but I did want to mention that limited Kaposi's
9 sarcoma that did not require treatment was allowable on
10 this trial.

11 7 of the 90 patients had major eligibility
12 deviations, and I wanted to go through these right now. 4
13 of these individuals, at the time that was determined after
14 study entry, had no measurable disease. In addition, 2 of
15 these 4 had had mitoguzone as sole prior treatment or
16 radiation as sole prior treatment prior to the mitoguzone.
17 2 individuals, upon pathologic review, were found not to
18 have AIDS lymphoma. 1 had multiple extramedullary
19 plasmacytoma. 1 had Hodgkin's disease at review. Lastly,
20 1 patient had primary CNS lymphoma. Despite the fact that
21 these major eligibility deviations were there, all patients
22 are included in the intent-to-treat analyses which will be
23 provided.

24 The patient characteristics are listed here,

1 and I'll go to the last column, looking at all 90 patients.
2 The median age was "old," 39 years. 94 percent are male.
3 69 percent Caucasian; 23 percent Hispanic; 7 percent
4 African American.

5 In looking at the prior chemotherapy regimens,
6 67 percent had had one regimen of chemotherapy prior to
7 mitoguazone. The others had had between two and six prior
8 regimens before entering these studies.

9 It was required that these patients have
10 curative intent chemotherapy as their initial therapy, and
11 these in fact are the regimens that were used.
12 Approximately a third of these patients initially received
13 mBACOD. Another third received either CHOP or CNOP. 12
14 percent received BACOD, 7 percent VAC, 3 percent MACOP or
15 B/MACOD, 7 percent other intensive regimens. As I already
16 have alluded, 3 individuals had no prior curative therapy,
17 having received either radiation or had primary CNS
18 lymphoma or had the mitoguazone as the first treatment.

19 Looking at the response to first-line therapy,
20 28 percent of these had attained complete response with the
21 initial treatment, 27 percent had received partial response
22 after initial treatment, 33 percent had documented
23 progressive disease after their first treatment.

24 The pathology review is presented here, and I

1 will get into this a little bit later toward the end.
2 Approximately a third of these patients, 29 percent, had
3 intermediate grade lymphoma. All the rest had high grade
4 lymphomas, and the most common types were immunoblastic B
5 cell or plasmacytoid in 26 percent; small non-cleaved, non-
6 Burkitt in 37 percent. So, again, two-thirds high grade,
7 one-third intermediate grade lymphoma.

8 The vast majority of these patients, as I would
9 expect in this disease, did have extranodal involvement.
10 88 percent had disease outside of lymph nodes. The common
11 sites of extranodal involvement included lung, liver,
12 gastrointestinal tract, bone marrow in 18 percent, skin or
13 subcutaneous tissue, central nervous system, and other
14 multiple sites. Although we are looking at lymph node
15 parameters in time on these patients, I think it is
16 important to note that 88 percent had extranodal disease
17 that we were following on this protocol.

18 I wanted to give some flavor as to who these
19 patients were as far as their prognostic factors, and the
20 next few slides will deal with this. This was a frail
21 group of individuals.

22 36 percent had had history of AIDS prior to the
23 time of lymphoma. The median CD4 count in this group was
24 52. Performance status, less than 70 in 37 percent. Age

1 greater than 35 years in 67 percent. Elevated LDH in 63
2 percent. Stage IV disease in 74 percent.

3 Using the prognostic factor model, as in study
4 ACTG 142, we looked at the number of poor prognostic
5 factors in these patients. As you see, 72 percent had
6 three or more poor prognostic factors at the time that they
7 came onto study. They were ill individuals who were frail.

8 Another indication of this is the concurrent
9 medications that these patients were taking at the time
10 that they started mitoguazone. First of all, as you see in
11 the footnote, only 1 patient was not receiving other
12 concurrent medications. All of the others were on
13 concurrent meds, a median of 7 concurrent medications, up
14 to 14. 84 percent on systemic antibiotics, 57 percent on
15 systemic antifungals, 49 percent on antivirals. In
16 addition, 62 percent of these were on analgesic narcotics
17 at the time that they came onto mitoguazone. They were
18 ill.

19 Mitoguazone was given at a dose of 600
20 milligrams per meter squared on day 1, day 8, and then
21 every 2 weeks until 4 cycles or 8 treatments beyond
22 complete remission or disease progression or undue toxicity
23 or refusal of further therapy.

24 The median number of doses given in each of the

1 studies was 3, ranging up to 31 doses in study 4 and up to
2 58 plus doses in study 7, 1 patient still receiving drug.
3 A total of 26 percent of the individuals in study 004
4 underwent dose reduction in the course of treatment, and
5 this includes 2 people who actually started at a higher
6 level, at 900 milligram per meter squared and then were
7 reduced down to 600 per meter squared. 9 percent of
8 individuals in study 7 eventually underwent dose reduction.

9 I'm going to talk now about the responses to
10 mitoguazone, but before I do so, I'd like to say that the
11 basis of this response data was not in our own study group
12 but rather an independent panel who were asked to come in
13 and review each of these cases very carefully. The panel
14 spent two entire days together and then for a period of
15 months went back over and over to get every single CT scan,
16 every single pathologic material, and so forth.

17 The complete remission on mitoguazone, 6.7
18 percent; partial remission rate, 7.8 percent. So, the
19 total objective response rate, 14.4 percent. 95 percent
20 confidence intervals, 7.2 to 21.7. An additional 14.4
21 percent sustained stable disease while on study, again
22 lasting 1 month or more. The other individuals had
23 progressive disease.

24 The duration of response is listed here.

1 Median duration of complete response, 76 days, going out
2 beyond 675 days. The median duration of partial response,
3 142 days, going out to 672 days.

4 Looking at secondary efficacy endpoints, in
5 responders the time to progression was 163 days. The
6 survival from study entry, 269 days, going out to over
7 1,181 days.

8 In the 13 patients who attained stable disease
9 parameters, time to tumor progression was 75 days and
10 median survival from entry, 203 days.

11 Looking at all patients together, the time to
12 progression was 40 days and the median survival from study
13 entry, 84 days.

14 I wanted to clarify the responders and show you
15 who they were. First of all, there were a total of 6
16 individuals who had a complete response to the mitoguazone.
17 Of those 6 individuals, 3 had 3 or more poor prognostic
18 factors coming onto study, in other words, would be
19 associated with very short survival.

20 Looking at the response to first therapy in
21 these individuals, 5 of the 6 had responded with a complete
22 response the first time out and 1 patient who had relapsed,
23 CR in the immediate treatment before mitoguazone. So, most
24 of these patients had had a CR before going on to develop

1 CR again with mitoguazone.

2 On the other hand, this patient, which I will
3 talk about again, is an important one. This individual had
4 documented progressive disease with his first-line
5 treatment. He then went on to develop complete response to
6 mitoguazone.

7 The baseline features in the patients who
8 eventually developed partial response are demonstrated
9 here. There are 7 individuals who had a partial response.
10 5 out of the 7 had three or more poor prognostic factors.
11 In addition, if we look at their response to first-line
12 therapy, 3 had CR. 1 of those relapsed on multiple
13 occasions, and on the chemotherapy regimen immediately
14 before mitoguazone, had progressive disease, then got
15 mitoguazone and underwent response, had a partial response.
16 1 individual had a PR with first-line treatment and
17 subsequently a PR with mitoguazone. 3 individuals had
18 progressive disease on their primary therapy and then went
19 on to develop partial response on mitoguazone. We believe
20 that we certainly helped these individuals.

21 I wanted to go through some of these patients
22 with you. Patient 02 on study 4 is a partial responder.
23 This is a 25-year-old male who was originally diagnosed
24 with lymphoma in February of 1992. The pathology at that

1 time was said to be diffuse mixed lymphoma. At the time he
2 was treated with CHOP. He had a complete response to CHOP,
3 but 5 months later he relapsed in multiple nodal regions.
4 He had three poor prognostic factors at the time he came on
5 mitoguazone, and he had symptoms, neck pain and all three
6 systemic B symptoms, fever, night sweats, and weight loss.

7 Now, at the time that this patient came onto
8 study, his biopsy at the time of relapse was originally
9 considered to be a B cell marginal zone lymphoma. This
10 became an issue at the FDA review and I wanted to read to
11 you the formal report by the pathologist, Dr. Peter Banks,
12 a hematopathologist.

13 "Although the process was a B marginal zone
14 lymphoma, it displayed features more aggressive
15 microscopically than those of low grade B cell lymphoma.
16 Instead, the features are those of intermediate grade
17 lymphoma with the so-called large cell variant, B marginal
18 zone lymphoma featuring large vesicular nuclei and abundant
19 mitotic figures. In short, I believe it would be in error
20 to stratify this patient's lymphoma as low grade."

21 The patient was originally treated with
22 mitoguazone. He had a very nice response. He felt much
23 better, and at that point he was noncompliant. He left for
24 a period of 2 and a half months. He went to visit his

1 parents. He began college, was lost to our follow-up.
2 Within about 2 months, the disease had come back again, and
3 at that point he showed up for continued care.

4 This is the CT scan at the time he came back.
5 The data on this is 3/29/93. Big lymph nodes in the
6 anterior cervical region and the posterior cervical regions
7 as well. This is the CT scan from the moment that he came
8 back after his visit to the parents.

9 This is the CT scan from 2/21/95, essentially 2
10 years later. He continues to be in partial response with
11 mitoguazone.

12 The duration of this patient's response, 672
13 days, survival 1,045 days. This patient experienced no
14 drug-related grade 3 or 4 adverse events. We believe that
15 he had significant clinical benefit on retrospective
16 review. Number one, decreased pain and improved neck
17 mobility; number two, increased weight. He maintained his
18 performance status. All three systemic B symptoms
19 resolved. He claimed that he had increased appetite and
20 libido and he was able to return to full-time work. I
21 truly believe that we helped this individual for a
22 significant period of time.

23 The second case is patient number 9 on study 7.
24 This is a 50-year-old male who was originally diagnosed

1 with lymphoma in January of 1995. He had small non-cleaved
2 lymphoma at that time. He was treated with a continuous
3 infusion of cytoxan, adriamycin, and etoposide, but despite
4 this continuous infusion therapy, he had objective
5 progressive disease on that treatment. His site of
6 involvement when he began mitoguazone was head and neck,
7 bulky disease there, as well as involvement of subcutaneous
8 tissues and lymph nodes. He had four poor prognostic
9 factors. He had significant baseline symptoms, laryngeal
10 edema and tracheal obstruction.

11 This is the CT scan on this individual on
12 3/8/96, a large mass here, another mass on the other side
13 of the neck, and you can see the displacement of trachea
14 and so forth.

15 This is a repeat scan on 4/27/96, about 6 weeks
16 later, marked regression of the adenopathy, returned to
17 normal anatomy and resolution of his symptoms of laryngeal
18 obstruction.

19 The duration of response in this individual was
20 142 days, survival 663 plus days. This individual did have
21 one possibly drug-related grade 3 episode of anorexia. It
22 lasted 2 weeks.

23 The clinical benefit to this patient was
24 prospectively collected. Resolution of the tracheal

1 obstruction. Despite the 2-week episode of anorexia, he
2 gained a total of 4.7 kilos and he was able to maintain his
3 performance status. Again, I believe we helped this
4 individual significantly.

5 I also wanted to show you somebody who attained
6 stable disease parameters. This was a 43-year-old male who
7 was originally diagnosed with small non-cleaved lymphoma in
8 October of 1992. He first received local radiation therapy
9 and then at relapse received CHOP. His best response to
10 CHOP was progressive disease, and when he came to us, he
11 had multiple evidence of lymphadenopathy as well as bone
12 marrow involvement. He had five poor prognostic factors,
13 and his baseline symptoms are listed here. He was
14 literally incapacitated by pain. He was on an IV morphine
15 drip. He was hot. He had all three systemic B symptoms,
16 fever, sweats, weight loss. He had nausea and vomiting.
17 He was extremely ill. I wish I had a picture at that
18 moment. He was terribly ill.

19 This is a smear from the bone marrow showing
20 the malignant cells. The bone marrow was 100 percent
21 cellular, 100 percent replaced by these cells.

22 This is a bone marrow smear that was taken
23 about 1 month later, return to normal cellularity, no
24 evidence of lymphomatous disease.

1 This is a photograph of the patient 4 months
2 later. He traveled back to his home in Maine. He told me
3 that I was allowed to use this photograph whenever I wanted
4 to. His pain was completely resolved. He returned to a
5 normal state of well-being. It was an unbelievable case in
6 a clinical sense to me.

7 To summarize, the duration of his stable
8 disease was 104 days. The problem with the trip to Maine
9 was that he was in Maine as opposed to in Los Angeles
10 getting a repeat CT scan to confirm complete response.
11 Therefore, he is considered stable disease. His survival
12 was 338 days. He had a possibly related episode of
13 adversity, a grade 3 episode of fever, leukopenia, and
14 dehydration. This was at the time of his relapse when he
15 came back from Maine at the end of the mitoguazone study.

16 The clinical benefit was significant in him.
17 Improved bone pain. He discontinued the morphine and all
18 pain medications. Improved nausea and vomiting, improved
19 appetite. He gained weight. All three of those B symptoms
20 went away, and he obviously had a markedly improved
21 performance status.

22 Now, that patient certainly had evidence of
23 clinical benefit. What I'd like to do now is go through
24 other evidence of clinical benefit in the individuals who

1 responded or had stable disease. In other words, did that
2 translate to actual clinical benefit to these patients?

3 In going through this, on study 007 and 004, we
4 looked at lymphoma-related symptoms and signs. On 007 we
5 had a clinical benefit case report form which included B
6 symptoms and pain. We also used a visual analog pain scale
7 and we had an ongoing analgesic consumption report.

8 On study 004, this was retrospectively
9 acquired, but we did use a specific form that was used for
10 the extraction of this data and an independent reviewer did
11 extract the data.

12 In both studies we looked at performance
13 status. In both studies we looked at weight.

14 Looking at the lymphoma-related symptoms in
15 patients who responded, there were a total of 8 patients
16 who had B symptoms. 5 of those 8, 63 percent, had
17 improvement in B symptoms along with objective response.
18 Of the stable disease patients, 3 of 3 had resolution of B
19 symptoms.

20 In those patients with pain, 8 patients had
21 pain on study of the responders. 7 of the 8 had
22 improvement in that pain, and those who attained stable
23 disease parameters, 7 of 7 had improvement in pain.

24 If we look specifically at the visual analog

1 scale, the pain rating on study 007 where this was done
2 prospectively, in the responders a total of 4 had pain at
3 baseline. 3 of those had objective decrease in pain. 1 of
4 them had insufficient follow-up. In those who had stable
5 disease, 4 had pain at baseline. In 1 patient there was
6 stability of that pain. In 3 patient, 75 percent, the pain
7 decreased.

8 Looking at all patients, a total of 41,
9 including those with progressive disease obviously, had
10 pain at baseline. 22 percent increased, 17 percent stable,
11 32 percent had decreased pain along with the mitoguazone,
12 and in 29 percent we had insufficient follow-up. The
13 patients were too ill and did not fill out those forms
14 appropriately or at all.

15 Looking at the performance status, what we are
16 looking at here is baseline versus the median performance
17 status over the course of treatment. In those who had
18 objective response, there was an improvement in performance
19 status in 31 percent. The other patients maintained
20 performance status. In those with stable disease, there
21 was a maintenance of performance status in 62 percent,
22 improvement in 15 percent, a decrease in 23 percent.
23 Looking at all 90 patients, 54 percent maintained their
24 performance status, 9 percent improved, 18 percent

1 declined, and insufficient follow-up in 19 percent.

2 The weight changes are listed here. Again,
3 we're looking at baseline versus the median over study. In
4 the objective responders, a total of 23 percent increased
5 weight by 3 percent or more, 31 percent were stable, and 6
6 individuals had a decrease in weight. I'm going to come
7 back to this in just one moment. In those with stable
8 disease, 77 percent had stable weight over the course of
9 study, 23 percent had decrease, and looking at all patients
10 together, stability of weight in 54 percent, increase in
11 weight in 7 percent.

12 Looking at the responding patients who had
13 greater than 3 percent median weight loss over the course
14 of mitoguazone, my point will be that there were
15 extenuating circumstances in all 6 of these patients. 3 of
16 them had opportunistic infections involving the GI tract at
17 study entry that may have contributed to continuing weight
18 loss. 1 had chronic pancreatitis and H. pylori. The other
19 3 had edema which resolved during the course of therapy.

20 The adverse drug reactions are listed on the
21 next few slides. If we look at baseline parameters first,
22 82 percent of these patients came into the study with
23 anemia, 92 percent had anemia while on mitoguazone. This
24 included 4 percent grade 4 anemia, 23 percent grade 3

1 anemia.

2 Neutropenia was very important to us. 20
3 percent came on study with neutropenia, 56 percent
4 neutropenic during the course of treatment. Grade 4
5 neutropenia in 2 percent at baseline, 7 percent during the
6 course. Grade 3 neutropenia, 2 percent baseline, 11
7 percent during treatment.

8 Thrombocytopenia was present in 24 percent at
9 study baseline, in 42 percent during treatment. Grade 3
10 went from 2 percent to 8 percent. Grade 4 went from 3
11 percent to 16 percent.

12 The clinical impact of these hematologic
13 adverse events are described here. I just wanted to make
14 two points. Number one, only 1 patient developed febrile
15 neutropenia. This was grade 3 ANC. Furthermore, 18
16 percent of these individuals received Neupogen, 7 as
17 prophylaxis, 9 as therapy. So, despite the fact that there
18 was only 7 percent grade 4 neutropenia, in fact only 18
19 percent of these patients were on Neupogen.

20 Looking at the grade 3 and 4 non-hematologic
21 adverse events, all grade 3, 12 percent; drug-related, 5.
22 All grade 4 nonhematologic, 4 percent; drug-related, 2
23 percent. So, those adverse events that were possibly or
24 probably related to drug, nonhematologic, 7 percent grade 3

1 or 4.

2 Just walking through those toxicities now, the
3 most common toxicity of this drug is vasodilatation. It
4 occurred in 57 percent of patients. What you see is facial
5 flushing. Either the patient feels hot or flushed or you
6 can actually see that. This occurs during the infusion.
7 It goes away when the infusion is over. Grade 3 in 3
8 percent. No grade 4.

9 The second most common side effect of the drug
10 is paresthesia, often perioral or in other places. This
11 occurred in 61 percent. Only 1 had grade 3. Again, this
12 occurs during the infusion. As soon as the infusion is
13 over, that toxicity goes away.

14 About a third had nausea and vomiting on the
15 drug. Only about half were actually treated
16 prophylactically with antiemetics. No grade 4. 1 percent
17 grade 3.

18 And then mucositis. This was obviously a
19 concern of ours because this was a side effect when the
20 drug was originally used at higher doses. Incidence of
21 mucositis, 22 percent; grade 3 in 4 percent, grade 4 in 3
22 percent.

23 Other than this, the only real grade 4
24 toxicity, nonhematologic, was abdominal pain and elevated

1 SGOT in 1 patient, the same patient. This patient
2 developed hepatitis and pancreatitis. Both of them
3 resolved while still on study.

4 The reasons for discontinuing treatment with
5 mitoguazone are listed here. 58 percent because of tumor
6 progression, 14 percent because they refused further
7 treatment. This was not necessarily because they felt so
8 terrible. 2 patients refused treatment because they felt
9 well. They went traveling to Hawaii and so forth.

10 Death not drug-related was a cause of
11 discontinuation in 16 percent, and other toxicities as
12 listed here or reasons.

13 As far as deaths on study, the investigators
14 reported no death as possibly or probably related to
15 mitoguazone. The FDA review concludes that patient on
16 study 4, number 10, was highly suspicious of drug-related
17 death. The patients 008 and 026 "might be drug-related."
18 And there were also questions raised for another 4
19 patients. I'm not going to go through them all at this
20 point. I'd be very happy to discuss them in the question
21 and answer period.

22 I would conclude. Number one, mitoguazone at
23 600 milligram per meter squared days 1, 8, and every 2
24 weeks has demonstrated objective antitumor response,

1 lasting from 29 to over 675 days, in previously treated
2 patients with AIDS-related lymphoma.

3 Number two, there was definite evidence of
4 clinical benefit, particularly in responding and stable
5 patients.

6 Number three, mitoguazone was well tolerated.
7 There was only 7 percent drug-related grade 3 and 4
8 nonhematologic toxicity. Myelosuppression was of minimal
9 consequence to the patients.

10 Number four, mitoguazone has a favorable safety
11 to benefit ratio for previously treated patients with AIDS-
12 related lymphoma.

13 I think you probably realize from your
14 documents that there were some discrepancies in the
15 response assessment between the independent review panel
16 and the FDA review panel. In discussing these with the FDA
17 by telephone last week, they suggested to us that we
18 incorporate this information into our presentation. We
19 thank them for that, and that's what I'd like to do over
20 the next few moments.

21 A major issue I think again was this
22 independent review group went back for months to get every
23 single piece of data. The FDA has very nicely summarized
24 this information for you in tabular form. It's on page 51

1 and 73 in your FDA document, and you also have these slides
2 that I'm going to show right now.

3 Now, one of the issues was the issue of
4 pathology, pathologic review. FDA reviewer comment states
5 that 6 -- and I see now 7 -- did not have histologic biopsy
6 confirmation of recurrent lymphoma prior to the initiation
7 of mitoguazone. I'm going to go through these cases with
8 you, but to summarize it quickly at this point, these
9 patients were progressing in the specific sites of
10 previously biopsied and pathologically documented lymphoma.
11 They had known pathologic involvement of lymphoma. They
12 relapsed in the exact sites of prior disease. We feel that
13 it was not necessary to obtain another biopsy at that site
14 that had already been biopsied and pathologically reviewed.

15 The second issue, according to the FDA reviewer
16 who was absolutely correct, was that 4 of these biopsies of
17 recurrent tumors were not reviewed by the reference
18 pathologist by the time that the FDA review occurred. As
19 soon as we realized that, we immediately got those slides,
20 sent them to the independent review panel. All 4 have now
21 been reviewed. 3 of them have definite high grade
22 lymphoma. 1 has intermediate grade lymphoma.

23 So, this is an issue we can discuss, but I feel
24 strongly that repeat biopsies over and over in the exact

1 same sites are not really necessary in this setting, and
2 I'll give you the examples.

3 Now, walking through the other discrepancies
4 here, on study 4, patient 005. The independent review
5 committee called this a partial response. The FDA review
6 called this nonevaluable. The reason was that
7 chemotherapy, suramin, was given 8 days prior to
8 mitoguazone. Therefore, the patient was nonevaluable. I
9 should mention, by the way, that suramin was the fifth
10 regimen of chemotherapy that this patient had had prior to
11 mitoguazone.

12 Now, the suramin was given between 2/1 and
13 2/8/93. We have definite, clear evidence of progression
14 while he was on suramin, and that's from a CT scan done
15 1/29, just before, and another CT scan done 2/10/93,
16 immediately after suramin. There was definite increase in
17 number and size of pulmonary nodules. There was definite
18 increase in number and size of multiple lymph nodes both
19 above and below the diaphragm.

20 We also believe this patient is eligible
21 because he was past the hematologic nadir of suramin
22 toxicity that is supposed to resolve within 8 days. This
23 patient was treated at that point, not before.

24 This is another individual who did not have

1 histologic confirmation prior. He had an initial biopsy.
2 He had a biopsy at relapse. The next relapse prior to
3 mitoguazone was in the exact same sites.

4 The second issue was patient number 20. Our
5 independent review committee said that was a CR. FDA said
6 nonevaluable because, number one, prior treatment was 10
7 days before initiation of mitoguazone.

8 Now, the issue is as follows. The patient had
9 a regimen of both chemotherapy and interferon. The chemo
10 was given 25 days before the mitoguazone. It was the
11 interferon that was given 10 days before. We believe this
12 patient is still eligible because, number one, he has
13 definite evidence of progression while on the chemotherapy
14 and interferon, and number two, the protocol allowed
15 biologic therapy within 7 days. This patient had
16 interferon 10 days before.

17 The second issue was that the patient had
18 cutaneous T cell lymphoma. He had 50 plus cutaneous
19 lesions that were not all assessed according to FDA. The
20 fact is that the patient did have 50 lesions. Every one of
21 those lesions was counted at each visit and was listed on
22 the sheet. In addition, five were considered signal
23 lesions and they were also serially measured. All of the
24 50 lesions disappeared over the course of therapy, and

1 that's documented.

2 The other issue on our phone call with the FDA
3 last week, the patient, quote, had other disease on the CT
4 scan. That's true, and again it brings up how complicated
5 these patients can be. This patient originally had a
6 complete response to mBACOD. At the time that he was
7 considered a complete responder, he had stable, small
8 adenopathy on the CT scan. At that point, that adenopathy
9 was unchanged over a 2-year period. He had three different
10 CT scans before mitoguazone, each one of them showing the
11 same small, stable adenopathy. He had no other progression
12 in any of these sites, even though he had fulminant biopsy-
13 proven progression on the skin.

14 Again, a problem of histologic confirmation of
15 relapse prior to the mitoguazone. He had had biopsy of the
16 disease. He had re-biopsy of the disease at relapse on
17 skin. He did not have re-biopsy of the same skin lesion
18 just before mitoguazone. I can get into more details
19 later. He had another biopsy on study. It did show
20 lymphoma and then another biopsy on study showed resolution
21 of lymphoma. So, we don't believe that's an issue here.

22 In patient 027, the review committee says
23 partial response. FDA says nonevaluable. The reason was
24 that the patient had concomitant cutaneous KS on both legs

1 with inguinal adenopathy that was followed as putative
2 lymphoma. He did have KS, but this was inactive during the
3 entire course of therapy. This was eligible on the
4 protocol.

5 The reviewer also states that the response was
6 not confirmed 1 year later, and this was complicated in
7 this case. Mitoguazone was begun on 8/2. He had axillary
8 node. Actually that was removed by biopsy. He also had
9 cervical and femoral nodes. He had right chest and left
10 flank skin nodules. All of the skin nodules, all
11 adenopathy resolved by 8/23, although he had shoddy
12 cervical nodes that remained. This was confirmed, the
13 resolution, by 9/6, other than the cervical nodes.

14 Then all skin nodules, all adenopathy still
15 resolved on 9/20, but the examiner noted small bilateral
16 axillary lymph nodes at that time. We did not know. I
17 thought that that might be reactive. They were very small,
18 or it could be the tumor flare and we were allowed to go
19 further to see. We did and when he came back again on
20 10/5, the axillary nodes were gone with no new disease.
21 The axillary nodes were still gone on 10/19/94, even
22 thought at that point he progressed elsewhere. So, I
23 believe we have confirmed this response for the required 1-
24 month period.

1 On patient 029, the review committee said
2 complete response. The FDA review says partial response
3 based upon the fact that the patient had persistent
4 periaortic and inguinal nodes. Just walking through this
5 one, the patient had CT scans on 7/18 and 7/27 prior to
6 mitoguazone. They showed small periaortic nodes and
7 inguinal nodes. He then got mitoguazone on 8/19. CT scans
8 on 10/14 and 11/16 showed, to quote the report, tiny
9 periaortic nodes which had decreased in size and resolution
10 of the inguinal nodes.

11 Now, in this case we had gallium scans, and it
12 turns out the gallium before mitoguazone was positive in
13 the periaortic area at the time that he had these small
14 nodes, but after mitoguazone, when he had the tiny
15 periaortic nodes, on 11/25 and again on 12/13, those
16 gallium scans were negative. Again, I feel strongly that
17 this is consistent with complete response.

18 On patient 28, the review committee said that
19 was CR. FDA believes nonevaluable because the patient had
20 prior chemotherapy, CHOP, 2 days before the initiation of
21 mitoguazone. The patient was treated with MACOP on 7/27/95
22 and 8/10, two doses. His last dose of the chemo was on
23 8/10. He started mitoguazone on 8/24, 14 days later. What
24 he did have was the residual of the tapering doses of

1 prednisone, and that was tapered down through 8/22. So, I
2 think that's what the confusion was all about.

3 While he was on MACOP, he had definite disease
4 progression. He had two perirectal masses at the onset.
5 By the time that the second dose of MACOP was completed, he
6 had three perirectal masses. The tumor mass went from 8
7 square centimeters to 11.25 centimeters squared. He had
8 disease progression.

9 Now, the perirectal induration and three masses
10 were measured on every visit. All of the masses resolved
11 by 10/19. The induration was resolved by 10/30. He then
12 went ahead and had biopsies on 9/23 and 10/26. Both of
13 them showed no evidence of lymphoma.

14 We are very conservative in our statement of
15 the duration of response. Our last skin biopsy on 10/26
16 gave us an objective 34 days duration of response. In
17 fact, this patient was followed by the physician. He
18 became ill later with Salmonella and so forth. He was
19 followed at home, and up to 101 days after the institution
20 of response, the patient still had a completely negative
21 exam as it relates to the lymphomatous disease.

22 Again, the issue of histologic confirmation.
23 He had had a biopsy of the rectal mass. He did not have a
24 biopsy of the exact same rectal mass 2 months later.

1 Lastly, you don't have these two slides in your
2 packets there. These were considered uncertain response
3 status. We weren't that off, I didn't think, from the FDA
4 review, but I quickly just want to say them now.

5 Our independent review committee says CR. FDA
6 says CR of uncertain response status because in this case
7 it was a gastric lymphoma with "inappropriate" follow-up
8 method on the CT scan. Can you really get good measurable
9 disease? And I totally agree. This is a difficult issue.
10 I don't deny it.

11 But the data is this. Baseline CT scan 9/28/93
12 was read by the independent radiologist as a 3 by 3
13 centimeter soft tissue mass on cut 27 on the greater
14 curvature in the exact same location as an abnormality on
15 an upper GI. Mitoguzone was started on 10/8. There was
16 disappearance of that mass on 12/15 and again on 2/17. The
17 patient underwent endoscopies and biopsies. On 9/15/93
18 that showed high grade lymphoma. There was a small focus
19 of low grade within the material. There is absolutely no
20 question. The formal sign-out is high grade, and prognosis
21 for the patient and treatment decisions are based on the
22 highest grade of lymphoma within the specimen. This was
23 signed out as high grade. In any event, he had the first
24 biopsy, high grade.

1 He then had serial endoscopies and biopsies,
2 12/16/93, 2/18/94, 12/29/95. All of those showed no
3 evidence of lymphoma. This is the mass that I believe is
4 measurable on upper GI series. This is the CT scan, the 3
5 centimeter mass that was called by the independent review
6 pathologist. This is the resolution of the mass on the CT
7 scan. This is the original biopsy. It's totally
8 infiltrated by high grade lymphoma. This is the biopsy
9 after course 2, just residual reactive plasma cells. This
10 is a biopsy after course 4. The patient remains alive. He
11 remains without evidence of disease.

12 One last and then I'll leave you alone, and
13 this is the last uncertain response status. Our
14 independent review committee says CR. FDA says CR but
15 esophageal lymphoma with imprecise follow-up method.
16 Again, I agree. This is a difficult issue.

17 The patient was begun on mitoguazone 10/4. CT
18 scan at baseline 9/22 showed measurable disease. There was
19 a mass thickening measuring 4 by 4 centimeters in the AP
20 and transverse diameter with thickened esophageal wall.
21 Biopsy of the area was done. It was positive for lymphoma.

22 He then had a repeat CT scan 11/1/95. That
23 showed a PR. The mass is documented. It's measured at 2
24 by 2 centimeters. In addition, the cardiophrenic node that

1 was present is now resolved.

2 He then has another CT scan on 12/4/95. It
3 showed only minimal thickening of the distal esophagus,
4 less than the 11/1/95, with disappearance of the mass. A
5 scan 1/4/96 showed mild unchanged wall thickening, with
6 repeat biopsy again showing no evidence of disease.

7 So, it's there on the CT scan, although it's
8 difficult, and it's also there on the biopsies. It was
9 interesting because the biopsies showed some inflammatory
10 reaction and that was I believe the residual minimal
11 thickening of that distal esophagus. Again, this was
12 judged as CR by the review committee.

13 I would like to conclude simply by giving my
14 perspective as a physician who treats patients with AIDS-
15 related lymphoma.

16 Number one, as I'm sure you know, there are no
17 approved or acceptable alternatives for these patients
18 after they have received first-line therapy. This is an
19 extremely difficult situation to be in both as a physician
20 and as a patient.

21 Number two, although the response rate to
22 mitoguazone is modest and while there may be a few
23 differences in interpretation of the number of responders,
24 mitoguazone clearly has demonstrated efficacy by both

1 reviews. These are individuals who would not have lived if
2 it were not for this drug.

3 Number three, the incidence of severe toxicity
4 with mitoguazone is very low, albeit it obviously not
5 negligible. However, the agent does not cause significant
6 problems for those patients who do not respond. In other
7 words, you have not lost anything by giving it a try, and
8 if it works, beautiful. If it doesn't, you can go on to
9 try something else.

10 Lastly, I feel strongly the drug should be made
11 available as part of our very limited armamentarium.

12 Thank you very much.

13 DR. DUTCHER: Thank you, Dr. Levine.

14 Do you have a statement you wanted to make?

15 DR. SANTABARBARA: Thank you, Dr. Levine.

16 As we have heard, the proposed indication is
17 mitoguazone is indicated for the treatment of AIDS-related
18 non-Hodgkin's lymphoma in patients who have received at
19 least one prior potentially curative regimen.

20 As a part of the accelerated approval
21 guidelines, the sponsor is committed to do a post-approval
22 phase III trial. ILEX will have a meeting with FDA this
23 Thursday, June 26th, to finalize the details of this
24 trial's design.

1 Now, if there is a statement or another --
2 otherwise, I'll be happy to --

3 DR. DUTCHER: We have some questions, yes.

4 Dr. Abrams, do you want to start?

5 DR. ABRAMS: Sure.

6 The first question for Dan. Interesting the
7 drug, developed or synthesized 99 years ago, has actually
8 -- from the information we have through the NCI annual
9 report and PDQ, there have been phase II studies in 33
10 previous malignancies and 7 phase III. Both of these did
11 include patients with NHL and Hodgkin's disease. The data
12 that you presented from the studies that were reported in
13 the early 1980s with 24 to 46 percent response rates from
14 Memorial and SWOG. I wonder whatever happened with that
15 information. Why wasn't anything acted upon at that time?

16 DR. VON HOFF: Well, I think the good news was
17 it was published, so we can reference it, that's for sure.

18 But I think because the drug was synthesized
19 such a long time ago and of course was off patent, no one
20 was interested in pursuing it. I think, as you know,
21 people have put it in many combinations, MINE combinations
22 and others, and they've seen activity, but there was not a
23 lot of interest in pursuing it I think because it was
24 synthesized so long ago. I believe that that's the number

1 one reason. Sorry I can't be more specific than that.

2 I know the NCI was giving it out for quite a
3 long time, and thanks to their sponsorship, they kept it
4 alive in some people's clinical investigation.

5 DR. ABRAMS: Dr. Levine, I have a question.
6 Can you give me the distribution by sites of the CRs and
7 PRs, the 6? Were they all localized in a few of the sites
8 or were they disbursed evenly among the sites?

9 DR. LEVINE: If you can wait one moment, we
10 will get the slide for you.

11 DR. SANTABARBARA: Do you mean by disease site
12 or by investigational site?

13 DR. ABRAMS: Investigational. There you go.

14 DR. LEVINE: This is the data. Most of the
15 responders were in those sites that enrolled most of the
16 patients. So, I definitely can say that about it. Other
17 than that, no real comment.

18 DR. ABRAMS: The CRs I guess because it's 6.
19 That's study 4. How about --

20 DR. LEVINE: No, no. This is study 4 and the
21 next slide please. That's study 7. So, again, the sites
22 that tended to enroll the most tended to see more
23 responders, but again you'll see responders in other sites
24 as well, the small sites.

1 DR. ABRAMS: With regard to the 4 versus 7,
2 what actually do you consider to be the difference between
3 the two studies?

4 DR. LEVINE: The difference was really twofold.
5 Number one, on number 7, there was a prospective attempt to
6 get data as far as clinical benefit. So, as far as the
7 design, that was the big difference.

8 The other difference was that the patients on
9 the first study, on 004, were sicker. They had had more
10 regimens of chemotherapy prior. The overall number of
11 patients with one prior regimen of therapy was much greater
12 on study 7 than on study 4. So, it was getting people who
13 were a little bit less heavily pretreated, the second
14 study.

15 DR. ABRAMS: But that was mandated by the
16 protocol or was that --

17 DR. LEVINE: No. I think the word was out in
18 the community the drug was there.

19 DR. ABRAMS: But I mean, in truth, the two
20 protocols were really the same.

21 DR. LEVINE: Yes, they're the same protocol.
22 One is my clinical sense of the patients and the other is
23 the prospective clinical benefit data on 7.

24 DR. ABRAMS: This question about giving

1 patients drug within a 14-day period of receiving prior
2 therapy, you mentioned in that last case that the patient
3 might have still been on steroids and that might have been
4 the effect. But certainly the response to treatment given
5 within 14 days might be coming later obviously and that
6 would obfuscate --

7 DR. LEVINE: Yes, it's true, no question. But
8 that's why the cases that were coded as response had
9 absolute objective data showing real progression during
10 that period. It wasn't a subtlety of one-half centimeter
11 in a lymph node. These were major progressions.

12 DR. ABRAMS: I thought it was very striking
13 that of the complete responders, 5 out of 6 were CRs to
14 their prior regimens, including their most recent one. So,
15 doesn't this sort of predict that the biology of the tumor
16 is very sensitive to whatever intervention?

17 DR. LEVINE: I would answer yes and no. Number
18 one, of the 6 complete responders, 5 of them did have
19 complete response to the prior, but the other had primary
20 refractory disease, got that infusional CDE regimen, which
21 is a good one, but had progressive disease, would have been
22 lost if it were not for this drug. So, that was
23 interesting to me.

24 On the partial responders, the same concept was

1 not true. So, of the 7 partial responders, 4 of them had
2 progressive disease on the immediate regimen before
3 mitoguazone.

4 So, I don't really agree with what you said.
5 Kind of but not really.

6 DR. ABRAMS: Okay.

7 (Laughter.)

8 DR. ABRAMS: I notice also that 2 patients were
9 reported to have developed squamous cell carcinoma during
10 therapy. Were there any more cases of squamous cell
11 carcinoma? Is there any idea of where this came from?

12 DR. LEVINE: No. I'm not aware of any other
13 case. One was about the same rectal area in a patient who
14 also had rectal lymphoma. As I'm sure you know, there
15 appears to be an increasing incidence of squamous cell
16 carcinomas in patients with HIV. So, I assume it's related
17 to the HIV status and what we'll all learn in the months
18 ahead.

19 DR. DUTCHER: I have a couple of questions.

20 Can you talk a little bit more about the pain
21 syndrome? Because in non-AIDS lymphoma, that's not usually
22 considered a clinical feature. Could you just talk about
23 what you think the pain was from? Because you present very
24 heavily that clinical benefit means pain relief.

1 DR. LEVINE: I wasn't saying that that was like
2 a systemic B symptom of the lymphoma. The pain in each
3 case was related to where that lymphoma was. So, for
4 example, the patient who has the rectal masses would have
5 complained of pain there. I showed patient number 6 on
6 study 4 who was on IV morphine. He had extraordinary bone
7 pain, and it was due to the bone marrow involvement just
8 pressing on the periosteum. So, it wasn't in my view
9 "lymphoma pain" in a nonspecific way. It was the site of
10 lymphomatous disease causing the pain.

11 DR. DUTCHER: Can you also comment? There are
12 several -- and I don't remember the exact number of
13 patients -- that were responders but did not have
14 improvement of B symptoms.

15 DR. LEVINE: Yes, they were partial responders.
16 We thought the same. I was curious about it, but some of
17 that was the weight and that was confusing. I expected
18 that we would see maybe weight gain in all responders, but
19 if you also have CMV esophagitis and Salmonella and so
20 forth, there are other factors. So, it was really the
21 weight that you did not see come back in some of those
22 partial responders.

23 DR. DUTCHER: And could you also comment? When
24 did the nonresponders come off study? How fast did they

1 grow through this?

2 DR. LEVINE: We have specific data. If you can
3 tell me the exact time. I believe it was 40 days. It's
4 about a month. I believe the specific was 40 days time to
5 progression.

6 DR. SANTABARBARA: Per cycle.

7 DR. LEVINE: Per cycle, okay.

8 DR. DUTCHER: Does anybody else on the
9 committee have questions? Richard?

10 DR. SCHILSKY: I have one question for you
11 while you're there. I think one of the difficulties that
12 I'm having in interpreting the data and I suspect others
13 may have is the fact that there are many causes for
14 adenopathy in these patients. So, it's a little bit hard
15 to know when the lymph node shrinks whether it's shrinking
16 because of regression of lymphoma or some other reason.

17 Now, you stressed the fact that many patients
18 were progressing in sites of disease that had previously
19 been biopsied and were known to have lymphoma at the time
20 that they went on the therapy. I guess what I'd like to
21 know is whether you can tell us if patients were regressing
22 in sites that were previously known to have lymphoma
23 because it seems to me that that's really the critical
24 issue.

1 DR. LEVINE: I have to think about the 90
2 patients to be able to answer that, but let me start in
3 another way.

4 88 percent of these patients had extranodal
5 involvement. From the very beginning of the epidemic -- I
6 agree with you -- I published early the importance of lymph
7 node biopsy in gay men with lymphadenopathy. I completely
8 agree with you.

9 But what we're talking about here is extranodal
10 lymphomatous disease, and in that setting I can't prove
11 that every single lymph node that was also there was
12 lymphoma. What we do know is that in general, for example,
13 in one of the cases that was questioned -- it was patient
14 005 -- he had on his previous treatment pulmonary
15 involvement, multiple lung nodules, as well as nodes
16 everywhere above and below the diaphragm. During the last
17 treatment, he had progression, objective progression,
18 everywhere in size and number of lung nodules and in size
19 and number of lymph nodes.

20 So, on the one hand, I don't think it will ever
21 be possible to prove in any kind of study of this sort that
22 every single lymph node that's big is really lymphoma. I
23 guess what I'm going to hang my hat on is that these
24 patients had extranodal disease and most in fact, as you

1 know, do. So, I think that's the fairest way to answer.

2 MR. JOEL MARTINEZ: I have questions about the
3 design.

4 The first one is how did you come up at this
5 dose? It seems to me that it was done just with the PK
6 studies and the half-life rather than any kind of efficacy.

7 DR. LEVINE: I'm going to answer a little bit
8 and then Dr. Von Hoff perhaps.

9 We tried higher, 900 milligrams per meter
10 squared. The bottom line is you can't get it in.
11 Mucositis.

12 MR. JOEL MARTINEZ: How about lower?

13 DR. LEVINE: Lower we didn't really try because
14 600 seemed to be the way to go, but Dan can answer that
15 with history that I don't have.

16 DR. VON HOFF: I guess I could answer that's
17 how I got some of my gray hair because, as Dr. Abrams
18 pointed out, we tested mitoguazone over the years -- since
19 the early 1970s, we've had clinical experience with it --
20 and found that in Dr. Warrell's study in the Southwest
21 Oncology Group with escalations of dose to 600, 600 was
22 extremely well tolerated. But if you go to 900, as we
23 demonstrated in this study, then you get the mucositis
24 back. So, we wanted to use the most of the agent possible

1 with the least grade 3 and 4 side effects. So, that's what
2 we were after.

3 There was one other target we were using. We
4 knew that Raji cells, Burkitt's lymphoma growing in
5 culture, that you need to have about 10 microgram per ml to
6 have the cytotoxic and cytostatic effect against those
7 Burkitt's lymphoma cells. The plasma concentrations that
8 one can reach in the 600 milligrams per meter squared range
9 anywhere from 7 to 40 micrograms per ml. And we were
10 afraid to go below that so we could get below that 7
11 because we felt it would not be cytostatic or cytotoxic to
12 the lymphoma cells. That's how we selected the dose.

13 MR. JOEL MARTINEZ: But never in patients,
14 right? You've never given the lower dose in patients.

15 DR. VON HOFF: Oh, yes. In the initial
16 Southwest Oncology Group phase I study, which we did in
17 1979, we started at 100 milligrams per meter squared and
18 worked up.

19 MR. JOEL MARTINEZ: And the second question is
20 why is this going for a second-line therapy instead of a
21 first-line therapy? How was that decision made to pursue
22 that rather than as a first-line?

23 DR. VON HOFF: Well, it was felt at the time of
24 the design of the studies that, number one, the initial

1 patients that were treated under a National Cancer
2 Institute study had progressed on first-line therapy. I
3 would say all clinicians felt that we had some effective
4 therapy for first-line treatment and it would be very tough
5 to bring a single agent into a first-line situation where
6 you knew that you had some patients who could achieve a
7 complete response rate. The New England Journal article
8 that just came out shows that with the mBACOD regimen, one
9 can get complete remissions and we should keep that as at
10 least the beginning in a disease.

11 DR. DUTCHER: Dr. Margolin?

12 DR. MARGOLIN: I assume, since you didn't say
13 specifically, that there is no standard or approved drug or
14 drug combination as first/second line therapy for AIDS-
15 related non-Hodgkin's lymphoma. So, instead of pushing on
16 that and the perhaps lack of trials that are this rigorous
17 for other drugs or combinations, if you could just tell us
18 what you think of as the standard first/second-line therapy
19 for patients with a reasonable performance status who don't
20 have other active malignancies or OIs in this disease.

21 DR. LEVINE: Your point is extremely well
22 taken. There is very little data in the literature as far
23 as any regimen for patients who have failed initial front-
24 line therapy. You've said it but I just want to say this

1 out loud. There are two regimens in the literature.

2 One I mentioned by Tirelli in Italy, VP16,
3 mitoxantrone, and prednimustine. That was tested in 19
4 evaluable patients. By definition they had had only one
5 prior regimen of chemotherapy. The complete remission rate
6 there was 26 percent. On the other hand, 42 percent had
7 grade 4 neutropenia. The median survival was only 2
8 months. So, that's one study that we could use as an
9 example.

10 Another is a study of high dose methotrexate
11 and AZT. That was given in both patients with previously
12 -- most of the patients had untreated disease. Only 8
13 patients had had previously treated disease. 2 of them had
14 had very, what I would consider, noncurative therapy. One
15 got vincristine/prednisone before and the other got alpha
16 interferon as the only treatment before. So, that's 6
17 patients in the literature.

18 Dr. Kaplan, can you speak to that as well?

19 DR. KAPLAN: I'd just like to add that in our
20 experience with second-line therapy in studies that we've
21 done at San Francisco General using combinations of
22 infusional ifosfamide and etoposide, the objective response
23 rates are higher but the response durations in all cases
24 and in all of the second-line therapeutic trials that Sandy

1 is talking about are really very short, on the order of
2 about 3 months. So, we are dealing with a population where
3 we really have pretty limited resources in terms of
4 therapeutic options, and this is one that doesn't tend to
5 make our patients sick and one that you can administer to
6 patients who have poor hematologic reserve, as many of
7 these patients do, particularly after they've gone through
8 a variety of other combination regimens.

9 DR. LEVINE: So, to answer, you said what would
10 my first choice be for second-line treatment. Forgive me,
11 but it would be mitoxantrone -- mitoguazone. I'm sorry.

12 (Laughter.)

13 DR. LEVINE: I knew I was going to do that
14 once. I picked the wrong time to do it.

15 (Laughter.)

16 DR. LEVINE: The bottom line, though, is that
17 basically you don't burn a bridge there. You see right
18 away the patient responds. It's fairly easy to tolerate
19 and then you go on to something else if you have to.

20 DR. FORASTIERE: I was wondering if there's any
21 data on change in urine spermine levels with the drug at
22 this particular dose level and whether there was any
23 thought to doing that as a correlative study.

24 DR. LEVINE: It's an excellent idea. We have

1 not done it and we were talking about it several weeks ago.
2 We do have plasma stored on these patients and don't have
3 urine stored, but we can go back and look at some of the
4 plasma data and try to correlate with response. We did not
5 do that originally.

6 DR. ABRAMS: Has anybody looked at Taxol?

7 DR. LEVINE: No. Judging from the people
8 speaking earlier this morning, we should use it for
9 everything.

10 (Laughter.)

11 DR. ABRAMS: I noticed that most of the
12 patients in the two studies completed the trial prior to
13 the advent of protease inhibitors being widely available.
14 So, that's good.

15 DR. LEVINE: There were 3 patients on the trial
16 who were on saquinovir. No other protease inhibitor was
17 used, and in fact 39 of the 90 were on no anti-HIV drugs
18 coming on to mitoguazone. There was no relationship
19 between the use of antiretroviral drugs and the ability to
20 respond or not. So, for example, of the 6 complete
21 responders, 3 were not on antiretrovirals. Of the 7
22 partial responders, 3 were not on any antiretrovirals.

23 DR. ABRAMS: I also noticed that the patients
24 were evaluated prior to the wide availability of HIV RNA

1 testing as well, but has there been any attempt to look to
2 see if the drug itself had any impact on HIV RNA levels in
3 patients?

4 DR. LEVINE: Yes, it did and we do have a slide
5 on that. We've looked at 10 patients with serial HIV RNA
6 levels, and we did not look over the long term, but we
7 looked over the first week of therapy. As you may or may
8 not be able to see when we find this slide, there was no
9 substantive change in the HIV RNA levels.

10 I got them not because I was expecting that
11 they would get higher, but the patients were living longer
12 than I expected, like the stable disease. I wondered if it
13 was doing something in a positive sense. I don't really
14 think so, but median HIV RNA level at baseline, 21,000; 24
15 hours later, 21,978; 48 hours later, 16,000; 72 hours
16 later, 10,000 as a median. And these are the ranges.

17 One of the interesting things to me is the
18 range. Here's somebody coming on study with a viral load
19 of 1,000, somebody else coming on study with a viral load
20 of 1 million. So, there's a tremendous range in viral load
21 in these individuals.

22 It certainly didn't make it worse. I don't
23 think it made it better either.

24 DR. ABRAMS: Well, it's too bad you didn't

1 follow it for 3 weeks or something.

2 DR. LEVINE: Right, right.

3 DR. GELBER: Can I ask for a clarification on
4 that?

5 DR. LEVINE: Yes.

6 DR. GELBER: Are those the same subjects in
7 each one of those lines that are being followed?

8 DR. LEVINE: Yes.

9 DR. GELBER: Same patients.

10 DR. LEVINE: We had done pharmacokinetic work
11 using plasma and we had all of that stored plasma and that,
12 so we had specimens over those time lots on the same
13 people.

14 DR. GELBER: Retrospectively evaluated.

15 DR. LEVINE: Yes, yes.

16 DR. GELBER: I have one question. We're being
17 asked to look at phase II trial data as adequate and well-
18 controlled evidence for effectiveness and safety. I'd like
19 you to comment a little further about any other changes
20 that might have taken place in the care or management of
21 the subjects in these trials over time.

22 DR. LEVINE: The point is a very good one. If
23 the two trials had gone into the time of widespread use of
24 protease inhibitors, that would have been a very big deal.

1 I think that would have been a hit to the study. We
2 couldn't have proved that they did better because of the
3 drug. But in fact only 3 patients were on protease
4 inhibitors. Other than that, other antiretrovirals and
5 other reverse transcriptase inhibitors were licensed over
6 the course of time, but again no relationship between use
7 of those drugs and response.

8 DR. GELBER: I see, so that the patients, when
9 they came into the trial, did not have any change in either
10 their therapy for OIs, their antiretroviral therapies of
11 any type, adding a second agent, changing other
12 antiretroviral therapies. I'm not just talking about
13 protease here.

14 DR. LEVINE: Right.

15 DR. GELBER: I'm talking about other
16 interventions.

17 DR. LEVINE: Right. Well, just to start as one
18 example, 26 percent of the patients came onto study with
19 opportunistic infections. They were on all kinds of drugs
20 as I kind of alluded, but ganciclovir and phoscarnate were
21 commonly used and so forth. Those patients would have been
22 on those drugs throughout. Basically we would not have
23 stopped the treatment for atypical TB or for CMV.

24 6 percent developed opportunistic infections

1 while on treatment. To put that in context, in the ACTG
2 142 trial that was just in the New England Journal, 22
3 percent of those patients developed OIs on treatment.

4 So, here 6 percent did develop OIs, which
5 wasn't all that bad to be honest. Those patients would
6 have had additional therapy for those OIs, but other than
7 that, there were no major changes there in the treatments
8 they were getting. Pain medicines went away.

9 DR. GELBER: I guess my main concern is at the
10 initiation of the trial time, rather than changes that
11 might have happened over time in the trial.

12 DR. LEVINE: No. We did not change, and that's
13 why, as an example, one of the eligibility criteria said
14 that the patient could be on concomitant investigational
15 antiretroviral drug or compassionate use antiretroviral
16 drug. We didn't change. The only thing that we changed --

17 DR. GELBER: Nothing else changed at the
18 initiation of the trial except for the study drug.

19 DR. LEVINE: No. Mitoguazone. No.

20 DR. OZOLS: Could you comment more about the
21 correlation between the response to treatment and change in
22 performance status? It looks like very few patients really
23 had an improvement in performance status and some who
24 actually progressed on treatment had improvement in

1 performance status.

2 DR. LEVINE: Yes.

3 DR. OZOLS: So, what's the net benefit?

4 DR. LEVINE: I think the net benefit -- it's
5 difficult to get. I'm spending some time now on Karnofsky
6 performance status. We used the Karnofsky scale as opposed
7 to the SWOG scale simply because it has a wider splay and
8 we thought we could get more subtleties there than just a
9 4-point base on the SWOG.

10 It's a difficult call because it's subjective.
11 We're dealing with 18 different institutions. Somebody may
12 call somebody an 80 percent, somebody else would call that
13 a 90 percent. It's difficult to say.

14 We got the data and I think the most I can say
15 about that performance data was that most of these patients
16 did not fall to the ground. The issue is, in my view, that
17 basically they did not become terribly ill because of the
18 drug.

19 DR. OZOLS: But I mean, even the ones that
20 responded, only 30 percent of the responders had an
21 improvement in performance status.

22 DR. LEVINE: Right. As an example, there were
23 several individuals who were coded on study as being 100
24 percent performance status. Now, in my own view that's not

1 compatible with a diagnosis of recurrent lymphoma, but that
2 was seen. We got data back in that regard. So, it was
3 confusing to me. It was difficult for me to evaluate that
4 performance data. I guess that's the best I can say.

5 DR. SCHILSKY: This may seem like a minor point
6 but it seems to me that when you have so few responses,
7 it's important to look at every one of them carefully.

8 I'd like to go back to the patient who had
9 previously received suramin prior to going on the study.

10 DR. LEVINE: Right.

11 DR. SCHILSKY: So, as I understand what you
12 showed on the slide, the patient was demonstrated to have
13 disease progression 2 days after completing the several-day
14 course of suramin.

15 DR. LEVINE: Yes.

16 DR. SCHILSKY: That's being claimed as evidence
17 of tumor progression while on suramin. Of course, suramin,
18 as I recall, is a drug that previously has been reported to
19 show some responses in patients with lymphoma and, of
20 course, is a drug that has a half-life in the circulation
21 of about 50 to 60 days.

22 DR. LEVINE: Right.

23 DR. SCHILSKY: So, one could anticipate that
24 the suramin would be around for probably much of the

1 remaining lifetime of this particular patient.

2 DR. LEVINE: Yes.

3 DR. SCHILSKY: So, how can you conclude from
4 that that the patient had objective progression while on
5 suramin and that the suramin played no role in the response
6 that the patient manifested?

7 DR. LEVINE: I understand your questions very
8 well, and I'd make several points.

9 Number one, the patient came off suramin not
10 because of disease progression. He came off suramin
11 because he developed deep vein thrombosis. That was one of
12 the complications of the drug and he was off. Now, while
13 that occurred, we still had evidence of disease in lung,
14 multiple lymph nodes, and so forth.

15 Next, the question was, now what are we going
16 to do to treat the lymphoma? At that point we got repeat
17 disease parameter assessments again and that's where we saw
18 this definite progression.

19 Now, I realize that suramin has been associated
20 with response in lymphoma, and the fact of the matter is
21 that basically that was my patient that was reported. This
22 is a very clearly different case. He has small cleaved
23 follicular lymphoma. He was originally treated with
24 suramin in May of 1985. He had a complete remission by

1 December of 1985 and has remained in complete remission
2 since that time. He remains well. So, that is an amazing
3 case.

4 We then opened a study in subsequent years
5 looking at suramin. This was one patient. We've never
6 again seen a response, unfortunately, to suramin. I'll
7 make a joke. The patient is a minister. He was patient
8 number 1 on our first trial in 1985. He was treated on
9 Good Friday, and he believes that this was God. So, maybe
10 it was God, maybe it was suramin, but I'm not used to
11 thinking of suramin as a really effective agent, although
12 your point is extremely well-taken. I understand.

13 DR. DUTCHER: I think we are going to have to
14 end the discussion. We've gone a little bit over time, but
15 that's okay. It's a good discussion. We're going to take
16 a 15-minute break. We're going to meet back here at 10
17 minutes after 11:00 for the FDA presentation which will be
18 allotted its full time. We're going to have to cut lunch
19 short a little bit.

20 (Recess.)

21 DR. DUTCHER: Can we get started please? We'd
22 like to proceed with the discussion and we'd like to have
23 Dr. Albert Lin from the FDA present the FDA evaluation of
24 the mitoguazone data.

1 DR. LIN: Good morning. Ladies and gentlemen,
2 on behalf of the FDA review team, I will be presenting to
3 you our review on this new drug application, NDA number
4 20-709, the application for Zyrkamine, which is mitoguazone
5 and is also known as MGBG.

6 First, I would like to acknowledge my team
7 members. The FDA review team includes the chemists,
8 pharmacologists, statisticians, and medical oncologists,
9 also other specialists in different disciplines. I would
10 like to thank them for their support during the review
11 process and in preparation for this presentation.

12 The proposed indication, as you heard earlier,
13 is for treatment of AIDS-related non-Hodgkin's lymphoma in
14 patients who have been previously treated with at least one
15 potentially curative regimen.

16 My half-hour presentation will include
17 introductory remarks followed by discussion of clinical
18 trials, patient population, and results from clinical
19 trials. I will spend most of my time focusing on the
20 results from the clinical trials.

21 Published data on the treatment of relapsed and
22 refractory AIDS-related non-Hodgkin's lymphoma are sparse.
23 Two abstracts and one article deal with this subject using
24 agents other than MGBG. Review of the literature reveals

1 two points. First, the response rate ranges from 0 percent
2 to 33 percent. Second, the survival for the complete
3 responders can be as long as 13 months.

4 A brief regulatory history of MGBG is shown on
5 this slide. In late 1992, the National Cancer Institute
6 began the first clinical trial, IDD004, on AIDS-related
7 non-Hodgkin's lymphoma. Eventually the sponsorship would
8 transfer to ILEX, the applicant for this NDA.

9 The second clinical study, IDD007, was
10 initiated in 1994.

11 Toward the end of the first study, before the
12 initiation of the second study, we met with the sponsor.
13 The agency strongly recommended that a randomized
14 controlled study or a dose-response study should be the
15 next step for drug development. However, the sponsor
16 declined our suggestion.

17 The NDA was submitted in October 1996. The
18 ODAC meeting was planned for March 1997. However, the
19 meeting was postponed at the applicant's request.

20 I'm going to skip the next few slides.

21 Two very similar phase II studies, IDD004 and
22 007, provided the basis of efficacy and safety data in this
23 submission. When the primary endpoint in a study design,
24 including the evaluation of efficacy and safety, are

1 compared, the similarity of these two clinical trials is
2 apparent.

3 The only difference I would say is the way in
4 which some of the efficacy parameters were collected. In
5 the IDD004 study, the lymphoma-related symptoms/signs were
6 collected retrospectively. In the IDD007 study, the
7 information was collected prospectively. The pain VAS
8 rating was not collected in the IDD004 study. However,
9 these data were collected prospectively in the IDD007
10 study.

11 Twenty-two investigators from 21 study sites
12 were involved in one or both studies. Six of them
13 participated in both studies and enrolled 80 percent and 51
14 percent of patients in the IDD004 and 007 studies,
15 respectively.

16 This slide shows the study site number in the
17 first column, the number of patients enrolled at each site
18 in the second column and the number of applicant's
19 responders in the third column.

20 About one-third of patients were enrolled at
21 study site number 1 and number 15. Both sites accounted
22 for the majority of objective responders claimed by the
23 applicant. No other sites had more than one responder.

24 The primary objective of this study was to

1 examine the efficacy and safety issues of MGBG in treating
2 patients with AIDS-related non-Hodgkin's lymphoma. The
3 second objective was to evaluate the quality of life among
4 patients treated with MGBG.

5 The slide shows the eligibility criteria. The
6 protocol calls for patients to have at least one prior
7 potentially curative regimen at least 14 days prior to MGBG
8 therapy and having bidimensionally measurable disease.

9 In addition, confirmation of pathology was
10 required. Specifically the protocol calls for intermediate
11 or high grade lymphoma.

12 This slide lists the exclusion criteria.
13 Please note that primary CNS lymphoma is in the exclusion
14 criteria.

15 This slide shows the dose and schedule for this
16 protocol. I just wanted to mention that cycle 1 consisted
17 of three treatments.

18 The definition for complete response is shown
19 on this slide, and I just want to emphasize that the
20 protocol calls for all measurable disease sites to be
21 followed and measured.

22 This slide shows the definition for partial
23 response. Again, I just want to emphasize that the
24 protocol calls for all measurable disease-site lesions to

1 be measured, and the response should be durable for at
2 least 1 month.

3 Let's look at the patient population for a
4 second.

5 81 out of 90 patients had intermediate or high
6 grade non-Hodgkin's lymphoma. Other histologic findings
7 included mixed low and high grade non-Hodgkin's lymphoma,
8 low grade and T cell non-Hodgkin's lymphoma. 1 patient had
9 plasmacytoma. Another one had Hodgkin's disease. And the
10 2 other patients. One had unclassified lymphoma, 1
11 patient's diagnosis was uncertain.

12 It should be noted that of the 13 MGBG
13 responders described in this submission, 7 did not have
14 histologic confirmation of recurrence and 4 others had
15 biopsies of recurrence but the biopsies were not reviewed
16 by the reference pathologists, as you heard earlier.

17 In terms of the pathology review, 49 out of 90
18 patients had relapsed pathology materials. 25 of them were
19 reviewed by the reference pathologist. 39 patients only
20 had the original pathology reviewed. Neither the original
21 or the relapsed pathology material was available in 2
22 patients.

23 A confirmed diagnosis of relapse in 8 patients
24 is important. Because of altered immune systems, patients

1 with HIV infection have an increased risk for AIDS-defining
2 malignancies shown on the left-hand side of the slide and
3 non-AIDS-defining malignancies shown on the right-hand side
4 of the slide.

5 At baseline 9 of 90 patients had KS. Again, 1
6 additional patient had Hodgkin's disease. Another one had
7 plasmacytoma.

8 During study, at least one of the applicant's
9 responders developed KS. Another one was diagnosed with
10 squamous cell carcinoma. The importance of histologic
11 confirmation of recurrence cannot be emphasized enough in
12 this patient population at risk for opportunistic infection
13 in a wide variety of malignancies.

14 This slide lists the prior therapy among the 90
15 patients. 88 patients received chemotherapy as prior
16 therapy. 1 of them received MGBG on a compassionate
17 protocol and this was the only chemotherapy the patient
18 received. Among the other 2 patients who did not receive
19 chemotherapy, 1 had primary CNS lymphoma. Another patient
20 received radiation only for a localized cutaneous T cell
21 lymphoma on the foot, and the pathology was not confirmed
22 by the reference pathologist.

23 Response to prior chemotherapy is shown on this
24 slide. 11 percent of them had complete response to prior

1 chemotherapy and relapsed afterwards.

2 Let's look at the results for a second. Before
3 we look at specific response parameters, let me take a
4 couple moments to go through some of the applicant's
5 responders. In the interest of time, and as you heard
6 earlier about the comments, I will briefly just comment on
7 some of these patients.

8 Among 13 responders claimed by the applicant,
9 the FDA assessment differs for 8 of them. The first
10 patient was 4-002. We feel the response status on this
11 patient was equivocal. The patient had two episodes of
12 noncompliance lasting several weeks. As a result, the
13 investigator changed the date of baseline assessment, which
14 makes the patient's assessment equivocal.

15 You heard about the marginal zone B cell
16 lymphoma on this patient.

17 I'm going to move on to the next patient. The
18 second patient 4-005 was deemed nonevaluable because this
19 patient received suramin 8 days prior to MGBG. The half-
20 life, as you heard earlier, of suramin is up to 50-60 days.
21 Now, for this patient, we did not receive any information
22 prior to suramin therapy, and we were under the impression
23 that the patient was off suramin because of DVT.

24 The next patient, the third patient, 4-009.

1 The response on this patient was equivocal because the
2 pathology from this patient contained low grade non-
3 Hodgkin's lymphoma. Second, the investigator used fluid
4 collection as the site of the involvement to measure the
5 gastric lymphoma. Concern was raised by our reviewers and
6 by the applicant's independent reviewer that this patient
7 was probably not eligible since there was no measurable
8 disease at the study entry.

9 The next patient, 4-020, was deemed
10 nonevaluable per our review, and this patient had 50
11 cutaneous lesions at baseline. This is taken from the
12 patient's records at entry. Notice the patient had 50
13 cutaneous lesions. Five of them were chosen as index
14 lesions. A 2-centimeter inguinal node was noted in the
15 medical record. However, this one was not included as a
16 measurable site.

17 More importantly, on this date, August 31,
18 1994, the patient was scored as CR. In fact, his record
19 indicates the patient is stable PR and clinical CR.

20 The next patient, 4-027, was deemed
21 nonevaluable because the patient had a KS lesion on both
22 legs. An inguinal node was assessed as being involved with
23 lymphoma. This was a case we felt the importance of having
24 a biopsy to confirm the pathology. In addition, the

1 medical record indicates the patient's response did not
2 last for 1 month.

3 The next patient, 4-029, had persistent
4 periaortic and inguinal nodes. If one looks at just the
5 inguinal nodes -- and from the medical record, the
6 information indicates the patient did not have CR. So,
7 this renders a PR instead of a CR.

8 The next patient, 7-028, had prior chemotherapy
9 2 days before MGBG. There was no clear documentation of
10 disease progression after prior chemotherapy.

11 This slide shows the first record we have on
12 this patient. At the date of entry, according to the
13 record, there were three rectal masses on this patient.
14 None of the tumor measurements matches with the information
15 in the NDA submission.

16 The final patient, 7-032. The response was
17 equivocal after review. The patient had esophageal
18 lymphoma as shown on this CT scan indicating thickening of
19 the esophagus. If one reviews this patient's chest CT
20 films, one would conclude that there's an elongated lesion
21 about 7 to 8 centimeters long. What the investigator did
22 was arbitrarily choose two cuts as the measurements for the
23 tumor sites and the esophagus continued to be thickened by
24 CT scan, though clinically the patient was scored as a CR.

1 Let's look at the specific response parameters.
2 Since both studies were similar, I will present the
3 combined result.

4 The response rate. As I mentioned earlier, 4
5 patients were deemed nonevaluable in review. This renders
6 the intent-to-treat response rate of 10 percent. The 95
7 percent confidence interval ranges from 3.8 percent to 16.2
8 percent. As you recall, response status from 3 patients
9 was equivocal. If one removes the 3 patients, the intent-
10 to-treat response rate would drop to 6.7 percent. The 95
11 percent confidence interval ranges from 1.5 to 11.9
12 percent.

13 One additional patient in whom we have a
14 disagreement in assessment would change from CR to PR on
15 review which does not affect the response rate.

16 Time to response. This slide shows a box plot
17 of time to response with both the FDA and the applicant's
18 assessments. The vertical axis is time by day. The median
19 time to response was 49 days from our analysis, and the
20 applicant's analysis was 53 days.

21 Two additional points need to be made here.
22 First, notice that the patient 4-002 is an outlier. This
23 is probably because of the fact that the patient had low
24 grade non-Hodgkin's lymphoma.

1 Second, the applicant's assessment differs from
2 FDA's assessment. This is because of the patient's
3 noncompliance and the investigator's moving the date of
4 baseline assessments.

5 Duration of response is shown on this slide,
6 and this slide illustrates the Kaplan-Meier analysis of
7 duration of response. The horizontal axis represents time
8 by day. The vertical axis represents the probability. The
9 red line represents FDA's assessment. The yellow line
10 represents the applicant's analysis. The median duration
11 of response was 113 days.

12 1 patient was censored. It was 4-009. Notice
13 that there are two outliers, patient 4-002 and 4-009. As
14 you recall, both have some low grade non-Hodgkin's
15 lymphoma.

16 Time to tumor progression is shown on this
17 slide. The green line represents the applicant's analysis
18 and the red line represents FDA's analysis. The median
19 time to tumor progression was 56 days from the intent-to-
20 treat analysis.

21 2 patients were censored, 4-009 and 7-001. The
22 second patient had plasmacytoma.

23 This slide illustrates the Kaplan-Meier
24 analysis of survival with the intent-to-treat approach.

1 Again, the green line represents the applicant's analysis.
2 The red line represents FDA's analysis. The median
3 duration of survival was 83 days.

4 9 patients were censored in this analysis.

5 When prognostic factors, which are listed in
6 the first column, were examined for responders versus
7 nonresponders, one finds the response can be explained by
8 the performance status in the applicant's analysis or the
9 CD4 counts in FDA's analysis. 12 out of 13 of the
10 applicant's responders had a performance status greater
11 than 70 percent. In our analysis the median CD4 count was
12 169 for 9 responders and 44 for nonresponders.

13 Let's look at the clinical benefit next. We
14 are uncertain of the significance of such evaluation in the
15 NDA. The number of the cases was small. There was no
16 comparator. The analyses were not prospectively defined
17 and we have concerns about the statistical methodology.

18 In terms of response to the prior chemotherapy,
19 I mentioned to you earlier that 11 percent, or 10 of 90
20 patients, had a CR in response to the prior chemotherapy.
21 The applicant's assessments of MGBG efficacy is shown on
22 the first column here. The FDA's analysis on the far
23 right.

24 All 3 complete responders from the FDA's

1 analysis had prior CR, and 5 out of 6 applicant's complete
2 responders had prior CR, as you heard earlier.

3 We now look at the safety issues. The most
4 common high grade hematologic toxicity was anemia which
5 occurred in 28 percent of patients during study. It was
6 followed by thrombocytopenia and neutropenia. Note that 39
7 percent of patients received 48 red cell transfusions. 20
8 percent of the patients used growth factor during study. 7
9 percent of patients required 19 platelet transfusions
10 during study, as you heard earlier.

11 Common nonhematologic toxicities are shown on
12 this slide. Vasodilatation and paresthesia were the two
13 most common nonhematologic toxicities. Two points to be
14 made on this slide.

15 One is most of the nonhematologic toxicities
16 were low grade and all of the events were reversible.

17 In terms of the opportunistic infections, 50
18 out of 90 intent-to-treat patients had opportunistic
19 infection at baseline. 21 patients experienced 36 events
20 of opportunistic infection during study. On the other
21 hand, among those 40 patients who did not have
22 opportunistic infection at baseline, 10 of them experienced
23 13 events during study.

24 Hospitalization. I apologize for the typo

1 here. This should be 29. 29 of 90 intent-to-treat
2 patients required hospitalization. The adverse events for
3 12 out of those 29 patients were considered possibly or
4 probably related to MGBG. 24 events were observed among
5 those 12 patients.

6 Mucositis and neutropenia were the two most
7 common events associated with hospitalization.

8 37 patients died within 30 days of the last
9 MGBG treatment. Death of 7 patients were probably or
10 possibly related to MGBG. We recognize that many causes,
11 some of which are intertwined, played a role in the death
12 of this patient population. The point here is the
13 contribution of MGBG to these patients' deaths is unclear,
14 and the possible link of drug to patients' demise is shown
15 on this slide.

16 In summary, two studies were included in this
17 NDA submission. 90 patients were enrolled in these two
18 phase II studies.

19 The primary objective again was to examine the
20 efficacy and safety of MGBG in treating patients with
21 relapsed or refractory AIDS-related non-Hodgkin's lymphoma.

22 I reiterate that 4 out of the 13 applicant's
23 responders were deemed nonevaluable on review, and this
24 renders a response rate of 10 percent and the 95 percent

1 confidence interval ranges from 3.8 to 16.2. Response
2 status on 3 patients was equivocal. If one removes the 3
3 patients as responders, then the response rate drops to 6.7
4 percent, again the 95 percent confidence interval ranges
5 from 1.5 percent to 11.9 percent.

6 The duration of response in the corresponding
7 group is shown in the bottom row here.

8 I should also add that 6 of those 8 patients
9 where FDA disagreed in terms of the assessment were in the
10 first study, IDD004, which was initiated as a pilot study
11 and was not intended to be an NDA study.

12 The most common high grade hematologic toxicity
13 was anemia, followed by thrombocytopenia and neutropenia.

14 Paresthesia and vasodilatation were the two
15 most frequently observed nonhematologic toxicities.

16 This slide shows side by side the results from
17 one published study using MVP regimen and the results from
18 the MGBG treatment. Two points need to be made on this
19 slide.

20 First, although there is no standard therapy
21 for refractory AIDS-related lymphoma, it doesn't mean that
22 there's no alternative therapy for such condition.

23 Second, the response rate is higher for the MVP
24 regimen, which is about 33 percent, and the duration of

1 response for complete responders was comparable, up to 390
2 days.

3 The best way to determine if one therapy is
4 better than the other one, is to do a randomized control
5 study, and we believe the applicant agrees to this
6 approach. They have submitted to the agency the drug
7 protocols which support the concept for a randomized
8 control study.

9 We conclude, first, the efficacy of MGBG in
10 treating patients with relapsed AIDS-related lymphoma is
11 uncertain. In terms of response, whether 6.7 percent, 10
12 percent, or 14 percent, the response rates are low.

13 Second, at the dose used, MGBG was not
14 associated with severe adverse events in most patients.
15 However, the risk associated with MGBG treatment is not
16 negligible.

17 Thank you for your attention.

18 DR. DUTCHER: Thank you.

19 Are there questions for Dr. Lin?

20 DR. ABRAMS: Just on the basis of your second-
21 to-the-last slide about the proposed phase III study, the
22 agency feels comfortable with a trial of MGBG alone versus
23 CHOP in previously untreated patients? You're recommending
24 two different trials, one of MGBG alone versus --

1 DR. LIN: No. That's the applicant's proposal.

2 DR. ABRAMS: Oh, okay.

3 DR. LIN: That's not our recommendation.

4 DR. ABRAMS: I thought it was yours. Sorry.

5 DR. FORASTIERE: You went over exactly what you
6 had in the materials before this session, and we heard from
7 the sponsor a detailed response to some of the things that
8 you raised as issues in the specific patients where you
9 felt that their response should have been nonevaluable or
10 something like that. I'm wondering if, after hearing their
11 response, you had any thoughts about changing some of those
12 points that you made.

13 For instance, let me give you an example. One
14 is the one that just stuck in my mind, the patient that had
15 the lesion in the esophagus that they said they had
16 biopsied actually. They had looked at the serial CTs and,
17 true, you can't really tell much from a serial CT. But
18 they had biopsied and I think a path-negative biopsy.

19 You didn't mention that in your presentation.
20 I'm wondering how you would interpret that now. Would that
21 change your feeling about that particular patient and the
22 response that was provided by the sponsor?

23 DR. LIN: On that particular patient who was
24 diagnosed with esophageal lymphoma, as I mentioned, the way

1 the case was followed was using CT scan to look at the
2 esophagus, and that measurement to me was imprecise. I
3 mentioned earlier even when the patient was scored a CR,
4 the esophagus continued to be thickened.

5 The question is whether or not the patient had
6 bidimensionally measurable disease.

7 DR. FORASTIERE: Okay. So, your objection is
8 the measurability and reproducibility of tumor
9 measurements.

10 DR. LIN: Right.

11 DR. SCHILSKY: I had a couple of questions.
12 One point I'd like some clarification on.

13 You showed a slide with respect to time to
14 progression in which you showed the FDA's analysis and the
15 sponsor's analysis. In that slide the median time to
16 progression, according to the FDA, was 56 days and
17 according to the sponsor was 57 days. The sponsor showed a
18 slide in which the median time to progression was 40 days.
19 So, what's the right number?

20 DR. LIN: 56 days.

21 (Laughter.)

22 DR. SCHILSKY: I don't believe you commented at
23 all on the agency's thoughts with respect to issues of
24 clinical benefit. Could you comment on the agency's

1 assessment of issues about performance status, weight gain,
2 pain, et cetera that I think are important for us to
3 consider?

4 DR. LIN: I believe I mentioned that in a
5 slide. Well, in one of the slides I mentioned I think the
6 bottom line is the analysis was not preplanned and the
7 number of cases was small and there's no comparator arm.
8 It's very hard to interpret.

9 DR. SCHILSKY: So, you don't feel that it's
10 possible to draw any conclusions about clinical benefit.

11 DR. LIN: It's impossible to draw any
12 conclusion specifically 3 percent weight gain was not
13 defined and we don't know how they came up with this idea.
14 Why 3 percent? Why not 5 percent or 10 percent or 20
15 percent? Those were not defined in the protocol initially.

16 DR. MARGOLIN: I guess I do need to ask a
17 question to clarify whether the current application is
18 being considered as a fast track, or whatever the correct
19 term is, using surrogate markers of benefit such as
20 objective response and that these phase III studies that
21 are being proposed by the sponsor and will be presumably
22 discussed further with the FDA will then be required to
23 contain all the elements of a full approval such as
24 well-defined and statistically prospectively defined

1 quality of life measurements and clinical benefit outcomes.

2 DR. DeLAP: Do you want a response on how we're
3 looking at the application as far as regular approval
4 versus accelerated approval standards and how those apply?

5 DR. MARGOLIN: Right.

6 DR. DeLAP: Well, the standards of course are
7 that to get regular approval, we expect to see adequate
8 evidence from adequate and well-controlled trials that
9 demonstrate a meaningful clinical benefit for patients.
10 The meaningful clinical benefit is generally regarded to be
11 either a survival prolongation, which of course is very
12 difficult if not impossible to assess in studies that lack
13 a concurrent control group, or improvement in tumor-related
14 symptoms. So, a significant palliative benefit.

15 So, in order to go with a regular approval, the
16 recommendation of the committee would hopefully be based on
17 some evidence that you've seen that you feel is reasonably
18 -- well, is persuasive, that there is a clinical benefit of
19 either the palliation of tumor-related symptoms or survival
20 benefit.

21 The accelerated approval option could be based
22 on response rate with the notion that subsequent definitive
23 studies would be done to clarify and demonstrate the
24 relationship between that response rate and meaningful

1 clinical benefits.

2 I just marked the page in the book on the
3 accelerated approval regulation, and if I can just read
4 from that what the standard is there. "This subpart
5 applies to certain new drug and antibiotic products that
6 have been studied for their safety and effectiveness in
7 treating serious or life-threatening illnesses," which
8 certainly this is, "and that provide meaningful therapeutic
9 benefit to patients over existing treatments, e.g., ability
10 to treat patients unresponsive to or intolerant of
11 available therapy or improved patient response over
12 available therapy." So, the operative phrase here would be
13 "improved patient response over available therapy" and the
14 surrogate endpoint would be the response rate.

15 So, if we had a recommendation from the
16 committee for an accelerated approval action, it would be
17 based on your assessment that this product provides an
18 improved patient response over available therapy and that
19 is likely, in your judgment, to correlate with clinical
20 benefits when further studies are done.

21 So, you do have to take into account other
22 therapies that are available for treating these patients,
23 and your judgment then needs to be that in your opinion the
24 response rate that you observed from these studies is

1 something that represents an improvement.

2 DR. DUTCHER: I don't want to open another
3 general discussion, but I just would like to hear from
4 someone, maybe Dr. Abrams, the impact of some of the
5 antivirals on the ability to treat patients in subsequent
6 relapses of lymphoma because certainly we've found they
7 make it considerably easier to treat in first line, for
8 example, the CDE study where we have a much higher response
9 rate but we were also able to keep people relatively stable
10 with antiviral agents. Is there a subsequent improved
11 fallout of this when they relapse from their lymphoma and
12 they are then retreated? Do you want to speak to that?

13 DR. ABRAMS: I don't personally have any
14 experience in that situation. Dr. Kaplan. It would all be
15 anecdote.

16 DR. DUTCHER: Dr. Kaplan?

17 DR. KAPLAN: I think there's very little
18 experience so far. I think that so far, because we're
19 really relatively early in the use of combination antiviral
20 therapy, that there really isn't a whole lot of experience
21 of combination antiviral therapy with second-line
22 chemotherapy. Most of those patients, after they've gone
23 through first-line therapy and some of them second and
24 third-line therapy, are still going to be pretty severely

1 myelosuppressed.

2 DR. DUTCHER: Do you want to make a comment?

3 DR. LEVINE: I just wanted to bring up one
4 other small point which is that this is being compared to
5 VPM, and I'd just like to make the point that prednimustine
6 is not licensed in this country. We can't get that drug in
7 this country. I just wanted to say that.

8 DR. DUTCHER: Any other questions from the
9 committee, comments? Dr. Von Hoff?

10 DR. VON HOFF: Thank you. I just want to
11 clarify one point. We had gone through many other types of
12 clinical trial designs, single agent mitoguazone versus
13 another single agent chlorambucil or something else. But
14 in these particular patients at this point in their
15 disease, we could not get our investigators and our
16 colleagues to randomize patients to another single agent
17 because of the side effect profile of those agents.

18 We also tried a single agent versus an mBACOD
19 or a CHOP and brought that up at least as a possibility, or
20 second line, a combination versus single agent. Again, the
21 investigators felt at that point in time that the current
22 regimens were too myelosuppressive as opposed to
23 mitoguazone.

24 The other one that we did try to do in this

1 particular trial is a dose-response effect because we felt
2 that might be a good way to see if there's a difference
3 even in time to tumor progression. So, we went from 600 to
4 900 but treated those first patients at 900 and they got
5 severe mucositis. So, we felt that was not possible to do
6 it. So, we were left with the phase II trial design.

7 DR. DUTCHER: Dr. Gelber.

8 DR. GELBER: One other follow-up on that. Have
9 those conditions changed then to enable an alternative to
10 the two trials, the phase III trials, that have been
11 proposed for the future? Or do those conditions still
12 apply so it would be very difficult or impossible to do any
13 kind of phase III trial?

14 DR. LEVINE: The design that has been discussed
15 and that will be discussed further with the FDA on Thursday
16 is a design where patients would be treated first. It's a
17 complicated thing but they would come on study as first-
18 line treatment. They would be treated with attenuated dose
19 CHOP for two cycles. They would then be reassessed.
20 Patients who had a complete response would continue on with
21 CHOP. Patients who had progressive disease would go to
22 mitoxantrone. Shoot.

23 (Laughter.)

24 DR. LEVINE: MGBG. Patients who had PR or

1 stable disease would then be randomized to continue CHOP
2 versus the MGBG. So, that is the design that we were going
3 into to discuss with the FDA.

4 Oh, I'm sorry. Right. So, it was continued
5 CHOP versus CHOP plus MGBG. I'm sorry -- in that PR and
6 stable disease group.

7 DR. DeLAP: I just wanted to add one comment
8 which is certainly not directed specifically at the current
9 sponsor but is just a general, I guess, dissatisfaction on
10 my part that we continue to have to struggle with deciding
11 the merits of the drug based on very small numbers of
12 observations. It's very troublesome to me that there are
13 more people sitting around this table than there are
14 responders, whoever's numbers you wish to use. It's a real
15 problem I think and it would be so much easier if we could
16 just get good, strong scientifically outstanding data so
17 that we wouldn't have to grapple with these issues the way
18 that we do each time.

19 Again, I don't direct that specifically at the
20 sponsor here because I think we're speaking of a more
21 general problem. Just in general it's very difficult to
22 get patients in clinical trials in this country. I'm not
23 quite sure what all the answers are, but I don't think we
24 always do as much service as we would like for patients by

1 making decisions based on these very small numbers.
2 There's a tremendous opportunity to make some major errors
3 now and again, and it would be so much easier and more
4 scientifically strong if we had the data.

5 So, I would appeal to the people in the
6 efficacy communities -- that's a big part of the issue, at
7 least for me, right now -- how do we do a better job of
8 getting the science we need to make the decisions we're
9 trying to make?

10 DR. DUTCHER: Let me just also, while you're
11 getting up, please remind ILEX to provide us with the two
12 overheads that weren't in the packet.

13 DR. LEVINE: I totally agree with what was just
14 said and I would just make one point which is the largest
15 trial that has ever been published in newly diagnosed AIDS
16 lymphoma was the ACTG. That was 192. This trial was 90
17 patients in relapsed. So, it's a very small number and we
18 feel the same way as you. On the other hand, if you look
19 at what's out there, it's not all that crazy versus what
20 has been published.

21 DR. DAVID JOHNSON: Let's make one correction.
22 It's not a single trial that was done. It wasn't a trial
23 of 90 patients.

24 DR. LEVINE: True.

1 DR. FORASTIERE: I guess I'd just like to make
2 a further comment since I've been on this board for five
3 years now and really our charge has been changing over that
4 period of time. Now we're being asked to provide
5 accelerated approval on the basis of phase II data. In
6 this situation it's phase II data, two small studies with
7 very marginal results and with clinical benefit data that's
8 not very interpretable in my view.

9 So, I think that it is important that when we
10 get these small studies to look at, that the studies are
11 very clean, in other words, that whatever has been set up
12 in the protocol requiring good tumor measurements,
13 requiring tumor biopsies for histology and adhering to
14 prior treatment requirements is done. Otherwise, it's
15 very, very difficult.

16 DR. DUTCHER: I think we all concur with that.
17 Yes, Mr. Martinez?

18 MR. JOEL MARTINEZ: I just wanted to say from a
19 patient's standpoint -- and I've been through my first-line
20 and I hope that I don't have to go through a second-line
21 therapy -- that this is very, very difficult to evaluate.
22 I was reading the material with the hopes that I would find
23 a good degree of certainty, not necessarily that the
24 response rate was going to be tremendous but that the

1 response rate was going to be sure-footed somehow. I'm not
2 sure that it was there, and that, more than anything, is
3 disappointing.

4 I think that maybe this is directed a little
5 bit at the applicants. I was a little bit disappointed too
6 in the lack of rigor because, as a person who's looking
7 forward to possibly having something that might save my
8 life with a second-line therapy, I find myself uncertain.

9 DR. DUTCHER: Any other comments, questions?

10 (No response.)

11 DR. DUTCHER: Shall we address the questions
12 from the FDA? They're in your blue folder. They're also
13 in the agenda.

14 The first question. Patients with AIDS-related
15 non-Hodgkin's lymphoma may develop enlarged lymph nodes or
16 other abnormalities for reasons other than relapse of their
17 NHL, e.g., infections or other cancers such as Kaposi's
18 sarcoma. Is the committee satisfied that the lesions that
19 responded to Zyrkamine treatment were NHL lesions? And
20 should histologic reconfirmation of the diagnosis of NHL be
21 an eligibility requirement for a study of a second-line
22 drug such as Zyrkamine?

23 Would you like to discuss one or the other?

24 DR. ABRAMS: As Dr. Levine mentioned, I also

1 was somebody at the beginning of the epidemic who was very
2 much involved in describing the syndrome of persistent
3 generalized lymphadenopathy in patients with HIV, and we're
4 now aware that lymphadenopathy per se is a response to
5 infection with HIV. We used to biopsy many people's lymph
6 nodes in 1981-82, and then I think as AIDS care providers,
7 especially oncologists, we became rather familiar with the
8 syndrome and are able, if you will, in a way to be able to
9 distinguish between adenopathy that may be malignant and
10 adenopathy that certainly might be benign.

11 In the clinical setting of a patient who has
12 had a diagnosis of an AIDS-related non-Hodgkin's lymphoma,
13 I think it might be very difficult for a patient to
14 acquiesce and to consent to a second lymph node biopsy to
15 be enrolled in a clinical trial. I think that if that were
16 mandatory in these studies, that 90 patients perhaps would
17 not have been able to be accrued.

18 Also in view of the fact that there's so much
19 extranodal disease in patients and other things to follow
20 besides the lymphadenopathy, I feel myself satisfied that
21 the lesions that responded -- and again, I'm not convinced
22 that there were that many responses, so it's a little easy
23 -- may in fact have been NHL lesions and do not necessarily
24 believe -- in the best of all possible worlds histologic

1 reconfirmation would be nice, but I don't think clinically
2 that it's possible in the current environment. So, I think
3 that this is okay.

4 DR. DUTCHER: I'd just like to comment that
5 again in lymphoma, when it is recurrence in a site of
6 previously biopsied and documented disease, I think we're
7 all reasonably comfortable that that is the issue. I was a
8 little concerned about the patient with liver lesions that
9 were never biopsied that came and went. So, I don't know
10 what that was. I agree that in the best of all possible
11 worlds we would like a histologic confirmation. I think
12 sometimes the bulk of the disease and the rate of growth
13 gives us a clue if we're seeing progressive disease, not
14 just stable adenopathy.

15 Dr. Gelber.

16 DR. GELBER: Don, is this true also of a
17 situation where there are multiple centers where some of
18 the centers might, in fact, enroll only one subject in a
19 trial, therefore indicating a lower experience with the
20 disease? Or are you speaking about from your experience,
21 which is quite extensive?

22 DR. ABRAMS: Well, I think that most of those
23 centers that were involved in the study are centers that I
24 recognize as having experience, the people in AIDS

1 oncology. So, I would think that they would also have that
2 ability.

3 DR. MARGOLIN: I guess I just have a question
4 related to that answer which is that if you had a patient
5 -- well, what would be the likelihood of this happening and
6 then how would you address it? You have a patient who has
7 recognized extranodal lesions and had previously been
8 biopsied and is therefore eligible -- they're growing --
9 who also has modest adenopathy that seems to be stable.
10 The patient responds to MGBG for this and then one or more
11 of those nodes begins to grow. How would you address that?

12 DR. ABRAMS: It's sort of complicated. I think
13 FNAs are useful and people don't like to make confirmatory
14 diagnoses, but certainly with an FNA you can find KS. You
15 can look for AFB. You can see Reed-Sternberg cells
16 sometimes. So, you can get a clue.

17 Also, as was used, gallium scanning. Although
18 many of my colleagues are not particularly fond of the
19 nuclear medicine studies, I think that gallium scanning can
20 be useful in such a situation as well.

21 DR. DUTCHER: Dr. Schilsky, do you want to
22 comment?

23 DR. SCHILSKY: Well, I don't disagree with what
24 has been said. I'm trying to look at the exact way the

1 question is worded, and it's sort of a matter of the
2 precision of the language I think more than anything else.
3 I'm certainly satisfied that many of the lesions that
4 responded probably were non-Hodgkin's lymphoma. I don't
5 know that I would be satisfied that every lesion that
6 regressed was non-Hodgkin's lymphoma because I just think
7 it's impossible to know that.

8 The issue about whether reconfirmation of the
9 diagnosis should be necessary for study eligibility I also
10 think is a difficult question because the likelihood is
11 that most of the time I believe that if you have a patient
12 in whom you know that they had a non-Hodgkin's lymphoma and
13 who had clinical signs of progression, you would be able to
14 biopsy a lesion and be able to confirm the diagnosis. So,
15 I'm not so concerned about confirming the diagnosis for
16 purposes of getting on the study.

17 Where I continue to have a problem is
18 interpreting lesions that regress or even interpreting sort
19 of any change in clinical status in what is an extremely
20 complicated patient population. I think that most people
21 know this, but it should be clear that I don't personally
22 care for many of these patients. So, I'm not really
23 speaking from personal experience, but it just seems to me
24 that as an investigator, it's an extraordinarily complex

1 group of patients.

2 So, it's a little bit long-winded, but I don't
3 know that I would necessarily feel that a confirmatory
4 biopsy is required to get on study, but that still in my
5 mind doesn't solve the problem of how you interpret what
6 happens to the patient subsequently.

7 DR. DUTCHER: Dr. DeLap?

8 DR. DeLAP: I think at least the other thought
9 in my mind behind this question is where do you decide that
10 you know enough about an individual patient, particularly
11 as you're getting to smaller numbers of patients and trying
12 to make decisions. Should we really go to the level of
13 documenting everything in each patient? If you get to very
14 small numbers of patients, perhaps that's necessary.

15 On the other hand, I thought we heard some
16 interesting discussion from Dr. Levine about how if you had
17 previously biopsy-proven disease in a site and it comes
18 back, do you really need to biopsy it again? That seems to
19 be a very plausible argument.

20 DR. DUTCHER: Okay. So, let's start with 1b.
21 Should histologic reconfirmation of the diagnosis of NHL be
22 an eligibility requirement for a study of a second-line
23 drug such as Zyrkamine? Or I suppose it could be modified
24 to say if it is in the site of a previously biopsied

1 lesion. You could split the criteria certainly.

2 DR. DeLAP: We'd just like to have some
3 guidance as we have other discussions with other sponsors
4 down the road on this one.

5 DR. DUTCHER: So, do you want a formal vote or
6 just a guideline? A vote?

7 DR. DAVID JOHNSON: Excuse me just a second,
8 Dr. DeLap. Let me just make one clarification for me.

9 If the question is directed, as I think it is,
10 for the situation in which we're being asked to assess this
11 drug, i.e., a rapid or accelerated approval of a drug in a
12 phase II setting, that's one issue -- I think that's what
13 Rich is struggling with -- versus a situation where one is
14 randomizing patients where the differences in "non-
15 lymphomatous" lesions might even out and therefore would be
16 less of an issue might be how one might interpret this
17 question.

18 The second comment that I would just make
19 actually Don has already made, and that is -- it goes
20 further than this too -- what is histologic reconfirmation.
21 So, for example, if one were to needle biopsy a site of
22 prior known disease, that's an accepted and recognized way
23 of confirming that in fact one is dealing with the disease.
24 Now, we could argue whether that's necessary or not, but

1 the fact is that that's less than a lymph node biopsy and
2 something to which patients may be more willing to consider
3 subjecting themselves to as opposed to a full biopsy.

4 As is true of most questions in life, it
5 becomes a matter of interpretation in how we look at this
6 particular question.

7 DR. DUTCHER: So, how many feel that histologic
8 reconfirmation of the diagnosis of NHL be an eligibility
9 requirement for a study of second-line drugs such as
10 Zyrkamine?

11 (A show of hands.)

12 DR. DUTCHER: How many do not feel it's
13 necessary?

14 (A show of hands.)

15 DR. DUTCHER: So, six feel that it does and six
16 feel that it doesn't. I guess that leads us up to Dr.
17 Johnson's statement that it's a matter of interpretation.

18 (Laughter.)

19 DR. DUTCHER: Back to then 1a. Is the
20 committee satisfied that the lesions that responded to
21 Zyrkamine treatment were NHL lesions in the patients that
22 were presented? Any discussion? Dr. Johnson?

23 DR. DAVID JOHNSON: Again, to pursue the
24 interpretation theme, if we're being asked do we believe

1 that every case that responded was clearly and
2 unequivocally an NHL, if that's the intent of the question,
3 then I personally can answer that question. If the intent
4 was do we think there was a sense that or a feeling that
5 most were, I'll answer it but in a different way. So, I'd
6 like some clarification from the FDA what specifically
7 they're asking us. Are they asking in fact do we believe
8 that all of the responses were NHL? That's the question
9 I'm asking back to the FDA.

10 DR. DeLAP: I think it would be unfair for us
11 to ask you to certify that every single one of these
12 lesions was what it was said to be. That's a problem in
13 every tumor study of any sort.

14 But I think we're asking a general reliability
15 question here. Are you sufficiently satisfied that what
16 we're looking at here in these patients is most of the time
17 recurrent NHL and sufficiently so that you can rely on
18 response rates?

19 DR. OZOLS: Our answer to 1b has got to answer
20 1a. I think it's the same thing. It's literally we don't
21 know that they all are and nobody can tell, and we'd like
22 that but in reality in this type of trial where you're
23 dealing with so small numbers of patients who respond.
24 Upon accelerated approval, yes, you'd like to see those.

1 But again, I think in a larger study where there's a higher
2 response rate, we wouldn't be arguing about 4 or 5 patients
3 which makes a big difference in this particular study. So,
4 I don't think there is an absolute answer to that question.

5 DR. DUTCHER: So, I think that that's subject
6 to interpretation.

7 (Laughter.)

8 DR. DeLAP: Well, the later questions are more
9 critical I guess.

10 DR. DUTCHER: We'll table that one.

11 Number 2, studies that lack a concurrent
12 control group may serve to characterize a product's acute
13 toxicities and activity, response rate, in AIDS-related
14 NHL, but may not identify other important drug effects,
15 i.e., an increased rate of infectious complications or
16 shortened survival due to immunosuppressive effects of drug
17 treatment.

18 If only phase II data are generated, how many
19 patients should be studied, and what tumor response rate
20 and response duration should be required to support
21 approval of Zyrkamine for treatment of AIDS-related NHL in
22 patients who have failed first-line, potentially curative
23 chemotherapy?

24 So, what is the n and what is the response

1 rate? I think the n is determined by the response rate.
2 What response rate and response duration would you require
3 for approval for patients who have failed first-line
4 lymphoma therapy? Dr. Gelber, do you have any comment?

5 (Laughter.)

6 DR. GELBER: Unfortunately, I don't treat these
7 patients. In fact, I'm not very familiar with the existing
8 response rates. Ordinarily in phase II we talk about 20
9 percent, but that's just kind of rule of thumb. So, if
10 asked for a number, excess of 20 percent.

11 DR. DUTCHER: I guess I'd just like to comment
12 that the old MGBG data, in combination or even single
13 agent, was around 37, 35 percent in phase II lymphoma
14 patients that were probably -- I haven't looked at the raw
15 data -- in much better condition than the patients that we
16 saw presented here. I'm just concerned that the patients,
17 although they needed to be treated, were in a performance
18 status situation where they really compromised being able
19 to look at a phase II drug. If you are looking for active
20 agents, we've learned certainly the hard way in solid
21 tumors that you need performance status and you need an
22 ability to be able to treat the patient and see the
23 outcome.

24 DR. MARGOLIN: I don't have the answer to 2a,

1 but I think that if we could analogize with, if there is
2 such an answer, what response rate, duration of response in
3 first-line therapy for AIDS lymphoma correlates or is felt
4 to correlate with clinical benefit and is statistically
5 associated with survival benefit to the group, if we knew
6 the answers to those questions, we might be able to
7 approach an answer to this question.

8 DR. ABRAMS: I would also say that I think we
9 should use the same criteria that we use in the situation
10 in patients without AIDS. Advances in treatment of HIV
11 infection have offered new opportunities for people with
12 HIV and I don't think that we should settle for anything
13 less than the committee would use in patients without HIV
14 infection at this point in time.

15 I was happy to hear 20 percent because that was
16 sort of the figure that I wrote down as well, and I was
17 going to ask the committee, who deals with this in other
18 malignancies in patients without HIV infection, what your
19 standards are because I would apply the same ones.

20 DR. DUTCHER: Dr. Ozols?

21 DR. OZOLS: Well, again, I think that response
22 rate is hard, but I think if we look at the confidence
23 limits, that may give us some clue perhaps. I have a hard
24 time when we have response rates that have a confidence

1 limit, the lowest level of confidence limit being perhaps
2 as low as 1.5 percent. Maybe if the lowest level was 10
3 percent, then I'd feel comfortable that we're actually
4 dealing with an active agent because once you get down to
5 response rates of less than 10 percent or 5 percent, you're
6 almost talking background. I really have a hard time
7 thinking that's an active agent.

8 DR. DUTCHER: Dr. Schilsky?

9 DR. SCHILSKY: I think that there are many ways
10 to look at this, and I would agree with Kim's comments
11 about looking carefully at the patient population also.

12 It seems to me actually one of the things that
13 I think we have learned from the data that we've seen this
14 morning so far is what types of patients might be most
15 likely to respond to a therapy. In my mind those are
16 patients who have a Karnofsky performance status of at
17 least 70 percent, a CD4 count that is higher rather than
18 lower, and patients who have previously responded to a
19 therapy.

20 So, I think one of the things that needs to be
21 considered in general in the design of trials in this type
22 of patient population is whether the patient eligibility
23 should be structured in such a way as to in a sense
24 optimize the opportunity for response.

1 Now, obviously if you do that, you're also
2 going to limit the number of patients who can be enrolled
3 in the trial, which is going to potentially cause
4 difficulties, but I think that has to be considered in the
5 design of future trials.

6 With respect to what should be the level of
7 response we should look at, we have some evidence of what
8 this drug could potentially do from the older studies that
9 have been done in patients with non-Hodgkin's lymphomas not
10 in the AIDS setting. As you've pointed out, the drug seems
11 to potentially be able to produce a higher level of
12 response than certainly what we've seen this morning,
13 albeit in a very different patient population.

14 Now, we do traditionally pick response rates
15 like 20 percent, although there have been other drugs that
16 have been approved in the last year or two by the
17 accelerated mechanism with response rates lower than 20
18 percent in other diseases. So, I think that you do have to
19 also consider what the alternatives are and the issues of
20 clinical benefit and so on.

21 I also agree with Bob's point about trying to
22 look at a lower level of the confidence interval that you
23 feel comfortable with that you're actually seeing
24 biological effect and not just random background noise.

1 DR. DUTCHER: Dr. Johnson?

2 DR. DAVID JOHNSON: I think I'll echo the
3 things that have been said. With respect to lower response
4 rates, Rich hit on it, and that is that in those
5 circumstances, though, we had more convincing evidence of
6 clinical benefit. I frankly don't care if the response
7 rate is zero if we have some convincing evidence that the
8 patient feels better in some way or is doing better. So, I
9 don't know that we had that with the data we see here.

10 The other comment I would make, the area that I
11 deal with more than this is lung cancer. It has been
12 debated for a long time, for example, how best to study new
13 drugs or to obtain evidence of activity in small cell, as
14 an example. In some institutions, some cooperative groups
15 use only chemo-naive patients, the idea being that they're
16 a group of patients in whom front-line therapy is not
17 terribly effective.

18 Others, however, have made a very persuasive
19 argument to use refractory patients and in that setting
20 have lowered the bar in terms of response rate from 20
21 percent to 10 percent and widened the confidence intervals
22 from 95 to 90 percent and then went back and
23 retrospectively analyzed all the so-called active drugs,
24 which is perhaps an oxymoron or a non sequitur, but looked

1 at the active drugs and found that indeed if one had used
2 those criteria, that one would have identified all of the
3 so-called active drugs in that setting after the individual
4 had received or recognized front-line therapy.

5 So, I think to answer the question would
6 perhaps require some definition of the patient population,
7 the expectations that one was looking for whether
8 specifically solely drug activity or clinical benefit. I
9 personally would accept a lower response rate in the face
10 of what I would perceive as fairly clear-cut clinical
11 benefit.

12 DR. DUTCHER: I just have to say in response to
13 that, which I actually agree with, but in lymphoma in
14 general, the response rates for second-line or third-line
15 therapy are still reasonably good.

16 DR. DAVID JOHNSON: No, no. That's my point.
17 It has to be disease-specific too. It clearly is not what
18 one would get in lung cancer. See, we're happy with 15
19 percent as front-line therapy for lung cancer. So, who am
20 I to talk about response rates. But the point is that it
21 is, to some extent, disease-specific as well.

22 DR. DeLAP: Well, again, I think it would be
23 unfair perhaps to ask you to vote on this, but we did want
24 the discussion and we certainly will be able to use that as

1 we continue.

2 DR. DUTCHER: I think actually one of the
3 crucial comments that was made by Dr. Abrams was that with
4 the new modifications in treatment, the standard should be
5 similar to non-AIDS lymphoma.

6 Do you want us to go on?

7 DR. DeLAP: Sure.

8 DR. DUTCHER: So, just to summarize question 2,
9 the comments were that it's disease-specific but that there
10 should be a response rate and a duration that is relevant
11 to both AIDS lymphoma and non-AIDS lymphoma. We may lower
12 the bar somewhat compared to first-line therapy, and if
13 phase II study data is generated, it should be sufficient
14 to answer the question either in terms of response rate or
15 in terms of clinical benefit.

16 Dr. Krook.

17 DR. KROOK: I think what I'd like to say -- and
18 I'll see if the committee agrees -- is that the response
19 rate in second-line lymphoma, 35-40 percent. Now you lower
20 the bar and then compare it here. Now, somebody may
21 differ, but I think it's in that range. How much do you
22 lower the bar?

23 DR. GELBER: Just one other comment on the
24 number of patients. Again, this will be a matter of

1 opinion, but it should be sufficient so that we get some
2 information about the clinical benefit out of the spectrum
3 of patients that are treated. So, I think that that gets
4 into the 100 range or thereabouts in order to be able to do
5 that.

6 DR. DUTCHER: Moving on to question number 3,
7 is this NDA approvable? Is there sufficient information
8 presented today to approve this drug in an accelerated
9 fashion for relapsed AIDS-related lymphoma?

10 DR. ABRAMS: I guess since I'm here as the
11 expert, I would say not in my opinion.

12 DR. DUTCHER: Other comments? Dr. Schilsky?

13 DR. SCHILSKY: Well, I think we're all going to
14 have to reveal our positions at some point.

15 (Laughter.)

16 DR. SCHILSKY: No reason to delay lunch.

17 Certainly not in my opinion either. I'm not
18 persuaded that there's either a sufficient frequency of
19 response or that the responses are of sufficient duration
20 to be clinically meaningful, nor am I persuaded that
21 there's really a very good relationship between whether
22 patients respond and whether they feel better or not.
23 Although the toxicities of the drug are not great, they are
24 not negligible. So, I would agree that I don't believe

1 this is approvable.

2 DR. DUTCHER: Shall we vote? Okay.

3 All those who feel that this is approvable,
4 please raise their hands.

5 (No response.)

6 DR. DUTCHER: There are no votes for
7 approvable.

8 All those who would vote that it is not
9 approvable at this time?

10 (A show of hands.)

11 DR. DUTCHER: It's unanimous. There are 12
12 voting no.

13 If it is felt not approvable, is there
14 sufficient information presented that additional clinical
15 studies would be helpful in further evaluating this drug
16 for the indication of AIDS-related non-Hodgkin's lymphoma?

17 DR. KROOK: Jan?

18 DR. DUTCHER: Dr. Krook.

19 DR. KROOK: I think many of the things that
20 have been said would be of great benefit. I was here for
21 the pancreas cancer and the clinical benefit swayed us
22 immensely, and I think that more attention has to be paid
23 towards those performance statuses, weight loss, and to be
24 done prospectively, as it was done otherwise. Obviously

1 it's great to have a larger study but dealing in clinical
2 trials, that's sometimes hard. So, the larger the study
3 would also be helpful.

4 DR. GELBER: If at all possible, I would urge
5 some kind of a randomized trial of second-line therapy. I
6 don't know the details of the proposals that are on the
7 table, but I'm unclear as to how these studies, if they are
8 done, will tell us any more about the role of this agent as
9 second-line therapy. Following attenuated CHOP and so on
10 seems very complex to conduct in this way, and I would
11 rather see some kind of a randomized phase II with careful
12 attention to clinical benefit or some kind of comparator
13 study in this setting.

14 DR. ABRAMS: I personally would not feel
15 comfortable evaluating this drug as a single agent, not in
16 combination with other therapies at this point in time.
17 That's why I asked if that second-to-the-last slide was an
18 FDA recommendation that compared MGBG to CHOP. I think it
19 needs to be looked at in connection with other agents which
20 makes it even more complex to really tease out whether it's
21 really having any effect, but I think from the data that
22 I've seen here, I would not feel comfortable looking at it
23 as a single agent.

24 DR. SCHILSKY: Just one other comment. I don't

1 think any of us are probably prepared to propose a study
2 design, but it does seem to me that if there's going to be
3 a randomized study in particular, that very careful thought
4 has to be given to what the appropriate endpoint should be
5 because while we customarily like to think about survival
6 as an appropriate endpoint, it strikes me that this might
7 be a very difficult patient population in which to evaluate
8 survival as an endpoint because of all of the competing
9 medical issues that might impact their survival. So, I'm
10 not suggesting whether or not survival should be an
11 endpoint but only pointing out that it seems to me that
12 when the studies are constructed, that the appropriate
13 endpoint needs to be very carefully thought about.

14 DR. DUTCHER: You made your comment. Do other
15 people have similar feelings in terms of using this as a
16 further evaluation as a single agent in this population?
17 Dr. Margolin?

18 DR. MARGOLIN: I would hesitate to argue with
19 the statistician about study design, but I would be very
20 concerned about a randomized phase II because first of all,
21 you just have two phase IIs, so you have to have a large
22 study if you want to look for specific endpoints, and
23 secondly, people will tend to compare even though they're
24 not allowed to. So, I'm not clear on what one could get

1 out of a randomized phase II that one couldn't get out of a
2 large well-designed phase II if that was how you chose to
3 go.

4 DR. GELBER: The main advantage I would see in
5 that is to provide some kind of comparator, if not direct,
6 then at least in terms of the assessment of the endpoints
7 under study, especially if you talk about clinical benefit
8 endpoints. You always wonder in the phase II about other
9 things that are going on in the care of these subjects.
10 So, if you have some comparator so that you can get some
11 sense that similar underlying approaches are being taken,
12 that gives you more confidence that you're measuring the
13 effectiveness or lack thereof of the test agent rather than
14 a single sequence. That's the main reason for that design.

15 DR. DUTCHER: Any other comments? Suggestions?

16 (No response.)

17 DR. DUTCHER: Well, thank you very much. We
18 will resume the afternoon session at 1:30.

19 (Whereupon, at 12:32 p.m., the committee was
20 recessed, to reconvene at 1:30 p.m., this same day.)

21

22

23

24

AFTERNOON SESSION

(1:32 p.m.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

DR. DUTCHER: I'd like to just mention two things. One is that Michael Marco is joining us at the table as the patient representative.

And the other thing I would like to mention is that we're going to ask Mr. Peter Doherty to make his comments. He was delayed for the open public hearing because his welcome to Washington was that his briefcase was stolen at the airport. So, he has made the trip here and has a statement that he would like to read. So, we're going to do that quickly.

MR. DOHERTY: Good afternoon. My name is Peter Doherty. I'm 70 years old and I have prostate cancer.

I was diagnosed five and a half years ago, and as most men do, I opted for a prostatectomy. I was told I would live to be 100 after my prostatectomy after all the tests were in.

Two and a half years later, my PSA started to rise and then I took 38 radiation treatments. Late last year, my PSA started to rise again. I'm like the stock market, up and down, up and down.

But at any rate, now I'm on hormonal therapy, and the news for me is that again my PSA is starting to rise.

1 So, I am a prime person that is looking for
2 what do I do after you've refracted. Where do you go next?
3 As we all know, there isn't an awful lot of places to go
4 after your principal therapies are done.

5 To deviate for just a minute, I helped to lead
6 a group of over 400 men, all with prostate cancer, at the
7 Morristown Memorial Hospital. This group is just slightly
8 over three years old, and we've already buried seven men
9 from our group. When I thought about coming and wanting to
10 say to you, I go to these wakes and I see these men who
11 most of them have died after being hormonal refracted, and
12 I didn't recognize them. The doctors all tell us what a
13 terrible death any cancer is, but the prostate, when it
14 gets in your bones and the other place that it goes, it
15 just must be terrible.

16 With that thought in mind, I want to ask you to
17 very seriously consider this drug that Janssen is
18 presenting because we really don't have anything. Those of
19 us that are on the verge of having tried all the principal
20 therapies, we need something else, and it's important to us
21 that we can have this opportunity to have something else to
22 look forward to.

23 We as a group now have in our prostate group at
24 Morristown Memorial Hospital about 50 men that are working

1 on hormonal therapy, and some of them are going to fail
2 probably. On behalf of them, I also want to urge you to
3 make this decision.

4 I'd like to leave you with one last thought.
5 In this 24-hour period that we're here today, 115 men will
6 die of prostate cancer. That's a terrible statistic to
7 think about, and it will be all very unpleasant deaths for
8 all of them.

9 I want to thank each and every one of you for
10 giving of your time to do what you're doing today. It's
11 absolutely vital to us, anyone that's sick, to know the
12 work that you all do, and I take back a message to
13 Morristown. I'm very impressed with everything that you've
14 done, I've heard this morning. In fact, I'm probably kind
15 of lucky that I came late because I can sit and listen to
16 some of the opportunity of what you went over.

17 Does anybody want to ask me a question or
18 anything that I might have glossed over?

19 (No response.)

20 MR. DOHERTY: I guess not.

21 DR. DUTCHER: Thank you very much.

22 MR. DOHERTY: Thank you very much.

23 DR. DUTCHER: I appreciate your time.

24 All right, we'll move on then to the rest of

1 the afternoon. We'll begin with the sponsor's presentation
2 on Taxol indicated for second-line treatment of AIDS-
3 related KS.

4 DR. CANETTA: Good afternoon. I'm Renzo
5 Canetta. I'm with the Pharmaceutical Research Institute of
6 Bristol-Myers Squibb.

7 We present to you today the results of Taxol in
8 the treatment of AIDS-related advanced Kaposi's sarcoma.

9 Dr. Susan Krown, who is a member of the ACTG
10 and played an important role both in the definition of the
11 staging and response criteria in this disease, will review
12 the disease characteristics.

13 Then the principal investigators of the two
14 pivotal trials, Dr. Robert Yarchoan from the National
15 Cancer Institute and Dr. Parkash Gill from the University
16 of Southern California will present the results of their
17 own individual trials.

18 Finally, Dr. Benjamin Winograd, who's also with
19 the Pharmaceutical Research Institute of Bristol-Myers
20 Squibb, will present the integrated summary, the analysis
21 of patient benefits, and the conclusion.

22 Taxol has been available since 1993 worldwide
23 for the treatment of patients with advanced second-line
24 ovarian and breast cancer. Lack of cross resistance with

1 active agents such as the platinum for ovarian cancer and
2 the anthracyclines for breast cancer has been clinically
3 proven. Today Taxol is widely used. The safety profile
4 has been well characterized with a number of different
5 dosages and schedules of administration.

6 The discovery of the high level of activity of
7 Taxol in AIDS-related Kaposi's sarcoma occurred under a
8 collaborative research and development agreement between
9 the National Cancer Institute and Bristol-Myers Squibb.
10 The discovery and the results have been rapidly reported in
11 the literature.

12 The first trial, conducted by Dr. Yarchoan here
13 in Bethesda, accrued patients between September of 1993 and
14 January of 1995. An abstract was presented at ASCO as
15 early as the spring of 1994. A paper was published in
16 Lancet in early 1995.

17 A second study, which was sponsored by Bristol-
18 Myers Squibb outside of the CRADA, independently confirmed
19 these results and was conducted by the University of
20 Southern California and the Harvard Medical School. I
21 would acknowledge here the presence of Dr. Scadden who is
22 the co-investigator for this trial.

23 This trial accrued between February of 1995 and
24 December of 1995, and an abstract was presented at ASCO

1 last year in Philadelphia.

2 Given the importance of the results that we
3 have observed with this compound in this disease, we have
4 launched a comprehensive clinical plan. We are aware of
5 the Taxol profile. We are aware of the change in paradigm
6 of the treatment of HIV disease. We are aware of the fact
7 that Kaposi's sarcoma is only part of this picture.
8 Therefore, we launched a study to systemically investigate
9 the potential for interaction between Taxol and the new
10 class of protease inhibitors. That study is run by the
11 Eastern Cooperative Oncology Group and was recently
12 activated for accrual.

13 However, we are also aware of the fact that
14 Taxol results historically got better as we treated
15 patients with better characteristics, and also we were
16 aware of the difficulties of designing a second-line
17 randomized trial. So, we designed this first-line
18 randomized trial which will compare Taxol with single-agent
19 liposomal doxorubicin. This trial has been reviewed and
20 approved in concept form both by the NCI and by Bristol-
21 Myers Squibb, and the final protocol is in preparation.

22 I'd like to acknowledge the presence of Dr.
23 Jamie von Roenn from ECOG who is the principal investigator
24 of both trials and is also here today.

1 Today only two liposomal anthracyclines are
2 approved for the advanced stage Kaposi's sarcoma.
3 Unfortunately many patients still fail to respond to this
4 treatment, and the duration of response, when a response
5 occurred, is short.

6 We believe that effective novel therapies for
7 AIDS-related Kaposi's sarcoma are needed and should be made
8 rapidly available. This is why we recommend the approval
9 of Taxol for the secondary treatment of patients with AIDS-
10 related Kaposi's sarcoma.

11 We now give the podium to Dr. Krown who will
12 review the disease.

13 DR. KROWN: Thank you and thank you, ladies and
14 gentlemen.

15 This afternoon I'll be giving a very brief, I
16 hope, overview of AIDS-associated KS and its treatment and
17 evaluation that will serve as a background to the
18 presentations on Taxol.

19 As you're all aware, Kaposi's sarcoma is the
20 most common AIDS-associated malignancy. It most often
21 presents with lesions on the skin that may be widely
22 disseminated from the outset. Although the course of KS is
23 quite variable, in many patients KS disseminates not only
24 in the skin, but also to involve the oral cavity and

1 visceral organs, especially the lungs and gastrointestinal
2 tract, and is often complicated by lymphedema of the
3 extremities, the face, and the genitalia.

4 Depending upon its location and severity, KS
5 can cause serious functional disability. KS lesions of the
6 feet may be painful and limit mobility. Oral KS may cause
7 difficulty eating and speaking. Edema may be associated
8 with ulceration, infection, pain, and reduced mobility. GI
9 KS may be associated with bleeding, pain, and obstruction,
10 and pulmonary KS can cause respiratory insufficiency and
11 death. Even in the absence of symptomatic visceral disease
12 or edema, KS often impairs quality of life when it causes
13 disfigurement, leads to social isolation, or serves as a
14 visual reminder of an AIDS diagnosis.

15 KS usually presents multifocally without a
16 defined primary lesion. So, staging according to a
17 standard TNM classification is not appropriate.

18 In addition to tumor extent, immune status and
19 the presence of systemic manifestations of HIV infection
20 are relevant to prognosis in HIV-infected patients with KS.
21 The most commonly applied staging classification for KS is
22 the TIS system proposed in 1989 by the ACTG Oncology
23 Committee. This staging system, which divides patients
24 into good or poor risk groups for each of the variables,

1 was prospectively validated for survival in 294 consecutive
2 patients entered onto eight ACTG KS treatment trials, and
3 this analysis will be published in the September JCO.

4 In the subset of 111 KS patients included in
5 this analysis who had a CD4 count below 50, which closely
6 approximates the CD4 counts of patients in the Taxol trials
7 that will be presented today, we saw a median survival of
8 only 11 months. Furthermore, patients with untreated
9 pulmonary KS have been reported to show a median survival
10 only 2.1 months, making lung involvement an exceptionally
11 poor risk feature for survival.

12 The potential impact of KS on patient function
13 and quality of life is probably best illustrated by visual
14 examples. This slide shows extensive lesions on the back
15 and feet of a patient with KS and the foot lesions in this
16 case were painful and immobilizing.

17 This slide shows extensive oral KS which caused
18 difficulty eating and speaking.

19 This shows moderate but asymmetrical lower
20 extremity edema that affected ambulation and also
21 illustrates the difficulties encountered in reproducibly
22 quantitating the number of KS lesions.

23 This shows ulcerated, infected KS in an
24 edematous, previously radiated leg.

1 This shows extensive pulmonary infiltrates from
2 KS.

3 Finally, this shows the extent of disfigurement
4 that can be caused by facial KS and also illustrates the
5 problem of quantitatively assessing facial edema.

6 Several treatment options are available for KS
7 and their choice is dictated by the extent of disease, the
8 rate of disease progression, and the presence and severity
9 of symptoms affecting function and quality of life.

10 For limited, relatively slowly progressive
11 disease without life-threatening organ involvement, local
12 measures may be suitable in some cases. However, for more
13 widespread symptomatic or rapidly progressive disease,
14 systemic interferon or chemotherapy are more appropriate.
15 Responses to interferon tend to occur slowly and are most
16 reliably seen in the small proportion of patients with
17 relatively high CD4 counts.

18 For the majority of patients with advanced or
19 rapidly progressive KS, which causes medical or functional
20 impairment, chemotherapy is indicated. The goals of such
21 therapy are to induce durable regression of widespread
22 disfiguring or disabling lesions, to control or reverse
23 life-threatening visceral disease, to reduce functional
24 impairment caused by edema or mucocutaneous disease, and to

1 achieve these benefits with agents that have an acceptable
2 toxicity profile.

3 In addition, because the patients all have an
4 underlying HIV infection that is generally quite advanced,
5 chemotherapy should not interfere with delivery of
6 treatment with antiretroviral drugs or treatment in
7 prophylaxis for other opportunistic complications of AIDS.

8 In the past, studies of standard
9 chemotherapeutic agents, including vincristine,
10 vinblastine, doxorubicin, bleomycin, and etoposide, have
11 demonstrated KS regression in a variable proportion of
12 patients. However, disease control has been limited in
13 part by cumulative toxicities from these agents. In
14 general, combination therapy has induced higher response
15 rates but at the expense of somewhat increased toxicity
16 which often limited long-term use.

17 Nonetheless, by the early 1990s combination
18 therapy was considered the standard of care, with the ABV
19 regimen, which consists of doxorubicin, bleomycin, and
20 vincristine, considered the most effective at least in this
21 country.

22 The reported response rates and response
23 durations for these single agents and combinations are
24 difficult to interpret, however, since patient

1 characteristics and response definitions varied from study
2 to study and the methods of disease documentation and
3 response definitions were often ambiguous and
4 inconsistently applied.

5 In the past two years, two liposomally
6 encapsulated anthracyclines have been approved by the FDA
7 for treatment of advanced AIDS-related KS. DaunoXome, a
8 liposomally encapsulated daunorubicin, was approved as
9 first-line treatment based on a prospective randomized
10 comparison with a standard ABV regimen. Equivalent
11 response rates of 23 and 30 percent of almost identical
12 duration were observed in the two arms. Median survival
13 was also similar but was less than 1 year in both arms.
14 Significantly less neuropathy and alopecia were observed
15 with single agent DaunoXome compared with ABV.

16 Doxil, a liposomally encapsulated doxorubicin
17 preparation, was approved for treatment of AIDS-related KS
18 after failure or intolerance of combination chemotherapy.
19 Tumor response rates of 27 and 48 percent were reported,
20 depending on whether a global investigator assessment was
21 used as the response criterion or the response was based on
22 changes in selected indicator lesions. The median response
23 durations were 2.4 and 2.3 months from the time a partial
24 response was recorded by these methods.

1 The previously cited inconsistencies in KS
2 evaluation and response criteria were addressed by the ACTG
3 Oncology Committee in recommendations published in 1989,
4 and as experience with these recommendations grew, various
5 minor modifications were made, but they were all consistent
6 in requiring detailed quantitative documentation of the
7 number, size, and character of skin lesions, qualitative
8 descriptions of tumor-associated edema and oral and
9 visceral KS, and a recommendation for photographic
10 documentation when possible. This documentation was then
11 used to evaluate the response to treatment.

12 Briefly a partial response was defined as a 50
13 percent or greater decrease in either the total number of
14 lesions, the total number of raised lesions, or the
15 indicator lesion surface area without new or increased
16 visceral disease or tumor-associated edema.

17 Progression was defined as a 25 percent or
18 greater increase in total lesion number, the number of
19 raised lesions, or the indicator lesion surface area, the
20 appearance of new or worsening visceral disease, or the
21 development of symptomatic tumor-associated edema.

22 These criteria have been used in many of the
23 recently published trials and have provided greater
24 consistency in the evaluation of KS treatments.

1 The ACTG criteria do not specifically evaluate
2 clinical benefits associated with tumor regression,
3 however, and this deficiency is currently being addressed
4 in a joint NCI, FDA, and AIDS Malignancy Consortium
5 initiative.

6 So, in summary, extensive tumor burden,
7 visceral and especially pulmonary KS, and a low CD4 count
8 are all associated with a short survival in patients with
9 AIDS-associated KS. Systemic chemotherapy is indicated for
10 patients with rapidly progressive or symptomatic KS, and
11 detailed documentation is required to fully assess the
12 effects and benefits of such treatment.

13 Now, in the studies of Taxol that will be
14 presented today, the following information was gathered
15 prospectively to document tumor extent. In patients with
16 less than 50 cutaneous lesions, all lesions were counted at
17 each evaluation, whereas in those patients with over 50
18 lesions, the lesions on representative body areas were
19 counted and each of the counted lesions was described as
20 raised or flat.

21 In addition, 5 to 11 indicator lesions were
22 selected in each patient and were serially measured in two
23 dimensions and characterized as raised or flat.

24 Photographs were taken at baseline and

1 periodically thereafter to document response.

2 In addition, qualitative assessments were made
3 of oral KS and edema, and visceral symptoms were
4 investigated with appropriate diagnostic tests.

5 Each of the investigators assessed patient
6 response as defined in the study protocols. Subsequently
7 Dr. Jamie von Roenn of Northwestern University performed an
8 independent assessment of response on the NCI study
9 patients using the most recent ACTG criteria, and I
10 performed the same assessment of the patients treated on
11 the joint USC/Harvard trial. We reviewed all disease
12 documentation and used this to assess overall response,
13 efficacy by disease site, and the date and site of disease
14 progression.

15 In addition, to provide a more global
16 assessment of patient benefit, changes were documented in
17 six areas that relate to functional status and quality of
18 life in patients with KS. These included improvements in
19 performance status in patients with a performance status at
20 baseline, improvement of pain, and improvement or
21 resolution of tumor-associated edema, and KS lesions
22 located on the feet, face, and in the lungs.

23 With this as a background, Dr. Robert Yarchoan
24 of the National Cancer Institute will now present the

1 results of the first study of Taxol in advanced KS.

2 DR. YARCHOAN: Thank you, Susan.

3 Chairman, members, and guests, over the next 10
4 minutes or so, I'll describe the initial phase II trial of
5 Taxol for the treatment of Kaposi's sarcoma conducted in
6 the intramural program of the National Cancer Institute.
7 This study, which was initiated in September of 1993, was
8 conducted primarily to address the question of whether
9 Taxol had activity in HIV-associated KS.

10 I should note that I'm speaking here as part of
11 my official duties as an employee of the National Cancer
12 Institute and that the study was supported in part by a
13 CRADA between Bristol-Myers Squibb and the NCI.

14 At the time we initiated the study, several
15 pieces of evidence led us to hypothesize that Taxol would
16 have activity in Kaposi's sarcoma. Vinca alkaloids were
17 known to be active in KS, and Taxol affects the same
18 cellular targets as the vinca alkaloids, although it causes
19 irreversible polymerization rather than depolymerization.

20 Dr. Wayne Saville in my group had shown that
21 Taxol had potent activity against a KS-derived spindle cell
22 line in vitro, and further in vitro studies by Kim Duncan
23 and Ed Sausville provided some evidence that it had
24 antiangiogenesis activity.

1 Since the initiation of this study, evidence
2 has emerged that Taxol can cause inactivation of bcl-2 by
3 phosphorylation. This may provide another possible
4 mechanism, especially as KSHV, a newly discovered herpes
5 virus that's believed to be a pathogenic agent for KS, has
6 recently been found to encode for a viral homolog of bcl-2.

7 The study was designed as a single center,
8 nonrandomized phase II trial to evaluate the efficacy and
9 safety of Taxol in AIDS-related KS. It utilized a two-
10 stage design to reject a response rate of less than or
11 equal to 30 percent.

12 The initial dose was chosen to be 135
13 milligrams per meter squared given over 3 hours every 3
14 weeks, giving an initial dose intensity of 45 milligrams
15 per meter squared per week. This was then increased or
16 decreased in each patient based on their tolerance.

17 Initially no hematopoietic growth factors were
18 utilized unless these were medically indicated for another
19 condition such as ganciclovir therapy for cytomegalovirus.

20 Taxol was to be given until progressive disease
21 or unacceptable toxicity occurred. Retreatment was
22 permitted for responders.

23 An amendment to the protocol permitted the use
24 of G-CSF support for those patients whose disease had not

1 responded or had progressed in association with a lower
2 dose of Taxol. A new baseline KS evaluation was
3 established at the time the G-CSF regimen was added.

4 Patients who had advanced HIV-associated KS
5 warranting systemic chemotherapy were eligible. However,
6 patients who had substantially symptomatic or other acutely
7 life-threatening KS were not eligible because when we
8 started, we did not know whether Taxol had activity in KS.
9 Patients could have had no more than one prior regimen of
10 systemic cytotoxic chemotherapy and had to have a Karnofsky
11 performance status of at least 70 percent. Concomitant
12 antiretroviral therapy was allowed. This therapy was not
13 changed unless a medical indication arose during Taxol
14 therapy.

15 The study was conducted under an NCI IND, and I
16 thank CTEP for their support in this. The protocol called
17 for clinical evaluation of KS every course and photographic
18 and radiologic evaluation every two courses. In all, 29
19 patients were accrued between September 1993 and January
20 1995. Presented here will be the follow-up on those
21 patients through July 1996.

22 The patients who entered all had Karnofsky
23 scores of 80 or 90. Overall the patients were quite
24 immunosuppressed. The median CD4 count at entry was 15

1 cells per cubic millimeter, and 22 of the patients had a
2 baseline CD4 count of less than 50 cells per cubic
3 millimeter.

4 All the patients entered onto the study had at
5 least two poor risk criteria. 97 percent had extensive KS
6 with either edema, oral involvement, or visceral
7 involvement. 90 percent had a CD4 count of less than 200,
8 and all the patients had one of the manifestations of
9 systemic illness.

10 Shown here is the KS involvement of various
11 disease sites at entry. 28 of the 29 patients had some
12 involvement of the skin. The remaining patient had
13 pulmonary disease only. As seen here, the patients
14 generally had extensive involvement with KS. In
15 particular, 6 patients had visceral disease, 5 of the lung
16 and 1 of the GI tract.

17 19 of the patients had had some prior systemic
18 therapy, including 8 who had had systemic cytotoxic
19 chemotherapy. Of those, 5 had received systemic
20 anthracyclines, either DaunoXome or doxorubicin, as part of
21 an ABV regimen.

22 Shown here is an analysis of the therapy
23 actually received. The patients received from 2 to 39
24 courses of Taxol with a median of 10. The median dose

1 intensity received was 38 milligrams per meter squared per
2 week. As of July 1996, 3 patients were still continuing on
3 therapy after receiving Taxol for 20 to 30 months.

4 26 of the patients received antiretroviral
5 therapy with nucleoside analogs. 2 also received protease
6 inhibitors. In both of those cases, the protease
7 inhibitors were started after the patient had achieved a
8 partial response.

9 9 of the patients received G-CSF after having
10 progressed on the initial phase of the study, while 9
11 received it for some other indication, most commonly
12 concomitant ganciclovir therapy.

13 Shown here are the responses as assessed by Dr.
14 Jamie von Roenn, the outside reviewer, on the initial
15 3-hour Taxol regimen without protocol-related G-CSF. 2
16 patients achieved a complete response, 1 of which was a
17 clinical CR, and 18 patients achieved a partial response.
18 Each of these responses agreed with the assessment of our
19 protocol team. 8 patients were assessed as having stable
20 disease and 1 had progressive disease. Overall 20 of the
21 29 patients responded, yielding a major response rate of 69
22 percent, with a 95 percent confidence interval from 49 to
23 85 percent.

24 The median duration of response from entry to

1 progression was 7 months, with a range from 3.5 to 29.2
2 months.

3 Shown here is an analysis of the improvement
4 broken down by disease site. Overall 23 of the 28 patients
5 had improvement in their cutaneous disease. It is worth
6 noting that each of the 5 patients with pulmonary disease
7 responded and that 16 of the 18 patients with edema had
8 lessening of this disease manifestation.

9 To give a sense of the patient benefit
10 achieved, shown here is a photograph of patient number 19
11 who had facial KS at entry, and shown here is the same
12 patient after 10 months of treatment with Taxol.

13 Shown here is a CT scan of patient number 14
14 who had extensive pulmonary KS at entry. Shown here is a
15 scan of the same patient taken approximately 8 weeks later,
16 and as can be seen, there is dramatic improvement.

17 Shown here is the time from entry to disease
18 progression in the group of patients. The median time to
19 progression on this study was 5.5 months.

20 Shown here is the survival curve. The median
21 survival of the patients was 14.1 months. As noted before,
22 these patients were generally severely immunosuppressed at
23 entry with 22 of the 29 having less than 50 CD4 cells.

24 This survival is within the range expected at that time in

1 such patients even without Kaposi's sarcoma.

2 The most frequent dose-limiting toxicity seen
3 was bone marrow suppression. All the patients had some
4 neutropenia, and 76 percent had grade 4 neutropenia. 10
5 percent of the courses were associated with febrile
6 neutropenia.

7 In all, 22 of the patients developed
8 opportunistic infections during a total of 50 courses of
9 therapy. This is within the expected range, given the
10 degree of immunosuppression at entry. The most frequent OI
11 seen was cytomegalovirus retinitis.

12 As seen here, the non-hematologic toxicity was
13 generally similar to that found in other studies of Taxol.
14 Hypersensitivity reactions, peripheral neuropathy,
15 arthralgias, and alopecia were the most common seen. It
16 should be pointed out that most of the patients had other
17 causes for their neuropathy, especially their underlying
18 HIV infection and nucleoside anti-HIV drugs, and it was
19 hard to separate out the contribution of Taxol.

20 In addition to what is shown here, 2 black
21 patients on the study developed elevated creatinines. This
22 is often a complication of HIV infection, however, in this
23 population. 1 of these patients also developed severe and
24 eventually lethal cardiomyopathy which was found on autopsy

1 to be related to thrombotic thrombocytopenic purpura.

2 In summary, this study first established the
3 activity of Taxol in patients with AIDS-related Kaposi's
4 sarcoma. All patients had poor risk, extensive KS,
5 warranting systemic chemotherapy. Taxol induced an overall
6 response rate of 69 percent with a median duration of 7
7 months. All 5 patients with pulmonary KS responded.
8 Therapy was relatively well tolerated with a median of 10
9 Taxol courses administered.

10 Finally I want to acknowledge some of the
11 collaborators who contributed to this project. I should
12 especially mention Dr. Wayne Saville who first spearheaded
13 the project with me, Dr. Lauri Wells, and Jill Lietzau, the
14 principal research nurse.

15 I would now like to turn the podium over to Dr.
16 Parkash Gill who will describe the joint study done at USC
17 and Harvard Medical School.

18 DR. GILL: Thank you very much, Bob. I'd like
19 to thank the Chairman, ladies and gentlemen.

20 This study 281 was designed based on
21 preclinical evaluation of Taxol in Kaposi's sarcoma cell
22 lines showing that IC50 was just over 1 nanomol and far
23 below the plasma concentrations achieved with standard dose
24 Taxol. We hypothesized that lower peak levels of Taxol

1 should be active.

2 This trial therefore adopted a dosage of 100
3 milligrams per meter squared as a 3-hour infusion and was
4 the first effort to explore that possibility. This phase
5 II nonrandomized trial was designed to evaluate the
6 efficacy and safety of Taxol in patients with advanced KS.

7 Patients were accrued in two strata based on
8 their prior history of systemic chemotherapy. The study
9 was designed with the intent to treat 25 patients per
10 stratum, with an expected response rate of 40 percent or
11 better in each stratum.

12 Patients were eligible for this study if they
13 had advanced KS, defined by symptomatic visceral disease,
14 edema, or extensive mucocutaneous disease. The Karnofsky
15 performance status of 60 or above was required, and the use
16 of concomitant antiretroviral therapy and hematopoietic
17 growth factors were also allowed.

18 An investigator-initiated IND was submitted in
19 November of 1994 and exempted by the FDA since Taxol was
20 commercially available and no new toxicities were expected
21 at this dosage. KS evaluations were planned every two
22 cycles. Evaluation of patients with visceral KS was
23 planned with endoscopy and radiographic evaluation at
24 baseline and at the time of maximal response.

1 Detailed disease evaluations were performed
2 prior to every other cycle and recorded on standardized
3 forms. In addition, photographic documentation of the
4 indicator lesions and selected areas of disease, such as
5 facial KS, tumor-associated edema, and tumor ulceration,
6 were performed as indicated.

7 Overall 56 patients were accrued, 2 were women.
8 13 patients were accrued in Boston and 43 in Los Angeles.
9 16 of the patients were Hispanic and 6 were black.

10 Karnofsky performance status was available in
11 43 patients. Several patients had missing data. However,
12 46 percent of all patients had a KPS of 70 or less.

13 Patients had far advanced AIDS, with a median
14 CD4 count of 20 cells per cubic millimeter. 39 of the 56
15 patients had a CD4 count below 50.

16 Similarly patients accrued in this study had
17 widespread disease. 39 patients had tumor-associated
18 edema, and 32 had oral KS. 24 of all patients had visceral
19 disease. 1 of the patients had both pulmonary and GI
20 disease. In general, overall KS was seen in 29 percent of
21 patients in the lungs and 16 percent in the GI tract. Only
22 9 patients of this study had KS limited to the skin.

23 Application of TIS staging criteria were
24 applied to all patients, and all patients had one or more

1 indicators of poor risk disease. In fact, 68 percent of
2 all patients had three poor risk categories. 91 percent of
3 patients had advanced tumor defined by either tumor-
4 associated edema or extensive oral or visceral disease. 88
5 percent of the patients had a CD4 count less than 200, and
6 86 percent of all patients had B symptoms, KPS less than
7 70, a history of opportunistic infections.

8 The majority of the patients were heavily
9 pretreated. 40 patients had received systemic therapy
10 prior to study entry. 36 had received chemotherapy, of
11 which 33 had received anthracycline. Notably 18 patients
12 had received liposomal anthracyclines as well. Of the 40
13 patients with prior systemic therapy, 16 had received 1
14 prior regimen, whereas 24 patients had received between 2
15 and 6 prior regimens.

16 Looking at Taxol therapy in all study patients,
17 the median number of courses given was 10, with a range of
18 1 to 35. The median dose intensity in this study was 39
19 milligrams per meter squared per week. This analysis took
20 into account all treatment delays and dose modifications.
21 At the time of this analysis, 19 patients were still on
22 therapy 7 to 17 months after study entry.

23 The majority of the patients also received
24 specific antiretroviral therapy. 37 patients received one

1 or more nucleoside analogs, and 20 patients received a
2 protease inhibitor. Only 3 patients received protease
3 inhibitors prior to the documentation of response. The
4 majority of the patients were not started on protease
5 inhibitors until after 11 courses of therapy.

6 G-CSF was given to 77 percent of the patients.
7 28 patients received G-CSF from the start of study due to
8 neutropenia at baseline. 15 patients were started on G-CSF
9 sometime during the study. 13 patients never received
10 G-CSF during this trial.

11 The overall response rate in this study
12 population of advanced KS applying the ACTG criteria was 59
13 percent, with complete remission in 1 patient and partial
14 in 32. Notably 9 of 18 patients -- that's 50 percent --
15 who had previously been treated with liposomal
16 anthracyclines, also showed response. These response rates
17 represent the independent assessment by Dr. Susan Krown.

18 Responses in this patient population were
19 durable, with a median of 10.4 months, ranging from 2.8 to
20 18 months.

21 Efficacy was also assessed by disease site.
22 Decrease or resolution of KS at various sites was based on
23 case records and serial photographs when necessary. Taxol
24 had a significant impact on reduction or resolution of KS

1 lesions in most locations. Even for patients with
2 pulmonary KS, 7 of 16 patients showed improvement either by
3 radiographic findings or by pulmonary symptoms. I'd like
4 to show some examples of responses in this trial.

5 The first example is of a patient with facial
6 KS who showed marked and durable response. The second
7 picture is cycle 11 several months later.

8 A female patient with extensive oral KS at
9 baseline and difficulty eating. The oral KS resolved
10 completely and the response persisted over a year. There
11 is some residual pigmentation at the disease site.

12 This patient had confluent and ulcerated KS on
13 the lower extremity associated with pain. This disease
14 developed after previous failure to ABV and DaunoXome.
15 After 1 month of therapy, the ulcer had healed with
16 improvement of the area of KS confluency.

17 This patient had previously been treated with
18 liposomal daunorubicin, ABV, and etoposide. He had
19 extensive lower extremity edema and KS of the feet. He
20 responded to Taxol with near complete resolution of KS and
21 improvement of edema.

22 This patient with a history of prior cytotoxic
23 chemotherapy developed progressive, symptomatic pulmonary
24 KS. The baseline CT scan in November 1995, compared to

1 repeat study 3 months later, showed marked response. A
2 bronchoscopy and biopsy in May 1996 showed no evidence of
3 pulmonary disease. The patient remains alive and on study.

4 Looking at time to progression, time to first
5 treatment failure was also assessed applying the ACTG
6 criteria for disease progression. The median time to
7 progression for the whole patient population was 6.9
8 months. In this analysis, 17 patients were censored for
9 the following reasons. 9 had not yet progressed. 4
10 received secondary therapy without prior assessment of
11 progression, and 4 patients were lost to follow-up.

12 At the time of this analysis, 33 patients were
13 still alive and the median survival in this poorest
14 population was estimated at 13.7 months.

15 Patients in this study were also asked to
16 complete the Heidelberg quality of life questionnaire. I
17 should note that only a few of the questions directly
18 address the symptoms related to KS. This graph represents
19 the median change from baseline in the global score. For
20 the patients who completed the questionnaire, these changes
21 suggest an improvement in quality of life.

22 Overall Taxol therapy was well tolerated in
23 these severely immune suppressed patients. Neutropenia of
24 grade 4 severity was observed in only 35 percent of the

1 patients. Furthermore, only 9 percent of the patients, or
2 1 percent of the courses, were associated with neutropenic
3 fever. Opportunistic infections were observed in 31
4 patients and the rate was 12.8 per 100 patient-months.

5 None of the patients experienced severe
6 hypersensitivity reaction. Grade 1 and 2 neuropathy was
7 reported in 46 percent of the cases and grade 3 in 1 case.
8 Neuropathy may in part be secondary to underlying HIV
9 infection, prior use of vinca alkaloids, and concomitant
10 use of neurotoxic agents such as ddI, ddC, or d4d. 16
11 percent of the patients experienced severe arthralgia or
12 myalgia at some time during the trial. Alopecia was
13 common.

14 11 patients showed disease progression.
15 Another 11 patients died during the trial, 2 of them as a
16 result of Taxol-induced neutropenic sepsis. 5 patients
17 discontinued Taxol therapy due to adverse events, 2 for
18 prolonged myelosuppression, 2 for alopecia, and 1 for
19 malaise.

20 In summary, patients in this study had
21 extensive symptomatic and progressive KS. All patients had
22 poor risk disease, and the majority had previously received
23 systemic therapy. Taxol achieved an overall response rate
24 of 59 percent with a median duration of 10.4 months.

1 Therapy was well tolerated with a median of 10 Taxol
2 courses given.

3 On a personal note, Dr. Scadden and I, after
4 having treated several hundred patients, find that Taxol is
5 one of the safest drugs in treatment of Kaposi's sarcoma at
6 this dosage and schedule. Furthermore, it's one of the
7 most active agents in patients who have failed prior
8 therapy that is used commonly in patients with advanced
9 disease.

10 Dr. Benjamin Winograd will now present the
11 integrated summary of both trials. Thank you.

12 DR. WINOGRAD: Chairman, ladies and gentlemen,
13 I would like to summarize the efficacy and safety of Taxol
14 in AIDS-related Kaposi's sarcoma and compare the safety
15 data in KS patients with what is known in patients with
16 ovarian and breast cancer. I will summarize all data from
17 these phase II studies for the three patient populations,
18 considering all 85 patients. 59 of these had previously
19 received systemic therapy, and 38 among those had
20 previously received an anthracycline.

21 The great majority of patients had become
22 resistant or were intolerant to their prior therapy,
23 specifically 50 out of the 59 who had received systemic
24 therapy, and 34 out of the 38 who had received

1 anthracycline containing therapy. Note that the majority
2 had become resistant, 43 and 29 patients, respectively.

3 The overall response rate, as assessed by
4 independent reviewers and using the ACTG criteria in these
5 three cohorts, was 62 percent considering all patients, 63
6 percent considering the patients with prior systemic
7 therapy, and 53 percent for those who had previously
8 received anthracyclines.

9 The median duration of response, using the WHO
10 criteria, was quite similar, between 8.2 and 10.4 months.

11 Similar efficacy results were seen in the
12 subset of patients resistant to prior therapy or resistant
13 to prior anthracyclines with response rates in excess of 50
14 percent and prolonged response duration.

15 Time to progression, as analyzed for all
16 patients, was quite similar in the respective patient
17 populations of all of previously treated patients, with 5.6
18 to 6.5 months.

19 Also survival was analyzed for the three
20 populations. It should be noted here that this data is
21 quite mature with a median follow-up for survivors of 11.8
22 months. Median survival was similar and exceeded 1 year in
23 all three populations.

24 Our retrospective analysis of patient benefit

1 was possible because of detailed, well-documented, and
2 prospective data collection in both studies. This included
3 more than 12,000 pictures for documentation. We evaluated
4 the improvement and duration of improvement of symptoms and
5 parameters which are typical for Kaposi's sarcoma and
6 affect quality of life.

7 A total of 57 patients presented with KS-
8 related edema of the legs, face, or scrotum. The status of
9 edema was recorded prospectively in the patient charts.
10 Based on these records in total, 83 percent of patients had
11 an improvement of their edema, including complete
12 resolution in 44 percent of the patients. This benefit was
13 seen in all patient populations and was durable.

14 In this picture -- and the patient agreed to
15 show these photos -- you see a patient whose KS facial
16 edema interfered with his vision. Two weeks later you see
17 partial resolution occurred, and this benefit is maintained
18 as of today.

19 KS lesions on the feet were documented on
20 baseline by photographs in a total of 19 patients. Serial
21 photographs were reviewed and compared to any additional
22 case notes. A decrease in disease on study was assessed
23 for 84 percent of all patients.

24 This patient had previously received three

1 regimens of chemotherapy. The baseline photo shows
2 widespread lesions on his right foot. A marked improvement
3 occurred within 5 weeks.

4 Facial KS lesions were documented at baseline
5 in a total of 34 patients. Sequential evaluations of
6 marker lesions as raised or flat and photographers were
7 available. Based on these evaluations, 65 percent of all
8 patients improved. This improvement was maintained for a
9 median of 13.1 to 14.1 months.

10 This female patient -- and again the patient
11 agreed to show these photos -- had multiple lesions which
12 continued to be cleared about 1 year later.

13 There were overall 26 patients who started
14 Taxol protocol with a Karnofsky performance status of 70 or
15 less. 17 patients, or 65 percent, had an improvement of at
16 least 10 points on study, and this improvement lasted for a
17 median of 4.6 months.

18 A total of 31 patients had pain related to KS
19 at baseline. For 7 patients, an improvement of at least 1
20 CTC grade was documented in the case notes. For many other
21 patients, the documentation was not comprehensive.

22 A total of 21 patients had biopsy-proven
23 pulmonary KS at baseline which often was symptomatic. Of
24 these, 57 percent had an improvement on study. For 1

1 patient, disease resolved completely, and for the other 11,
2 or 52 percent, a decrease in disease volume was documented
3 radiologically. The duration of improvement lasted for a
4 median of 7.4 months for all three populations.

5 This is a response in the anthracycline
6 pretreated patients after 2 months of Taxol therapy.

7 My last few slides will deal with safety. We
8 are going to compare safety in this population to our vast
9 database, and particularly to data that the agency has
10 previously reviewed at the time of our submission for
11 second-line ovarian and breast cancer.

12 We will use safety for Taxol at the presently
13 recommended dose of 175 milligrams per square meter as one
14 comparison. 181 patients had received a median of 6
15 treatment courses.

16 The other comparison stems from a patient
17 population who received Taxol at lower than the recommended
18 dosage and a dose intensity similar to what the KS
19 population had received. This population received a median
20 of 5 treatment courses.

21 Due to the similarity in planned and actual
22 delivered dose intensity for Taxol in the two KS studies,
23 we have pooled the safety data for this comparison.

24 Myelosuppression in this severely

1 immunosuppressed population was more severe than in
2 patients with solid tumors. The incidence of grade 4
3 neutropenia was higher and febrile neutropenia occurred in
4 25 percent of patients and 5 percent of courses. This
5 increase of myelosuppression has to be judged in view of
6 the underlying HIV disease, the high number of cumulative
7 treatment courses, and in view of prior and concomitant
8 myelosuppressive medications.

9 Despite the increased number of courses for
10 patients with KS, the incidence and severity of typical
11 nonhematologic Taxol toxicities was similar.

12 In summary, Taxol achieved higher response
13 rates of 59 percent and 69 percent verified by independent
14 reviewers in two trials in patients with advanced Kaposi's
15 sarcoma.

16 Taxol induced lasting improvement of parameters
17 associated with patients' function and quality of life:
18 edema, foot or facial KS, low Karnofsky performance status,
19 pain and pulmonary KS.

20 The high efficacy of Taxol was observed in
21 patients who received prior systemic therapy prior
22 anthracyclines or who were resistant or intolerant to prior
23 therapy.

24 Prolonged therapy with Taxol was tolerated in

1 these immunosuppressed, heavily pretreated patients with
2 advanced stage Kaposi's sarcoma.

3 The safety profile was comparable to that of
4 patients with previously treated carcinomas of the ovary
5 and of the breast.

6 In view of the large existing safety database,
7 a dosage of 135 milligrams per square meter every 3 weeks
8 can be recommended.

9 In conclusion, the efficacy and safety of Taxol
10 previously documented in cancer patients who had received
11 or failed on prior therapy, including anthracyclines, are
12 confirmed in patients with AIDS-related Kaposi's sarcoma.
13 Therefore, we propose Taxol is recommended for the
14 secondary treatment of patients with AIDS-related Kaposi's
15 sarcoma.

16 Thank you and I'm happy to address any
17 questions.

18 DR. DUTCHER: Are there questions from members
19 of the committee for the company?

20 DR. ABRAMS: We're focusing on secondary
21 treatment, and I noted that in Dr. Gill's study it was
22 planned to have 25 patients without prior therapy and 25
23 with. It appears that 40 patients had prior therapy I
24 guess and 16 didn't. Is there any information on response

1 rates in the 16 patients who were receiving Taxol as their
2 first-line therapy?

3 DR. WINOGRAD: Basically what you have seen is
4 that the response rate stays in the order between 50 and 60
5 percent no matter whether you analyze all patients, you go
6 down to those who have received systemic therapy, those who
7 have received anthracycline or were resistant. So, I don't
8 have the exact number right now. Somebody is going to look
9 it up. However, it is going to be between the 50 and 60
10 percent.

11 DR. ABRAMS: Is there a reason why 25 patients
12 were not accrued who are naive to therapy?

13 DR. GILL: It was simply because the patients
14 coming in had previously failed other therapies and tended
15 to accrue more patients into previously treated categories.
16 So, we had planned that way but the outcome is different
17 than what we had planned.

18 DR. KROOK: One of the things that this morning
19 we commented on, since we're dealing with AIDS, was that
20 the people who had complete responses to second-line
21 therapy had had a prior response to a prior therapy. The
22 point was made, and I was just curious what the response to
23 the prior therapy was. Was it similar?

24 DR. WINOGRAD: Yes. When we collected the

1 data, we looked at what was available as far as best
2 response to previous therapy as well as why did the patient
3 come off therapy. Information on best response to prior
4 therapy was submitted in the original study reports and we
5 could cite you those numbers from there. Is it that number
6 that you want to see?

7 Marion, could you please cite the best response
8 to prior therapy for the 281 study?

9 Again, we are looking at all the treatment
10 regimens that those patients have received. So, I think in
11 study 281 there were a total of 92 treatment regimens
12 received, so the numbers you hear refer to 92 regimens
13 because we looked for each regimen separately.

14 Best response to prior systemic therapy. 55
15 percent of the 92 regimens had a response.

16 However, if you look in our analysis of
17 resistant or intolerant, a patient that had as best
18 response a progression or progressed after at least 3
19 courses was considered resistant. So, this is an analysis
20 where each patient was only considered once.

21 DR. KROOK: As I recall in the document that I
22 reviewed, there was a difference between intolerant, which
23 means I don't want anymore -- there was toxicity -- and
24 then resistant. There were probably some people who were

1 intolerant who said I no longer want to take this. I
2 rarely in non-AIDS have people refuse therapy for alopecia,
3 and here it's a different population.

4 DR. WINOGRAD: Yes, but you have to look at the
5 intolerant in the patient population I guess. The majority
6 of patients in fact, as I showed, were resistant to prior
7 therapy and a small number also were only intolerant.

8 DR. KROOK: My second question you might have
9 answered is that as the two studies went on, there were
10 patients who received G-CSF. Was there a different
11 response rate as the doses were escalated? In some people
12 G-CSF was added and the dose was escalated, if I remember
13 right.

14 DR. WINOGRAD: Yes. The design of the two
15 studies was a little bit different in that in the NCI study
16 every patient started without G-CSF and the aim was to give
17 the highest possible dose. So, if patients tolerated,
18 patients were escalated without G-CSF.

19 In the study at USC in Boston, the dose was
20 always kept at 100 milligrams per square meter. The
21 patient could or could not have G-CSF up front.

22 The response that is analyzed in both studies
23 is for that first segment. Then there was a second segment
24 only in the NCI study where a patient after initial dose

1 reduction and progression could go on to receive Taxol plus
2 G-CSF and come back with the originally planned dose. But
3 the efficacy analysis, as we showed today and as it's
4 reported in the reports, refers to the first segment of the
5 study.

6 DR. KROOK: Did you see responses when the
7 G-CSF was added back?

8 DR. WINOGRAD: It's best for Dr. Yarchoan to
9 address.

10 DR. YARCHOAN: We had I think about 8 patients
11 where they in general had responses but then, because of
12 neutropenia, we had to lower the dose of Taxol, and we then
13 introduced G-CSF. As I recall, 2 of them then went and had
14 a subsequent response. We reset the baseline when they
15 restarted on G-CSF. So, we did have those people who were
16 responding a second time, but no new responses were
17 introduced as a result of the G-CSF addition, as I recall.

18 DR. DUTCHER: Dr. Schilsky?

19 DR. SCHILSKY: I have a couple of questions.
20 Can someone just summarize for us what the
21 Taxol premeds that were used for these studies were?

22 DR. WINOGRAD: The Taxol premed was pretty much
23 the three-drug combination as is used in solid tumors.
24 However, there was the intent to use less dexamethasone.

1 Specifically I believe in the NCI study, the dose was 10
2 milligrams of oral dexamethasone. In the USC and Boston
3 study, they tended to reduce the dose of dexamethasone as
4 they went from course to course and saw that the patient
5 didn't have a significant hypersensitivity reaction with
6 the reduced dose of dexamethasone.

7 DR. SCHILSKY: Is there any data on
8 dexamethasone activity in KS?

9 DR. GILL: There's actually published data that
10 the use of steroids, glucocorticoids, enhance tumor growth
11 and withdrawal leads to tumor progression, and the
12 mechanisms of that have been also studied.

13 DR. SCHILSKY: Another question relates to what
14 happened to the CD4 counts in the patients during the time
15 that they were receiving Taxol?

16 DR. WINOGRAD: Could we go to section L and you
17 could flip just through patient by patient and give a few
18 examples of CD4 counts over time?

19 DR. CANETTA: As we found the results, we can
20 give you the answer to the question of efficacy in
21 previously untreated patients. The response was 11 out of
22 16, or 69 percent.

23 DR. WINOGRAD: This first slide shows you a
24 patient that started roughly with a CD4 count of 4,

1 achieved a partial response, and continued with a low CD4
2 count.

3 Next, this is a patient that starts with a CD4
4 count of 45 to 60 and goes down, achieves a partial
5 response here.

6 Next, a patient that starts with a CD4 count of
7 14, and I'm just going patient by patient for the NCI
8 study.

9 Go to the next patient please. A CD4 count of
10 15, partial response, and this is the continuous CD4
11 counts.

12 CD4 count of 0 and stayed 0.

13 CD4 count of 100, 75. The patient achieves a
14 partial response, has a reduction in CD4 count.

15 CD4 count of 10, partial response.

16 Baseline CD4 count of 50.

17 DR. SCHILSKY: I think I've seen enough. Thank
18 you.

19 (Laughter.)

20 DR. SCHILSKY: I have one other question if I
21 might, and that is do you have any data on the percent of
22 patients who actually received the intended dose intensity
23 in the studies? Because in both studies, the delivered
24 dose intensity was just under 40 milligrams per meter

1 squared per week. Although when you look at the range of
2 the delivered dose intensity in both studies, the range
3 extended above 45 to 50 milligram per meter squared. So,
4 I'm curious to know about what percent of patients actually
5 got the intended dose intensity.

6 DR. WINOGRAD: Okay. The fact why patients
7 could have a higher than intended dose intensity comes from
8 the NCI study where a patient, as I said, could be
9 escalated.

10 Could I please have slide E29? That will give
11 you the dose intensity and the proportion of patients
12 versus the intended dose intensity. This shows you
13 relative dose intensity, more than 80 percent of planned
14 dose or less than 80 percent of planned dose. If you look
15 in the total population, roughly half of the patients
16 received more than 80 percent of planned dose intensity and
17 the other half of patients received less than 80 percent of
18 the planned dose intensity. Is that what you were asking
19 for?

20 DR. SCHILSKY: That will be fine. Thanks.

21 DR. WINOGRAD: Okay. And if you go over to the
22 subpopulation of the prior systemic or prior anthracycline,
23 it's roughly the same.

24 DR. DUTCHER: Dr. Swain?

1 DR. SWAIN: Could you comment on your choice of
2 a recommended dose of 135 every 3 weeks since you seem to
3 have less toxicity on the 100 every 2 weeks?

4 DR. WINOGRAD: Why the choice?

5 DR. SWAIN: Yes.

6 DR. WINOGRAD: It's basically what I had in the
7 summary slide. We feel that our vast safety experience for
8 the drug comes from a dose given every 3 weeks. The
9 experience with the lower dose every 2 weeks is from this
10 one study and the database is growing. As you have seen,
11 the two studies that ECOG is planning or the one that has
12 just started both use the lower dose every 2 weeks.

13 We feel that at the present time with the large
14 safety database that we have overall for the drug, we feel
15 more comfortable recommending that dose. That doesn't
16 exclude that at the point that, for instance, the data on
17 the randomized study is available. That could be switched.

18 DR. SWAIN: Because the febrile neutropenia
19 level was very high for the KS patients in the first study.

20 DR. WINOGRAD: Yes, but again remember that the
21 design of the study is not entirely similar. A, in the USC
22 study the patient who seemed to need G-CSF up front got
23 G-CSF up front. The patient in the NCI study, on the other
24 hand, was sort of dosed to reach toxicity, then got the

1 dose reduced, and only at the point of progression or if
2 there was another reason, G-CSF was only added at that
3 point. So, this design asked for a higher incidence of
4 neutropenia and neutropenic fever. Again, the reason is
5 the experience with the drug at the present dose schedule.

6 DR. SWAIN: I had one other question. Do you
7 have any idea what the effect of protease inhibitors would
8 be on the duration of response?

9 DR. WINOGRAD: On the duration of response,
10 that is obviously difficult to address. What we have is we
11 have analyzed at what point the patients start on protease
12 inhibitors. Again, both of the studies started at an area
13 that the protease inhibitors were not available.

14 Could I have, please, slide section D and slide
15 17?

16 This analyzes the use and the start of protease
17 inhibitors for study 281. In fact, in that study 20
18 patients received protease inhibitors during any time of
19 study. The other two patients in the whole population Dr.
20 Yarchoan described when he described his study
21 presentation. 20 patients received protease inhibitors at
22 any time. The onset of protease inhibitors was a median at
23 course 11, and the start ranged between course 1 or course
24 22 that the protease inhibitor was started.

1 18 of the 20 patients were responders according
2 to the ACTG criteria. 3 of the 18 got the protease
3 inhibitor prior to the assessment of a response. 15
4 received the protease inhibitor after the assessment of a
5 response. In fact, 5 of those 15 received a protease
6 inhibitor only at the point they had already progressed,
7 using the ACTG criteria. So, I think the time period that
8 these studies were done, if the patient received a protease
9 inhibitor, they received them relatively late.

10 The question as to what is the impact on
11 duration I can't really exactly answer.

12 DR. ABRAMS: Also relevant, I note that the
13 P450 isoenzyme CYP2C8 and CYP3A4 are involved in the
14 metabolism. Have there been any studies done or have you
15 checked on any of the levels of the protease inhibitors?

16 DR. WINOGRAD: Yes. What we have analyzed in
17 the present population for the 22 patients who got protease
18 inhibitors to see whether it interferes with metabolism of
19 Taxol, the first thing that you would see most likely is an
20 increase in the dose-limiting hematologic toxicity. In our
21 analysis, there were similar rates of myelosuppression
22 whether a patient received or a patient didn't receive
23 protease inhibitors.

24 As was mentioned in the introduction by Dr.

1 Canetta, ECOG is presently conducting a prospective study
2 where patients receive constant protease inhibitors and
3 Taxol. There are four different strata for 6 patients each
4 to assess plasma levels of Taxol and of the protease
5 inhibitors and prospectively study that. So, this is
6 ongoing.

7 DR. ABRAMS: I guess the surrogate endpoint of
8 that would have been if you saw any changes in HIV viral
9 load in patients in this study that would have suggested
10 that maybe you were losing the impact of the protease
11 inhibitor activity.

12 DR. WINOGRAD: Is there anything you could say
13 to that point, Dr. Gill?

14 DR. GILL: No.

15 MR. MARCO: Also, don't you think that most of
16 these patients started with saquinovir? And they were
17 probably doing saquinovir monotherapy. This was a few
18 years ago when we didn't know how to use these drugs. So,
19 they were having inadequate antiretroviral therapy.

20 DR. DUTCHER: Do you have a question?

21 MR. MARCO: I do. I have two questions.

22 My first was about the pulmonary KS. The
23 response rates seemed impressive, especially for the 5 of 5
24 patients from the NCI study. Do you know, in the

1 literature, other response rates for pulmonary KS or at
2 least for, say, Doxil or DaunoXome?

3 DR. WINOGRAD: Dr. Gill, do you want to comment
4 on that?

5 DR. GILL: Yes. The literature goes back 10,
6 15 years. So, you have to consider all the changes in
7 therapy. But the response rate with combination
8 chemotherapy in pulmonary KS is quite high, in the range of
9 50-60 percent.

10 There is a recent study of DaunoXome in
11 pulmonary KS alone at a higher dose of 60 milligram per
12 meter squared. That is first line in patients who have not
13 previously been treated with chemo. The response rate is
14 around 50 percent.

15 MR. MARCO: What about the survival? I think
16 it's 7.4 months in this, in the combined studies. Do you
17 know what the survival was?

18 DR. GILL: Yes. Survival in patients with
19 pulmonary KS who have no treatment is about 2 months.
20 Those who have treatment, first line is around 7 to 8
21 months. Patients who have pleural effusion along with
22 pulmonary KS and have chemotherapy have a dismal outcome of
23 about 2 and a half months. So, in general, the outcome is
24 very poor, far below 7 months, and that is first-line

1 treatment.

2 DR. WINOGRAD: You mentioned the survival. I
3 didn't show the survival for patients with visceral
4 disease, but as you mentioned it, the survival in the
5 pooled analysis in the patients in this study is 13.7
6 months for the 31 patients with visceral disease. So, it's
7 pretty much the same as the overall population.

8 MR. MARCO: Also my last question was about
9 access to therapies because basically I think 50 of the
10 prior systemic therapy patients were resistant or
11 intolerant. Did they have any other treatment options? Do
12 you know if the anthracyclines were available to them at
13 that time?

14 DR. WINOGRAD: Well, 19 of the patients who
15 went into these two studies had already received one of the
16 two liposomal anthracyclines and were resistant to the
17 respective liposomal anthracycline. So, 19 patients --

18 MR. MARCO: Right. Weren't those from Dr. Gill
19 and Dr. Scadden mostly because they were already on the
20 studies, but were the drugs approved? Were there any other
21 treatment options for these patients? That was my
22 question.

23 DR. WINOGRAD: At the time that these studies
24 were conducted?

1 MR. MARCO: Exactly.

2 DR. WINOGRAD: I think that's better for the
3 investigators to address.

4 DR. GILL: No. DaunoXome wasn't approved and I
5 think Doxil was approved very late, actually not during the
6 NCI trial but during the second trial, the later part of
7 the second trial. So, those drugs actually were not
8 available. So, the reason we had several patients on who
9 had previously been treated with liposome therapy were
10 because they were on those trials at the time.

11 DR. DUTCHER: Dr. Schilsky?

12 DR. SCHILSKY: I'd like to come back to the
13 question of the dosing for a moment because I guess I'm a
14 little concerned about the proposed dose. You have two
15 studies and the proposed dose is from the study that has
16 half the number of patients that the other study has. So,
17 you're proposing the dose from the study with the more
18 limited clinical experience.

19 Although I recognize that you've got this large
20 database at that dose level, when you look at, for example,
21 the febrile neutropenia that occurs, when you lump it all
22 together, you're integrated analysis showed that you had
23 febrile neutropenia in 25 percent of the KS patients
24 compared with only 3 percent of the patients at that dose

1 level of 135 with solid tumors.

2 However, when you look at the USC results,
3 there's febrile neutropenia in only 9 percent of patients
4 which in my mind compares more favorably with the solid
5 tumor results than when you lump everything together.

6 So, I'm wondering again about the selection of
7 the dose and why you would choose the dose that comes from
8 the more limited clinical experience in this patient
9 population and appears to be associated with a higher
10 degree of febrile neutropenia.

11 DR. WINOGRAD: Again, you have said what is the
12 reason that we are proposing at present that dosage and
13 regimen, and that is based on the large experience in solid
14 tumor and the similarity of the nonhematologic toxicities
15 in those. Again, the experience in the every 2 weeks 100
16 milligrams per square meter is 56 patients at that point,
17 and that's why we feel maybe less comfortable.

18 Again, I would really like that you remember
19 the differences in design in that those patients who seemed
20 to have myelosuppression up front got G-CSF immediately in
21 the USC study, and in the NCI study, they were treated and
22 dosed according to myelosuppression and tolerability. And
23 in the major part, only at the point they progressed and
24 had gone down with a dose well below the 135, then they got

1 G-CSF and started at 135 per meter squared again.

2 I see your point and obviously we had this
3 discussion internally. If you want, we could run through
4 some of the safety comparisons more than what you had seen
5 maybe. If you want, we can do that. We have examples.

6 DR. SCHILSKY: Let me just ask you what is your
7 proposal with respect to how G-CSF should be used if this
8 application would be approved?

9 DR. WINOGRAD: That's a very good question and
10 obviously this would build in on the experience between the
11 two studies and the experience of a relatively high
12 incidence of neutropenic fever if you give G-CSF late. I
13 think our policy would be to suggest supportive therapy
14 should be given as needed and that would include G-CSF.
15 So, the recommendation would be probably -- the proposition
16 would be to use G-CSF more liberal than what you maybe
17 originally did in your study.

18 DR. YARCHOAN: Just maybe one clarification
19 that may be useful. We initially elected not to use G-CSF
20 largely because we didn't know whether Taxol was going to
21 work and we didn't want to push a drug that we didn't know
22 was working with bone marrow support.

23 The other thing, just as background, is that
24 what is called febrile neutropenia here really means a

1 patient who's neutropenic and had a fever. A lot of these
2 patients have almost no CD4 cells, and a lot of time the
3 fever is due to cytomegalovirus disease or something else.
4 So, it's a little bit confusing in terms of sorting those
5 things out.

6 DR. WINOGRAD: But obviously we are not hooked
7 to that dosage and regimen. This is our proposal.

8 DR. DUTCHER: Dr. Williams?

9 DR. WILLIAMS: I'd like to ask why you think
10 you can give this to low performance status patients, on
11 the basis of what data, or why you think it's okay to give
12 it to low performance status patients because all the
13 patients that received the higher dose were high
14 performance status patients.

15 DR. WINOGRAD: I'm not sure I followed exactly.

16 DR. WILLIAMS: Well, the NCI trial was in
17 patients with good performance status. The other trial was
18 low performance status, but despite that fact, there's more
19 toxicity in the good performance status patients with the
20 135 dose. So, what's going to be the toxicity in low
21 performance status patients? Do you have any data or is it
22 just going to be --

23 DR. WINOGRAD: Again, I think this is something
24 for the -- we don't have more data, if you just look at the

1 performance status, in the regimen that we are proposing.
2 However, part of the Karnofsky performance status is also
3 driven by the underlying disease rather than what you are
4 used to from solid tumor patients where Karnofsky -- like
5 if a patient has swollen feet and can't walk, this
6 immediately impacts on your low Karnofsky performance
7 status. Is that a fair interpretation of the low Karnofsky
8 performance status?

9 DR. CANETTA: (Inaudible.)

10 DR. WILLIAMS: So, you're saying you don't
11 think performance status in AIDS Kaposi's -- that it's not
12 related to your tendency to myelosuppression. Is that what
13 the literature --

14 DR. WINOGRAD: I don't think that we can say it
15 as hard as that, but as Dr. Canetta said, there's no
16 difference in nonhematologic toxicity between the patients
17 with low performance status and high performance status,
18 i.e., between the two different studies.

19 DR. DUTCHER: Dr. Krook.

20 DR. KROOK: I was going to comment on Grant's
21 question. A 10 percent difference on a Karnofsky scale,
22 one study took down to 60 and the other to 70. That's not
23 quite the same as a level on the other scales. If I read
24 my notes right, there were 46 percent of that second study

1 that were between 60 and 70. So, I don't think there's a
2 big difference between the two. That really becomes
3 subjective whether they're 60 or 70 in my mind.

4 DR. DUTCHER: We need to take a 10-minute break
5 -- thank you very much. I think we're going to finish this
6 discussion at the end of the meeting -- to allow the FDA to
7 set up and we've got a couple of people who need to try to
8 catch airplanes. So, we're going to try to move along
9 quickly. So, we'll take a break now, but please be back
10 here in 10 minutes.

11 (Recess.)

12 DR. DUTCHER: Okay. We're going to move on now
13 with the FDA presentation. Dr. Chico is the reviewer and
14 Dr. Williams is the team leader. Dr. Chico.

15 DR. CHICO: Good afternoon. Dr. Dutcher, Dr.
16 Abrams, members of the advisory committee, Drs. Justice and
17 DeLap, my colleagues at the FDA, ladies and gentlemen,
18 today I'm presenting the FDA review of clinical studies on
19 the two pivotal trials for the efficacy supplement 20-262
20 on Taxol.

21 Before I proceed, I'd like to acknowledge the
22 members of the FDA review team: Dr. Grant Williams, our
23 medical team leader; Drs. Clare Gnecco and George Chi from
24 biostatistics; Drs. Mishina and Rahman from

1 Biopharmacology; Drs. Brower and Paul Andrews from
2 Pharmacology; Drs. Jee and Wood from Chemistry; Dr. Turner
3 from DSI; and our project manager, Dianne Spillman and
4 Dotti Pease, team leader.

5 This application seeks approval to market Taxol
6 in the United States for the second-line systemic
7 chemotherapy of patients with AIDS-related Kaposi's
8 sarcoma.

9 The proposed dosing schedule is 135 milligrams
10 per meter squared given as a 3-hour infusion every 3 weeks.

11 The primary endpoint of the studies in this
12 application is objective tumor response. Additional
13 clinical benefit is being sought from the retrospectively
14 collected data on six domains of clinical benefit. This in
15 addition to cutaneous tumor response is being presented to
16 obtain full approval of Taxol for this indication.

17 Between September 1993 and January 1995, the
18 first study was undertaken in order to assess the efficacy
19 of Taxol in AIDS-related KS. This study was performed at
20 the NCI, National Institutes of Health, in Bethesda,
21 Maryland, and designated as BMS139-174.

22 Between February 1995 and December 1995, the
23 second study was initiated in order to confirm the findings
24 of the first study. This trial was conducted at two study

1 sites, the Kenneth Norris Cancer Hospital and County
2 Hospital in Los Angeles, California, and at the New England
3 Deaconess Hospital and Massachusetts General Hospital in
4 Boston, Massachusetts. This study was designated as
5 BMS139-281.

6 Both are open label phase II studies with tumor
7 response as the primary efficacy endpoint. The secondary
8 efficacy endpoints for study 174 were not defined in the
9 protocol, while for study 281 they were defined as time to
10 tumor response, duration of response, and survival.

11 Two different dosing regimens were utilized.
12 For study 174, patients received 135 milligrams per meter
13 squared as a 3-hour infusion every 3 weeks, while patients
14 from study 281 received 100 milligrams per meter squared as
15 a 3-hour infusion every 2 weeks.

16 A total of 85 patients were enrolled in both
17 studies. However, there were only 59 patients who were
18 previously treated, 40 from study 281 and 19 from study
19 174. The emphasis of the efficacy review will be on these
20 59 previously treated patients.

21 The applicant met with the agency on October 9,
22 1996 to discuss a proposal to submit an efficacy supplement
23 under the accelerated approval mechanism in the treatment
24 of patients with AIDS-related KS. The data will be based

1 on the two phase II studies.

2 Full approval was thought possible if, in
3 addition to tumor response, evidence of clinical benefit
4 such as amelioration of tumor-associated symptoms or
5 prolongation of response or survival was shown.

6 During the meeting, the following additional
7 concerns were expressed: first, that the difference in
8 dosing regimens between the two studies may pose
9 difficulties in interpreting data, as well as providing
10 dosing guidelines for labeling.

11 Secondly, with 85 patients enrolled in the
12 phase II studies, the FDA review may show that the claimed
13 responders may be less.

14 Thirdly, the sponsor was also advised by
15 Biopharmaceutics to capture pharmacokinetic data in
16 patients with KS, especially data related to concomitant
17 medications which may interact with Taxol.

18 This supplemental NDA was submitted on February
19 4 of 1997.

20 Except for a difference in performance status,
21 the patient demographics were similar in both studies,
22 showing that patients enrolled were those with KS at poor
23 risk for survival as defined by ACTG or features of
24 advanced AIDS. Among the 85 patients enrolled, 59, or 69

1 percent, received prior systemic chemotherapy. 38, or 64
2 percent, of the patients who had previously received
3 chemotherapy had received at least one anthracycline
4 containing regimen.

5 The cutaneous response analysis focused on all
6 the patients who responded to Taxol regardless of prior
7 treatment history, and this was accomplished by reviewing
8 and making queries from the electronic data listings,
9 looking at case report forms, reviewing patient case
10 summaries and photographs. For my presentation, I will
11 just be using photographs of patients who were previously
12 treated.

13 A comparison of the sponsor's and the FDA
14 response analyses was done. The list of patients with
15 differences in the determination of cutaneous tumor
16 response was transmitted to the sponsor who agreed that 1
17 of the 3 patients with differences in their response
18 analyses may not be considered as a true response according
19 to ACTG criteria. However, the final FDA position is to
20 exclude 2 patients from the list of responders.

21 Patient 1 from study 281 had less than 50
22 lesions at baseline, and the response assessment was based
23 on lesions from certain target areas and not on all the
24 lesions. There was concurrence between the FDA and the

1 applicant that this patient does not qualify as a response.

2 Patient 12 from study 281 was noted to have new
3 edema and a new lesion on the foot 1 week after being
4 declared a partial response. The sponsors reviewed the
5 source documents and determined that the edema was
6 temporary and may have been due to other therapies. The
7 single new lesion on the foot was outside the target area
8 for response assessment. There was concurrence between the
9 FDA and the applicant that this patient should be retained
10 as a response.

11 Patient 24 from study 174 was noted to have
12 lesions on the scalp and right toe on the day of being
13 declared a partial response. 2 weeks later he had new
14 lesions on his chest. Since the patient had more than 50
15 lesions, only the right and left arms were being monitored
16 as target sites. The appearance of several lesions in
17 several areas of the body within a short period of time
18 speaks against chance occurrence and cannot be overlooked
19 despite being outside the target areas. We believe that
20 this patient should not be considered as a response.

21 The following table summarizes the final
22 position of the FDA regarding response rates in the two
23 studies on both patient groups. Two patients were
24 eliminated from the original 37 patients who responded to

1 treatment. As a result, the new response rate is 35 out of
2 59, or 59 percent. The 2 patients who were eliminated both
3 had prior systemic chemotherapy, therefore affecting only
4 the responders in this group.

5 Among the group of 35, there were 2 patients
6 from study 174 who had a complete response to treatment. A
7 majority of the partial responses, however, were due to
8 flattening of more than 50 percent of previously raised
9 lesions.

10 The Kaposi's sarcoma symptom complex analysis
11 was performed by the applicant in response to the advice by
12 the agency during the pre-NDA meeting that there should be
13 evidence of efficacy or clinical benefit other than that
14 from cutaneous tumor response. Except for Karnofsky
15 performance status assessments in edema, data from each of
16 the following dimensions were collected by the sponsor
17 retrospectively: KS of the foot, face, lung, and KS-
18 related pain.

19 Kaposi's sarcoma of the foot was documented by
20 photographs at baseline for 19 patients, 8 patients in
21 study 174 and 11 in study 281. The BMS medical team
22 evaluated these photographs and described the lesions on
23 the feet as either absent, stable, increased or decreased
24 during the intervals that the photos were taken. Only 12

1 of the 19 patients received prior systemic chemotherapy.
2 Photographs of all 19 patients with foot lesions were
3 evaluated by the FDA done with the reviewer blinded to the
4 sponsor's foot and cutaneous disease response assessments.

5 Among patients with prior chemotherapy,
6 improvement in foot lesions were seen by the FDA reviewer
7 in 7 patients and there was a difference in opinion between
8 the sponsor and the FDA in 4 patients. 6 patients had both
9 foot and cutaneous disease responses, and 3 patients had
10 simultaneous remarkable improvement in the foot lesions
11 and foot KS-related symptoms.

12 Patient 8 from study 174 is a 33-year-old white
13 male who was previously treated with chemotherapy and had a
14 biopsy-confirmed complete response to Taxol. He stopped
15 taking morphine for foot pain. Notice that there was also
16 a decrease in edema during treatment.

17 Patient 26 from study 281 with lesions on the
18 plantar surface of the foot was able to stand up again.

19 This is the same patient showing a decrease in
20 leg edema.

21 Patient 34 from study 281 stopped taking
22 morphine for foot pain and there was resolution of infected
23 KS lesions.

24 Similarly data on facial Kaposi's sarcoma were

1 collected retrospectively by examining photos of lesions on
2 the face in 34 patients. Only 19 of these 34 patients, or
3 56 percent, had received prior systemic chemotherapy. The
4 BMS reviewers described the lesions on the face as either
5 absent, stable, increased, or decreased. The FDA reviewer
6 evaluated the photographs independently and described the
7 lesions as improved or not improved. Facial KS responses
8 were correlated with overall cutaneous responses.

9 Photographs of the 19 previously treated
10 patients with facial lesions were evaluated by the FDA with
11 the reviewer blinded to the sponsor's facial and cutaneous
12 disease response estimates. Among patients with prior
13 chemotherapy, improvement in facial lesions was seen by the
14 FDA reviewer in 10 patients, and there was a difference in
15 opinion between the sponsor and the FDA in 7 patients. 10
16 patients had both facial and cutaneous disease responses.

17 Again, this is a 26-year-old gentleman who had
18 received two prior systemic chemotherapies. He achieved
19 partial response of cutaneous lesions at course 5 with
20 improvement in pulmonary and facial disease according to
21 the applicant. The facial lesions were noted to have
22 decreased significantly from baseline. This patient's
23 overall duration of response was 4.5 months.

24 This is a 36-year-old previously treated male

1 who received a total of 10 cycles of Taxol. This patient
2 achieved a partial response of cutaneous disease but
3 continued until he achieved a biopsy-negative complete
4 response. The lesion on the tip of his nose was noted to
5 have decreased significantly.

6 During the course of reviewing the patient's
7 photographs, other facial changes were noticeable.
8 Although these patients were assessed by the sponsor as
9 having an improvement in the status of facial lesions, it
10 is apparent that alopecia from treatment resulted in other
11 changes. Although some lesions may have turned lighter in
12 color, some have become more apparent from alopecia caused
13 by the treatment itself.

14 The design of studies looking at Kaposi's
15 sarcoma lesions of the face in the future should take into
16 consideration the patient's evaluation of changes in facial
17 lesions which reflect overall satisfaction, feelings
18 regarding self-image, and functional changes.

19 Extremity and facial edema were noted at
20 baseline and at regular intervals and described by the
21 applicant as either absent, stable, increased, decreased,
22 not assessed, or new in the case report forms. The
23 investigators, however, did not provide additional
24 information on objective findings such as change in limb

1 girth, skin integrity, or range of motion.

2 Only the available entries in the case report
3 forms and photographs were used by the FDA reviewer to
4 confirm the status of edema. Queries from the electronic
5 data were generated to compare observations of the status
6 of edema and cutaneous disease.

7 For patients with prior chemotherapy, there was
8 a decrease in edema while on treatment with Taxol in 36 of
9 the 59, or 61 percent, of patients. However, there is no
10 strong correlation between cutaneous tumor response and
11 objective improvements in edema.

12 Photographs were helpful to the reviewer in
13 confirming change in the status of edema. However, subtle
14 changes in edema were not apparent in the examination of
15 the photos. 16 patients who had received prior
16 chemotherapy showed changes in edema that were obvious from
17 the photos, while in the other 20 patients, who were
18 evaluated by the sponsor as having a decrease in edema, had
19 changes that were not apparent to the reviewer or the
20 photographs were not adequate to make an assessment.

21 This is a 42-year-old white gentlemen who had
22 received 5 regimens of chemotherapy prior to treatment with
23 Taxol. This patient did not meet the criteria for partial
24 response due to the absence of a confirmatory evaluation

1 after 4 weeks. Lesions in the face and large confluent
2 areas of the inner thighs were noted by the sponsor to have
3 flattened. Edema of the scrotum and extremities decreased,
4 and the patient was able to walk from being wheelchair
5 bound.

6 As previously stated, patient photographs were
7 helpful in confirming the applicant's assessment of edema.
8 However, in some cases, changes may not be apparent from
9 the photos. These are photographs taken 2 and a half
10 months apart during treatment with Taxol in a patient who
11 was assessed by the applicant to have a decrease in edema
12 during treatment.

13 The extent of pulmonary disease was assessed by
14 radiologic exams at baseline in at least once every 2
15 cycles in study 174. For study 281, chest x-ray was done
16 at baseline and only those with abnormal results were
17 repeated every 4 weeks. An external reviewer assessed
18 Taxol efficacy separately for the pulmonary disease using
19 the overall criteria of resolved, stable, increased, or
20 decreased as compared to baseline. For the FDA review,
21 queries were made on the electronic data to show all
22 procedures done to document pulmonary disease and the
23 sponsor's assessments. For patients with adequate
24 documentation of pulmonary disease, individual patient

1 narratives and common sections of case report forms were
2 reviewed. Confirmation of pulmonary KS response was done
3 by reviewing radiology reports and bronchoscopy reports and
4 by examining the radiographs.

5 Of the 29 patients in study 174, 5 patients
6 were found by the applicant to have radiographic evidence
7 of pulmonary KS at baseline. However, among these 5
8 patients, only 2 were previously treated with systemic
9 chemotherapy, and according to the sponsor, both showed
10 evidence of a decrease in lung KS.

11 On the other hand, there were 8 of 16 patients
12 who received prior chemotherapy in study 281 who had a
13 decrease in pulmonary tumor volume. The FDA review,
14 however, only confirmed a decrease in pulmonary KS in 1
15 patient from study 174 and 2 patients from study 281.

16 Patient 2 from study 174 had a confirmed
17 decrease in pulmonary disease for at least 2 courses. This
18 patient had a partial response of cutaneous disease and the
19 pulmonary lesion response lasted for 79 days.

20 Patient 20 from study 281 was still on active
21 treatment as of final report. He had documentation of
22 resolution of disease by bronchoscopy.

23 Patient 33 had evidence of decrease in
24 pulmonary KS by chest x-ray and CT scan. However, the

1 radiology reports were not submitted.

2 FDA review of the follow-up chest x-rays showed
3 that there was a decrease in bibasilar nodular infiltrates.
4 The rest of the patients reviewed did not have adequate
5 documentation to confirm baseline lung KS status or disease
6 responses.

7 Performance status was collected prospectively
8 at regular time intervals in both studies and the patients
9 from each study presented with different patterns of
10 performance status at baseline. All patients in study 174
11 presented with a Karnofsky performance status of 80 or
12 better, and only patients with a performance status of 70
13 or less at baseline were considered by the sponsor in their
14 analysis. Note that this patient group represents only 40
15 percent of the patients in study 281, with 30 percent of
16 patients having no baseline assessment. During the whole
17 duration of treatment, there were missing values in 50, or
18 89 percent, of the patients.

19 I will just briefly highlight some of the
20 relevant safety issues for this particular group of
21 patients, and that includes deaths within 30 days of
22 treatment, hospitalizations, occurrence of infections, and
23 the more common hematologic and nonhematologic toxicities.

24 Individual patient narratives and case report

1 forms were reviewed for patients who died within 30 days of
2 treatment. 10 of 59 patients, or 17 percent, who
3 previously received systemic chemotherapy died within 30
4 days. Generally these patients had multiple problems that
5 include inherent immunosuppression, rapidly progressing
6 Kaposi's sarcoma, possible adverse effects from
7 polypharmacy, side effects from chemotherapy, undisclosed
8 emotional and social issues, et cetera. During our
9 analysis, it was difficult to determine whether death was a
10 result of one particular cause or a combination of several
11 different causes.

12 Data on the frequency of hospitalizations
13 during treatment was collected from case report forms and
14 the electronic data. In study 174, 21, or 72 percent, of
15 the 29 patients enrolled were hospitalized at least once
16 during the treatment with Taxol, while in study 281, 35 of
17 the 56 patients, or 61 percent, were hospitalized at least
18 once.

19 Of the 374 courses of treatment given in study
20 174, 76, or 20 percent, were associated with hospital
21 admissions, while this was seen in 63 of the 605 courses,
22 or 10 percent, for treatment in study 281.

23 The reasons for hospitalizations were mostly
24 infections and febrile neutropenia. The most common

1 documented infections are PCP, pneumonia, sinusitis, CMV
2 retinitis, and catheter related sepsis.

3 The study report by the applicant, however,
4 mentions more episodes of opportunistic infections than
5 that that was counted by the FDA. According to the
6 applicant, there were 65 episodes of opportunistic
7 infections in study 174 and 48 episodes in study 281. This
8 probably includes infections that did not require
9 hospitalization.

10 15 of the 139 or 11 percent of admissions to
11 the hospital were due to febrile neutropenia. Note that
12 despite a relatively higher performance status at baseline,
13 there are more patient hospitalizations for febrile
14 neutropenia and infections in patients in study 174.
15 Hospitalizations for other reasons may be for diagnostic
16 workup or management of certain symptoms that may or may
17 not have been related to Taxol treatment.

18 The following table shows only grades 3 and 4
19 hematologic toxicities from treatment with Taxol. In
20 general, despite higher baseline performance status, there
21 are more grade 3 and 4 hematologic toxicities in the group
22 of patients treated at the NCI in study 174. The whole
23 population seems to reflect the grades 3 and 4 hematologic
24 toxicities that were seen in the group of patients with

1 prior systemic chemotherapy. Severe neutropenia was
2 experienced by 43, or 74 percent, of the patients, of which
3 30 patients had grade 4. Grades 3 and 4 thrombocytopenia
4 and anemia was experienced by 10 and 33 percent of
5 patients, respectively.

6 Most of the blood transfusions on study were
7 red cell transfusions. In study 174, 17 patients, or 68
8 percent, were given 92 transfusions, while in study 281, 26
9 patients, or 46 percent, received 54 transfusions. More
10 patients received blood transfusions in study 174 compared
11 to patients in study 281. However, there seem to be more
12 patients needing blood transfusions than those who actually
13 experienced severe anemia. This may mean that not all data
14 on severe anemia was captured or simply the fact that this
15 group of patients have several reasons, other than
16 myelosuppression from chemotherapy, to be transfused.

17 Overall incidence of common nonhematologic
18 toxicities were comparable in the pretreated in the total
19 patient groups. All grades of alopecia were experienced by
20 91 percent of patients. The other more common severe
21 toxicity is asthenia, which is experienced by 26 percent of
22 patients, followed by diarrhea, arthralgia and myalgia in
23 15 percent, and nausea and vomiting in 11 percent. Note
24 that grades 3 and 4 renal toxicity was experienced by 5

1 patients, all of whom were previously treated.

2 In conclusion, the submitted phase II studies
3 of Taxol in patients with previously treated Kaposi's
4 sarcoma should be considered adequate and well-controlled
5 studies of objective tumor response. The objective
6 response of Taxol in this patient population may be a clear
7 demonstration that antitumor activity with the comparator
8 in this case being the known natural history that the
9 tumors do not shrink without treatment.

10 The objective tumor response was well-
11 documented in 59 percent of the patients, with a median
12 duration of response of 9.1 months using the WHO definition
13 which starts at the beginning of treatment rather than the
14 first date of response.

15 Considering the limited treatment options
16 available for patients who have received prior systemic
17 chemotherapy for AIDS-related Kaposi's sarcoma, the 59
18 percent objective response rate in cutaneous tumors
19 represents a notable level of antitumor activity. However,
20 the population sample for this conclusion is small.

21 Patient benefit was evaluated retrospectively
22 by assessing the six dimensions of clinical benefit. The
23 criteria used by the sponsor to describe changes in foot,
24 facial KS, and edema were not identified and there was a

1 large amount of missing data in the analyses of lung KS,
2 KS-related pain, and Karnofsky performance status.

3 Since information was collected retrospectively
4 in these studies, it is of concern that the assessments
5 were not blinded and that the sample sizes for such
6 parameters were small. The methodology likely
7 underestimated the true incidence of symptoms at baseline
8 in these patients. However, despite these flaws in design,
9 there were notably similar trends in the cutaneous tumor
10 responses versus improvements in facial and foot KS
11 lesions.

12 There were also individual patients who may or
13 may not have had cutaneous tumor response who had
14 remarkable improvements in foot, facial KS, edema, and lung
15 KS lesions.

16 In regard to the secondary endpoints,
17 particularly time to progression and survival, the studies
18 were not adequately controlled. The secondary efficacy
19 endpoints were only defined prospectively for study 281.
20 Randomized controlled trials would be necessary to
21 adequately assess the effects of Taxol on these secondary
22 endpoints.

23 The phase II studies provided sufficient
24 information to assess the potential toxicities of Taxol in

1 patients with AIDS-related Kaposi's sarcoma. The sponsor
2 presented a review of toxicities from Taxol in patients
3 with AIDS KS compared to patients with other tumors treated
4 with Taxol that showed a higher risk for more frequent and
5 severe hematologic toxicities.

6 However, there seems to be a difference in the
7 patterns and incidence of toxicity between the two studies
8 where different regimens of Taxol were used. In study 174
9 where Taxol was given at the higher dose less frequently,
10 there seemed to be more severe hematologic toxicities.
11 Clinically there are more dose reductions, more use of
12 cytokines, and more requirements for blood transfusions,
13 and hospitalizations for infections and febrile neutropenia
14 on study 174.

15 On the other hand, there are more treatment
16 delays associated with study 281 where a lower dose of
17 Taxol was given more frequently. Tumor response with Taxol
18 in previously treated patients was 14 out of 19, or 73
19 percent, in study 174 and 21 out of 40, or 52 percent, in
20 study 281.

21 The applicant proposes that the approved dose
22 and schedule be that which was used in study 174, that is,
23 135 milligrams per meter squared every 3 weeks. Clearly a
24 discussion of the optimal dose for this indication is

1 warranted.

2 Whether one recommends approval of this NDA
3 supplement should depend primarily upon whether one
4 considers the sample size represented by these trials as
5 large enough to support approval for this indication and
6 whether the evidence of patient benefit documented in
7 photographs and recorded symptoms, imperfect as they may
8 be, adequately support the objective data on response
9 rates. One must then consider, in view of the documented
10 toxicity of Taxol in this setting, whether the overall
11 therapeutic ratio of Taxol therapy was acceptable in these
12 trials and population of patients with previously treated
13 Kaposi's sarcoma.

14 Thank you very much.

15 DR. DUTCHER: Thank you.

16 Are there questions for Dr. Chico? Dr. Gelber.

17 DR. GELBER: Yes. The response rates are
18 rather impressive either from the sponsor or from your
19 review.

20 DR. CHICO: The sponsor's review showed a
21 response rate in previously treated patients of 63 percent.
22 My review showed 59 percent.

23 DR. GELBER: So, both rather close.

24 DR. CHICO: Very close.

1 DR. GELBER: The question I have is, did you do
2 an investigation of any changes at the beginning of the
3 phase II studies in therapeutic approaches that might have
4 explained some of the responders? Were other things being
5 changed in the course of the treatment for these patients
6 that might have contributed to some of the responses?
7 Obviously not all of them, but some of them.

8 DR. CHICO: Could you please be more specific?

9 DR. GELBER: Yes. For example, were the same
10 antiretroviral therapies, same therapies for OIs being used
11 prior to enrollment in the phase II trials?

12 DR. CHICO: No. Study 174 was initiated
13 earlier, and the patients enrolled in the study mostly were
14 on AZT and ddI, while approximately 45 percent of the
15 patients in study 281 were on the newer antiretrovirals.

16 DR. GELBER: And at the time the KS was
17 evaluated at baseline and the patients were enrolled in
18 this study, those therapies were maintained for all of the
19 responders?

20 DR. CHICO: I didn't have data regarding when
21 the antiretrovirals were started in these patients, so I
22 wasn't able to determine that.

23 DR. GELBER: So, that kind of assessment wasn't
24 done.

1 DR. CHICO: By me, no.

2 DR. ABRAMS: We heard that most of the patients
3 who started protease inhibitors had already achieved a
4 response prior to that.

5 DR. GELBER: Yes. I'm really not specifically
6 concerned about the protease. I'm really concerned about
7 the issue of the findings saying Taxol achieved X response.
8 So, my question was Taxol was initiated. I'm convinced
9 that there were responses related to the initiation of
10 Taxol. The question was a response rate of 60 percent
11 roughly. Is that response rate related to the initiation
12 of Taxol, or in this very limited number of patients that
13 we're looking at, were there some other changes in their
14 therapy, at or around the time of initiating the phase II
15 Taxol, that might have contributed to some kind of
16 favorable response in some of the patients recorded as
17 responders? Is it fair to attribute all of the response
18 rate to Taxol? That's the question.

19 DR. CHICO: I think we have to look at
20 especially patients who were treated on the newer
21 antiretrovirals in study 281 more especially and look at
22 when they responded and make a correlation. But I don't
23 believe that I was able to look at that data.

24 MR. MARCO: But there's also really no data

1 that is conclusive that would say that a change in
2 antiretroviral therapy that is really impressive is going
3 to markedly change a response rate. So, even if you did
4 show that, it's not like we have historical data.

5 DR. GELBER: Yes. If we're asked to look at 35
6 patients who responded, it would be nice to have a review
7 that addresses the question in how many of those 35
8 patients was the treatment, prior to starting Taxol and
9 immediately after starting Taxol, the same, and in how many
10 of those 35 were there other changes in management. Then
11 we could at least debate the issue.

12 MR. MARCO: No. I understand. I would love
13 that too, but it's a problem when you have underlying
14 disease.

15 DR. WILLIAMS: But the point is I don't think
16 that any of the treatments that we know of we would expect
17 to cause a response in Kaposi's other than perhaps the
18 newer antiretrovirals. Isn't that correct?

19 DR. ABRAMS: Right, and there were no real
20 other treatment advances during the time that these studies
21 were conducted except for the introduction of protease
22 inhibitors which came in December of 1995 and then again in
23 May of 1996.

24 DR. MARGOLIN: This may be rhetorical. Maybe

1 Dr. Abrams knows the answer to that, but it seems to me
2 that the demonstration of the lack of a favorable CD4
3 response in those samples that were shown would also argue
4 against a general immuno-improvement in these patients
5 contributing in large part to the regression of their KS
6 lesions.

7 DR. SCHILSKY: A question again with respect to
8 dosing. Your analysis shows that there are some
9 differences between the two regimens that were used with
10 respect to toxicity and also with respect to response rate,
11 that is, that 135 every 3 weeks produces a somewhat higher
12 response rate compared to 100 every 2 weeks. I'm curious
13 to know if you did any further analysis trying to dissect
14 out the impact of dose any further.

15 For example, I'd be curious to know whether
16 there was a difference in the median dose intensity
17 received by responding patients versus that received by
18 patients who didn't respond to the treatment.

19 DR. CHICO: No. I didn't do such analyses.
20 Maybe the sponsor has.

21 DR. ABRAMS: Do the confidence intervals for
22 those response rates overlap, the 70 and the 59 or
23 whatever? With the small numbers, they're likely to be the
24 same response rate.

1 DR. CHICO: Actually the response rates in
2 study 174 were higher, but again these are a much smaller
3 group of patients.

4 DR. WILLIAMS: And also the fact that these are
5 different performance status patients. So, it's a very
6 different comparison.

7 DR. CHICO: Actually in addition to that, as
8 far as inclusion criteria, study 174 only allowed treatment
9 with one previous systemic chemotherapy, while in study 281
10 they allowed more chemotherapies.

11 DR. KROOK: I guess what I'd like to go back to
12 is the review of the lung Kaposi's. In the one study there
13 were six differences between the sponsor's and the FDA.
14 What were the differences? Was it on films? Was it on CT?

15 DR. CHICO: Yes.

16 DR. KROOK: That's 75 percent --

17 DR. CHICO: Correct. For study 174, there were
18 only 2 patients who were previously treated, and I was able
19 to confirm only 1 patient with a decrease in lung KS. In
20 the other patient, they documented improvement of a
21 patient's clinical symptoms, but there was actually no
22 improvement by radiology of disease. All the radiology
23 reports showed a stabilization of pulmonary KS.

24 For study 281, there were 16 patients at

1 baseline with pulmonary KS, 2 of which I confirmed as 2
2 responders. There were 4 patients where there was no
3 documentation of pulmonary KS either by radiology reports
4 or films, while in the other 9 patients there were no
5 follow-up radiographs or radiology reports that I received
6 from the sponsor, so I wasn't able to confirm those.

7 DR. KROOK: So, the difference is probably
8 related to what was presumed by the investigator to be
9 clinically improved but not documented.

10 DR. CHICO: I'm not really sure because there
11 were radiographs that the applicants showed that I didn't
12 see. So, it's probably that not all the films were
13 submitted. I'm not sure. Maybe the applicant could answer
14 more.

15 DR. YARCHOAN: Maybe I could make one comment
16 about the NCI study. The clinical center radiologist,
17 Irwin Fuersten, developed a methodology of loading the
18 electronic data from the CT scans into a three-dimensional
19 imaging. And each of our responses was able in this way to
20 find a greater than 50 percent decrease that was called
21 for. In fact, most of them were greater than 75 percent.
22 I don't know which one there's some discussion.

23 We did have 1 patient where most of the lesions
24 decreased but one lesion increased. That second lesion was

1 biopsied and was found to be a concomitant pulmonary
2 lymphoma.

3 DR. CHICO: Was this patient previously
4 treated, Bob?

5 DR. YARCHOAN: I'm sorry. I just don't know.

6 DR. CHICO: The thing is I only focused my
7 analysis on the 2 patients who were previously treated.

8 DR. YARCHOAN: But anyway, that was the
9 procedure that we used in the clinical center. I don't
10 know if anyone can comment on the other stuff.

11 DR. DUTCHER: We have to change the order just
12 a little bit because Dr. Abrams has to leave. So, what
13 we're going to do is just ask him to make a few comments.
14 Is that all right with you, Dr. DeLap, about the questions?

15 DR. CANETTA: On the piece of information that
16 was asked for the dose intensity for responders was 37.55
17 milligrams per square meter per week. The dose intensity
18 for nonresponders was 38.95 milligrams per square meter per
19 week.

20 DR. SCHILSKY: So, it's the same. Thank you.

21 DR. OZOLS: Can you elaborate on your concern
22 about the possible not supporting the clinical benefit?
23 From what we heard this morning and from what you showed
24 and what the sponsor showed, I think the edema benefit was

1 quite substantial and some of the others as well, but you
2 seem to have some concerns about that.

3 DR. CHICO: The main concern with the analysis
4 of clinical benefit really is the way that it was collected
5 retrospectively, especially for foot KS, facial KS, and KS-
6 related pain. But actually for edema and performance
7 status, these were collected prospectively.

8 Now, first, the other concern is the fact that
9 the sample sizes were very small and that the studies were
10 just open-label, one-arm studies, so there are no
11 comparator arms. Actually beyond progression of cutaneous
12 disease, both protocols did not have any specification on
13 how to follow up the other clinical benefit parameters.
14 So, they're really largely uncontrolled. So, those are
15 just mainly my concerns regarding the analysis.

16 But again I have to emphasize there were a few
17 patients who had marked, impressive improvements in each of
18 the clinical benefit parameters.

19 DR. DUTCHER: Dr. Abrams?

20 Thank you.

21 DR. ABRAMS: Yes. Sorry that I do need to
22 catch this flight.

23 This was a unique experience for me. In my
24 previous experience on the Antiviral Advisory Committee, we

1 never had the opportunity to look at a drug that has
2 already been marketed, licensed, and available for a
3 different indication.

4 I utilized the document that I received with my
5 packet on FDA approval of new cancer treatment uses for
6 marketed drug and biological products where it stated --
7 and these are draft guidelines that are not yet
8 implemented, but it recommends that if a product already
9 has been shown to be acceptably safe and effective in
10 treatment of patients with a given type of solid tumor
11 malignancy in advanced, refractory stages, then a single
12 adequate and well-controlled multi-center study in patients
13 with another type of advanced, refractory solid tumor with
14 a response rate endpoint and enrollment of sufficient
15 patients to estimate response rate with adequate precision
16 may be sufficient to support approval for treatment of this
17 additional type of tumor.

18 So, in contrast to our experience this morning,
19 I feel that the data presented here in my opinion does
20 demonstrate reliable evidence supporting the efficacy of
21 the drug in this group of patients.

22 I must say that that was augmented
23 significantly by the comments that we heard from the
24 individuals during the open mike session this morning where

1 a picture is worth a thousand words. I've been treating
2 patients with AIDS-related KS since 1981 and really I have
3 never seen such dramatic improvements as we've heard about
4 and also I've heard from my other colleagues who've used
5 the drug in these patients.

6 With regards to whether or not we have adequate
7 and well-controlled studies, I think that's something that
8 people will have more to say about. A sample size of 59
9 patients, is that adequate? In the MGBG documents that I
10 reviewed, it had been suggested to the sponsor that 50 to
11 100 patients should be at least evaluated in the two phase
12 II studies that they were presenting. So, here we do have
13 59, so it puts it into that number that would be considered
14 to be adequate.

15 With regards to the dose, I think that there is
16 going to need to be continued debate. On the Antiviral
17 Advisory Committee, we used to leave that to the FDA, but I
18 understand this committee likes to have more direct
19 recommendations. Obviously, it's a tradeoff. I think the
20 response rates probably are the same, although it looked
21 better in the NCI study. Certainly with regards to quality
22 of life, patients receiving infusions every 3 weeks would
23 be superior to patients receiving every 2 weeks, but that
24 needs to be balanced by increased hospitalization for

1 neutropenia, fever, and the other toxicities. So, I think
2 that that is something that needs to be further evaluated
3 with regards to what the appropriate dose is.

4 I guess I was asked not to say what I thought
5 about approval, but I think it should be clear from my
6 comments that I'm impressed with this agent. I would
7 personally not see any benefit of accelerated approval as
8 the drug is licensed and available and is being utilized.
9 In treatment of patients with AIDS-related diseases, once
10 something is available, as we see with all of our protease
11 inhibitors, I'll tell you the opportunity to study it in
12 the controlled clinical fashion disappears. So, I think
13 the window of opportunity to expect that there's going to
14 be really meaningful subsequent studies of this agent that
15 may allow accelerated to move to full has probably closed,
16 and I think again my opinion, on the basis of the strength
17 of the data that we see here, would suggest that -- I'm not
18 supposed to comment on what --

19 (Laughter.)

20 MR. MARCO: We'll finish it for you.

21 DR. ABRAMS: Yes. You all vote and somebody
22 will let me know, but I need to go home.

23 DR. DUTCHER: Thank you very much for your
24 participation and your comments. I appreciate it.

1 Now, back to the discussion of dose. You
2 wanted to see additional toxicity data regarding the two
3 dose levels in patients with KS. Is it possible to make a
4 switch of some of the audiovisual equipment so that they
5 can present that?

6 DR. WINOGRAD: Again, I will show data to
7 compare the two studies and compare it to solid tumors.
8 Again, I wanted to remind of the difference in study
9 design, but I also want to mention that we are open to have
10 all the data disclosed in a possible package insert,
11 meaning the one and the other dose schedule. At the time
12 that we wrote the proposed indication, this is what we felt
13 most comfortable with, but again we are open to any
14 suggestions.

15 What we are showing here -- and this is how the
16 slides are built up -- you have the NCI study, the USC
17 study, the total patient population, and the total patient
18 population that had received prior systemic therapy. The
19 incidence of fever and febrile neutropenia is broken down,
20 percent of patients and percent of courses.

21 If you look, indeed febrile neutropenia was
22 seen in 55 percent of the patients and 9 percent of the
23 patients in the two studies respectively, 10 percent and 1
24 percent of the courses.

1 Could you go to the next slide please?

2 If you compare that to what is the experience
3 in solid tumors, again that is the buildup of the slide.
4 You have here the 135 milligrams per square meter dose in
5 solid tumors, the 175 milligrams per square meter dose, the
6 total KS patient population, and all KS patients with prior
7 systemic therapy. The overall incidence of patients with
8 febrile neutropenia is 25 percent or 24 percent as compared
9 to 3 and 4. If you go down to the incidence by courses,
10 it's 5 percent of the courses or 4 percent of the courses
11 in the KS population as compared to 1 percent in the solid
12 tumor patients.

13 DR. SCHILSKY: It would have been helpful to
14 put on that slide the 55 percent incidence of febrile
15 neutropenia in the KS patients who got 135, just to put it
16 in perspective.

17 DR. WINOGRAD: Oh, you wanted to merge the two
18 slides.

19 DR. SCHILSKY: Well, no, the problem is that
20 the total data for the KS population is heavily skewed by
21 the fact that there are twice as many patients who got the
22 100 per meter squared every 2 weeks.

23 DR. WINOGRAD: No. I agree. You would want to
24 have the six columns on one slide, but it's sort of

1 difficult to present. You had seen the one study and then
2 the other study and then the summary. Yes.

3 Is there any other area of safety that you want
4 to look at? All the safety is broken down in that type of
5 analysis.

6 DR. DUTCHER: Dr. Swain, did you have another?

7 DR. SWAIN: No. That's all right.

8 Was there any difference in the neurologic
9 toxicity at all between the two?

10 DR. WINOGRAD: Could we go to slide number 14?

11 The incidence of any grade of neuropathy, 79
12 percent of patients in the NCI study, 46 percent of
13 patients in the USC study, with an incidence of 10 percent
14 grade 3 and 2 percent grade 3, with an overall 5 percent
15 and 58 percent.

16 Can you go to the next slide please?

17 When you compare that to the experience in
18 solid tumors, it's 58 percent in the KS population as
19 compared to 48 for the low dose Taxol and 64 percent
20 incidence in the high dose Taxol. Again, remember, these
21 patients have received 10 courses versus a median of 5 or 6
22 courses. Plus, those patients have a high number of prior
23 vinca alkaloids.

24 DR. DUTCHER: Could you go back to the previous

1 one?

2 DR. WINOGRAD: Yes. Can you go back one
3 please?

4 DR. DUTCHER: Other issues with respect to
5 toxicity?

6 DR. WINOGRAD: With this respect, we could also
7 show you the data of neurotoxicity prior to study start for
8 the two studies, if you want, and concomitant neurotoxic
9 nucleoside analogs.

10 If you go to file number C, slide 20. This is
11 peripheral neuropathy in the NCI study. 15 patients had
12 grade 1 at worst, 4 patients grade 2, 3 patients grade 3.
13 For 1 patient the grade is unknown. 13 patients reported
14 PNS prior to start of Taxol therapy, and 15 of those 23
15 patients with PNS received concomitantly didanosine,
16 zalcitabine, and/or stavudine.

17 And equivalent, the slide for study 281, file
18 D, slide 31. 12 patients had at worst grade 1 neuropathy,
19 6 grade 2, 1 grade 3. For 7 patients the grade is unknown.
20 7 patients reported PNS prior to Taxol including 5 who
21 previously received vinca alkaloids. 19 of these 26
22 patients with peripheral neuropathy received concomitantly
23 ddI, zalcitabine, or stavudine.

24 DR. DUTCHER: Thank you very much. I

1 appreciate it.

2 Anything else? Any other questions?

3 (No response.)

4 DR. DUTCHER: Thank you.

5 Discussion on either issues raised by the FDA
6 or the company?

7 MR. MARCO: I would like to talk about the
8 clinical benefit and sort of looking at a history of how
9 the division has tried to judge clinical benefit in
10 previous KS studies. Maybe, Dr. DeLap, you can talk about
11 projects that you're involved with with the NCI right now
12 in actually trying to validate a clinical benefit in KS
13 studies and case report forms, and then also how that
14 reflects to this study.

15 DR. DeLAP: Well, of course, we've had a great
16 deal of difficulty over the years with evaluations of
17 clinical benefit by tools such as performance status
18 measures and various questionnaires looking at quality of
19 life. Those have been very difficult for us. There are a
20 number of quality of life scales that are available in
21 different types of malignancies, many of which are said to
22 be validated. Of course, what that generally means is that
23 if the same patient takes the same test twice, then you'll
24 get the same kind of result. It's a little harder to say

1 what it means in terms of is it really measuring something
2 that's meaningful to the patient.

3 We've historically put more stock in things
4 that looked to be clearly related to the tumor, symptoms
5 the tumor is causing, symptoms that get better when the
6 tumor is controlled. So, if it's bone pain related to bone
7 metastases from a tumor or if it's ability to breathe if
8 you've got pulmonary Kaposi's, if suddenly you're able to
9 walk around the block again whereas before you were
10 confined to your apartment, those kinds of things are very
11 meaningful.

12 Of course, those are difficult really. It's
13 hard to find 100 patients with any given thing that you can
14 measure that then you can treat them with the drug and then
15 see what happened.

16 So, the direction that this seems to need to
17 move in as far as relief of tumor-related symptoms is to
18 develop some kind of a package of symptoms that are
19 associated with a particular kind of tumor and say you're
20 looking for a patient with one or more of these symptoms,
21 problems that are fairly clearly related to the tumor, and
22 then seeing if that gets better with the treatment. There
23 is some effort going on along those lines that involves us
24 and NCI and some of your colleagues.

1 So, I think that's very important and I hope
2 that in the next few years that we won't be stuck with kind
3 of looking at what happened in patients and trying to see
4 if we've got a reasonably impressive series of anecdotes,
5 that we can go to a more systematic and scientifically
6 persuasive way of looking at these things. We're still
7 very much in what I'll call the Gestalt mode of how many do
8 you have and how many does it take to really be impressed.
9 I'd hope that we can move away from that and get to
10 something that's a little more clinical science.

11 But certainly you can see things that are
12 impressive in individual patients and for right now I think
13 it's real important to look at those and to take those for
14 what they're worth certainly.

15 DR. DUTCHER: Shall we move on to the
16 questions? Okay.

17 The questions are a little bit lengthy. So, I
18 think you should read them yourselves.

19 (Laughter.)

20 DR. DUTCHER: We'll skip to the italics. So,
21 hopefully you've had a chance to look at some of this, but
22 on question number 1, just read the preamble. The question
23 that's being asked is, do the above analyses by the
24 applicant and FDA reviewer provide reliable evidence

1 supporting the efficacy of paclitaxel in this group of
2 patients?

3 Dr. Krook.

4 DR. KROOK: I would answer the question yes. I
5 believe that it does after reviewing the documents and the
6 presentations that I've heard. So, my reply to that is
7 yes.

8 DR. DUTCHER: Other comments?

9 (No response.)

10 DR. DUTCHER: All those who would support yes
11 as an answer to question number 1, please raise your hand.

12 (A show of hands.)

13 DR. DUTCHER: Eleven, and Dr. Abrams voted yes.

14 Dr. Gelber, are you voting no or abstaining?

15 DR. GELBER: I'm going to abstain on that
16 because I still have a lot of questions about the clinical
17 benefit. I'm prepared to accept the response, although I'm
18 not sure that the 60 percent really can be associated with
19 Taxol. It might be something less depending on what
20 changed. So, I'm going to abstain on that.

21 DR. DUTCHER: Okay.

22 Question number 2, is the sample size of 59
23 patients from the two phase II studies adequate for an
24 efficacy supplement in this indication?

1 Who would like to start with that?

2 DR. WILLIAMS: I'd like to encourage that the
3 advisory committee maybe also discuss the facts of what the
4 response rate is in this case and whether adequacy would
5 depend upon response rate.

6 DR. DUTCHER: In terms of numbers.

7 DR. WILLIAMS: Right.

8 DR. DeLAP: I would just add to that that when
9 we were discussing this, to expand a little bit on what Dr.
10 Chico said I believe, when we were discussing the
11 possibility of this supplement with the sponsor, we did
12 have a concern that the response rates would decline more
13 substantially as more experience was gained and as we
14 reviewed the cases and disallowed some of them in our
15 analyses. So, we had encouraged the sponsor to come in
16 with a significantly larger application I would say than
17 what we saw.

18 But again, you have to look at the results you
19 got. So, I think that's what Dr. Williams just said.
20 We'll look at the results we got in the 59 patients that we
21 received.

22 DR. DUTCHER: Dr. Gelber, do you want to
23 comment on the number?

24 DR. GELBER: Here I'm not prepared to abstain.

1 Here I would say no. I'm looking at some of the
2 information on the longitudinal measures of benefit and I'm
3 very happy you raised the issue before about benefit.
4 There was one assessment of a global score of quality of
5 life which showed improvements on the screen. 30 patients
6 started out. By 2 months, there were 12 patients assessed
7 that showed a spike in quality of life and by 6 months, the
8 positive effects of treatment were based on 3 patients out
9 of the 30. So, on the basis of that, in order to track and
10 get a good handle as to what the true clinical benefit is
11 for a population, I think you do need more than the 59
12 we've seen.

13 MR. MARCO: But I think as far as response
14 rate, you do have at least all the patients evaluable. In
15 other NDAs that I've seen here, especially the first one,
16 for a liposomal anthracycline, half the patients were
17 thrown out. So, at least since all these patients were at
18 least evaluable and only some of the responses were
19 questionable, I think it at least gets us enough to go on,
20 as far as at least tumor response. I think the clinical
21 benefit, what we got from the sponsor, is a given since
22 it's the first time it's been done.

23 DR. GELBER: I don't remember what the number
24 is. Do we know what the lower confidence band was on the

1 study that involved two centers for the previously treated
2 patients?

3 DR. SCHILSKY: In the sponsor's application
4 anyway, it was 45 percent. I was going to bring that up
5 also. It seems to me with respect to this particular
6 application and response rate as an endpoint, at least I'm
7 satisfied that even if the true response rate was actually
8 the lowest end of the confidence interval, it's still an
9 impressive response rate.

10 I think one of the points that you're making,
11 though, that I think is important to consider in future
12 trials is that generally speaking the sample size in these
13 types of clinical trials is driven by what the anticipated
14 response rate is and trying to have appropriate confidence
15 intervals around that. There's usually not much
16 consideration given to other clinical parameters and how
17 having an adequate estimation of those parameters might
18 drive the sample size.

19 So, for example, it might also be appropriate
20 in designing a trial to say that we're looking for some
21 percentage of improvement in some parameter of clinical
22 benefit and to have adequate numbers of patients in the
23 trial to reliably estimate whether or not that improvement
24 occurs. That type of thing is not usually taken into

1 consideration in developing the sample size. That's why we
2 often end up in this quandary of wondering whether we have
3 enough patients to adequately determine clinical benefit
4 even though we may have enough patients to be comfortable
5 with response rate.

6 DR. GELBER: Exactly. Right.

7 DR. MARGOLIN: I think as an addendum to that,
8 the fact that we try and identify after the study
9 predictive factors for this, that, or the other and end up
10 saying such and such a factor was not correlated with
11 response, it's more likely because there weren't enough
12 responses, there wasn't a high enough power to detect that,
13 but people go away interpreting it as meaning there's no
14 connection between the two and that's the end of the story,
15 which may well not be the case.

16 DR. DUTCHER: Dr. Ozols.

17 DR. OZOLS: Well, I guess if we're looking at
18 response, I'm not sure another 20 patients or 30 would
19 really help me in this. So, if the question is asked was
20 it an adequate phase II study for response, I would
21 disagree with Rich and I'd say it was adequate. With the
22 number that we saw, I would vote opposite.

23 DR. GELBER: Yes, I did make a preliminary
24 statement that I wasn't speaking about the response rate in

1 my answer to no. The way I read the question, adequate
2 number of patients to address all of the issues for a non-
3 accelerated approval. I think that it's too slight in
4 that. If you ask me about a response rate specifically in
5 this case, to rule out a response rate, say, lower than 35
6 percent, 40 percent, then the data because of the high
7 observed response rate would suggest that that's been done.

8 DR. WILLIAMS: Dr. Gelber, I think we would
9 totally agree in terms of performance status, those sort of
10 longitudinal analyses that this is inadequate, and I don't
11 think that really is the question. I believe our feeling
12 is that those endpoints -- in Dr. Chico's review, he
13 certainly felt that the whole design wasn't even adequate
14 to look at those.

15 But the question would be in totality all the
16 anecdotes, all of the evaluations of photographs, is in
17 totality this enough efficacy data. Is this sample size
18 large enough to make a consideration for full approval here
19 I guess. It's not just response rates, though.

20 DR. GELBER: Response rates, yes. Other
21 evidence, I still have questions.

22 DR. DUTCHER: You may want to refer to the
23 beginning paragraph of the questions which defines the
24 criteria for full approval and accelerated approval just to

1 refresh the committee in terms of the things that you're
2 looking at.

3 DR. KROOK: Jan, I don't think this question
4 asked which approval, does it? Is that the question we're
5 asking?

6 DR. WILLIAMS: I think you should answer it as
7 for full approval.

8 DR. DUTCHER: All right. Shall we vote? Other
9 comments?

10 DR. KROOK: I guess my only comment as the
11 other reviewer, since Don left, is that I agree with Dr.
12 Gelber. 59 patients is a small number, and I would vote no
13 on this one if yes means indication for full approval.

14 DR. DUTCHER: Other comments?

15 DR. SCHILSKY: One of the things that I guess
16 I'm impressed with, even though the numbers are low, is
17 that there's a fair amount of consistency across the two
18 studies. Two different patient populations, two different
19 ways of giving the drug, studies done at two different
20 points in time in different institutions, and yet there's a
21 remarkable consistency in both the response rates and the
22 evidences of clinical benefit across the two studies. I'm
23 not sure that if we had another 100 patients we would
24 really come to any different conclusions.

1 DR. DUTCHER: Dr. Margolin?

2 DR. MARGOLIN: I guess the other question is I
3 think I took pretty seriously what Don Abrams said about
4 the concern that since this is already a marketed drug,
5 that providing accelerated approval may lead to the
6 inability to get the post-marketing studies completed the
7 way the FDA might want that to happen since the drug is out
8 there and available to all treating physicians. I don't
9 know what the FDA's stance on that would be.

10 DR. DUTCHER: Do we think that's true?

11 DR. KROOK: Except didn't I hear that there
12 were at least two ongoing ECOG trials using Taxol as one of
13 the -- so, there are trials that are going on.

14 DR. SCHILSKY: One just activated and one being
15 planned.

16 MR. MARCO: The one being planned is first-
17 line. This is second-line, but second-line studies are
18 very hard to accrue too, especially with the new liposomal
19 anthracyclines. Accrual is very poor.

20 DR. DeLAP: Well, I think we're very sensitive
21 to these issues of what's doable versus what's not doable
22 for a follow-up study for an accelerated approval.
23 Certainly we've struggled with some of our prior actions as
24 to how one does a meaningful follow-up study. Certainly a

1 follow-up study that's front line can be done even though
2 the accelerated approval is for second-line use. You can
3 certainly use a front-line study in the same indication as
4 satisfying that requirement.

5 That's an important consideration but I
6 wouldn't regard that as the determining consideration. I
7 think the data either speak to approval or to accelerated
8 approval or to whatever they speak to, and we can grapple
9 with what's doable and what's not doable as a follow-up
10 study but that shouldn't dictate your vote on an
11 accelerated approval versus regular approval question.

12 DR. DUTCHER: Arlene?

13 DR. FORASTIERE: Just maybe another point of
14 clarification. If we talk for approval, if that's what
15 you're asking us for, not the accelerated, then this
16 criteria is for a controlled clinical trial. By definition
17 I don't know how we can vote for that.

18 DR. WILLIAMS: We would consider this to be a
19 historically controlled trial.

20 DR. FORASTIERE: Historically controlled trial,
21 okay.

22 DR. WILLIAMS: Well, a patient is his own
23 control I guess is the way we would put it.

24 DR. DeLAP: That has been the philosophy in the

1 past when products have occasionally been approved based on
2 phase II data.

3 DR. FORASTIERE: I just want it clarified.

4 DR. DUTCHER: All right. Well, then we really
5 actually have two. We have question number 2 and then we
6 have question number 4 and 5. Is the sample size of 59
7 patients for the two phase II studies adequate for an
8 efficacy supplement in this indication for full approval?
9 All those who would vote yes?

10 (A show of hands.)

11 DR. DUTCHER: Eight yes.

12 All those who vote no?

13 (A show of hands.)

14 DR. DUTCHER: Three. It actually should be
15 nine yes because Dr. Abrams voted yes.

16 Now, we'll take up the issue of dose. Do you
17 agree with the proposed dose of 135 milligrams per meter
18 squared every 3 weeks? Comments on dose. Dr. Swain.

19 DR. SWAIN: Well, I would say no based on what
20 we've seen just because the toxicity seemed much less with
21 the 100 and also because I guess the two new studies that
22 we heard about are using 100. So, it's a little
23 incongruous to approve it for one dose and have two large
24 studies using 100.

1 DR. DUTCHER: Dr. Johnson.

2 DR. DAVID JOHNSON: Is it necessary to settle
3 on one of those two doses? Why not have, as was suggested,
4 if we approve the agent, the results of both studies in the
5 package insert, and it may come down to a clinical judgment
6 issue.

7 As I understood the first study, the NIH study,
8 there was some dose alteration. I don't know what other
9 term to use. Is that right? That point kept being made.

10 DR. SWAIN: Well, that makes even less data
11 available then.

12 DR. DAVID JOHNSON: That's right.

13 DR. YARCHOAN: There seems to be some
14 misunderstanding. The patients were started at 135 and
15 then were pushed up to a maximum of 175 --

16 DR. DAVID JOHNSON: That's my point.

17 DR. YARCHOAN: -- unless they got grade 3
18 toxicity, were de-escalated for grade 4. Actually the
19 study was designed to push people until they got toxicity.

20 DR. DAVID JOHNSON: But, see, I think that's
21 all the more reason to go with Sandy's recommendation
22 because in essence we really don't know what happens at
23 135. There were a lot of modifications. It seems to me a
24 more prudent course would be to put both sets of data in

1 the package insert and have the clinician make that
2 decision.

3 DR. DUTCHER: Okay, we'll modify question
4 number 3. Would you recommend this be approved putting
5 both doses and the data for each study in the package
6 insert versus deciding on a specific dose?

7 DR. KROOK: That's two questions.

8 DR. DUTCHER: That's two questions. Well, it's
9 a versus.

10 DR. FORASTIERE: Can we clarify also the G-CSF
11 because that will go hand in hand?

12 DR. DUTCHER: Well, that's right. The original
13 proposal was they would propose the 135 dose with a
14 requirement for G-CSF -- or recommendation for G-CSF.

15 DR. SWAIN: And also if they want to include
16 the 135 data, they need to -- we really haven't seen which
17 patients actually got 135 and what the toxicities were for
18 those patients. It might have only been 5 patients.

19 DR. WILLIAMS: I think we get the sense of your
20 vote that we do something other than what's here. Then I
21 think we can grapple with it.

22 DR. GELBER: But everyone did start at 135. Is
23 that right? So, everyone got one dose at least at that
24 level, and then some of them might have had that toxicity

1 you reported at even the higher dose.

2 DR. DUTCHER: Right. So, it may have been at
3 the higher dose rather than the 135.

4 DR. GELBER: So, you really don't know what the
5 dose relationship to toxicity is from the data that have
6 been presented. It needs further discussion outside of
7 this committee.

8 DR. DAVID JOHNSON: Except for the second study
9 I think where the dose was kept constant. Right? That was
10 not changed. There the toxicity data are fairly modest. I
11 think that's why the recommendation came to look
12 specifically at that. But I still think one could be a
13 little bit flexible on this.

14 DR. DUTCHER: Okay. The FDA gets the sense of
15 the committee's discussion. Thank you.

16 All right, question number 4. Should Taxol be
17 approved for second-line systemic chemotherapy of Kaposi's
18 sarcoma? Full approval. Comments?

19 (No response.)

20 DR. DUTCHER: Shall we vote? All those in
21 favor of full approval, please raise your hand.

22 (A show of hands.)

23 DR. DUTCHER: Seven.

24 All those not in favor?

1 (A show of hands.)

2 DR. DUTCHER: Four.

3 Anybody on either vote want to make a comment?

4 The comments were that they didn't think the post-approval
5 studies would be feasible. Comments for those that voted
6 no? Sandy?

7 DR. SWAIN: Well, I guess one reason I voted no
8 is because I think the mechanism of accelerated approval is
9 to get the drugs out more quickly, but unfortunately with
10 that, you don't have a lot of the toxicity data and it's a
11 small number of patients and we're also arguing about the
12 dose here too. So, I'm a little concerned about that and I
13 would prefer to see another study done or the data at least
14 from the studies that have been started.

15 MR. MARCO: For the approval vote, I completely
16 agree with Donald Abrams, but it's also important to know
17 that the response rates for this drug are double that of
18 most other either single agent or combination chemotherapy.
19 The clinical benefit, while at times it's marginal or it's
20 not on all patients, is obvious. So, here we have
21 excellent tumor response and we do see some signs of
22 clinical benefit. So, I think that can equal efficacy.

23 DR. DUTCHER: I think my concerns are related
24 to the fact that there was significant toxicity at the

1 higher dose, and as we've just discussed, we don't know
2 what dose actually that was. As was pointed out, they were
3 better performance status patients. This will be used in a
4 variety of patients and we may well see considerably more
5 toxicity. So, I think the toxicity part of this is still
6 to be determined and really needs further careful analysis.

7 I'm not saying that the response rate isn't
8 there. I think we all agree, but I think that the outcome
9 data still needs to be evaluated.

10 DR. KROOK: Jan, my vote was not for
11 disapproval. It was simply the same as you said.
12 Additional studies and some studies are going to go on.
13 The ECOG study -- you're going to see what toxicity is
14 probably in there and elsewhere. So, just to be sure that
15 the FDA looks at other studies. So, it was not a vote of
16 disapproval for me.

17 DR. GELBER: Yes, and I would support
18 accelerated approval. I'm optimistic essentially based on
19 what you said. I would like to see more information about
20 the clinical benefit to get a handle on what that really
21 is.

22 Also, it's interesting. I note the studies
23 that were presented. One of them completed accrual almost
24 two and a half years ago, and another one about 18 months

1 ago. I also heard that hundreds of patients have been
2 treated with Taxol. Somehow this committee has had the
3 benefit of seeing a selected group of 59 in this category.
4 If the data are out there, then it should be put together
5 and presented to us so that we could move more rapidly
6 toward a full approval. I'm a little concerned, although
7 enthusiastic, about the anecdotal nature as opposed to
8 seeing the hard data that might in fact be there already,
9 but unless it's presented to us, it's very difficult for me
10 to vote a full approval at this time, as much as I would
11 like to do so.

12 MR. MARCO: Because you don't see the clinical
13 benefit clean and everything.

14 DR. GELBER: Well, that's exaggerating, but I
15 would like to have seen more information relating to the
16 clinical benefit to the response rates that we saw. It's
17 probably there.

18 MR. MARCO: Well, in two or three years from
19 now, that will be a valid statement, but it's not what
20 these drugs, two years ago when these studies were done,
21 when clinical benefit wasn't being recorded, when it was
22 never really an issue.

23 DR. DUTCHER: So, the four people who voted no
24 on question 4 were voting for accelerated approval. So, we

1 have eight in favor of full approval and four in favor of
2 accelerated approval.

3 DR. GELBER: Yes.

4 DR. DeLAP: Did Dr. Abrams leave his vote on
5 that then before he left? You said eight to four.

6 DR. DUTCHER: He said for.

7 Any other questions, discussion?

8 (No response.)

9 DR. DUTCHER: Thank you very much. We will
10 adjourn and reconvene tomorrow morning at 8:30.

11 (Whereupon, at 4:32 p.m., the committee was
12 recessed, to reconvene at 8:30 a.m., Tuesday, June 24,
13 1997.)

14

15

16

17

18

19

20

21

22

23

24

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9