

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF
ANESTHETIC AND LIFE SUPPORT DRUGS ADVISORY COMMITTEE

8:05 a.m.
Thursday, February 5, 1998

Versailles Ballrooms I & II
Holiday Inn
8120 Wisconsin Avenue
Bethesda, Maryland

APPEARANCES

COMMITTEE MEMBERS:

TERESE T. HORLOCKER, M.D., Acting Chair
Associate Professor of Anesthesiology
Department of Anesthesiology
Mayo Clinic
200 First Street, S.W.
Rochester, Minnesota 55905

KAREN M. TEMPLETON-SOMERS, PH.D., Executive Secretary
Advisors and Consultants Staff (HFD-21)
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

AMANDA S. CARLISLE, PH.D., M.D.
Professor of Anesthesia and Medicine
University of California, San Francisco
Department of Anesthesia, Box 0624
Division of Critical Care Medicine
505 Parnassus Avenue, Room M-917
San Francisco, California 94143-0624

MARY G. CURLL, R.N., M.S.N., CNOR
Consumer Representative
Assistant Professor
San Antonio College
Department of Nursing Education
1300 San Pedro
San Antonio, Texas 78212-4299

SUSAN K. PALMER, M.D.
Professor
Department of Anesthesiology
University of Colorado Health Sciences Center
4200 East Ninth Avenue
Campus Box B113
Denver, Colorado 80262

JOSEPH G. REVES, M.D.
Professor and Chairman
Department of Anesthesiology
Duke University, Box 3094
Erwin Road, Duke North, Room 3412

Durham, North Carolina 27710

APPEARANCES (Continued)

COMMITTEE MEMBERS: (Continued)

CHARLES A. RHODE, PH.D.
Professor and Chairman
Department of Biostatistics
School of Hygiene and Public Health
The Johns Hopkins University
615 North Wolfe Street, Room 3039
Baltimore, Maryland 21205-3179

MARGARET WOOD, M.D.
E.M. Papper Professor and Chairman
Department of Anesthesiology
College of Physicians & Surgeons of
Columbia University
630 West 168th Street
New York, New York 10032

MARIE L. YOUNG, M.D.
Associate Professor
Department of Anesthesia
University of Pennsylvania
3400 Spruce Street
Philadelphia, Pennsylvania 19104-4283

COMMITTEE GUESTS:

BARBARA M. ALVING, M.D., FACP
Director of Hematology/Medical Oncology Section
The Washington Hospital Center (C2151)
110 Irving Street, N.W.
Washington, D.C. 20010-2975

KENNETH BAUER, M.D.
Associate Professor of Medicine
Harvard Medical School
Chief, Hematology-Oncology at Brockton/
West Roxbury, VA Medical Center
1400 VFW Parkway
West Roxbury, Massachusetts 02132

APPEARANCES (Continued)

COMMITTEE GUESTS: (Continued)

MARVIN STEINBERG, M.D.
Professor and Vice Chair of Orthopedic Surgery
University of Pennsylvania School of Medicine
Silverstein 2
3400 Spruce Street
Philadelphia, Pennsylvania 10104

FOOD AND DRUG ADMINISTRATION STAFF:

PAULA BOTSTEIN, M.D.
Head
Office of Drug Evaluation III

LILIA TALARICO, M.D.
Director
Division of Gastrointestinal and Coagulation
Drug Products

DIANE WYSOWSKI, PH.D.
Division of Pharmacovigilance and Epidemiology
Office of Epidemiology and Biostatistics

DuPONT MERCK PHARMACEUTICAL COMPANY REPRESENTATIVES:

DAVID GRANDISON, M.D.

ORGANON, INC. REPRESENTATIVES:

TOM DELVERS, R.PH.
HARRY MAGNANI, PH.D.

PHARMACIA & UPJOHN REPRESENTATIVES:

JAMES CHAMBERS
GRAHAM F. PINEO, M.D. FRCPC
MARTEN ROSENQVIST, M.D., PH.D.

APPEARANCES (Continued)

RHONE-POLENC RORER REPRESENTATIVES:

PHILIP CHAIKIN, M.D.
TOM DONNELLY, RPR
JAMES HYNSON, M.D.
JIM MUNTZ, M.D.
GERRY RHODES, PH.D., RPR
JANET RUSH, M.D.
SAAD SHAKIR, M.D.

WYETH-AYERST REPRESENTATIVES:

PHILIP J. DeVANE, M.D.

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT - by Dr. Karen M. Templeton-Somers	9
INTRODUCTION - by Dr. Lilia Talarico	11
INDUSTRY PRESENTATIONS:	
ORGANON, INC. by Mr. Tom Delves	20
PHARMACIA & UPJOHN by Mr. James Chambers	23
by Dr. Graham F. Pineo	24
by Dr. Marten Rosenqvist	30
RHONE-POLENC RORER by Dr. Janet Rush	38
WYETH-AYERST LABORATORIES by Dr. Philip DeVane	60
FDA PRESENTATIONS:	
by Dr. Diane Wysowski	62
by Dr. Kenneth Bauer	71
by Dr. Terese Horlocker	86
OPEN PUBLIC HEARING PRESENTATIONS:	
by Dr. David Grandison, DuPont Merck	107
by Dr. Martin Rosenqvist, Pharmacia & Upjohn	112
COMMITTEE DISCUSSION	128

P R O C E E D I N G S

(8:05 a.m.)

DR. HORLOCKER: Good morning. I'd like to call this meeting to order.

I'm Terese Horlocker from the Mayo Clinic. I'm the Acting Chair of the Anesthetic and Life Support Advisory committee. I'd like to welcome you all here and congratulate on getting here despite the weather outside.

The focus of the meeting today will be the risk of spinal hematoma in patients that have undergone regional techniques while receiving the low molecular weight heparins and heparinoids perioperatively. Specifically, it's the job of this advisory committee to assist the FDA with the labeling aspects of these medications, as well as the decision to request additional information that would allow for the safe management of patients receiving these medications while they undergo regional anesthesia.

What I'd like to do now is just take a few moments to have the members of the advisory committee and the guests introduce themselves. I'd like you to state your name, your affiliation, and in addition, with each subsequent presentation, please identify yourself so the stenographer is able to know who is speaking. If we can just start over on the right here.

1 DR. STEINBERG: My name is Marvin Steinberg.
2 I'm professor and Vice Chairman, Department of Orthopedic
3 Surgery at the University of Pennsylvania School of
4 Medicine.

5 DR. ALVING: I'm Barbara Alving, Director of
6 Hematology/Medical Oncology at Washington Hospital Center
7 in Washington, D.C., and I'm a hematologist.

8 DR. BAUER: I'm Ken Bauer. I'm Associate
9 Professor of Medicine at Harvard Medical School, Chief of
10 Hematology-Oncology at the VA Hospital in West Roxbury, and
11 also a physician at Beth Israel Deaconess Medical Center in
12 Boston.

13 DR. PALMER: Hi. I'm Susan Palmer and I'm a
14 professor of anesthesiology at the University of Colorado
15 Medical School.

16 DR. YOUNG: Marie Young, Associate Professor of
17 Anesthesia, University of Pennsylvania Medical Center.

18 DR. CARLISLE: Sue Carlisle, Professor of
19 Anesthesia and Medicine, University of California, San
20 Francisco.

21 DR. REVES: Jerry Reves, Professor of
22 Anesthesia, Duke University.

23 DR. TEMPLETON-SOMERS: Karen Templeton-Somers,
24 Executive Secretary for the committee, FDA.

1 MS. CURLL: Mary Gomez Curll, Associate
2 Professor of Nursing, San Antonio College, San Antonio,
3 Texas.

4 DR. RHODE: I'm Charles Rhode, Professor of
5 Biostatistics at Johns Hopkins University.

6 DR. WOOD: Margaret Wood, Professor and
7 Chairman, Columbia University in New York.

8 DR. WYSOWSKI: Diane Wysowski, epidemiologist,
9 Office of Epidemiology and Biostatistics, FDA.

10 DR. TALARICO: I'm Julia Talarico, the Director
11 of the Division of Gastrointestinal and Blood Coagulation
12 Drug Products of the FDA.

13 DR. BOTSTEIN: I'm Paula Botstein, Head of the
14 Office of Drug Evaluation III in the Center for Drugs.

15 DR. HORLOCKER: Dr. Somers, would you like to
16 read the conflict of interest statement please?

17 DR. TEMPLETON-SOMERS: The following
18 announcement addresses the issue of conflict of interest
19 with regard to this meeting and is made a part of the
20 record to preclude even the appearance of such at this
21 meeting.

22 Based on the submitted agenda for the meeting
23 and all financial interests reported by the committee
24 participants, it has been determined that all interests in

1 firms regulated by the Center for Drug Evaluation and
2 Research present no potential for an appearance of a
3 conflict of interest at this meeting.

4 We would like to disclose for the record that
5 Dr. Terese Horlocker's employer, the Mayo Clinic's
6 Department of Anesthesiology, has an interest which does
7 not constitute a financial interest within the meaning of
8 18 U.S.C. 208(a) but which could create the appearance of a
9 conflict. The agency has determined, notwithstanding this
10 interest, that the interest in the government in Dr.
11 Horlocker's participation outweighs the concern that the
12 integrity of the agency's programs may be questioned.
13 Therefore, Dr. Horlocker may participate fully in today's
14 meeting.

15 With respect to FDA's invited guest experts,
16 Drs. Barbara Alving and Kenneth Bauer have reported
17 interests which we believe should be made public to allow
18 the participants to objectively evaluate their comments.

19 Dr. Alving would like to disclose for the
20 record that she may be receiving a research grant from
21 Pharmacia & Upjohn. In addition, Dr. Alving has reported
22 that she is a speaker for Rhone-Polenc Rorer.

23 Dr. Bauer would like to disclose that he is a
24 member of one of Organon's steering committees.

1 In the event that the discussions involve any
2 other products or firms not already on the agenda for which
3 an FDA participant has a financial interest, the
4 participants are aware of the need to exclude themselves
5 from such involvement and their exclusion will be noted for
6 the record.

7 With respect to all other participants, we ask
8 in the interest of fairness that they address any current
9 or previous financial involvement with any firm whose
10 products they may wish to comment upon.

11 Thank you.

12 DR. HORLOCKER: Dr. Talarico, would you like to
13 make your comments please?

14 DR. TALARICO: I'd like to thank the Anesthetic
15 and Life Support Advisory Committee for taking the
16 opportunity so that we can address the risk of
17 epidural/spinal hematomas in patients receiving neuraxial
18 anesthesia with concomitant thromboprophylaxis with low
19 molecular weight heparins and heparinoids.

20 At the present time in the United States, there
21 are three low molecular weight heparins which have been
22 approved and one heparinoid which has also been approved
23 for thromboprophylaxis.

24 The first low molecular weight heparin to be

1 approved was Lovenox, which was approved in March of 1993,
2 for prevention of DVT which may lead to pulmonary embolism
3 following hip replacement surgery. The dosing regimen was
4 30 milligrams subQ which was the initial dose given
5 following surgery and then b.i.d. for a duration of 7 to 10
6 days postoperatively.

7 There were supplements to the Lovenox NDA for
8 additional indications. The first supplement was in March
9 of 1995 and addressed the prevention of DVT which may lead
10 to pulmonary embolism following knee replacement surgery.
11 The dosing regimen was similar to that used for hip
12 replacement surgery.

13 Supplement 008 was approved in May 1997 and was
14 for prevention of DVT which may lead again to pulmonary
15 embolism in patients undergoing abdominal surgery who are
16 at risk of thromboembolic complications. Here the dosing
17 regimen is different. It was 40 milligrams subQ with the
18 initial dose given preoperatively and then once daily for a
19 duration of 7 to 10 days.

20 The last supplement, 010, which has recently
21 been approved, is for prevention of DVT which may lead to
22 pulmonary embolism during and following hospitalization in
23 patients undergoing hip replacement surgery. Here the
24 dosing regimen consists of two phases. There is a

1 perioperative phase where patients receive 40 milligrams
2 subQ beginning 12 hours before surgery and then once daily
3 for 7 to 10 days, or 30 milligrams subQ beginning 24 hours
4 after surgery and then twice daily for 7 to 10 days.

5 After this perioperative treatment, patients
6 who were found to be free of DVT can be then put on an
7 extended prophylaxis regimen which consists of 40
8 milligrams of Lovenox subQ once daily and for a duration of
9 3 weeks.

10 Now, the second low molecular weight heparin
11 available in the United States was approved in 1994 and is
12 Fragmin. This low molecular weight heparin was approved
13 for prophylaxis of DVT which may lead to pulmonary embolism
14 in patients undergoing abdominal surgery who are at risk
15 for thromboembolic complications. For patients just at
16 risk of thromboembolic complications, the regimen of 2,500
17 anti-X units of Fragmin to be started 1 to 2 hours before
18 surgery and then given once daily postoperatively for a
19 duration of 5 to 10 days.

20 A supplement was then submitted for the
21 indication in patients who are at high risk of
22 thromboembolic complications, such as for example patients
23 operated on for malignancies. Here the indication is again
24 abdominal surgery and the dosing regimen is 5,000 anti-Xa

1 international units subQ, to be given once daily starting
2 again before surgery. On the first day of surgery, the
3 5,000 anti-X units can be given in two divided doses, like
4 2,500 preoperatively and 2,500 postoperatively, and then
5 once daily at the dose of 5,000, for again 5 to 10 days, or
6 until the risk of thromboembolic complication is considered
7 to be reduced.

8 The next preparation to be approved in the
9 United States is Orgaran. Orgaran is not actually a low
10 molecular weight heparin. It's a heparinoid substance.
11 This compound was approved in 1996 for prevention of DVT
12 which may lead to pulmonary embolism in patients undergoing
13 elective hip replacement surgery. The dosing regimen was
14 750 anti-Xa units starting 1 to 4 hours preoperatively and
15 then twice daily for 7 to 10 days or until the risk of
16 thromboembolic complications is diminished.

17 The most recent compound approved is again a
18 low molecular weight heparin, Normiflo, which was approved
19 in 1997 for prevention of DVT following knee replacement
20 surgery. Here the dosing regimen is 50 anti-X units subQ
21 on the evening of the day of surgery or the following
22 morning, and then twice daily postoperatively again for 10-
23 14 days or until the patient is ambulatory.

24 Many thousands of patients were recruited in

1 the studies which led to the approval of all these drugs,
2 including many thousands of patients who had undergone
3 surgery with spinal or epidural anesthesia. We are talking
4 about something like 10,000-15,000 patients, and during the
5 clinical development of the low molecular weight heparins
6 or heparinoids, there were no cases reported of epidural
7 hematomas.

8 The first cases that we became aware of was in
9 October 28, 1994 when two cases of spinal/epidural
10 hematomas were reported to the FDA, and this occurred with
11 Lovenox. The labeling for Lovenox was revised to address
12 specifically a warning of this adverse event.

13 Subsequently in July 1995, a review of all the
14 incidents of spinal bleeding with Lovenox and indwelling
15 catheters was again undertaken, and in September 1995, a
16 total of 8 cases had been found and reported to the FDA.

17 I'll now review the cases and again in January
18 1996, the labeling was gain revised to specifically address
19 the following issues. I might say that when the low
20 molecular weight heparins were approved, the labeling
21 initially included in the warnings section that caution
22 should be used with the use of low molecular weight heparin
23 in patients who are at risk of hemorrhagic complications,
24 and patients who have undergone special surgical procedures

1 like brain, spinal, or ophthalmological surgery.

2 The labeling of Lovenox was revised the first
3 time to include specifically the statement that special
4 precaution should be used in patients with indwelling
5 catheters and epidural catheters and in patients treated
6 concomitantly with antiplatelet drugs.

7 The second revision of the Lovenox labeling,
8 which took place in 1995, again addressed the warning for
9 this specific adverse event. In the warnings section of
10 the labeling and the hemorrhage subsection of the labeling,
11 a new subsection was included addressing specifically
12 neuraxial anesthesia and postoperative indwelling catheter
13 use.

14 The labeling also included the experience from
15 post-marketing surveillance, that cases had been reported
16 and that the cases reported of epidural or spinal hematoma
17 had resulted in many patients in long-term or permanent
18 paralysis.

19 As time went by, more cases were reported, and
20 in June 1996 there were 16 cases. Again, the revision of
21 the labeling that I mentioned occurred again in 1997
22 addressing specifically the risk of epidural and spinal
23 anesthesia.

24 In November 1997, Rhone-Polenc Rorer, the

1 sponsor of Lovenox, provided a cumulative summary of the
2 spinal/epidural hematomas associated with the use of
3 Lovenox, and as of November 1997, there were a little over
4 30 cases of spinal/epidural hematomas which had been
5 reported to the FDA.

6 From November 1997, the FDA, in conjunction
7 with Rhone-Polenc Rorer, has issued several changes. First
8 of all, in December 1997, there were letters issued by the
9 FDA to all manufacturers of low molecular weight heparins
10 and heparinoids to request specifically, one, the addition
11 of a boxed warning that addressed the warning and the
12 precaution for the risk of spinal hematomas in patients
13 receiving thromboprophylaxis with low molecular weight
14 heparin and spinal anesthesia.

15 This warning was extended to all the other low
16 molecular weight heparins and heparinoids based on the
17 assumption that these drugs, although they differ one from
18 the other, they can be considered in the same class.
19 Therefore, it would be likely that the same complications
20 would be seen with all the other drugs.

21 In addition to the inclusion of a boxed
22 warning, the sponsors were to notify the health care
23 providers with a Dear Doctor letter addressing the labeling
24 changes.

1 A health advisory was issued on December 15, as
2 well as a Talk Paper, concerning again the post-marketing
3 reporting of these patients and the risk of epidural and
4 spinal hematoma with the concurrent use of low molecular
5 weight heparin and spinal/epidural anesthesia.

6 In addition to this revision of the labeling,
7 health advisory, and Talk Paper, arrangements were made for
8 putting together an advisory committee meeting to address
9 specifically the risk of epidural hematoma in patients
10 receiving concomitant spinal anesthesia and low molecular
11 weight heparin.

12 So, the purpose of this meeting today is again
13 to address this issue and to see if we can change the
14 labeling, introduce new revisions into the labeling, or
15 have we addressed sufficiently the risk?

16 If the labeling can be changed in any way, what
17 else should be included?

18 Do we have any information which might allow us
19 to advise the health provider on how to use the low
20 molecular weight heparin in relation to the placement or
21 removal of catheters or in spinal anesthesia?

22 Should the low molecular weight heparin, in
23 concomitance with spinal anesthesia, be restricted to
24 special circumstances in patients who have fulfilled

1 special requirements for the combination of both?

2 The other issues. Should the use of
3 intrathecal catheters be contraindicated in patients who
4 receive spinal anesthesia and low molecular weight heparin?

5 During this review of all the adverse events,
6 and particularly the spinal hematoma, it became obvious
7 that the risk factors were playing a very important role.
8 Among the risk factors, the introduction of epidural
9 catheters for analgesia was considered a significant risk
10 factor. The other risk factor was the concomitant
11 administration to patients of compounds that affect
12 platelet function.

13 Now, if further revisions can be introduced in
14 the labeling, what are they? How can we select them?

15 The other issue to be discussed for today is
16 whether this warning should be extended to other
17 anticoagulants, namely heparin and Coumadin.

18 Dr. Diane Wysowski will present the cases that
19 we have analyzed, the 30-plus cases, with all the
20 characteristics.

21 Before addressing the specific cases and what
22 characterized each event and all the risk factors that
23 could be recognized in the analysis of these cases, we
24 would hear from industry with their presentations.

1 DR. HORLOCKER: Thank you, Dr. Talarico.

2 Before the industry membership gets up to make
3 their presentations, I would like to make one request of
4 them in addition to paying very close attention to the 25-
5 minute time allotment. Dr. Talarico alluded to the fact
6 that there were 30 cases of spinal hematoma reported in the
7 United States, and obviously not all those have been
8 published as case reports. Low molecular weight heparins
9 have been used in Europe for approximately 10 or 11 years,
10 and I suspect that there are probably reported but
11 unpublished case reports of spinal hematoma that are in
12 Europe also, and if you have information from your European
13 branches or your Canadian branches, could you please
14 present that information during your presentation also?
15 Thank you.

16 The first company we'll hear from will be
17 Organon.

18 MR. DELVERS: Good morning. My name is Tom
19 Delvers. I am the Senior Drug Information Specialist at
20 Organon, Inc. in West Orange, New Jersey.

21 I'd like to talk about Orgaran, which is
22 danaparoid sodium injection this morning. I'd first like
23 to describe the product, talk a little bit about the
24 pharmacology, and how this product relates to the issue at

1 hand which is spinal/epidural anesthesia.

2 Orgaran was FDA approved on December 24, 1996
3 for DVT prophylaxis in patients undergoing elective hip
4 replacement. Orgaran is given subcutaneously at 750 anti-
5 Xa units twice daily.

6 Orgaran is a compound comprised of three
7 components. The major component is heparan sulfate, which
8 is about 84 percent. There's dermatan sulfate,
9 approximately 12 percent, and chondroitin sulfate, about 4
10 percent.

11 The heparan sulfate actually has two fractions:
12 one fraction that has a high affinity for Factor Xa, and a
13 fraction that has low affinity.

14 The average molecular weight of Orgaran is
15 5,500 daltons.

16 The heparan sulfate component is different from
17 heparin in that there is less sulfination and less of a
18 negative charge on the repeating units as compared with
19 heparin. I can point that out. There is less sulfination
20 and less of a negative charge than on the heparin molecule.

21 The heparan sulfate component selectively
22 inhibits Factor Xa by binding to and therefore enhancing
23 the effect of antithrombin III. Because of the uniformity
24 of the heparan sulfate molecule, Orgaran has very high

1 specificity for Factor Xa. The anti-IIa activity is
2 attributed to the dermatan sulfate component.

3 The anti-Xa to anti-IIa ratio is greater than
4 22 to 1.

5 Orgaran has only a minor effect on platelet
6 function and platelet aggregability as compared with
7 heparin which has a higher affinity for platelets, as
8 demonstrated by this table. In this table we see an in
9 vitro platelet aggregability test where Orgaran was
10 compared with heparin, which explains why in animal models,
11 Orgaran has demonstrated less of a capacity to induce
12 bleeding. Please note the peak blood loss is less with
13 Orgaran as is the area under the curve. Here the peak
14 blood loss is less and also the area under the curve. This
15 is the heparin; that's the Orgaran.

16 This slide shows how Orgaran compares with the
17 low molecular weight heparins with regards to anti-Xa
18 activity as well as the prototype antithrombotic heparin.
19 As we can see, Orgaran has an anti-Xa to anti-IIa ratio of
20 greater than 22 to 1. The low molecular weights have
21 various ratios, and unfractionated heparin is 1 to 1.

22 Unfractionated heparin is made up of fragments
23 of a broad range of molecular weights from 3,000 to 30,000
24 daltons. When these fragments bind to antithrombin III,

1 they affect several steps in the clotting cascade that
2 results in an exponential dose-response curve necessitating
3 careful monitoring. Orgaran, having high specificity for
4 Factor Xa, has a predictable linear dose-response curve.
5 Therefore, no monitoring is normally necessary of
6 prophylactic doses.

7 This table describes some clinical trial data.
8 There are 11 studies in which spinal or epidural anesthesia
9 was reported. In these studies, 1,106 patients received
10 some form of anesthesia. The majority of these patients
11 received Orgaran preoperatively. 378 of these patients
12 were known to have received spinal or epidural anesthesia.
13 There were no reports of spinal hematomas.

14 Therefore, in approximately 4,500 subjects
15 exposed to Orgaran during clinical trials, 378 received
16 spinal/epidural anesthesia alone or in combination with
17 general anesthesia. There were no reports of spinal
18 hematomas.

19 Orgaran was first approved in 1991 in the
20 Netherlands. Since then, Orgaran has been approved in 18
21 countries for DVT prophylaxis. In eight of these
22 countries, Orgaran has been approved for the use in hip
23 patients. That's heparin-induced thrombocytopenia.

24 In addition to clinical trials, there have been

1 no reports of spinal/epidural hematoma in worldwide post-
2 marketing surveillance.

3 In conclusion, Organon concurs with the
4 inclusion of the black boxed warning in the labeling for
5 Orgaran. However, we feel this warning should further
6 emphasize the risk of the procedure. We also believe
7 health care providers need guidance with regards to safe
8 use of antithrombotics during spinal and epidural
9 procedures. As a manufacturer of heparin as well, Organon
10 believes this black boxed warning should be extended to
11 include all parenteral and oral antithrombotic agents.

12 Thank you. Are there any questions?

13 (No response.)

14 MR. DELVERS: Thank you.

15 DR. HORLOCKER: All right. Our next presenters
16 will be Pharmacia & Upjohn.

17 MR. CHAMBERS: Good morning. James Chambers
18 representing Pharmacia & Upjohn. We'd like to thank the
19 committee and the agency for the opportunity to present
20 some information that we hope will be helpful in the
21 deliberations today.

22 Our presentation will be in two parts. First,
23 Dr. Graham Pineo from the University of Calgary, Director
24 of the Thrombosis Research Unit, will present some general

1 risk/benefit considerations in the prevention of
2 thromboembolic events with the use of low molecular weight
3 heparins. Second, Dr. Marten Rosenqvist, Medical Director,
4 Cardiovascular Disease and Thrombosis, at Pharmacia &
5 Upjohn will present our experience with Fragmin.

6 Dr. Pineo.

7 DR. PINEO: Good morning, ladies and gentlemen.

8 As mentioned, I've been asked by Pharmacia &
9 Upjohn to make some general comments about this area of
10 thromboembolism, low molecular weight heparin, and spinal
11 hematoma, and in particular to describe a clinical trial
12 that Russell Hull and I recently completed in North America
13 called the North American Fragmin Trial, or NAFTA. Because
14 we had a preoperative dose of low molecular weight heparin
15 involved, we designed into the study mechanisms to try to
16 avoid or minimize the risk of spinal hematoma. This may be
17 of use to you in your deliberations.

18 I would also point out that I'm not employed by
19 Pharmacia & Upjohn. We do clinical trials with other low
20 molecular weight heparins.

21 This may be elementary but to put the problem
22 in perspective, I show you some slides. This comes from
23 the familiar October 1995 Chest, data that you'll be
24 familiar with, reviewed by Anderson, showing that fatal

1 pulmonary embolism is a common cause of death or
2 contributes to death in a large number of patients, still
3 felt to be one of the most common preventable causes of
4 death in hospitals.

5 The incidence of total PE in general surgery
6 without prophylaxis and fatal PE, and you'll recall that in
7 the international multi-center study that figure was
8 brought to .8 percent with the use of low dose heparin.
9 Orthopedic surgery patients are at particular risk for
10 thromboembolism.

11 Next is also from Clagett's article in the same
12 issue showing the incidence of DVT and fatal and total PE
13 in patients from clinical trials that were placebo-
14 controlled using venography as the endpoint. In hip
15 surgery and knee replacement surgery, these are the
16 incidences that were seen in those days, and the high
17 incidence of fatal pulmonary embolism.

18 Now, fatal PE is an unusual event today where
19 active agents are being used, usually two active agents.
20 But they still rarely do occur, and fatal PEs do occur off
21 study, for example, as evidenced by information from the
22 mortality and morbidity reviews in the UK and elsewhere.
23 So, we are dealing with a serious problem.

24 The issue of whether regional anesthesia

1 decreases the incidence of venous thrombosis. Early
2 studies demonstrated that they in fact did. If prophylaxis
3 weren't used, DVTs were more common in patients having
4 general anesthetic than a regional anesthetic. And these
5 were venographically proven.

6 Now where we're using active agents, that
7 doesn't appear to be the case anymore. I show you some
8 data from a study that we published in the New England
9 Journal in 1993, 1,207 patients, this many receiving
10 spinal/epidural or a combination with general, comparing
11 low molecular weight heparin and warfarin. And we saw no
12 difference in the DVT rates in those receiving general or
13 epidural anesthesia. Others have shown the same. Unless
14 there are new data that I'm not aware of, I don't think we
15 can attribute an advantage to regional anesthesia in terms
16 of preventing deep vein thrombosis.

17 Again, you're familiar with this. When
18 compared with unfractionated heparin, low molecular weight
19 heparin is at least as good or better than low-dose heparin
20 in the prevention of DVT in general surgery, and in the
21 recent meta-analysis from the British Journal of Surgery,
22 this was true for orthopedic surgery as well.

23 In North America, prophylaxis with low
24 molecular weight heparin started postoperatively -- and I'm

1 showing you the trials that compared warfarin and low
2 molecular weight heparin. These show that for total hip
3 replacement, warfarin and low molecular weight heparin are
4 equally beneficial. When we come to total knee
5 replacement, low molecular weight heparin is superior to
6 warfarin, warfarin started either on the night of surgery
7 or the night before surgery.

8 This was one trial that was recently published
9 by Francis and his group in the U.S. using preoperative low
10 molecular weight heparin, and they showed that the low
11 molecular weight heparin significantly decreased the
12 incidence of total DVT in these total hip replacement
13 patients.

14 So, coming to spinal hematoma and low molecular
15 weight heparin and regional anesthesia, you're familiar
16 with these reviews. In randomized clinical trials, we are
17 not seeing any spinal hematomas. These patients are more
18 carefully selected.

19 But in case reports initially that were coming
20 from Europe, a review by Vandermeulen, there were some risk
21 factors that were starting to stand out, and these are
22 mentioned here. At the time that we designed the study
23 that I mentioned, these were already well recognized. So,
24 we tried very hard to avoid the complications.

1 I'll tell you a little bit more about this
2 study, a multi-center double-blind study. It was carried
3 out in 32 different centers: 9 in Canada and the rest were
4 in the U.S. It was completed in November. The last
5 patient came in exactly 3 months ago. So, we have just
6 finished follow-up, and I'm not able to report the results
7 as yet.

8 It was a three-arm study, and we compared
9 preoperative low molecular weight heparin -- and this was
10 started within 2 hours of surgery, and if patients had a
11 spinal or epidural, it was given only after the needle was
12 inserted and was atraumatic. The dose was split, 2,500
13 units pre-op and 2,500 that night, and then 5,000 daily
14 with a post-op arm which is 5,000 the night of surgery and
15 warfarin started on the night of surgery. The main
16 objective here was to see if preoperative low molecular
17 weight heparin was superior to warfarin in the prevention
18 of venous thrombosis and that it was safe.

19 So, we were looking at major bleeding, and in
20 our clinical report forms, we demanded that people explain
21 what kind of anesthetic the patient received and if there
22 were any bleeding complications that could be related to
23 the regional anesthesia.

24 So, that study is completed. We can tell you

1 from the safety data that there were no spinal hematomas.
2 Major bleeding was rare and our safety monitor had no
3 concerns about bleeding in any of the three arms.

4 This is what we had done in the protocol, that
5 we did not permit epidural or spinal puncture in patients
6 who had previously been on anticoagulants or on NSAIDs up
7 till the time of admission or on steroids. We strongly
8 discouraged the use of epidural anesthesia and did not
9 permit the use of epidural catheters for more than 12
10 hours.

11 So, the low molecular weight heparin was given
12 only after the regional anesthetic was commenced, and if
13 there were bleeding, a complicated puncture or any kind of
14 bleeding disorder, the patient was not included in the
15 study, did not receive either the placebo or the active
16 agent.

17 I've described the dosage here.

18 If they did have a catheter, the catheter had
19 to be removed well before the second dose the evening of
20 surgery.

21 So, we offer these as possible guidelines to
22 help minimize the risk of spinal hematoma in patients
23 receiving low molecular weight heparin.

24 Thank you.

1 DR. ROSENQVIST: Good morning.

2 My task here is to give you the background
3 information on the experience with Fragmin in patients
4 receiving spinal or epidural anesthesia.

5 Fragmin was introduced in 1985 and is presently
6 marketed for prophylactic use and for treatment in 48
7 countries. Based on our sales figures, worldwide 27
8 million patients have received Fragmin for
9 thromboprophylaxis.

10 The dosing regimen has been divided into
11 patients who had a moderate or a high risk for
12 thromboembolic complications.

13 For patients with a moderate risk, 2,500 units
14 are administered 1 to 2 hours preoperatively and 2,500
15 units daily starting on the first postoperative day.

16 For patients at a high risk, we have
17 recommended 2,500 units 1 to 2 hours preoperatively and
18 another 2,500 units the evening of surgery followed by
19 5,000 units daily starting on the first postoperative day.

20 An alternate dosing regimen is to provide 5,000
21 units 10 to 12 hours pre-op and repeated once daily until
22 the risk for thromboembolic complications has diminished.

23 These recommendations have also the
24 pharmacokinetic capacities shown in this slide where you

1 can see that measured as anti-Xa activity for a dose of
2 Fragmin of either 5,000 or 2,500 units, the anti-Xa
3 activity is going down, approaching 0 after 12 hours. This
4 is despite the fact that we do have a proven clinical
5 efficacy in thromboembolic prophylaxis.

6 When it comes to the question of epidural or
7 spinal hematomas, we have compiled our experience from
8 clinical trials of patients receiving the combination of
9 Fragmin together with epidural or spinal anesthesia. We
10 had 1,653 patients receiving this combination without any
11 cases of spinal hematomas.

12 We also did a conservative estimate from our
13 sales figures suggesting that at least 2,700,000 patients
14 have received Fragmin in the setting of epidural/spinal
15 anesthesia.

16 And we have two spontaneous reports of spinal
17 hematoma that have been recently published in the Norwegian
18 weekly medical journal, and I would like to review these
19 two cases with you.

20 The first case is a 65-year-old male who was
21 admitted with right costal pain and jaundice. He underwent
22 a complicated surgical procedure with a cholecystectomy and
23 a partial pancreatectomy due to a necrotic pancreatitis.
24 Preoperatively he received 2,500 units and then 2,500 units

1 postoperatively daily.

2 After the sixth postoperative day, when the
3 patient had received Fragmin for 6 days, the physicians
4 decided to place an epidural catheter for pain control.
5 The alternative, due to the severe pain, was to put him on
6 a ventilator. He received his last dose of Fragmin at 8:00
7 a.m., and the epidural catheter was placed 3 and a half
8 hours later. It was a complicated puncture and 10 minutes
9 after the puncture, the patient had a rapid drop in blood
10 pressure and a sensory and motor blockade. A decompressive
11 laminectomy was performed 18 hours later and the patient at
12 follow-up has paraplegia.

13 As you can see from this case, there are
14 several risk factors involved. This patient had been on 6
15 days of anticoagulation treatment when the epidural
16 catheter was placed. It was also a complicated puncture
17 and several attempts had to be made.

18 Next case. This was a 51-year-old female who
19 came to the hospital because of a left femoral neck
20 fracture. Her previous medical history included multiple
21 sclerosis with partial lower extremity paralysis. Her
22 concomitant medication included Toradol, a potent NSAID
23 drug, which was also given the day of surgery.

24 Preoperatively she received 2,500 units of

1 Fragmin, and after that, a spinal puncture was performed
2 which was slightly blood-tinged but thereafter cleared.

3 10 hours postoperatively she received 5,000
4 units of Fragmin, and the postoperative course then was
5 that she developed signs of increasing back pain and
6 decompressive laminectomy was delayed and wasn't performed
7 until 40 hours after the spinal anesthesia.

8 On follow-up, the patient has an almost
9 complete extremity paralysis.

10 Again, there are several risk factors in this
11 patient. She was on a potent NSAID drug, Toradol, and she
12 received already 10 hours postoperatively a dose of 5,000
13 units of Fragmin.

14 Based on our clinical experience and on the
15 NAFT protocol, we would like to advocate the following risk
16 reduction strategy for Fragmin.

17 Epidural/spinal puncture should not be allowed
18 for patients receiving anticoagulation therapy, including
19 NSAIDs or steroids.

20 Low molecular heparin should be administered
21 after a safe epidural or spinal puncture has been
22 performed, in order to make sure that the puncture has been
23 uncomplicated without any signs of bleeding.

24 There should be no low molecular heparin

1 provided if there has been a complicated puncture or the
2 patient has a clotting disorder.

3 And the doses that we advocate are
4 preoperatively 2,500 units times 1; postoperatively the
5 evening after surgery, 2,500 units; and then 5,000 units
6 daily until the risks for thromboembolic complication has
7 diminished.

8 And finally, the epidural catheter, if such is
9 left in place, should be removed 8 to 12 hours after the
10 last dose of Fragmin has been given.

11 In summary, DVT and PE remain a significant
12 clinical problem in postoperative patients.

13 Low molecular heparin significantly reduces the
14 risk of thromboembolic events.

15 The use of regional anesthesia is increasing.

16 Risk factors for epidural/spinal hematomas can
17 be identified prior to surgery and must be weighed against
18 potential benefits.

19 Clinical practice guidelines for the concurrent
20 use of regional anesthesia and anticoagulant prophylactic
21 therapy should be developed.

22 Thank you.

23 DR. PALMER: Question.

24 DR. HORLOCKER: Would you identify yourself

1 please?

2 DR. PALMER: Dr. Palmer.

3 Your case one, isn't that the one we read about
4 that was a direct thoracic puncture of the dura and direct
5 needle trauma to the cord?

6 DR. ROSENQVIST: Yes.

7 DR. PALMER: So, really with the symptoms
8 developing within 10 minutes of direct needle puncture to
9 the cord, I don't think any of these guidelines apply to
10 this case, do you?

11 DR. ROSENQVIST: No.

12 Yes?

13 DR. STEINBERG: Yes. I'd like to bring up a
14 few points. This is based on practical orthopedic usage.

15 First of all, you stated that the Clagett study
16 showed 3.4 to 6 percent fatal PEs after total hip
17 replacement. This is one order of magnitude greater than
18 most studies.

19 Next, you talked about the use of preoperative
20 low molecular weight heparins. In practical use, most of
21 us do not use these preoperatively. We start at 12 hours,
22 and even that's dangerous. So, usually 18 or 24 hours.

23 Third, you spoke about the contraindications to
24 spinal or epidural in the face of NSAIDs or steroids.

1 Again, many of our patients who are on steroids receive
2 booster doses before spinals and we do use these in the
3 face of nonsteroidals and we do not have problems.

4 Would you respond to these please?

5 DR. ROSENQVIST: I would like to respond to the
6 fact that these are the guidelines that we have provided in
7 our clinical studies, that patients should not be included
8 if they are on a steroid or anti-inflammatory drugs. The
9 regimen we have, the preoperative administration of
10 Fragmin, is the one that we have documentation on and that
11 we have done in our clinical trials.

12 I don't know if Dr. Pineo might have a comment
13 on the incidence of pulmonary embolism.

14 DR. PINEO: I also agree these are very high
15 rates. These data did come from randomized clinical trials
16 that were placebo-based, and I was just quoting what has
17 been in a familiar table that appears in Chest and in
18 Colman's book and other places. I agree those are high
19 rates, but that's what we've seen in early clinical trials.

20 DR. HORLOCKER: Dr. Wood.

21 DR. WOOD: Yes, but that was going to be my
22 question. If you look at the protocols that you've shown,
23 those quite complicated protocols as regards when the low
24 molecular weight heparin or heparinoid started vis-a-vis

1 the neuraxial anesthesia, but it was my impression that
2 there's no evidence whatsoever thus far that preoperative
3 commencement versus postoperative commencement is any
4 significant difference in the incidence of deep venous
5 thrombosis. Is that correct? Or do you have other data to
6 show that there is a difference?

7 DR. PINEO: No. The only data comparing low
8 molecular weight heparin given either pre-op or post-op
9 within the same trial is the study I mentioned, the NAFTA
10 trial. We'll have those results later in the spring.
11 Otherwise you're just comparing across trials, the European
12 trials where they start pre-op and North American where
13 they start post-op.

14 DR. WOOD: Which is not the same thing.

15 DR. PINEO: Which is not the same thing. So,
16 we will have evidence whether or not there's any benefit in
17 starting preoperatively.

18 DR. HORLOCKER: I would just like to address
19 the issue that there is not a synergistic or healthful
20 effect of regional anesthesia in patients that also receive
21 low molecular weight heparin. There was a recent
22 publication in the New England Journal of Medicine,
23 November 1997, comparing recombinant hirudin with low
24 molecular weight heparin after total hip arthroplasty. The

1 authors did a multivariable analysis and found that type of
2 anesthesia, general versus regional, did significantly
3 affect the risk of deep venous thrombosis. That was a p
4 value of .001. So, there is actually some data out there
5 to support the use of regional anesthesia in these patients
6 and justifies at least some benefit.

7 Other questions, comments? Yes, Dr. Reves.

8 DR. REVES: I'm curious as to why you're
9 recommending -- back to the question earlier -- that
10 patients who are on nonsteroidals, steroids should not
11 receive any spinal or epidural anesthesia.

12 DR. PINEO: In the clinical trial, we were
13 trying to avoid any possible danger --

14 DR. REVES: I'm not talking about protocols.
15 This was a sweeping kind of statement that seemed to sweep
16 across this room and I think caused some curious questions.

17 DR. ROSENQVIST: In most of the reports in
18 spinal hematomas, it's clear that clotting disorders is a
19 precaution when you do a spinal puncture.

20 DR. REVES: I'm asking for data. Do you have
21 data like an incidence that makes you say such a statement?

22 DR. ROSENQVIST: No, we don't have.

23 DR. HORLOCKER: We can proceed with our next
24 presentation then, Rhone-Polenc Rorer.

1 DR. RUSH: I'm Janet Rush from the Clinical
2 Research Group at Rhone-Polenc Rorer.

3 Dr. Horlocker, Dr. Botstein, Dr. Talarico, and
4 members of the committee and members and guests, on behalf
5 of RPR, I would like to thank the FDA and the advisory
6 committee for providing the opportunity to participate in
7 today's session addressing a very important patient safety
8 issue.

9 As detailed in the documentation provided to
10 the committee, RPR has been working with FDA since 1995 to
11 provide appropriate warnings to the prescribing physician
12 in the package circular and in promotional materials
13 concerning the risk of neuraxial hematoma. The recent FDA
14 advisory, the Dear Doctor mailing, and the revision of
15 package circulars all are important steps to bringing this
16 issue to the attention of health care professionals.

17 Low molecular weight heparins, such as Lovenox,
18 are very effective anticoagulants for the prevention of
19 deep vein thrombosis and pulmonary embolism associated with
20 orthopedic surgery and major abdominal surgery, as you've
21 already heard today.

22 When neuraxial anesthesia and analgesia have
23 been used, neuraxial hematomas have occurred.

24 Even with previous labeling changes and efforts

1 to inform the medical community, cases continue to be
2 reported and the message needs to be repeatedly
3 disseminated and reemphasized using a variety of methods.

4 As you will hear in this presentation and as
5 you've already heard some of today, we believe there are
6 certain factors that tend to increase the likelihood that
7 neuraxial hematomas might occur. With additional guidance
8 on the management of anticoagulated patients and patients
9 scheduled to be anticoagulated, the chances of neuraxial
10 hematoma formation and their serious sequelae can be
11 greatly reduced.

12 One of the important additional steps which
13 could be taken to improve the uptake of the message would
14 be the inclusion of clinical guidelines at least in a brief
15 format in the physician prescribing information for each of
16 the package circulars, with more detailed recommendations
17 being issued by a professional society, such as the
18 American Society of Regional Anaesthesia.

19 Inclusion of brief clinical guidance in the
20 package circular would facilitate the dissemination of this
21 important patient management information to health care
22 professionals. Additionally, inclusion of the information
23 in the package circular will allow the pharmaceutical
24 industry to take a more direct, proactive role in this

1 process through direct interactions of professional
2 representatives with health care professionals and make the
3 information known to a broader audience of caregivers
4 outside the discipline of anesthesiology.

5 Most of the cases which bring us together today
6 occurred in patients receiving Lovenox and the majority of
7 these cases occurred in the United States. However, it is
8 important to put this information in context with the use
9 of other anticoagulants in the setting of neuraxial
10 anesthesia.

11 There are a number of literature reports of
12 neuraxial hematoma associated with heparin, including
13 subcutaneous heparin, warfarin, and dextran, as well as
14 antiplatelet agents such as aspirin. Many of these case
15 reports are referred to in the publication by Dr.
16 Vandermeulen and included as the first reference in the
17 briefing document provided to the committee. Rates cannot
18 be determined because of the large uncertainty associated
19 with the population exposed.

20 However, this does emphasize that neuraxial
21 hematoma is not related only to low molecular weight
22 heparins and heparinoids. Since the risk of neuraxial
23 hematoma in the setting of neuraxial anesthesia exists with
24 all anticoagulants, including heparin and warfarin, the

1 product circulars of all anticoagulants should emphasize
2 this risk.

3 There are many low molecular weight heparins
4 marketed outside the U.S. The most widely used are
5 Lovenox, Fragmin, Fraxiparin from Sanofi, and Sandoparin
6 from Novartis. This slide shows these four widely used low
7 molecular weight heparins and the number of prefilled
8 syringes or unit doses sold outside the U.S. since market
9 introduction. These unit-dose data are from IMS audit
10 reports and thus all data are from the same independent
11 source rather than from individual manufacturers.

12 Based upon literature reports outside the U.S.,
13 the number of reports in relation to sales appears
14 relatively similar, as indicated by these overlapping
15 confidence intervals.

16 RPR is aware of four published cases and two
17 additional non-U.S. cases that were reported to RPR but not
18 published. If we include all these cases, the six cases,
19 for the sake of completeness, this would make a total of
20 six Lovenox cases outside the U.S. and again the confidence
21 intervals all overlap.

22 RPR does not have access to the data on the
23 other manufacturers for reports that may have been reported
24 just to the manufacturer and not published, but we did hear

1 from Pharmacia & Upjohn that these are the only two cases
2 that have occurred outside the U.S. with Fragmin.

3 The number of cases reported from outside the
4 U.S. is lower than the number reported to FDA relative to
5 the volume of low molecular weight heparins in use. There
6 are many possible reasons which could contribute to the
7 occurrence in reporting of more cases in the U.S.,
8 including anesthetic and surgical practices, reporting
9 differences, and the dose regimen which differs in
10 orthopedic surgery.

11 With respect to anesthetic practices, there may
12 be differences in the percentage of patients receiving
13 spinal or epidural anesthesia, the frequency of indwelling
14 catheter use for pain control, the length and stiffness of
15 the catheters, the anesthetic agents of choice, and the
16 demographics of the patients who receive hip and knee
17 replacements.

18 There may also be differences between the U.S.
19 and other countries in traditions of adverse event
20 reporting. In some countries it is less common to report
21 an adverse event that is related to the pharmacology of the
22 drug and epidural hematomas occurring in anticoagulant
23 patients may be considered expected based on the known
24 potential effects of anticoagulants. This is particularly

1 important in the case we're discussing today because
2 physicians may have considered the neuraxial hematomas to
3 be related to the procedure not to the drug and then may
4 not have reported them to the manufacturer of the drug.

5 Another important factor is the length of time
6 that the drug has been in use. It's well documented that
7 adverse events reports are higher during the initial
8 introduction of the product and decline with time. Cases
9 associated with heparin and warfarin which have been
10 marketed for many years may be less likely to be reported.
11 Public awareness also influences adverse event reporting,
12 and this can differ worldwide.

13 Some of you might be familiar with the example
14 of Suprofen, a nonsteroidal anti-inflammatory agent with
15 many parallels to the situation with low molecular weight
16 heparins. The clinical trials of Suprofen, which served as
17 the basis of approval of this product, included up to 3,000
18 patients in Europe and 2,100 patients in the U.S.

19 It was marketed in Europe in 1982 and flank
20 pain syndrome was not identified. Suprofen was first
21 marketed in the U.S. in 1986. 6 cases of flank pain
22 syndrome prompted a Dear Doctor letter and with the ensuing
23 months, 163 cases in the U.S. were reported and only 17
24 cases in the other 24 countries in which this product was

1 marketed, giving relative to use a case rate of 23.3 per
2 estimated 100,000 patients exposed in the U.S. and .7 for
3 100,000 exposed outside the U.S.

4 Another possible factor which could influence
5 the distribution of reported cases is that the dose of
6 Lovenox approved outside North America for orthopedic
7 surgery is 40 milligrams once daily initiated
8 preoperatively, whereas 30 milligrams every 12 hours
9 initiated postoperatively is approved for orthopedic
10 surgery in the U.S. and Canada.

11 While both regimens are effective, it was the
12 conclusion of RPR and FDA that the 30 milligram, every 12
13 hour regimen was more efficacious in the high risk setting
14 of orthopedic surgery. This was based on the results of
15 two studies in patients undergoing hip replacement surgery.
16 In both studies the regimen of 30 milligrams every 12 hours
17 tended to be more efficacious than the 40 milligram, once
18 daily regimen which was initiated postoperatively in this
19 trial. In one study, the 525 study, this difference was
20 significant.

21 For the prevention of DVT in major abdominal
22 surgery, 40 milligrams once daily initiated preoperatively
23 is the approved prophylactic regimen worldwide.

24 As Dr. Talarico mentioned, Lovenox was the

1 first low molecular weight heparin to be approved in the
2 U.S. and was introduced in 1993. On this slide, we see the
3 syringes sold in the U.S. through September 1997 for two of
4 the four products being discussed today, Lovenox and
5 Fragmin. Since 1993, 97 percent of the units sold in the
6 U.S. have been Lovenox 3-milligram prefilled syringes and
7 an additional .5 percent for Lovenox 40-milligram syringes.
8 Only 2 percent were Fragmin and less than .5 percent were
9 Normiflo and Orgaran.

10 Because epidural hematoma is an infrequent
11 event, Lovenox is the only low molecular weight heparin
12 with sufficient use to have had cases reported and
13 observed, cases of neuraxial hematoma. This slide shows
14 the U.S. reports of neuraxial hematomas over time and
15 indicates the sales -- and here are the cases -- over time
16 in the U.S.

17 Even with the revisions to the Lovenox package
18 insert and efforts to inform the medical community, cases
19 have continued to occur. The initial revision to the
20 Lovenox package insert, as mentioned by Dr. Talarico, was
21 made in response to the reporting of the first two cases of
22 epidural hematoma, and there have been a total of three
23 labeling changes, as shown here.

24 As part of a program to increase awareness of

1 the problem, there have been three Dear Health Care
2 Professional and Dear Doctor mailings to bring this
3 information to the attention of a wide audience. RPR has
4 also provided the details of the case histories to several
5 individuals, Drs. Hynson, Horlocker, and Tryba, who have
6 analyzed them and published the case series in professional
7 journals.

8 However, as I mentioned, interactions of
9 professional representatives and physicians are limited to
10 the information contained in the package circular and the
11 inclusion of more specific information would enable the
12 pharmaceutical industry to take a more direct role in
13 communication of the recommendations that would come from a
14 meeting such as this.

15 In RPR's examination of the cases of neuraxial
16 hematoma, certain common elements appear repeatedly and may
17 be factors which should be taken into account in the
18 development of product labeling and professional society
19 guidelines. These numbers have been updated since the list
20 provided in the briefing document.

21 It's clear that the majority of patients were
22 females. Two-thirds had epidural anesthesia. One-third
23 had an indwelling catheter for more than 24 hours for
24 postoperative analgesia. Other characteristics include

1 concomitant use of medications with antiplatelet
2 properties, such as NSAIDs, nonconformance with the
3 recommended dosing interval for the anticoagulant, multiple
4 attempts to position the needle or catheter, the occurrence
5 of a bloody tap, or catheter withdrawal at the peak of
6 anticoagulant activity. This list is consistent with the
7 characteristics of the literature cases reported with other
8 anticoagulants.

9 From the 16 patients in our series in whom the
10 weight is known, it does not appear as though low weight is
11 a risk factor since all Lovenox patients who developed
12 neuraxial hematoma weighed 62 kilos or more.

13 19 of the reported cases occurred in patients
14 who received Lovenox and neuraxial anesthesia in the
15 setting of hip or knee arthroplasty during the years 1995
16 through 1997. So, on this slide, you're looking just at
17 the subset of cases who had hip or knee replacement surgery
18 and neuraxial anesthesia.

19 Through marketing survey data, we have
20 attempted to quantify the number of patients who received
21 Lovenox during these years and who had various forms of
22 regional anesthesia or analgesia. We must all acknowledge
23 the considerable uncertainty regarding the accuracy of the
24 population estimates which come from a market survey which

1 is fraught with a lot of uncertainty.

2 However, a rather striking difference does
3 become evident when the data are examined in this way. In
4 patients exposed to Lovenox in whom an indwelling catheter
5 remains in place for more than 24 hours, the risk of
6 neuraxial hematoma appears to be considerably higher than
7 in patients who received spinal anesthesia or epidural
8 anesthesia for less than 24 hours.

9 In some of the reported cases, the symptoms of
10 neuraxial hematoma can be linked to two critical time
11 points, the time of insertion and time of removal of the
12 needle or catheter. It's logical to postulate that the
13 level of anticoagulation at these two critical time points
14 should be given careful consideration.

15 For the low molecular weight heparins and
16 heparinoids, the level of anticoagulant activity is lowest
17 at the end of the dosing interval, and some practitioners
18 have assumed that this is the safest time to remove an
19 indwelling catheter. However, in the case of a low
20 molecular weight heparin administered on a twice daily
21 schedule, there is substantial anticoagulant activity
22 present even at trough.

23 In order to increase the safety margin, some
24 experts have recommended skipping a dose of low molecular

1 weight heparin allowing 24 hours to elapse since the last
2 previous dose before discontinuing an indwelling catheter.
3 This recommendation is mentioned in the reference by Dr.
4 Horlocker reproduced in your briefing document.

5 For Lovenox, a 24-hour interval before
6 discontinuing an indwelling catheter will enable the anti-
7 Xa level to drop to near the limit of detection which would
8 provide an added safety margin. This recommended interval
9 would need to be adjusted based upon the specific
10 pharmacokinetic characteristics of each of the low
11 molecular weight heparins or heparinoids.

12 In order to take this into account, RPR has
13 previously proposed brief prescribing guidelines which
14 could provide the practitioner with specific information.
15 The elements which we believe should be addressed in the
16 package circular are, first, omission of any preoperative
17 dose if neuraxial anesthesia is planned; second, removal of
18 the epidural catheter at least 2 to 8 hours prior to the
19 initiation of anticoagulant, if possible; and in the case
20 of an indwelling catheter for postoperative analgesia, 24
21 hours should elapse between the previous dose of
22 anticoagulant and the removal of the catheter, the next
23 dose to be given no sooner than 2 to 8 hours after catheter
24 removal.

1 The interval recommended between the removal of
2 the catheter and the initiation of anticoagulant differs
3 markedly in the published guidelines and references
4 commenting on this topic, and we believe the recommended
5 interval needs to be defined based upon the collective
6 wisdom of people who write the guidelines which will issue
7 following this and other meetings.

8 In 1995 the American College of Chest
9 Physicians published consensus guidelines on antithrombotic
10 therapy for the prevention of thromboembolic disease.

11 In the setting of total hip arthroplasty, the
12 most effective thromboprophylactic modalities were low
13 molecular weight heparin in a fixed dose twice daily, oral
14 anticoagulation titrated to an INR of 2 to 3, and adjusted
15 dose heparin. Considered less effective were low-dose
16 heparin, aspirin, dextran, or intermittent pneumatic
17 compression.

18 In the setting of knee arthroplasty, the
19 recommendations are somewhat different. The only
20 pharmacologic modality recommended was low molecular weight
21 heparin in a fixed dose twice daily and intermittent
22 pneumatic compression.

23 Low-dose heparin, aspirin, dextran, and
24 intermittent compression, therefore, are not recommended in

1 the setting of hip replacement surgery.

2 Regional anesthesia does confer some protection
3 from DVT relative to general anesthesia, but the effect is
4 relatively modest. This study demonstrates the additional
5 benefit of Lovenox in this setting. In this series of 153
6 patients, all of whom received spinal anesthesia, a DVT
7 rate of 37 percent in the placebo group -- this is DVT
8 diagnosed by a venographic exam which was performed on all
9 the patients enrolled in the trial, and this rate was
10 reduced to 14 percent in the group which received Lovenox
11 40 milligrams once daily. In this study the 40 milligrams
12 once daily was initiated postoperatively.

13 Of special note is the reduction of proximal
14 DVT from 16 to 2.6 percent, both of these reductions being
15 highly significant.

16 Other than Lovenox, warfarin is the most widely
17 used agent for DVT prophylaxis in the U.S.

18 Whereas warfarin and low molecular weight
19 heparin are both effective prophylactic agents in the
20 setting of total hip arthroplasty, the situation is very
21 different in total knee arthroplasty, as was observed in
22 this study of Normiflo versus warfarin. In total hip
23 arthroplasty, there was a trend favoring twice daily
24 Normiflo over warfarin with a p value of .07.

1 In patients undergoing knee replacement, the
2 advantage of low molecular weight heparin was striking,
3 with a reduction of deep vein thrombosis or pulmonary
4 embolism from 43 percent, 26 percent with twice daily
5 Normiflo.

6 This advantage of low molecular weight heparin
7 over warfarin following total knee arthroplasty has been
8 observed in a number of studies now, including this study
9 in which the DVT rate of 45 percent with warfarin was
10 reduced to 25 percent with Lovenox. And even more striking
11 was the reduction of proximal DVT from 11 percent to 1.7
12 percent in the Lovenox group.

13 So, in conclusion, low molecular weight
14 heparins are efficacious pharmacologic agents for the
15 prevention of thromboembolic complications of hip and knee
16 replacement surgery. When anticoagulants are used in the
17 setting of neuraxial anesthesia, cases of neuraxial
18 hematoma have been reported.

19 Even with the changes that have been made and
20 efforts to inform the medical community, cases continue to
21 occur. Educational efforts must be increased, including
22 development of guidelines. Recommendations for the use of
23 thromboprophylaxis in the setting of neuraxial anesthesia
24 should be included in the respective package circulars. We

1 are confident that guidance will emerge from this committee
2 today that will enable the safer use of anticoagulants in
3 the surgical setting.

4 Thank you.

5 DR. HORLOCKER: Questions?

6 DR. PALMER: Question.

7 DR. HORLOCKER: Dr. Palmer.

8 DR. PALMER: Could you go back to your steady
9 state plasma anti-X activity slide?

10 DR. RUSH: Okay.

11 DR. PALMER: It's seventh from the last, if
12 that helps.

13 I was just wondering if you could help me
14 understand what this would look like in percent of normal
15 Xa activity because this international units doesn't
16 compute for me. In other words, when you get at the peak
17 of action at 2 hours, how much of the normal activity does
18 a person have as opposed to when you get out to 20 hours
19 there, how much of normal activity is returned?

20 DR. RUSH: Well, this is all pharmacologic
21 activity, anti-Xa activity.

22 DR. PALMER: Right. What I'm having trouble
23 with is how that compares to normal activity rather than
24 international units.

1 DR. RUSH: Normal activity.

2 DR. PALMER: Yes. I guess maybe I'm not making
3 it clear but maybe there is someone in the room who could
4 help me with this. I want to know when we're above 50
5 percent normal activity.

6 DR. MAGNANI: Harry Magnani from Organon.

7 The problem with the anti-Xa units is for all
8 these compounds that they're not equivalent. Each one has
9 to be measured against its own control. So, Lovenox is
10 measured against a Lovenox control; Orgaran against an
11 Orgaran control; heparin against a heparin control. So,
12 that means that you can't just say that so many units of
13 anti-Xa activity of Lovenox are equivalent to so many units
14 -- well, you can say they're equivalent, but you can't say
15 they're the same as so many units of heparin. So, it
16 doesn't make any, in a sense, sense to say how many units
17 of heparin is this because they have other activities on
18 the coagulation cascade, and therefore it's not really an
19 equivalent situation.

20 DR. PALMER: I guess I'm not asking my question
21 clearly enough. What I'm trying to get at is at what point
22 in the hours does a person's Xa activity return to 50
23 percent of normal. I don't really care about heparin
24 equivalence.

1 DR. ALVING: Well, basically it's a way to
2 monitor the heparin activity since we can't do an APTT. We
3 just say how do we measure its anticoagulant activity. So,
4 we say let's measure that activity against an activated
5 factor like Xa. So, when you do the assay, you're adding
6 the Xa into the plasma, and you measure the potency of the
7 heparin by its activity against anti-Xa.

8 So, one way to look at it would be if you want
9 to have someone therapeutically anticoagulated against deep
10 venous thrombosis, you would like to have an anti Factor Xa
11 activity of .3 to .7 units per ml. So, when you see that
12 peak there, you'd say, gee, that little peak represents
13 full anticoagulant activity as full protection against DVT.

14 DR. PALMER: So, what you're saying is once you
15 get below .3, which looks like it occurs at about 7 or 8
16 hours out -- 7 hours out, that you would not have a
17 therapeutic level of anticoagulation. Is that what you're
18 saying?

19 DR. ALVING: That's correct. It's getting
20 lower and lower. Right. So, in other words, when you're
21 between .3 and .7, that would be equivalent to full-dose
22 heparinization with unfractionated heparin.

23 DR. PALMER: Thank you. That's helpful.

24 DR. HORLOCKER: Yes. Please identify yourself,

1 sir.

2 DR. STEINBERG: Marvin Steinberg.

3 Dr. Rush, you and the previous speakers keep
4 referring to the changes in the incidence of DVT with these
5 various agents. Now, DVT, especially below the knee, may
6 be of almost no clinical consequence. Would you relate
7 this to PEs and more specifically fatal PEs, which is
8 really the only thing that is significant here? And is
9 there any data and can there be any data, because of the
10 numbers involved, that lead to statistically significant
11 differences?

12 DR. RUSH: Yes. I think that's a very good
13 point. I think we all recognize that the rate of fatal PE
14 in these patients is fairly low, but we have to keep in
15 mind we're not only trying to prevent fatal PE, we're also
16 trying to prevent the morbidity and additional
17 hospitalization and morbidity of patients who have nonfatal
18 PEs and proximal vein thrombosis. So, the magnitude of the
19 clinical problem is greater than just the fatal PE rate.

20 DR. STEINBERG: Well, do you have any data
21 showing fatal PEs? That is really what counts.

22 DR. RUSH: Yes. There have been several
23 studies of fatal PEs in the setting of orthopedic surgery.
24 I think the data that Dr. Pineo showed you is well-known.

1 It's widely quoted. There are several other series which
2 find fatal PE rates more in the range of 1 percent or less.
3 But in this day where thromboprophylaxis is so widely used,
4 it probably is not very easy to sort out the differences
5 between the way surgical practices have evolved and the
6 thromboprophylaxis. We're all using the best efforts we
7 can to reduce fatal PE and that's why the rate is low.

8 DR. STEINBERG: To be the devil's advocate,
9 some very good statisticians have stated that it requires
10 over 30,000 patients with prospective double-blind controls
11 to prove that there's any significant difference in the
12 instance of fatal PEs and therefore have come to the
13 conclusion that the definitive study cannot be done. Do
14 you agree with that?

15 DR. RUSH: It certainly would be difficult to
16 show a difference in fatal PEs if you were to compare
17 various effective modalities, modalities known to be
18 effective. It would probably be unethical to do a trial
19 where you did not use any DVT prophylaxis, and so such a
20 trial would be very difficult to perform.

21 DR. HORLOCKER: Questions? Dr. Bauer.

22 DR. BAUER: I have a question related to the
23 issue of the pharmacology and the dosing. Maybe you could
24 put that last overhead up again.

1 It would seem to me that the potential exists
2 with Q12 hourly dosing with repetitive doses for
3 accumulation of anti-Xa activity over time with repetitive
4 dosing at the 12-hour --

5 DR. RUSH: Well, this --

6 DR. BAUER: I know it's a single dose.

7 DR. RUSH: No. This is a steady state. So,
8 here we are at .1, and this is the steady state trough
9 level. So, this is all the accumulation that we see.

10 DR. BAUER: Okay. So, that's into repetitive
11 dosing. Okay.

12 DR. RUSH: This is the last dose administered
13 on day 8.

14 DR. BAUER: Okay, I see that. Thanks for that
15 clarification.

16 DR. HORLOCKER: Dr. Wood.

17 DR. WOOD: I agree with Dr. Steinberg, and it's
18 really a philosophical comment as to the way the data has
19 been analyzed. When we all started medicine, the thing
20 that we were taught as part of the Hippocratic Oath was
21 first do no harm. That has changed now. If you look at
22 the antithrombolytic therapy that's used for myocardial
23 ischemia, cerebral hemorrhage and stroke is an inevitable
24 consequence of quite proper antithrombolytic therapy. But

1 when the data was analyzed it was done very well comparing
2 risk-benefit ratio of cerebral bleed/stroke versus
3 incidence of myocardial ischemia.

4 If you actually look at the way the data is
5 being analyzed here, we haven't really seen the risk of
6 epidural hematoma versus what really is a surrogate
7 endpoint, deep venous thrombosis, versus pulmonary
8 embolism. And I think it could be done. It would be very
9 difficult to do, but I think it could be done in the way it
10 was done for myocardial ischemia and antithrombolytic
11 therapy.

12 DR. HORLOCKER: Any other questions?

13 (No response.)

14 DR. HORLOCKER: We'll proceed with Wyeth.

15 DR. CHAIKIN: Phil Chaikin with RPR Clinical
16 Research.

17 I think there should be some additional
18 discussion about the effect of anti-Xa levels, though, this
19 .3 to .7 and differentiating between what's effective
20 anticoagulation for therapy of a DVT as opposed to
21 prevention. Even in Dr. Horlocker's review article, she
22 had mentioned that peak anti-Xa levels at .1 to .2 units
23 per ml were effective as far as prevention of DVT. So, I
24 think there's a differentiation that has to be made between

1 what you need with respect to anti-Xa levels inhibition
2 with respect to treatment of a DVT as opposed to
3 prophylaxis for a DVT.

4 DR. HORLOCKER: Thank you.

5 Sir, could you please identify yourself to the
6 stenographer.

7 DR. DeVANE: Good morning. Dr. Horlocker, Dr.
8 Talarico, members of the advisory committee and guests,
9 ladies and gentlemen, I'm Philip DeVane, Vice President of
10 Clinical Affairs and the North American Medical Director,
11 representing Wyeth-Ayerst ESI.

12 I'd like to thank you for the opportunity to
13 take part in the discussion this morning and I'm going to
14 make some very brief remarks. This morning I'll present
15 information regarding the reports of spinal hematoma
16 associated with Wyeth-Ayerst low molecular weight heparin,
17 Normiflo, and a description of such reports associated with
18 our heparin products. We're a major manufacturer of
19 heparin sodium in the United States.

20 Regarding Normiflo, which is dosed by patient
21 weight to allow plasma anti-Xa levels to be relatively
22 constant over a range of patient weights, in the clinical
23 trials 4,185 patients received Normiflo in the trials, of
24 which about a quarter, 1,119 patients, received epidural or

1 spinal anesthesia. As you've already heard, no cases of
2 spinal or epidural hematomata were reported.

3 Similarly in post-marketing experience, no
4 cases of spinal or epidural hematoma have been reported in
5 the U.S. to us from the time of product launch, which was
6 in July of last year, 1997, through the period, the end of
7 January 1998.

8 However, under the circumstances, we believe
9 that the safety issue of patients is paramount, and we've
10 accepted the recent revisions to the package insert of the
11 low molecular weight heparins and heparinoid products in
12 order to convey the risks associated with the use of these
13 products when neuraxial anesthesia is employed or
14 diagnostic lumbar puncture is undertaken.

15 With regard to spinal hematomata associated
16 with heparin products and neuraxial anesthesia, since 1990
17 our post-marketing database of spontaneous reports includes
18 two cases of epidural hematomas, both literature reports.
19 During this time frame, over 340 million units of our
20 therapeutic heparin sodium and over 380 million units of
21 our nontherapeutic heparin sodium -- that is, Hep-Lock and
22 heparin flush -- units have been sold.

23 We acknowledge that this is a controversial
24 issue where the true incidence is unknown, in part because

1 of the lack of controlled clinical trials and in part due
2 to an unknown degree of under-reporting. However, we've
3 not seen any increased reporting spinal hematoma
4 associated with the use of our heparin products, and thus
5 based on this information, we do not believe that the
6 current heparin labeling needs to be changed.

7 I'm sure the committee appreciates the
8 differences between therapeutic heparinization versus the
9 use of heparin flush units. These products have very
10 different risk-to-benefit ratios and in fact have very
11 different package inserts.

12 Thank you very much.

13 DR. HORLOCKER: Questions.

14 One question for you. So, you're saying there
15 are no unpublished case reports of spinal hematoma from
16 your product.

17 DR. DeVANE: That's correct.

18 DR. HORLOCKER: Both in the United States and
19 in Europe.

20 DR. DeVANE: We only market the drug in the
21 United States and it's not commercially available outside
22 the United States. So, no, there are no unpublished cases.

23 Thank you.

24 DR. HORLOCKER: We can adjourn for a quick

1 break. Shall we reconvene at 10 o'clock? Thank you.

2 (Recess.)

3 DR. HORLOCKER: I'd like to get started with
4 our FDA presentations please. Our first presenter will be
5 Dr. Diane Wysowski who will talk about the spinal/epidural
6 hematomas and bleeds in the U.S. Lovenox users.

7 DR. WYSOWSKI: From marketing of enoxaparin, or
8 Lovenox, in May 1993 through January 7th of this year, the
9 FDA received reports of 33 patients in the United States
10 administered Lovenox who developed spinal and epidural
11 hematomas or bleeds. Two additional reports were received
12 after January 7th and are not included in this analysis.

13 I'm here to summarize the 33 case reports for
14 you today. The number 33 may represent the tip of the
15 iceberg since for most adverse events there is significant
16 under-reporting to the FDA.

17 Also, the reports that we received are
18 sometimes sketchy and do not contain all the information
19 that we would like, and obtaining follow-up information is
20 usually difficult. Despite these problems, we can still
21 summarize information from the cases reported.

22 As you can see from this slide, most of the
23 cases occurred in 1997. 75 percent of the patients were
24 women. They were elderly. The median age of the patients

1 was about 77 years. They were administered Lovenox for
2 thromboprophylaxis primarily in association with knee and
3 hip replacement surgery.

4 Lovenox was also administered for
5 thromboprophylaxis in association with spine and back
6 surgery in 3 patients, hip surgery in 3 patients, and
7 prolonged bed rest in 1 patient who received a steroid
8 injection in her spine. In addition, one woman had
9 repeated administration of Lovenox with knee replacement
10 and two GI surgeries that occurred within a few weeks of
11 each other. A 60-year-old woman had a lateral meniscectomy
12 and a 59-year-old man was administered Lovenox in an IND
13 study for vascular rejection after cardiac transplant. For
14 2 patients, the indication was not specified.

15 Except for the patient with the cardiac
16 transplant who received 80 milligrams of Lovenox per day
17 and a patient who received 120 milligrams within the day of
18 surgery, most of the patients for whom dose information was
19 provided received the recommended dose of 30 milligrams
20 b.i.d.

21 The average and median time from use of Lovenox
22 to onset of neurological symptoms was about 3 days.

23 21 patients had emergency decompressive surgery
24 to evacuate the epidural hematoma. 1 patient refused the

1 procedure.

2 Reports in 10 patients made no mention of
3 surgery to evacuate the clot, and for 1 patient who had a
4 bleed, but no hematoma by MRI, leg symptoms resolved with
5 removal of the epidural catheter.

6 Not all of the 33 patients had outcome
7 information, but of the 26 who did, 13 were reported to
8 have permanent paralysis. 7 had partial resolution of
9 paralysis or neurologic symptoms and 6 had apparently full
10 resolution of paralysis or neurologic symptoms.

11 12, or 36 percent, of the 33 patients were
12 administered concomitant medications that likely increased
13 the risk of bleeding. These included warfarin, ketorolac
14 or Toradol, naproxen, aspirin, Persantine, and Timentin
15 administered singly or in combination.

16 As mentioned previously, the reports sometimes
17 lacked full information, but I counted 23, or 70 percent,
18 of the 33 patients with mention of epidural catheter
19 attempts or placements, including 4 with multiple attempts
20 or traumatic placements. There were 12 patients with
21 specific mention of the catheter left indwelling
22 postoperatively.

23 Because we do not have controls, we can only
24 speculate on potential risk factors for development of

1 spinal and epidural hematoma in Lovenox exposed patients.
2 All of the patients for whom there was information had an
3 invasive procedure performed in the epidural or spinal area
4 whether by placement of anesthesia, analgesia, tap,
5 surgery, or injection. All of the 32 patients with data
6 reported had an invasive procedure to the spine if the
7 heart transplant patient who had a thoracentesis and
8 developed a hematoma at the thoracic level is included.

9 Other potential risk factors include exceeding
10 the recommended dose of Lovenox. The dose was exceeded in
11 2 patients.

12 Use of epidural catheters. 23, or 70 percent,
13 of the patients had epidural catheters.

14 Leaving the epidural catheters in
15 postoperatively. 12, or 36 percent, of the patients had
16 catheters left indwelling.

17 Concomitant medications that may have increased
18 the risk of bleeding. 12, or 36 percent, were taking these
19 medications.

20 Older age. 23, or 70 percent, of patients were
21 70 years of age and older.

22 And female gender. 24, or 73 percent, were
23 women.

24 Other potential risk factors include ankylosing

1 spondylitis, a history of previous laminectomy, repeated
2 surgeries with repeated administration of Lovenox within a
3 short time period, renal and hepatic dysfunction,
4 coagulopathies such as prolonged clotting time and low
5 Factor X, and abnormal blood values pre- and
6 postoperatively.

7 In an attempt to put the 33 cases into a
8 context of risk, this slide shows the number of syringes of
9 Lovenox purchased by hospitals and long-term care
10 facilities by year since marketing. According to IMS
11 America data, in the four and a half years from marketing
12 through December 1997, about 28.6 million prefilled
13 syringes of Lovenox were purchased by hospitals in the
14 United States. During this period, the number of dispensed
15 outpatient prescriptions for Lovenox increased from about
16 6,000 in 1994 to about 87,000 in 1997.

17 If we assume 80 percent of the 28.6 million
18 syringes purchased were used, then 22.9 million syringes
19 were used. If we assume 10 Lovenox syringes were
20 administered to each patient, then 22.9 million divided by
21 10 equals 2.29 million patients treated with Lovenox since
22 marketing. 33 cases divided by 2.29 million patients
23 treated equals 1.4 cases of epidural hematoma or bleed per
24 100,000 patients treated with Lovenox.

1 This reporting rate is equal to 2 to 2.8 times
2 the rate quoted in the literature of 0.5 to 0.7 per 100,000
3 of neurologic dysfunction due to bleeding after neuraxial
4 blockade. Unfortunately, this reporting rate is limited by
5 possible under-reporting of cases in the numerator and by a
6 possibly inaccurate estimate of Lovenox-exposed persons in
7 the denominator. However, if there is significant under-
8 reporting of cases to the FDA, then the reporting rate
9 would be considerably higher.

10 In summary, over the four and a half years for
11 marketing of Lovenox through January 7th, 1998, the FDA
12 received 33 reports of spinal or epidural hematomas or
13 bleeds in United States patients administered Lovenox.
14 I've described the characteristics of these patients and
15 mentioned what may be possible risk factors for development
16 of this rare but potentially devastating event. I've also
17 presented information on the use of Lovenox and calculated
18 a reporting rate that shows that the risk of spinal and
19 epidural hematoma in Lovenox users may be higher than the
20 rate quoted in the literature for neurologic dysfunction
21 due to bleeding after neuraxial blockade.

22 Thank you.

23 DR. HORLOCKER: Any questions?

24 I have one. I'm wondering if there are reports

1 of spinal hematomas in patients that received
2 unfractionated heparin or warfarin that have been reported
3 to the FDA that we haven't published because those two
4 anticoagulant have been around for a number of years.

5 DR. WYSOWSKI: Actually I could just read this.
6 This is the information that we have currently. 33
7 reports. These are United States anticoagulant users. 33
8 in the SRS, spontaneous reporting system; 2 in the medical
9 literature for Lovenox; Fragmin, 0 in the spontaneous
10 reporting system. As you can see, the marketing dates
11 here. Note that Fragmin was marketed in November of 1995,
12 and then the two Norwegian reports that we heard about
13 earlier this morning from the literature. Normiflo, 0 and
14 0.

15 And wasn't there a report in the literature
16 that you mentioned for Normiflo this morning? I'm not
17 sure, but in any case Orgaran, 0 and 0; warfarin, 8. Now,
18 warfarin has been available in the United States from about
19 the 1950's, 1950 or so. The spontaneous reporting system
20 came into existence in the United States I guess it was in
21 1969, late 1960's. So, we have 8 in the SRS dating from
22 1979 and approximately 17 United States patients not in the
23 SRS that are in the medical literature.

24 For regular heparin, about 8 dating from 1974,

1 and 21 reported worldwide in the literature from the review
2 by Vandermeulen plus 11 more from a recent Medline search.

3 Those are the numbers that we have currently.

4 DR. HORLOCKER: Yes. Dr. Alving.

5 DR. ALVING: I would just like to make a
6 comment and that is that from the time of their inception,
7 one of the most attractive features about low molecular
8 weight heparins is that they do not require monitoring, and
9 if they did require monitoring, it would be very difficult
10 because again it would require that anti-Factor Xa assay,
11 which is largely unavailable because of its expense.

12 It's my opinion that anesthesiologists love to
13 be able to have a handle on the pro time and PTT. So,
14 they're very careful when they know someone is on warfarin
15 or on heparin. But the fact that these low molecular
16 weight heparins do not require monitoring and are not
17 monitored and do not influence the PTT or the PT and yet
18 can have full therapeutic activity, if you were to look at
19 the anti-Xa activity, means essentially out of sight/out of
20 mind for many physicians.

21 So, I don't consider them any more dangerous
22 than Coumadin or heparin by any means. It's just that we
23 have a handle on Coumadin and we can check the pro time,
24 the PTT, and then decide about invasive procedures, but

1 this tends not to be the case, at least up till now,
2 perhaps as stringently for the low molecular weight
3 heparins.

4 DR. HORLOCKER: In addition to Dr. Alving's
5 comments, we can't really reverse the effect of low
6 molecular weight heparin which makes it a little more
7 difficult for us, too. So, exactly.

8 Yes.

9 DR. BOTSTEIN: I think that's an important
10 point. There has been a perception perhaps that Lovenox
11 and the other low molecular weight heparins are safer than
12 heparin and Coumadin because you don't have to monitor. In
13 fact, you can't monitor. There's no good, easily available
14 test. We have just changed Lovenox's package insert to say
15 that explicitly. You can't monitor.

16 DR. ALVING: Hopefully we can discuss this
17 later because, as you brought up, what happens if there is
18 a bleed? The data out there on what to do is essentially
19 nonexistent, and you can only partially reverse it with
20 protamine, but if you have no clue if the low molecular
21 weight heparin is responsible or still exerting its
22 anticoagulant activity, it's hard to know how to treat that
23 bleed and that can perhaps be addressed later.

24 DR. HORLOCKER: Other questions?

1 (No response.)

2 DR. HORLOCKER: All right. We'll proceed then
3 with Dr. Bauer.

4 DR. BAUER: Thank you for inviting me. I was
5 asked to provide discussion of the biology in clinical use
6 of low molecular weight heparin. Much of what I'm going to
7 say is really an overview and hopefully will focus on some
8 of the issues that have just been brought up in the
9 discussion. It is fairly rudimentary, but I realize we may
10 have a somewhat diverse audience here to review the issue
11 of low molecular weight heparin biology in clinical
12 applications.

13 Well, heparin and antithrombin III actually
14 were discovered in the 20th century and found to work
15 together, and the way that heparin works as an
16 anticoagulant is by neutralizing many of the serine
17 proteases generated by the coagulation cascade,
18 particularly thrombin Factor Xa but also some of the higher
19 up factors cascade and cascade Factor IXa and XIa and XIIa,
20 albeit to a more limited extent. There are other protease
21 inhibitors that are important for those factors as well.

22 The mechanism of how heparin actually works as
23 an anticoagulant was clearly worked out around 1970.
24 Antithrombin III at that point was clearly purified.

1 Heparin was shown to bind to lysine binding sites on
2 antithrombin III and induce an allosteric change in the
3 conformation of antithrombin III so that it would turn from
4 a relatively slow serine protease inhibitor in terms of
5 neutralizing thrombin and Factor Xa to be able to do it
6 much more rapidly as a classic catalyst. So, thrombin and
7 antithrombin would then form a complex which would then be
8 cleared. The activity of thrombin would be neutralized and
9 heparin could go on to catalyze other antithrombin III,
10 thrombin, or antithrombin III, Xa, IXa interactions.

11 What was also learned, though, is that it
12 wasn't solely heparin's binding to antithrombin III but
13 also heparin did have some interaction with thrombin in
14 terms of what was called an approximation effect as opposed
15 to this allosteric effect. I'll come back to that because
16 it's related to one of the biological differences between
17 unfractionated heparin and the low molecular weight
18 heparins.

19 Heparin is a mucopolysaccharide. It contains a
20 heterogeneous population of saccharide chains with roughly
21 a mean molecular weight of 15,000. Low molecular weight is
22 derived from unfractionated heparin by chemical or
23 enzymatic depolymerization methods and has a mean molecular
24 weight roughly around 5,000. But each low molecular weight

1 heparin preparation is slightly different in terms of its
2 mean molecular weight, as well as I'll get to anti-Xa vis-
3 a-vis antithrombin activity.

4 A couple of important things about low
5 molecular weight heparin vis-a-vis unfractionated heparin
6 and one of the rationales for why low molecular weight
7 heparin is actually a better drug than unfractionated
8 heparin is in fact it was learned that some of the higher
9 molecular weight species of unfractionated heparin have
10 antiplatelet effects in terms of qualitatively interfering
11 with platelet function. So, if you rid heparin of these
12 higher molecular weight fractions, you get less of this
13 antiplatelet effect. This is distinct from the effect of
14 heparin-induced thrombocytopenia, which I'll touch on as
15 well.

16 Another important biological property of lower
17 molecular weight heparin as opposed to unfractionated
18 heparin is related to its interaction with thrombin as
19 opposed to Factor Xa. Standard unfractionated heparin, if
20 you look at the larger molecule, as I mentioned for
21 thrombin neutralization by antithrombin, as depicted in
22 this cartoon with this larger guy with this long arm
23 representing the more extended sugar chain, there are
24 domains on antithrombin III through which smaller fragments

1 of heparin interact, but then when you have more extended
2 domains, you bring in this approximation effect. When you
3 have low molecular weight heparins, like this guy without
4 this very long arm, you don't get this approximation effect
5 and you don't have it with Factor Xa.

6 So, in fact, low molecular weight heparins have
7 relatively more anti-Factor Xa than antithrombin activity,
8 and that's an important biological difference between these
9 properties. And we'll come then in a minute to the
10 pharmacological differences.

11 So, to summarize just merely the biological
12 characteristics. Lower mean molecular weight, longer size
13 in terms of saccharide units. There is a critical
14 pentasaccharide unit, a five sugar group that binds to the
15 antithrombin III site. But you can see the mean molecular
16 sizes or in terms of saccharide units between low molecular
17 weight heparin and unfractionated heparin.

18 As I mentioned, because of the extended domains
19 and the approximation effect, for unfractionated heparin
20 the anti-Xa to anti-IIa activity of the heparin, as
21 measured by its effect on antithrombin in terms of
22 neutralizing thrombin, which is Factor IIa and anti-Xa,
23 there's a 1 to 1 relationship; whereas for the low
24 molecular weight heparins, they vary from 2 to 1 to 4 to 1

1 based on the property and, as I mentioned, this impairment
2 of platelet function.

3 So, we have these biological differences, but
4 it's really the pharmacological differences in my view that
5 really make low molecular weight turn out to have a very
6 favorable profile for clinical application, and I really
7 want to spend then the next portion talking about those
8 pharmacological properties.

9 One of the things that was recognized about
10 unfractionated heparin in terms of dosing is that there is
11 a fair amount of binding to other constituents in the blood
12 in the vascular wall besides antithrombin III. So, in
13 fact, unfractionated heparin will bind to other plasma
14 proteins, other cells, macrophages, monocytes in the blood,
15 also can bind to endothelial cells. So, you do have this
16 nonspecific protein binding of heparin which you do not
17 have with lower molecular weight heparin species.

18 The other issue and partly as a consequence of
19 the nonspecific binding, when you use heparin at clinical
20 doses, you can see that there are a dose-dependent
21 differences in plasma half-life in clearance so that at
22 relatively low doses that are used for prophylactic
23 regimens you have relatively shorter half-lives. As the
24 dose increases, the half-life becomes more prolonged and

1 ultimately asymptoting at very, very high doses.

2 A consequence of this when you use
3 unfractionated heparin in clinical practice for therapy for
4 therapeutic cases, you need to monitor patients in terms of
5 monitoring their APTTs. As has already been pointed out,
6 low molecular weight heparins, because they have more anti-
7 Xa to antithrombin activity, have relatively little effect
8 on the APTT so that the APTT doesn't reflect their
9 anticoagulant activity.

10 However, despite that, one of the huge
11 advantages of low molecular weight heparin is that because
12 they have less of a nonspecific binding, their T1 half is
13 relatively constant along dose ranging. So, in fact, you
14 can dose people accordingly and reproducibly and get
15 reproducible plasma levels without worrying about
16 monitoring.

17 So, the advantages then are the predictable
18 anticoagulant response which really makes laboratory
19 monitoring seemingly unnecessary and frankly we don't
20 really know how to actually monitor it reliably in terms of
21 using it in clinical practice. As mentioned the one method
22 of using anti-Xa levels is not that widely available quite
23 yet, and this is because of the dose-independent clearance
24 mechanism of low molecular weight heparin and less

1 nonspecific binding.

2 Another attribute of the drug is in fact it has
3 a longer half-life -- low molecular weight heparin does --
4 as opposed to unfractionated heparin, and for some of the
5 regimens we've heard about, it's allowed not only twice
6 daily dosing but once daily dosing regimens both for
7 prophylaxis and for therapy.

8 Now, this issue of do they cause less bleeding
9 then -- unfractionated heparin. You have to realize this
10 is a double-edge sword because we're trying to prevent
11 thrombosis, but the tradeoff is bleeding. So, all of this
12 becomes in the eye of the beholder in terms of weighing off
13 the relative antithrombotic efficacy versus the bleeding
14 risk, and I think you have to keep those two things in mind
15 when you say it causes less bleeding.

16 But there are some advocates who claim that it
17 does cause less bleeding when given in therapeutic doses.
18 But as I say, I think some of this is in the eye of the
19 beholder and it is a double-edge sword because you have to
20 look at the counterpart side in terms of looking at
21 antithrombotic efficacy. Clearly, if you go to much, much
22 higher doses, you can improve your antithrombotic efficacy,
23 but at a cost.

24 There's also seemingly an attribute of low

1 molecular weight heparin, that it has a lower incidence of
2 this problem of heparin-induced thrombocytopenia. I won't
3 discuss it in great detail, but this is something that
4 clinicians using heparin need to be aware of because about
5 5 percent of people getting unfractionated heparin can
6 develop thrombocytopenia and about 10 percent of that 5
7 percent, if you will, can develop paradoxically thrombosis
8 which, in the presence of heparin-induced thrombocytopenia,
9 can be very morbid and even result in mortality.

10 This just summarizes something from the Medical
11 Letter, and I know it's a moving target in terms of the
12 FDA-approved indications. It just shows you the various
13 preparations: enoxaparin, dalteparin, ardeparin. And
14 important to realize for clinicians, as we've heard about,
15 each of the drugs has a different dosing schedule when used
16 for prophylaxis, and of course they have somewhat different
17 indications with enoxaparin for hip and knee replacement,
18 abdominal surgery, and dalteparin for abdominal surgery,
19 and ardeparin for knee replacement, and danaparoid, the
20 heparinoid, which as we've heard about is a different
21 compound for hip replacement.

22 I think a lot of the use in this country, as
23 we've heard about, is in orthopedic surgical replacement.
24 I think this population obviously is the group of patients

1 who is at highest thrombotic risk. And as we've heard
2 about, the thing we're really worried about is fatal PE
3 with reporting rates without prophylaxis now somewhere
4 between 1 and 10 percent and calf vein DVT between 40 and
5 80 percent and proximal DVT 10 to 30 percent if there is no
6 prophylaxis.

7 There are other situations between hip and knee
8 arthroplasty that carry high thrombotic risks, major
9 surgery for the pelvis, also major surgery in extensive
10 cancer, but I think we'll focus on the orthopedic hip and
11 knee arthroplasty.

12 We've already seen some of this data. This was
13 really some of the initial randomized trials at this point
14 in hip replacement comparing the low molecular weight
15 heparin or lomoparan or the heparinoid danaparoid versus
16 placebo in terms of its efficacy in reducing DVT. This is
17 total DVT, showing that these compounds were highly
18 efficacious in reducing DVT with bleeding risks at least
19 for enoxaparin and placebo that were quite comparable.

20 So, it's safe and effective. How about for hip
21 replacement overall? Actually there still is a school of
22 thought that likes to use warfarin postoperatively in the
23 United States, and this is data actually from Hull and
24 Pineo from a couple of studies they did I think with a

1 different low molecular weight heparin that's not licensed
2 in the United States.

3 But if you look across hip surgery in terms of
4 venous thrombosis and prophylaxis, warfarin and low
5 molecular weight heparin were roughly comparable in this
6 analysis. You will find different results from some
7 different studies when you compare these two, but you
8 already heard from the consensus conference that they
9 considered low molecular weight heparin or warfarin started
10 postoperatively as alternatives for hip replacement.

11 Knees are more problematic and still remain so.
12 They still have a relatively high thrombosis rate even with
13 low molecular weight heparin, quite high in this series,
14 still 45 percent, but showing that in knees that low
15 molecular weight heparin was more efficacious than
16 warfarin.

17 I want to really now just briefly turn to
18 therapy. I know it's not the purview of the deliberations
19 here to address this issue, but I think it's obviously
20 coming down the track in terms of use certainly in the U.S.
21 And I want to review the topic very briefly just to round
22 things out.

23 This was one of the initial studies, the
24 initial treatment studies, for patients with venous

1 thrombosis, done again by Hull's group, comparing low
2 molecular weight heparin now with dosing that was weight-
3 adjusted, unmonitored therapy, in hospital compared to
4 unfractionated heparin using usual dosing regimens with
5 monitoring.

6 They showed in this study that both at 10 days
7 and 3 months that the results with low molecular weight
8 heparin unmonitored in therapeutic doses was as good, if
9 not better, both in terms of preventing recurrences, in
10 terms of bleeding complications, at least for major
11 bleeding complications, and finally suggesting for
12 mortality as well that it was at least as good as
13 unfractionated heparin.

14 Obviously, if you then have a compound that can
15 be administered -- and low molecular weight heparin has
16 been in all these studies administered subcutaneously
17 whereas heparin has usually been used intravenously is
18 another advantage that you can give the drug without the
19 need to have a constant IV and the attention that goes with
20 the IV.

21 Finally, all the treatment issues that have
22 gone beyond that -- and I think this is of great interest
23 to the clinicians out there who are in practice, and of
24 course, with all the pressures going on to shorten length

1 of stay, we've seen now a number of trials comparing home
2 treatment for venous thrombosis using low molecular weight
3 heparin unmonitored as compared to inpatient treatment,
4 indicating that home treatment of deep venous thrombosis in
5 selected patients who don't have significant other
6 comorbidities is as effective as inpatient hospitalization
7 with unfractionated heparin.

8 This has been extended, at least in the
9 inpatient setting, to the treatment of pulmonary embolism
10 with only exclusions in one of the trials for massive
11 pulmonary embolism requiring lysis or embolectomy using
12 different low molecular weight heparin preparations -- two
13 studies in the New England Journal this year or just last
14 year -- and finally, extending it across to unstable angina
15 patients with several different low molecular weight
16 heparins, both dalteparin and enoxaparin, comparing low
17 molecular weight heparin with unfractionated heparin,
18 suggesting -- indicating actually -- significant
19 improvement in outcomes with low molecular weight heparin
20 over unfractionated heparin. This again is unmonitored.

21 I think clearly the advantages in being able to
22 give a drug for therapy, as well as potentially
23 prophylaxis, in terms of getting better clinical outcomes
24 in terms of antithrombotic efficacy, relate to the fact

1 that you get patients into a therapeutic range immediately
2 when you give a low molecular weight heparin. I think when
3 you use it in orthopedic prophylaxis too, as opposed to
4 using, let's say, a warfarin program postoperatively,
5 you're immediately getting your antithrombotic effect from
6 your low molecular weight heparin. Whereas when warfarin
7 has been used postoperatively, it takes several days for
8 the antithrombotic effect to build up. So, I think you do
9 get the advantage in that respect, but of course it is a
10 double-edge sword.

11 I'll stop there.

12 DR. HORLOCKER: Questions for Dr. Bauer. Dr.
13 Palmer.

14 DR. PALMER: A clarification. Is there an
15 agreed upon definition of proximal DVT?

16 DR. BAUER: There is. Occlusion of the
17 popliteal vein or above.

18 DR. PALMER: So, we're still talking about leg
19 clots, not intrapelvic or intra-abdominal clots.

20 DR. BAUER: Well, in almost all of these
21 studies, the ways in which the clots are visualized in
22 these orthopedic surgical things are usually venograms
23 actually. So, their definition of it is that.

24 But I might add that those studies also do look

1 at calf vein thrombosis, so you have to look at calf vein
2 and proximal. With the data I was presenting, I was
3 lumping everything together and not subdividing as you
4 heard from previous speakers, proximal and calf vein. They
5 don't obviously visualize pelvic clots in these studies.

6 DR. HORLOCKER: Other questions? Dr. Alving.

7 DR. ALVING: For the purposes of my thinking
8 about low molecular weight heparins -- and I want to see if
9 you agree with me, Ken -- is I consider them all equal
10 except for heparinoid. That's in a class by itself
11 because, as you've said, the anti-Xa activity to thrombin
12 is 22 to 1. The rest of them are like 2 to 1, 4 to 1.

13 So, although we have different indications and
14 slightly different dosings, they really aren't that
15 different when you look at all of them. So, I consider low
16 molecular weight heparin A equal to low molecular weight
17 heparin B. Only one is expressed in terms of milligrams,
18 but it has a specific activity of about 100 units per
19 milligram. So, as you've done, you can convert it to anti-
20 Xa units. I think maybe I just have to think real simply
21 like that, but when I do, it's much easier to understand
22 the whole gamut of what we're trying to talk about here.

23 Do you agree with that, or do you have any
24 other ideas?

1 DR. BAUER: Yes, I think as a class they're
2 quite similar, and I do tend to think about them quite
3 globally. I think as we look across clinical studies at
4 outcomes, I think the results with one preparation at a
5 given dosing regimen compare pretty well across another.

6 But I think one has to still be a little bit
7 careful because each one is dosed slightly differently,
8 even for prophylaxis and therapy. Some people talk about
9 milligrams and units, and while there are clear-cut easy
10 conversions, I think what's clearly come out in prophylaxis
11 is that dosing is different from one compound to the other.

12 I think that's only important in terms of
13 clinicians and pharmacies as they start to use more of
14 these one compounds to realize and for clinicians to
15 realize that keep your dosing straight based on what are
16 the approved dosing schedules for each one. I would be
17 fairly religious in sticking by what the manufacturer and
18 clinical studies have shown what the recommended dosing
19 regimens are across the board, particularly for
20 prophylaxis. Once we get to therapy, I think there may be
21 more nuances.

22 I think one of the issues I didn't mention and
23 worth mentioning are issues of the -- since it is primarily
24 renally excreted, the cautions that are going to have to be

1 made for patients who have significant renal dysfunction --
2 and I think as these come into much, much wider uses, in
3 sicker patients, particularly medical patients for therapy,
4 I think we're going to need to be cognizant of that. It
5 may push this issue of monitoring for us because I think in
6 those patients we're probably going to need start
7 monitoring, and we're going to have to fall back on anti-Xa
8 units, however imperfect they are in terms of monitoring.

9 DR. TALARICO: I would like to add that as far
10 as we're concerned, they're all different drugs. They are
11 new molecular entities which differ one from the other.
12 The only thing they share is probably the indication. If
13 one works for thromboprophylaxis of hip replacement,
14 another one would work, but you cannot possibly interchange
15 based on anti-X activity. In other words, if a patient
16 needs 5,000 units of anti-X, you cannot use any one because
17 the ratio of anti-X to anti-II is quite different from one
18 to the other. There might be other subtle differences
19 which we don't know yet about it. So, we want to be clear
20 that they are not interchangeable.

21 DR. BAUER: It's obvious I'm not a regulator.

22 (Laughter.)

23 DR. HORLOCKER: Any other questions?

24 (No response.)

1 DR. HORLOCKER: I'll introduce myself as the
2 next speaker then.

3 What I'd like to do for about the next 10 or 15
4 minutes is just give an anesthesiologist's perspective on
5 the risk of spinal hematoma in patients that are undergoing
6 regional anesthetic techniques and try to put the relative
7 risk of the other anticoagulant drugs in perspective with
8 the low molecular weight heparins just to give you a brief
9 overview on this. If you'd like to go into any detail, I'd
10 be happy to do that, but I wanted to just keep this
11 discussion fairly brief.

12 First of all, we all agree that this is a very
13 rare event, and the most recent calculation of this was
14 done by Michael Tryba in 1993 where he assumed that the
15 incidence of spinal hematoma in patients undergoing
16 epidural anesthesia was 1 in 150,000, which is higher than
17 that of patients undergoing spinal anesthesia, which he
18 reported as 1 in 200,000.

19 The etiology can be anything. It doesn't have
20 to just be from the trauma of needle placement. You could
21 have a patient with a preexisting vascular malformation.
22 There could be a preexisting undiagnosed neoplasm, and you
23 could just be in the wrong place at the wrong time.

24 There also are spontaneous spinal hematomas

1 that have occurred, and as of about 1980, there have been
2 100 spontaneous spinal hematomas reported. About 25
3 percent of those occurred in patients that were on oral
4 anticoagulant drugs.

5 So, again, as anesthesiologists, we can just
6 happen to be in the wrong place at the wrong time.
7 Sometimes I wonder a little bit about this because if you
8 look at the level of needle placement in the case reports
9 and the level of where the spinal hematoma occurred, it's
10 not always that close in proximity. Again, it's the
11 smoking gun. We had a needle back there and we're blamed
12 for it, but we have to be aware that these do occur
13 spontaneously.

14 The site of bleeding tends to be the epidural
15 space just because of the prominent venous plexus, although
16 you'll notice after some of the spinal anesthetics, there
17 were subarachnoid bleeds and then actual compression of the
18 spinal cord from intrathecal blood collection.

19 Vandermeulen reviewed all of the English and
20 non-English literature in 1994 and published the most
21 comprehensive compilation of spinal hematomas associated
22 with regional anesthesia. There has not been a more recent
23 one since then.

24 He was able to find 61 cases of spinal hematoma

1 associated with spinal or epidural anesthesia. It's really
2 important to note here that 68 percent of the patients had
3 evidence of some sort of hemostatic abnormality and by far
4 the most representative hemostatic abnormality was caused
5 by some form of heparin, whether it was unfractionated
6 subcutaneous or intravenous heparin, or low molecular
7 weight heparin. In fact, 4 of those 25 were low molecular
8 weight heparin preparations.

9 There was 1 patient on an oral anticoagulant
10 drug and 3 patients on antiplatelet agents, including one
11 that was on Ticlid.

12 Now, I just would like to stop for a moment and
13 talk about the antiplatelet problem. When you consider how
14 prevalent antiplatelet therapy is, especially the one
15 aspirin a day that nearly all of us in this room are
16 probably on, the fact that there are only 3 reported spinal
17 hematomas among these patients is truly remarkable. Most
18 anesthesiologists do not consider antiplatelet therapy by
19 itself, a contraindication to regional anesthesia and most
20 people do not even advise checking a bleeding time prior to
21 spinal or epidural needle placement. So, I think we have
22 to keep that in mind when we go about trying to establish
23 guidelines, that antiplatelet agents by themselves are not
24 a clinically significant risk factor for spinal hematoma.

1 There were also 2 patients that were on
2 thrombolytic therapy and 11 patients that had a preexisting
3 coagulopathy from thrombocytopenia or hemophilia.

4 Needle placement was described as difficult in
5 25 percent or bloody in 25 percent of the cases, and these
6 have been previously identified as risk factors by Eddie
7 Owens who did a review of the literature back in the
8 1980's.

9 When you break down what the anesthetic
10 technique was, you can see that 15 of these 61 were spinal
11 anesthetics and 46 were epidural anesthetics, including 6
12 single dose and 32 continuous catheter. As usual, there
13 are always some that we just cannot really classify, and
14 there were 8 unspecified epidural anesthetic techniques.

15 12 of the 32 indwelling epidural catheters that
16 we know of were removed in the presence of systemic
17 heparinization. In about half of those, they were actually
18 therapeutically anticoagulated. So, this is what most of
19 us would consider a true breach of practice, to remove a
20 catheter while a patient is anticoagulated to a therapeutic
21 level.

22 An important bit of data that Vandermeulen
23 noted that had never been previously reported was that the
24 spinal bleeding occurred at the time of catheter removal in

1 nearly half of the cases.

2 Now, before this report came out, we used to
3 have discussions about how traumatic is catheter removal.
4 We used to think that it was needle and catheter placement
5 that caused the significant trauma and that we didn't have
6 to be careful about what happened while the catheter was in
7 and most importantly what the patient's hemostatic status
8 was at the time of catheter removal.

9 However, Vandermeulen raised this issue for the
10 first time and it has come up in subsequent reports also.
11 We do have to be aware of what the catheter removal is.

12 As far as the neurologic outcome, interestingly
13 enough 3 of the patients who were neurologically intact
14 died of unrelated causes and were found to have a spinal
15 hematoma at autopsy. However, the really disappointing bit
16 of information here is that only 40 percent had a partial
17 or good neurologic recovery, and I think this is pretty
18 similar to what we've seen in our 33 cases that have
19 occurred here within the United States.

20 We have to note what time the laminectomies
21 were performed relative to the initiation of neurologic
22 symptoms. For example, in these patients there were 15
23 laminectomies performed, but 10 were performed within 8
24 hours of the development of paraplegia. In other words,

1 they had an early intervention.

2 There were also several patients that had
3 complete or partial spontaneous recovery, and 6 in which we
4 don't know the intervention.

5 Unfortunately, about 50 percent of the patients
6 had poor neurologic recovery despite the fact that 17 of
7 the 29 actually had laminectomies performed. However, look
8 at the timing of these laminectomies. 10 were performed
9 more than 24 hours after the development of paraplegia, and
10 I think that's another lesson that we can take home today
11 when you review these 33 cases. Many times the patients
12 were neurologically symptomatic for a long time, at least
13 12 or 24 hours before an intervention was taken, and we
14 have to be aware of not only the risk of spinal hematoma
15 but what to do when one develops.

16 In addition, there were some patients that
17 didn't undergo surgery, 4 in which the intervention was not
18 reported, and 6 of the 61 in which the neurologic outcome
19 was unknown.

20 Jumping now to the low molecular weight safety
21 factors. The first report was in the French literature
22 back in 1991 by Schwander and Bachman. They reviewed the
23 practice in France and noted that spinal or epidural
24 anesthesia was given in combination in a large number of

1 patients. Particularly, 5,000 patients received standard
2 subcutaneous heparin, various doses, various dosage
3 schedules. However, there were also 14,000 patients noted
4 by them that had received some formulation of low molecular
5 weight heparin, but they were different formulations,
6 different doses, and different dosage schedules. So, we
7 can't make any real major results from this study.
8 However, among those 14,000 patients, there were no
9 neurologic sequelae reported.

10 Bergqvist performed the next review back in
11 1992, and at that time by looking at the literature of the
12 combined cases and studies that had been done, he could
13 document 9,013 patients that had received spinal or
14 epidural in conjunction with low molecular weight heparin
15 thromboprophylaxis. There were no cases of spinal hematoma
16 among those patients, and at that time the pharmaceutical
17 companies in Europe estimated that approximately a million
18 patients had safely received the combination of low
19 molecular weight heparin and regional anesthesia.

20 Now, at that time Michael Tryba in Germany had
21 reported a single case report, and that was published in
22 1989. Subsequently there were several more, for a total of
23 10 cases that had been reported and published in Europe,
24 and that includes the 3 Norwegian cases that we heard about

1 today. So, there are a total of 10 cases in Europe that
2 have been reported over about a 10-year period.

3 John Heit and I looked at the United States
4 experience with low molecular weight heparin recently.
5 First of all, we went to all of the English literature
6 because I can't read French or German very well, and we
7 were able to document that among all the studies that have
8 been performed worldwide but published in English, 15,000
9 patients that have received spinal or epidural anesthesia
10 in combination with low molecular weight heparin.

11 You can see that about half of those were
12 spinal anesthetics, several of which, 20 specifically, were
13 continuous spinals, and there were about 3,000 epidural
14 anesthetics. Only 457 were specifically identified as
15 continuous epidurals. In most of those cases, we don't
16 know if an epidural catheter was left in or not, and we
17 can't make an assumption one way or the other because many
18 times in Europe, they do a single-dose epidural technique
19 which is different than typically the way we practice here.

20 There were also nearly 5,000 patients that
21 underwent some sort of regional anesthesia. They would say
22 spinal or epidural anesthesia, but we don't know, and there
23 is a significant difference because of the needle gauge and
24 the possible placement of a catheter.

1 Over those 15,000 patients, preoperative dosing
2 was initiated in nearly 90 percent of the cases, and the
3 low molecular weight heparin was administered once daily in
4 over about 95 percent of the cases. So, again, you can see
5 this really represents a lot of the European experience
6 relative to the United States formulations which are given
7 twice daily.

8 At that time, there were 8 published case
9 reports in the literature in Europe and the United States
10 and also 16 that had been reported to the FDA. This was
11 complete up through December of 1996. So, the additional
12 cases have all occurred in 1997, as Dr. Wysowski has gone
13 over.

14 So, when you put those together, John Heit's
15 report included 24 spinal hematomas associated with
16 regional anesthesia. You can see the tally is very similar
17 to what we have even now, a lot of continuous epidurals, 1
18 single-dose epidural, 3 spinals, including one that was a
19 spinal after a failed epidural, and several unspecified.

20 As in Vandermeulen's study, we noted that 7 of
21 the 18 patients with indwelling catheters became paraplegic
22 or had worsening of their neurologic deficits upon catheter
23 removal. So, we again documented that we have to be aware
24 of what goes on in the patient's hemostasis at the time of

1 catheter removal.

2 When you look at additional risk factors, there
3 were several of the patients that had received intra-
4 operative dextran and intravenous heparin, 5 that were on
5 antiplatelet medications, and most of these were Toradol.
6 6 patients received preoperative low molecular weight
7 heparin therapy, and there were 12 in which the low
8 molecular weight heparin was initiated within 24 hours.
9 So, you can see 18 in that short time, right around the
10 performance of the regional anesthetic technique.

11 So, what is different between the United States
12 and Europe? Why have we had more case reports than have
13 been reported in Europe?

14 There could be a difference in the reporting
15 system. There's no doubt on that. However, when I go to
16 international meetings, anesthesiologists in Europe are not
17 concerned about the risk of spinal hematoma among these
18 patients. They feel that they have established practice
19 guidelines and that they can safely perform regional
20 anesthesia in a patient receiving low molecular weight
21 heparin.

22 Michael Tryba has performed a recent survey and
23 documents approximately 50,000 epidural catheters are left
24 in over 24 hours in Germany every year. So, you can see

1 they really do practice what they believe, and they are
2 leaving epidural catheters in these patients. However, we
3 have to look at what their recommendations are for the safe
4 practice of anesthesia among the populations.

5 You can see here that they actually delay the
6 first dose of low molecular weight heparin until 8 to 12
7 hours postoperatively. If the patient is on preoperative
8 medication, they wait at least that amount of time before
9 they place a needle or catheter. So, you can see they have
10 a patient with normal hemostasis at the time of needle or
11 catheter placement.

12 In addition, when they remove the catheter,
13 they wait another either 2 or 8 hours, depending on whether
14 it's the Scandinavian guidelines or the German guidelines
15 of when that subsequent dose can be administered.

16 They also have very stringent guidelines for
17 monitoring the patient's neurologic status. They formally
18 go in and make sure that the patient is able to -- you
19 know, document a normal neurologic exam.

20 We have to remember, though, they have the
21 advantage in that they give a smaller daily dose, and they
22 give the dose only once daily. That is very simple to find
23 a trough during which you can place and remove a needle and
24 catheter. It's a little bit more difficult here.

1 There's also some data that suggests perhaps
2 they are a little bit innovative in their thinking and they
3 decided to put more spinals than epidural anesthetics among
4 these patients. So, there could be just a switch to the
5 less traumatic regional anesthetic techniques also that
6 have assisted with the lack of a problem with spinal
7 hematoma among the Europeans.

8 So, in summary then, we know that bleeding can
9 occur after any regional anesthetic technique. However,
10 when it occurs in a fixed and concealed space, such as a
11 spinal canal, the results can be catastrophic. I think
12 that most of us here would believe that spinal hematoma is
13 probably the most catastrophic of all of the regional
14 anesthetic complications.

15 Fortunately, it's a rare event. Unfortunately,
16 for us because of that, it's difficult to truly identify
17 risk factors as a randomized study, and we have to base our
18 practice guidelines on the pharmacology of the drugs.
19 We've talked about the anti-Xa level and the lack of being
20 able to accurately monitor that and having to rely heavily
21 on the pharmacology.

22 We have to look at the clinical studies in
23 patients that have safely received these medications, as
24 well as the case reports of the patients that have

1 developed spinal hematomas while receiving the medications
2 and undergoing regional anesthetic techniques. Based on
3 that, we can come up with an anesthetic management on an
4 individual patient basis that should be safe and effective
5 and allows DVT prophylaxis, as well as adequate analgesia
6 perioperatively.

7 Thank you.

8 Any questions? Yes. Please identify yourself
9 for the stenographer.

10 DR. MAGNANI: Dr. Magnani, Organon.

11 Dr. Horlocker, the figure of 1 in 200,000 to 1
12 in 150,000 for patients who don't have an anticoagulant, do
13 you think that's the tip of an iceberg, or do you think
14 it's a realistic figure to compare the anticoagulants with?

15 DR. HORLOCKER: I think we have to know what a
16 perfect world is before we can assess a relative risk. So,
17 I think we do need to know if there's a risk at all, what
18 the risk would be if they aren't on anticoagulant
19 medications, and then say what is the risk with the
20 anticoagulant medication, and is it an undue risk relative
21 to the benefit for the individual patient. So, I think
22 that is a fair comparison.

23 DR. SHAKIR: Shakir, RPR.

24 The point which you made about antiplatelet

1 agents and their effects on anticoagulation, do you extend
2 that to agents like ketorolac or the higher doses of oral
3 NSAIDs and you put them in the same category as low-dose
4 aspirin?

5 DR. HORLOCKER: Actually low-dose aspirin would
6 be the most effective antiplatelet regimen, and we all know
7 that because they say take a baby aspirin a day or one
8 aspirin a day. If you think about that, higher doses of
9 aspirin start inhibiting the endothelial cells which have a
10 fibrinolytic effect. So, actually higher doses of aspirin
11 are safer relative to low doses.

12 I would group them all together. There is a
13 study in the neurologic literature by Ruff and Dougherty
14 published I believe in 1981, 342 patients that underwent
15 lumbar puncture for evaluation of cerebral ischemia. The
16 patients developed 2 percent incidence of spinal hematoma
17 and a multivariable analysis identified pre-lumbar puncture
18 aspirin therapy as one of the risk factors in association
19 with concomitant heparinization within 1 hour. So, there
20 is data to support even with unfractionated standard
21 heparin that the combination of heparin and aspirin
22 together is a more potent anticoagulant effect and could
23 increase our risk of spinal hematoma.

24 Yes.

1 DR. CARLISLE: Sue Carlisle, panel member.

2 Do we have any information in the pharmacology
3 of these drugs with renal insufficiency, and is that a
4 separate category that we should be thinking about?

5 DR. HORLOCKER: I'd like to refer that to the
6 hematologists and Dr. Talarico.

7 DR. TALARICO: The relationship?

8 DR. CARLISLE: In patients with renal
9 insufficiency, how are these drugs --

10 DR. TALARICO: Oh. They're eliminated much
11 more slowly, so there is an increased effect. In fact, the
12 only monitoring which seems to be now more and more
13 accepted is in patients with renal insufficiency. This
14 should be monitored by Factor Xa.

15 DR. CARLISLE: And do we know at what level of
16 renal insufficiency one should become worried about the use
17 of these drugs?

18 DR. TALARICO: I don't know that that is
19 clearly established, but probably you don't need very, very
20 severe renal insufficiency. Elderly patients, for example,
21 might be more susceptible to the effect of the drug. An
22 elderly subject may have borderline or mild renal
23 insufficiency.

24 DR. ALVING: I'm not aware of data from --

1 well, the data that I am aware of or guidelines that I am
2 aware of are from studies done in Europe with the Orgaran
3 product, and I don't know if there are other products, but
4 they have I think put into recommendations some guidelines.

5 Then you'd say, well, why do you want that when
6 it's used prophylactically? Because actually the Orgaran
7 product, the heparinoid, is the only thing that we have
8 available that we can treat when patients develop heparin-
9 induced thrombocytopenia with or without thrombosis because
10 it really is a lifesaving drug. So, there we really do
11 care about using it. But they do have some guidelines.

12 But I think that's an excellent point,
13 especially as we get into the use of drugs for the active
14 treatment of DVT and PE which is not FDA-approved but which
15 is often approved at a local pharmacy and therapeutics
16 committee level by some hospitals because clinicians are
17 running away with this use of low molecular weight heparin.

18 DR. HORLOCKER: Do you have a question? Could
19 you identify yourself and your affiliation?

20 DR. RHODES: Yes. My name is Gerry Rhodes.
21 I'm with drug metabolism and pharmacokinetics at Rhone-
22 Polenc Rorer.

23 I'd just like to make a comment on the issue
24 with renal insufficiency. I think for enoxaparin, for

1 instance, in mild and moderate cases of renal
2 insufficiency, we have not seen significant changes in the
3 pharmacokinetic characteristics of enoxaparin. The biggest
4 changes that we have seen are in patients with renal
5 insufficiency that would be characterized as creatinine
6 clearance below 30 mls per minute. That's where we have
7 seen the biggest differences.

8 So, I think my comment would be that dosage
9 adjustment may not be necessary in mild and moderate renal
10 impairment, but perhaps only in severe.

11 DR. TALARICO: Pharmacologically. If you do
12 pharmacology studies, you do pick up a difference in
13 excretion of the drug with mild renal insufficiency.
14 Clinical studies have shown that you really need severe
15 renal impairment to make a difference. As you mentioned,
16 there was no difference with mild renal impairment in terms
17 of safety.

18 DR. HORLOCKER: Yes, sir.

19 DR. MUNTZ: I'm Jim Muntz. I'm an associate
20 professor of medicine and assistant professor of orthopedic
21 surgery at Baylor in Houston. I'm a consultant to RPR.

22 Excellent talk.

23 When guidelines or pathways are set up, I think
24 one of the weak points of some of these things are that

1 doctors will have to be meticulous on finding out what
2 medications people are on. Aspirin, motrin, all the anti-
3 inflammatories. Are they truly stopping these drugs a week
4 before they come to the hospital? Some of these people
5 take these drugs up until one day before surgery. Then we
6 come in, we're using an epidural catheters, we're using
7 enoxaparin. I think we have to be meticulous as physicians
8 to get these people off drugs at the appropriate time
9 before we ever see them in the hospital.

10 DR. HORLOCKER: Yes, sir.

11 DR. PINEO: I'd just like to make a comment
12 about Xa and IIa levels because I think there's a sense
13 here that they will help detect patients who may be at risk
14 of bleeding or having thrombosis. And I don't think that's
15 true.

16 We do see good outcomes in terms of efficacy in
17 patients on treatment with either once or twice a day low
18 molecular weight heparin. For many hours of the day, they
19 have barely detectable Xa levels or antithrombin levels.

20 In the study that Ken Bauer mentioned, a
21 treatment study comparing heparin and low molecular weight
22 heparin, we drew these levels, Xa and IIa levels, if the
23 patient had major or minor bleeding or a thrombotic event.
24 As many other people have shown, there was no correlation.

1 So, I think with the exception of renal failure
2 where they may be a good argument made for measuring Xa
3 levels, I haven't seen any convincing evidence that it's
4 useful in other settings. So, I would hope that we don't
5 go back to doing Xa levels which may have very little
6 clinical relevance.

7 DR. HORLOCKER: Any other questions?

8 We can proceed with the open public -- I'm
9 sorry. Dr. Bauer.

10 DR. BAUER: One area we didn't discuss is
11 dosing implications, particularly for very obese. I think
12 we heard some data about some people who are light or under
13 60 kilograms about not being an effect in some of these
14 studies in terms of spinal hematomas, but I wonder whether
15 we actually have data about people who are way above their
16 ideal body weight in terms of pharmacology. Maybe one of
17 the industry representatives has direct information. I
18 think it's one of the precautions too that's written in
19 there.

20 DR. TALARICO: Some preparations have the limit
21 of the dosage over a certain number of kilograms. So, that
22 is taken into consideration.

23 Going back to some dosages like, for example,
24 Lovenox 30 milligrams b.i.d., we should consider also the

1 opposite, very small individuals. A fixed dose may be a
2 relative overdose for somebody who has a very small body
3 size.

4 I would like also to address again the
5 monitoring of these drugs. Monitoring for low molecular
6 weight heparin would not be that valuable to detect a risk
7 factor. What we are concerned of is that it might give a
8 false sense of security to the practicing physician. If a
9 physician gets an APTT which is normal, they might think
10 that nothing can happen to this patient, that there is no
11 abnormality of hemostasis that may result in increased
12 bleeding. I don't think that has been emphasized enough
13 with low molecular weight heparins. The normality of PT,
14 PTT, clotting, tap, whatever test that one wants to use,
15 does not mean that the patient is not at risk of bleeding.

16 DR. ALVING: My interest in monitoring would be
17 in the patient who's receiving this and is bleeding, and I
18 would like to know is there still a sufficient amount of
19 low molecular weight heparin on board as evidenced by an
20 anti-Xa level that I should now try to do something with
21 protamine or something creative with some factor, or is
22 this indeed nothing that requires attention directed at the
23 low molecular weight heparin. I agree to monitor for
24 monitoring's sake should be done with clinical trials, but

1 I would like to have it when I'm faced with a bleeding
2 patient who has been taking low molecular weight heparin
3 because then I don't have a clue as to really where to
4 start.

5 DR. TALARICO: True. Yes, if that leads to
6 introducing a therapeutic measure, absolutely. But again,
7 the normal PTT does not indicate that the patient's
8 hemostasis is not affected.

9 DR. HORLOCKER: Yes.

10 DR. PINEO: I'd like to make another comment
11 following up on the comment about weight. Weight in people
12 on continuous intravenous heparin is clearly a risk factor
13 for bleeding. So, the lower the body weight, the higher
14 the risk of bleeding and the higher the heparin levels per
15 dose.

16 But we and other people have shown that there
17 are two other factors and they're coming out in these
18 studies too I think. One is age over 65. Others have
19 shown that as independent variables, taking weight into
20 account, and the other is female gender. Females over the
21 age of 65 are at increased risk. So, age and gender are
22 additional independent risk factors for bleeding upon
23 regular heparin, and it's likely that that's having some
24 impact here. The data do show that most of these people

1 happen to be women over 75. This may be useful when you're
2 looking at your practice guidelines.

3 DR. HORLOCKER: Other questions. I'm sorry.
4 Go ahead.

5 DR. MAGNANI: I may be saying something heretic
6 here because there's a lot of orthopedic surgeons about, so
7 I better be careful.

8 I really don't think that the anti-Xa levels --
9 and I want to confirm what other people have said -- have
10 anything much to do at the level that we're dosing for DVT
11 prophylaxis with either bleeding or with antithrombotic
12 activity. One should be guided by the amount of drug that
13 the manufacturer has recommended for these indications.

14 My feeling for Orgaran is that most of the
15 severe bleeds that we've seen have been surgical bleeds
16 which have been exacerbated by the drug. That's why I say
17 I may be treading on some sensitive toes, but in fact in
18 such circumstances, you may find very low anti-Xa levels
19 but severe bleeding. So, you wouldn't learn anything by
20 doing an anti-Xa level.

21 DR. HORLOCKER: We can proceed with the open
22 public hearing then if DuPont is ready to do that. Is Dr.
23 Grandison here?

24 DR. GRANDISON: Madam Chair, Dr. Talarico,

1 members of the committee, and ladies and gentlemen, I'm
2 David Grandison from DuPont Merck Pharmaceutical Company.

3 DuPont Merck appreciates the opportunity to
4 address the committee. DuPont Merck shares the agency's
5 concern about the safe use of anticoagulant drugs in
6 patients who undergo epidural and spinal anesthesia or
7 spinal puncture.

8 DuPont Merck's oral anticoagulant, Coumadin,
9 has been marketed since 1954 to address the concerns about
10 the use of warfarin in patients who undergo epidural/spinal
11 anesthesia or puncture. During this presentation, I will
12 attempt to summarize our review of pertinent Coumadin
13 labeling, our adverse event database, and the clinical
14 literature.

15 The next slide shows that within the Coumadin
16 labeling in the contraindication section, Coumadin is
17 contraindicated in spinal punctures and other diagnostic or
18 therapeutic procedures with potential for uncontrollable
19 bleeding, as well as major regional, lumbar block
20 anesthesia.

21 In the warnings section of the labeling, it
22 states, the most serious risks associated with
23 anticoagulant therapy with sodium warfarin is hemorrhage in
24 any organ or tissue. The risk of hemorrhage is related to

1 the level of intensity and duration of anticoagulation
2 therapy.

3 It indicates further for cautions, caution
4 should be observed when Coumadin is administered in any
5 situation or in the presence of any predisposing condition
6 where added risk of hemorrhage is present. The decision to
7 administer anticoagulants in the following conditions must
8 be based upon clinical judgment in which the risks of
9 anticoagulation therapy are weighed against the benefits.
10 One of these conditions is in fact the indwelling catheters
11 that you see at the bottom.

12 Under the adverse reactions section of the
13 package insert, it states potential adverse reactions to
14 Coumadin may include fatal or nonfatal hemorrhages from any
15 tissue or organ. This is a consequence of the
16 anticoagulant effect. The signs and symptoms and severity
17 will vary according to the location and degree or extent of
18 the bleeding. Hemorrhagic complications may present as
19 paralysis; paresthesia; headache, chest, abdominal, joint,
20 muscle or other pain; dizziness; shortness of breath,
21 difficulty breathing or swallowing; unexplained swelling;
22 weakness; hypotension; or unexplained shock.

23 We have reviewed adverse reports to DuPont over
24 the past 30 years as well as pertinent literature over this

1 same period of time. During the period of time, we have
2 identified only four cases of epidural or spinal hematomas
3 following epidural anesthesia or spinal puncture in
4 association with the use of warfarin. This slide
5 summarizes these four cases, and let me just briefly review
6 those for you.

7 The first case involved a 19-year-old female
8 with a complex medical history of renal disease requiring
9 hemodialysis and a history of grand mal seizures with
10 neurological deficits. The patient was diagnosed with a
11 lumbar, sacral, subarachnoid hematoma about 6 hours after
12 an atraumatic lumbar puncture. Warfarin therapy was
13 discontinued 1 hour prior to the lumbar puncture. However,
14 the patient remained therapeutically anticoagulated for at
15 least 3 days. This patient subsequently died following a
16 fall.

17 The second case briefly involved a patient, a
18 51-year-old female, who had a diagnostic lumbar puncture
19 while receiving heparin. Approximately 3 days later, she
20 began taking warfarin concomitantly with heparin. Although
21 neurological signs and symptoms developed on the day
22 warfarin was initiated, the diagnosis of a hematoma was not
23 made until 10 days after the initiation of warfarin. The
24 patient's neurological symptoms improved with treatment.

1 The third case involved a patient with an
2 indwelling epidural catheter that was inserted during
3 orthopedic surgery and used postoperatively for 3 days for
4 analgesia. An epidural hematoma is thought to have
5 occurred when the catheter was removed and while the
6 patient was therapeutically anticoagulated with warfarin.
7 The patient recovered with only a residual right foot drop.

8 The fourth cases involves a patient, a 47-year-
9 old male, whose warfarin was stopped approximately 4 days
10 prior to epidural anesthesia for varicose vein surgery.
11 The patient developed an extradural hematoma resulting in
12 paraplegia that did not resolve.

13 A review of the pertinent literature indicates
14 that there are four published studies in which a total of
15 746 patients on warfarin had epidural or spinal anesthesia
16 associated with orthopedic surgery. No epidural or spinal
17 hematomas were reported among the 746 patients.

18 In summary, we have identified in our review
19 only 4 patients who have developed epidural or spinal
20 hematomas associated with the use of warfarin following
21 epidural or spinal anesthesia or spinal puncture. The
22 results of our review indicate that epidural or spinal
23 hematomas associated with the concurrent use of warfarin
24 and spinal/epidural anesthesia or spinal punctures appears

1 to be a rare occurrence.

2 An explanation for this low number of events
3 may be that clinicians understand and don't minimize the
4 risk of Coumadin therapy in patients undergoing these
5 procedures. In addition, physicians understand and follow
6 the information in the current Coumadin labeling under
7 contraindications, warnings, and adverse events.

8 In conclusion, based on our extensive review of
9 our company's adverse event database and pertinent
10 literature during the past 30 years, epidural or spinal
11 hematoma appears to be a rare occurrence in association
12 with warfarin therapy in patients requiring epidural and
13 spinal procedures. Hence, we believe that the current
14 labeling has been adequate to protect this patient
15 population.

16 Although DuPont Merck has not had the
17 opportunity to review all of the data related to the risk
18 of epidural or spinal hematomas with the use of low
19 molecular weight heparin products in patients having these
20 procedures, our data indicates that the event seems to be
21 much lower with the use of warfarin. Therefore, we believe
22 that the proposed class labeling and boxed warning for low
23 molecular weight heparins should not be extended to include
24 warfarin products.

1 Thank you very much.

2 Any questions?

3 (No response.)

4 DR. HORLOCKER: We can proceed then with
5 Pharmacia's open public hearing statement.

6 DR. ROSENQVIST: I'm Marten Rosenqvist
7 representing Pharmacia & Upjohn.

8 As a manufacturer of heparin, Pharmacia &
9 Upjohn feels that the risks of spinal hematoma in patients
10 having regional anesthesia are increased with any method of
11 anticoagulation, including IV and low-dose subcutaneous
12 heparin.

13 To exclude other products affecting coagulation
14 parameters implies a greater degree of safety which is not
15 supported by our data.

16 We recommend the inclusion of a black boxed
17 warning in our insert for heparin.

18 Thank you.

19 DR. HORLOCKER: Questions?

20 What's the committee's decision? Would you
21 like to have a longer lunch or start some of our discussion
22 now? Start discussion?

23 DR. WYSOWSKI: That's my preference.

24 DR. HORLOCKER: What I'd just like to do for

1 about five minutes here is just try to summarize very
2 briefly some of the important things. I want you really to
3 help each other with the discussion on this. The
4 considerations that I'm making are not only as the acting
5 Chair of this advisory committee but also as someone who's
6 very interested in regional anesthesia because I think we
7 have to keep everything in perspective and keep our
8 discussion balanced. It's not just what the risk of this
9 but also in terms of benefits to our patients.

10 We know that low molecular weight heparin is a
11 very efficacious thromboprophylactic agent. It's probably
12 the most commonly used agent in Europe, and it's among the
13 top two in the United States.

14 In addition, the previous studies back in the
15 1970's by Modig show that there were decreased
16 thromboembolic complications in patients that underwent
17 regional anesthesia. None of those patients, importantly,
18 were anticoagulated even with aspirin. So, it's only been
19 recently with the article that I previously cited by
20 Eriksson in the New England Journal where we show that even
21 in the presence of low molecular weight heparin or hirudin
22 anticoagulation, there is an additional benefit of having a
23 regional anesthetic.

24 What we really need to do is to perform a study

1 to show what degree this addition or synergy is so that we
2 could perhaps reduce the amount of anticoagulant that's
3 delivered pharmacologically while patients have an
4 indwelling epidural providing a sympathectomy and still
5 come up with the same rate of DVT frequency. That's really
6 what one of our challenges is for the future.

7 We have to keep in mind that in Europe the risk
8 of spinal hematoma does not appear to be clinically
9 significant. They do have sporadic cases. There's no
10 doubt about that. They've established practice guidelines
11 and it seems to have decreased the frequency of this,
12 although not completely eradicated it as a problem.

13 So, the objectives of the committees here today
14 -- we have Dr. Talarico from the Anticoagulant and
15 Gastrointestinal Drug Committee, we have some very esteemed
16 guests, and then we have the members of our Anesthetic and
17 Life Support Drugs Committee -- is to find out -- I'd like
18 to get more details from Dr. Talarico about the alternate
19 dosing of low molecular weight heparin available for the
20 hip patients and if this will be extended to the total knee
21 arthroplasty patients because basically this is
22 establishing the European dosage schedule within the United
23 States which at least as an anesthesiologist I feel much
24 more comfortable with, delivering a regional anesthetic

1 among those patients.

2 In addition, we have to advise the FDA on
3 product labeling, whether the proposed changes are enough
4 or whether we need additional changes. And if they aren't,
5 what changes do we need? Are there additional
6 investigations, is there additional information that's
7 needed before we can make prudent guidelines for the
8 management of patients that undergo regional anesthesia
9 while receiving low molecular weight heparin
10 thromboprophylaxis?

11 Then in addition, I would like to bring to your
12 attention that the American Society of Regional Anaesthesia
13 will convene a consensus conference the first weekend in
14 May during which we will discuss North American practice
15 guidelines, not only for the low molecular weight heparins,
16 but also the other anticoagulant drugs, so we can talk
17 about them all in a single event and try to, again, weigh
18 the relative risks of each and come up with practice
19 guidelines that are based on the optimal management of our
20 patients.

21 With that, I'd like to open the discussion.

22 Dr. Wood.

23 DR. WOOD: I've got two points to make. One is
24 that there's evidence that twice daily low molecular weight

1 heparin is more effective than one dose daily, but one dose
2 daily is better than unfractionated heparin. So, I think
3 what's important to look at is that if we change the dosing
4 regimen, that the benefit remains.

5 The other point is that low molecular weight
6 heparins are expensive. The efficacy of low molecular
7 weight heparin versus heparin is minimal I think for
8 general surgery. So, are we discussing this just as far as
9 orthopedic total knee replacement or hip replacement
10 surgery is concerned, or do we extend the guidelines for
11 general surgery?

12 DR. HORLOCKER: Dr. Talarico?

13 DR. TALARICO: We have recently approved
14 Lovenox at the dose of 40 milligrams per day in the
15 perioperative period with the possibility of extending
16 thromboprophylaxis for 3 more weeks. So, we do have now an
17 alternative dosage to the 30 milligrams b.i.d. for hip
18 replacement.

19 For knee replacement surgery, there are two
20 difficulties. First, we don't have studies. Only 30
21 milligrams b.i.d. has been assessed. And second, there is
22 theoretical possibility that it may not be as effective as
23 30 milligrams b.i.d. because of much higher risk of
24 thrombosis with knee replacement versus hip replacement.

1 That's where we are now.

2 DR. HORLOCKER: Will you ask for additional
3 investigations evaluating those, or has it been
4 definitively decided then that for total knee arthroplasty,
5 the b.i.d. dosage will be required, that there's no chance
6 of that being altered?

7 DR. TALARICO: For knee replacement, we cannot
8 make any change because we don't have the data to support
9 the change.

10 DR. HORLOCKER: Will there be data forthcoming?

11 DR. TALARICO: I don't know about that.

12 DR. BOTSTEIN: Let's ask the manufacturers what
13 they have in mind.

14 DR. RUSH: Janet Rush from Rhone-Polenc Rorer.

15 We do not have any studies assessing the
16 efficacy of the 40 milligram once daily dose in the knee
17 that would be able to be used. We have studies ongoing.

18 DR. HORLOCKER: One thing I'd like to ask the
19 manufacturers is when John Heit and I reviewed the studies
20 of the patients that had received low molecular weight
21 heparin checked the efficacy whether it was after total hip
22 or total knee, we noticed that there was no stratification
23 for regional anesthetic technique. They always recorded it
24 and then evaluated that.

1 DR. HORLOCKER: Because what we need to know
2 are the indwelling epidural catheters. It seems to be most
3 people are fearful of leaving a catheter in these patients
4 and it's really with the prolonged sympathectomy that the
5 thromboembolic complications appear to be most attenuated.
6 So, that would be the ultimate study from our anesthesia
7 standpoint.

8 Dr. Palmer had a comment.

9 DR. PALMER: Yes, I have a couple of comments.
10 One is along the lines of what you said. Let's be careful
11 of what we do here because there are benefits to epidural
12 anesthesia, especially in these orthopedic patients, which
13 haven't even been mentioned here today, and we should be
14 careful about making guidelines that might make problems
15 for those people more frequent.

16 So, to be concrete, what I'm talking about is
17 the fragile elderly patient who benefits from the regional
18 anesthetic not only during the surgery but in the
19 perioperative period when they would be at much more
20 cardiovascular risk, for instance, if their pain were
21 uncontrolled. We haven't even mentioned today that there
22 is no argument, I don't think, that an epidural in a
23 continuous setting is really the most efficient form of
24 pain relief postoperatively and that postoperative stress

1 is a real risk for these people not only for embolic
2 phenomena but for many others.

3 So, the thing that I seem to focus in on is
4 that there are a number of things we can do based on these
5 cases which may help us reduce the number of these
6 incidences, but we can never get to 0. We all agree that
7 there are spontaneous epidural hematomas.

8 So, if we can never get to 0, my question is
9 why shouldn't we concentrate our efforts on the recognition
10 of the problem in the highest risk group. Unfortunately or
11 fortunately, most of us will only see one of these in a
12 career. We'll either have it ourselves or our colleague in
13 a larger hospital will have one of these. That's not
14 enough to keep us educated about early detection and it's
15 not enough also to alert our neurosurgical colleagues about
16 how they need to respond to us when we do have the case
17 that we think may be the epidural compressive hematoma.

18 So, I would see efforts not only on trying to
19 decide whether a shorter epidural catheter or whether pre-
20 op versus post-op with the -- you know, and all this stuff.
21 I would really like to see us also put into the labels on
22 these something about what to do when you suspect this rare
23 complication. It doesn't have to be extensive. We can
24 refer them to the literature, but I really think, at least

1 for half of these people that are going to be saved, the
2 real reason they get saved is because they have an unusual
3 complication, somebody recognizes it who has never seen one
4 before, realizes the importance, gets consultation in a
5 timely fashion, and surgery when necessary is performed. I
6 really would like to see us add that. It wasn't even in
7 the questions to the committee, but something needs to be
8 in the labels here about what to do if, or at least what
9 the cardinal symptoms are and then here's what you do.

10 DR. TALARICO: This has been addressed in the
11 labeling now. The boxed warning does include awareness of
12 what can happen and to be alert to the possible
13 consequences.

14 DR. PALMER: My reading of that so far is that
15 it's too vague. In other words, saying watch for
16 neurological symptoms is too vague because the average
17 nurse knows that a patient having a postoperative epidural
18 is going to have some tingling, some numbness, but they
19 should be alerted to the fact that the recurrence or sudden
20 occurrence of low back pain, flank pain, hip pain and
21 perineal dysfunction is a cardinal event that shouldn't
22 happen when someone is on low-dose, postoperative analgesia
23 type doses. And that has got to be in a different category
24 than tingling or a little bit of numbness in a foot.

1 DR. TALARICO: Yes. It's difficult to tell
2 exactly how extensive one has to be in the description of
3 symptoms because in the case of hip replacement surgery,
4 there are other confounding factors. Patients may have
5 pain in the leg and patients might have some weakness.
6 They may be on very powerful analgesic products. So, even
7 the neurological pain may be masked up to a certain extent.

8 DR. PALMER: No. I really don't think that's
9 true. In the case reports, the kind of pain that usually
10 occurs with a compressive process in the canal really is
11 very specific. It really has to do with the perineal
12 dysfunction as well. Operations don't cause dysfunction of
13 the bladder and relaxation of the anal sphincter. They
14 don't cause a sudden change in the perineum the way that
15 these processes do. I really think the pain and then the
16 following dysfunction and -- you know, the flaccid
17 paralysis no one misses. But the pain is so prominent in
18 40 or 50 percent of the subjects that it shouldn't be mixed
19 up with surgical site pain.

20 DR. TALARICO: Interestingly enough, this was
21 not the predominant symptoms in the cases we have looked
22 at. It seems that these symptoms have to be looked for.
23 Being alert of the possibility of a spinal hematoma is
24 probably the only thing that may save the patient from

1 irreversible damage.

2 DR. PALMER: Well, in a conscious patient there
3 is a time when the compression causes pain. If we miss it
4 because the patient is too sedated or asleep, that can
5 happen, but it's really not thought that you can have this
6 process occur without significant and very typical kinds of
7 pain.

8 DR. HORLOCKER: Actually, though, Dr. Palmer,
9 when we reviewed these histories, I was surprised too.
10 There were very few of them that had the severe radicular
11 pain that's typically described in the neurological
12 literature that that's what you're supposed to look for. I
13 suspect that's one of the reasons they went so long. But
14 it really wasn't. It was more of an extension of their
15 preexisting block so to speak. I think that's why people
16 missed it because it progressed. But that's what we have
17 to alert people to, is a densening of their sensory or
18 motor deficits. But I was amazed to see it.

19 DR. PALMER: Well, I should think that the
20 pain, even the reported pain, is right around 40 percent.

21 DR. HORLOCKER: But still, that means 60
22 percent didn't have what we always thought was the number
23 one symptom, radicular pain.

24 DR. PALMER: Right, but also the other part of

1 it is patients, especially elderly patients, complain of
2 pain, a bedside attendant comes and says, oh, you're having
3 pain, and they don't really define it. The elderly
4 patients are not as aggressive as some of our younger
5 patients as a group. So, I really think that that is
6 under-reporting of some of the pain symptoms, and if we
7 could alert the nursing personnel, the patients themselves
8 and enlist them to look for this, we really might be able
9 to uncover a few more cases earlier.

10 DR. TALARICO: That probably would be the most
11 effective way of minimizing the risk.

12 Going back to procedure, we cannot really
13 control or we don't intend to say which patient should have
14 an epidural or a catheter, et cetera.

15 What we would like to see, if we can strike a
16 balance so that the patients get the best surgical
17 orthopedic anesthetic care and at the same time is exposed
18 to the minimal risk from again a therapeutic intervention,
19 namely the prevention of a thromboembolic event. What can
20 we do to make this balance take place? That's what we
21 would like to discuss.

22 DR. PALMER: I guess this is kind of a
23 political statement, but what I don't want to see come out
24 of this committee or out of the FDA is such a discouraging

1 statement that epidural analgesia is denied an entire
2 universe of patients who would benefit from it because
3 we're in a unique situation in the United States with the
4 legal watchdogs who are willing to help patients sue for
5 any bad outcome, whether it was one that could be
6 predicted, prevented, or not. A lot of doctors,
7 unfortunately, who are discouraged today may read this this
8 way. In other words, oh, one more problem? Don't even
9 offer the patient a regional block for these types of
10 surgeries. That would be criminal in itself.

11 So, somehow we have to make sure that
12 physicians understand that this is a problem which is rare
13 but which really could be watched for, which really could
14 be predicted, and maybe we can think of some guidelines so
15 it's even less frequent. But I hope that the result of
16 this discussion and guidelines is not to discourage the use
17 of this very helpful form of anesthesia in this group of
18 patients.

19 DR. TALARICO: Oh, absolutely.

20 DR. HORLOCKER: I think Dr. Talarico's proposed
21 label is very ambiguous in a positive way, saying that
22 indwelling catheters may increase the risk but you have to
23 use your clinical judgment. I agree. We don't want to tie
24 anybody's hands.

1 The one thing I did not like about the
2 Vandermeulen review article is that they actually published
3 pro times and platelet counts above which or below which
4 you shouldn't do a regional anesthetic technique. That's
5 silly. There probably are ultimate numbers that you would
6 or wouldn't, but we need to be thinking clinicians. That's
7 why we went to medical school, but we need to know what the
8 data are too so that we can make an informed decision at
9 the same time.

10 DR. HYNSON: Can I make a comment?

11 DR. HORLOCKER: Yes, go ahead.

12 DR. HYNSON: I'm James Hynson from the
13 University of California, San Francisco. I'm a guest of
14 Rhone-Polenc Rorer.

15 Just getting back to the back pain issue, I
16 wanted to make the comment that I think one of the reasons
17 that back pain may not be a clear-cut symptom in these
18 patients is that the bleeding may be much slower and that
19 the rate of bleeding may correlate with the onset of back
20 pain. Those who are anesthesiologists will recall that
21 when we do an epidural blood patch, if you inject very
22 rapidly, you develop back pain. If you inject slowly, you
23 don't get back pain. So, I think that may be an indication
24 that the type of bleeding we're seeing in these cases is

1 very slow, that it may be going on for hours, possibly days
2 before it develops into symptoms.

3 MS. CURLL: Mary Curll.

4 I'd like to agree with Dr. Palmer's comment
5 about educating the staff nurses. We're seeing less and
6 less patients staying in the hospital very long, and the
7 discharge teaching is put on the nurses. Unless they know
8 what to look for, it won't be done, and then the patient
9 won't know when they get home what to report.

10 The other thing I noted, while looking at the
11 package inserts, was that one of the companies, Organon,
12 did break out some of their clinical trials by gender, and
13 I thought that was interesting. They've got the
14 male/female problems and how they developed. That was a
15 positive sign. Maybe some others could do that too.

16 DR. HORLOCKER: Other discussion?

17 (No response.)

18 DR. HORLOCKER: I think we're all ready for
19 lunch. So, we'll reconvene at 1 o'clock.

20 (Whereupon, at 11:53 a.m., the committee was
21 recessed, to reconvene at 1:00 p.m., this same day.)

22

23

24

1 AFTERNOON SESSION

2 (1:02 p.m.)

3 DR. HORLOCKER: We actually performed our open
4 public hearing in the morning. However, the previously set
5 time was for 1 o'clock. Are there any additional people
6 that would like to speak as part of the open public hearing
7 at this time?

8 (No response.)

9 DR. HORLOCKER: All right. What we'll do then
10 is continue with our discussion. What I thought I'd do --
11 I know that there are a lot things that still need to be
12 said, but I thought I'd bring us back to what we're really
13 here for, and that at least is initially to discuss the
14 labeling of the low molecular weight heparins. So, what
15 I'm going to do is read question number 1 under Questions
16 for the Committee.

17 Are the revisions sufficient to convey the
18 risks associated with these products when spinal/epidural
19 anesthesia or spinal puncture is used?

20 Now I'm going to read the proposed revision or
21 the actual revision of the low molecular weight heparins as
22 of January 1998. When neuraxial anesthesia
23 (epidural/spinal anesthesia) or spinal anesthesia is
24 employed, patients anticoagulated or scheduled to be

1 anticoagulated with low molecular weight heparins or
2 heparinoids for prevention of thromboembolic complications
3 are at risk of developing an epidural or spinal hematoma
4 which can result in long-term or permanent paralysis.

5 The risk of these events is increased by the
6 use of non-indwelling catheters for administration of
7 anesthesia or by the concomitant use of drugs affecting
8 hemostasis such as nonsteroidal anti-inflammatory drugs,
9 platelet inhibitors or other anticoagulants. The risk also
10 appears to be increased by traumatic or repeated epidural
11 or spinal puncture.

12 Patients should be frequently monitored for
13 signs and symptoms of neurologic impairment. If neurologic
14 compromise is noted, urgent treatment is necessary.

15 The physician should consider the potential
16 benefit versus risk before neuraxial intervention in
17 patients anticoagulated or to be anticoagulated for
18 thromboprophylaxis.

19 And then it refers the reader to the warnings
20 and precautions.

21 Under the warnings section the following has
22 been added in bold print: Cases of epidural or spinal
23 hematomas have been reported with the associated use of
24 enoxaparin and spinal or epidural anesthesia or spinal

1 puncture resulting in long-term or permanent paralysis.
2 The risk of these events is higher with the use of
3 postoperative indwelling epidural catheters or by the
4 concomitant use of additional drugs affecting hemostasis
5 such as nonsteroidal anti-inflammatories.

6 And then in addition, there is something added
7 to the ongoing surveillance. In bold print, ongoing safety
8 surveillance. Since 1993, there have been more than 30
9 reports of spinal or epidural hematoma formation with
10 concurrent use of enoxaparin and spinal/epidural anesthesia
11 or spinal puncture. The majority of patients had a
12 postoperative indwelling epidural catheter placed for
13 analgesia or received additional drugs affecting hemostasis
14 such as non-steroidal anti-inflammatory drugs. Many of the
15 epidural or spinal hematomas caused neurologic injury,
16 including long-term or permanent paralysis. Because these
17 events were reported voluntarily from a population of
18 unknown size, estimates of frequency cannot be made.

19 Obviously, I read from the enoxaparin labeling
20 and a similar report is for all the various preparations of
21 low molecular weight heparin.

22 So, we go back to question number 1 then: Is
23 this a sufficient revision that conveys the risks?

24 What I'd like to do is just go around the table

1 and have everybody speak their mind on this issue. Go
2 ahead, Dr. Steinberg.

3 DR. STEINBERG: Well, since we seem to be
4 focusing largely on orthopedic problems, I'm going to ask
5 for a little bit of indulgence to take a somewhat broader
6 view than we have been discussing. I'll be discussing this
7 strictly from the point of view of an orthopedic surgeon
8 and his patients.

9 First of all, I think it's important to realize
10 that the status of prophylaxis for thromboembolic disease
11 is quite unclear. In the United States today, most people
12 would advocate some type of pharmacologic approach.
13 Coumadin is perhaps the most commonly used, regular or low
14 molecular weight heparin probably second, but there are
15 people who still use aspirin and other methods.

16 For example, at the University of Pennsylvania,
17 we have been working on this for 10 years, and we found, in
18 what I think was a good study, no differences between the
19 results with aspirin and warfarin.

20 In England, as you may know, there have been
21 some editorials stating that many English surgeons do not
22 use any chemical agents and questioning whether any
23 chemical prophylaxis is really better than physical means.

24 Also, when we try to evaluate the results, we

1 don't have good endpoints, and we tend to equate the
2 presence of DVTs to fatal pulmonary emboli because they're
3 easier to monitor. You can't necessarily do this. They do
4 not equate. The problem is, as I mentioned earlier, that
5 the incidence of fatal PE is so low that it may not be
6 possible to do a definitive study telling us that one agent
7 is better than another to prevent them, and thus is a major
8 dilemma we have here.

9 Now, we recognize the fact that all
10 anticoagulants have some risks, and our goal is to weigh
11 the benefits versus the risks. We've been focusing only on
12 the risks of spinal bleeding. What about bleeding into the
13 wound which can be as high as 4 or 5 percent and can be
14 catastrophic? Intracranial bleeds, GI bleeds? So that you
15 can't lose focus of some of these agents, and the stronger
16 and the more effective this agent is as an anticoagulant,
17 the more dangerous it is.

18 There are definite advantages to the use of
19 various types of spinal anesthesia of epidural, especially
20 with indwelling catheters, and I would have concern about
21 any agent which might limit our use of this type of
22 anesthesia.

23 This presents us with a real dilemma because
24 once a catheter is put in, we do not know whether it will

1 remain in 24, 48, or 72 hours. On the other hand, if we
2 begin prophylaxis with a low molecular weight heparin,
3 we've acknowledged that it should start by 24 hours and
4 sometimes by 12. Thus, a dilemma: The proper use of one
5 may contradict the proper use of the other.

6 We also have a problem because we've been
7 trying to compare the use of low molecular weight heparins
8 to warfarin. We can't do that in the setting that we've
9 been doing this.

10 First of all, it has been pointed out that you
11 can monitor warfarin, whereas you can't the low molecular
12 weight heparins.

13 Also, keep in mind the delay in onset of action
14 of warfarin is usually 2 or 3 days, and thus the
15 anticoagulant effect of warfarin as used is much later than
16 the low molecular weight heparins. We may, therefore, not
17 be comparing equals.

18 Now, we can write very elaborate guidelines.
19 I've seen some and they're very, very good. However, in
20 the real world in clinical practice, what assurances do we
21 have that once those guidelines are written, people will
22 follow them? They don't. There are many, many places for
23 error. As a result at my own institution, some people
24 simplified the matter and said simply if any type of spinal

1 or epidural is used, do not use low molecular weight
2 heparin. Period. This perhaps will obviate some of these
3 errors from taking place.

4 And finally, I think we have to be very, very
5 careful not to set down rigid guidelines which will be
6 carved into stone, I can assure you, in an area where there
7 is so much difference of opinion and where there are so
8 many questions and so few answers.

9 Thank you.

10 DR. HORLOCKER: Could you also address the
11 issue of whether you think that we've adequately revised
12 the labeling on the Lovenox and other low molecular weight
13 preparations to what we know about the risks?

14 DR. STEINBERG: Well, I'm certainly not
15 familiar with the regulatory processes. From what you've
16 said, it seems quite satisfactory to me.

17 DR. HORLOCKER: Dr. Alving.

18 DR. ALVING: I think that most people will not
19 read the circulars. Almost no one will read the circulars
20 except the FDA.

21 (Laughter.)

22 DR. ALVING: I read them when I was at the FDA
23 religiously.

24 So, I think what has been very helpful is the

1 letter that was sent out to physicians. I think these are
2 very, very general but they do wake up people to the fact
3 that low molecular weight heparins are not entirely benign,
4 so they do serve that purpose.

5 It might be a good idea, if you're sending out
6 this boxed warning, to maybe send it out again as a letter
7 to physicians and give a little background about the low
8 molecular weight heparins. For example, what is their
9 half-life? All the time I'm asked by surgeons, I'm going
10 to do so and so, what about the low molecular weight
11 heparin? Do I have to skip a dose or what? And then you
12 might make the point that more specific recommendations are
13 coming.

14 I really like that idea in Europe where there
15 are specific guidelines. That's really what people need.
16 This is very general but it does alert people to the fact
17 that this is not benign.

18 I think what you could also say in a letter and
19 not in a boxed warning is that when you do use low
20 molecular weight heparin prophylactically, there are times
21 when the level really reaches a therapeutic level,
22 according to anti-Factor Xa levels. I don't know if you
23 want to put it in a letter, but in other words, it's not
24 always at this very low, undetectable level. There are

1 times when these patients are really fully anticoagulated
2 as if they were on unfractionated heparin. We just can't
3 measure the PTT, but as determined by the anti-Factor Xa
4 level.

5 DR. HORLOCKER: Dr. Bauer.

6 DR. BAUER: Yes, I would echo those concerns.
7 I think the warning as written is good.

8 I think, though, that given the issues about
9 preserving epidural analgesia as a modality and not to
10 exclude the use of low molecular weight heparin, I think
11 maybe some definitive guidance, particularly about the
12 issue of time for pulling out the catheter in relationship
13 to the last dose might somehow be given consideration for
14 being included. So, there is more discrete guidance and
15 also support the practice of not, obviously, excluding
16 patients from epidural analgesia and concurrent use of low
17 molecular weight heparin.

18 DR. HORLOCKER: Dr. Talarico, we typically
19 don't put that sort of thing in the label, do we?

20 DR. TALARICO: Well, the labeling actually
21 should include only facts that are known from studies. But
22 in this case, that's one of the questions for the
23 committee. The next question, if you think that the
24 labeling needs more, if you look at the question here,

1 there is an allowance for adding more information based on
2 several things, clinical experience, case reports,
3 pharmacology of each low molecular weight heparin. So,
4 that is one consideration. That's what we would like some
5 input on.

6 DR. HORLOCKER: Dr. Reves.

7 DR. REVES: I think the issue of timing is
8 appealing because we'd like to know when to do things. It
9 would help us all in our practices. But I actually don't
10 think we have the data that says when you should or
11 shouldn't commingle these things. I think it's all
12 coincidental, and I don't know that we know when you should
13 do what from the information that at least I've seen here.
14 These case reports and everything else are very vague about
15 all of that. We know what the Europeans are doing and we
16 know what we are doing in our hospital, et cetera, but I
17 don't think we have good data that address that particular
18 issue. I think it would probably be a mistake to pretend
19 that we do.

20 DR. TALARICO: Well, perhaps the knowledge of
21 the pharmacology of the drug might be helpful --

22 DR. REVES: Might be.

23 DR. TALARICO: -- if we know what's the Cmax,
24 what's the Tmax, how many hours does it take to go back to

1 baseline, and so forth.

2 DR. REVES: But the thing that struck me about
3 the cases that we have is they tend to be what we call the
4 high risk people anyway. They're older. What you might be
5 telling is that what applies in the young people doesn't
6 apply to these people because of pharmacodynamic variations
7 that were seen in these patients, irrespective of the whole
8 population and what one would think one might see.

9 DR. TALARICO: Yes. We can say this happened
10 in X percent of the cases. This was found in so many other
11 cases. The cases are really over the place, and they don't
12 really give a pattern that one can use.

13 DR. REVES: You can make an argument and a
14 rationale, but the facts probably wouldn't support that.

15 DR. TALARICO: Well, the aim here is to
16 minimize as much as possible the risk. Granted, we will
17 never eliminate it completely, but is there any information
18 that we can use that the physician can then use.

19 DR. HORLOCKER: Yes, Dr. Bauer.

20 DR. BAUER: Well, there is the issue that I
21 guess almost half the cases or more occurred when the
22 epidural catheters were removed. If the warning doesn't
23 state that, perhaps something could be stated to that
24 effect.

1 DR. BOTSTEIN: Let me ask Diane. Was it when
2 the catheter was removed or was it with an indwelling
3 catheter left after surgery?

4 DR. REVES: Having had an experience with one
5 case, it's hard to diagnose it and know when it occurred to
6 begin with.

7 DR. HORLOCKER: There are some, though, that
8 became acutely paraplegic within several hours of catheter
9 removal. Those are for sure more than a smoking gun.

10 DR. WOOD: Catheter removal takes a minute.
11 Two to three hours is very different from a minute. I
12 don't think that gives you any idea of when the hematoma
13 occurred.

14 DR. REVES: Yes. If we were doing imaging all
15 along and looking for hematoma formation and everything and
16 knew exactly when, but we don't have that kind of data.

17 DR. HORLOCKER: I would argue, though, that if
18 a patient had an indwelling catheter for 24 or 48 or 72
19 hours and became paraplegic within 3 to 8 hours of when the
20 catheter is removed that that's a little more than
21 circumstantial evidence to support that something critical
22 happened that may have made a preexisting collection of
23 blood a significant amount. So, there probably is
24 something to do with catheter removal.

1 DR. REVES: Or placing the catheter.

2 DR. HORLOCKER: Right.

3 DR. BAUER: I'm just trying to get some way to
4 phrase this in some way that maybe these complications may
5 be related to removal of the catheter and clinicians should
6 be cognizant of the dosing of low molecular weight heparin
7 relevant to the time of catheter removal, some vague
8 statement to know that there may be some causal
9 relationship just to get at this issue of knowing that the
10 drug, which you can say somewhere else in the product
11 insert, has a prolonged half-life, some way to alert in the
12 warnings that you got to know that the drug may be around
13 when you're doing this, not that we know that they're truly
14 causally related, but some way that that may be a red flag
15 if it's seemingly from the cases that it may be.

16 DR. REVES: Is there any animal data or
17 anything that shows that pulling a catheter is more likely
18 to cause a hematoma than having the catheter in there? Is
19 this true ignorance we have or is it --

20 DR. HORLOCKER: There's no animal data. There
21 is one continuous spinal study that shows that the presence
22 of an indwelling catheter, whether it's in a patient that
23 is receiving an anticoagulant drug or not, will be more
24 likely to have red cells present at the time of catheter

1 removal 24 hours later. So, it shows that the presence of
2 an indwelling catheter, at least intrathecally, does cause
3 ongoing trauma in some patients. But we all know
4 surgically that there are patients that bleed when we take
5 out stitches or drains, and so we have to be aware that
6 this could also happen within the epidural or intrathecal
7 spaces also. But there are no lab data or animal data to
8 support what we're saying. You're correct.

9 DR. REVES: I think the data do indicate that
10 there's probably a higher risk for a patient who has an
11 indwelling catheter than one who does not. You've looked
12 at the data. Is that right or wrong?

13 DR. HORLOCKER: It's always the patients with
14 an indwelling catheter and concomitant low molecular weight
15 heparin use. If you had a catheter in and took it out
16 before they started the therapy, we don't know if that
17 would bring the risk down to 0.

18 DR. REVES: Do we know if you just did a single
19 shot epidural, no catheter, whether those people will have
20 the same -- and get the low molecular weight dextrans in
21 about the same time period, do we know if they have a lower
22 incidence of this problem?

23 DR. HORLOCKER: We don't know because everybody
24 that's had an epidural that we have been able to identify

1 with an indwelling catheter got the drug while the catheter
2 was indwelling. What you're talking about is giving a
3 single shot epidural and then the drug would be given
4 later, so the two would never coexist at the same time. We
5 don't have data that shows that that decreases the risk.
6 Intuitively we want to think it does.

7 Yes, Dr. Carlisle.

8 DR. CARLISLE: Do we actually know whether
9 stopping the low molecular weight heparin prior to the
10 removal of the catheter makes any difference? Do we really
11 know that?

12 DR. HORLOCKER: No, we do not. It would only
13 approximate what they've been experiencing in Europe which
14 doesn't appear to be a clinically significant risk. So, I
15 actually talked to Dr. Steinberg during the break and said
16 what if we did -- or maybe it was Dr. Bauer -- what if we
17 did hold a dose and so they go 24 hours? Is that going to
18 significantly increase their chance of DVT? Probably not
19 because it would be past their main thrombogenic time
20 period.

21 So, that might appear to be the best way to do
22 that. We could dose at twice daily while it's in, hold one
23 dose before you take it out. But again, that implies that
24 you know exactly when that catheter is going to come out,

1 and sometimes they fall out while the patient is rolling
2 around in bed or at PT. So, there's still a little
3 difficulty there.

4 DR. CARLISLE: It also still bothers me that we
5 are not addressing the variability amongst patients. I
6 mentioned earlier renal failure. One of the reasons that
7 that particular issue struck me is that we do know that the
8 highest incidence of this is in elderly females who would
9 be the person who would have no muscle mass, so would not
10 have a significant bump in creatinine, who might also be
11 the person who would develop just a little bit of liver
12 failure or maybe just a little bit of platelet dysfunction
13 from an infection or from a more dilutional coagulopathy
14 than someone else, and that we're not addressing any of
15 those issues as well in terms of trying to set up
16 guidelines.

17 DR. HORLOCKER: Dr. Talarico, within this is
18 there a warning regarding patients with renal failure?

19 DR. TALARICO: If there was somebody with renal
20 failure, it might have been the exception.

21 We were wondering about the dosage, whether 30
22 milligrams twice a day would represent a big dose for a
23 tiny, little patient.

24 DR. CARLISLE: But the issue that I'm trying to

1 address is not the tiny, little patient. It is the elderly
2 woman who is the patient population that we're dealing with
3 who might have a creatinine of 1.1 which no one would ever
4 pay any attention to, but she's someone whose creatinine
5 clearance with a plasma creatinine of 1.1 is less than 30,
6 which would then put her in the severe renal failure
7 category without it being recognized. I'm just using that
8 as one example of the kinds of concomitant situations that
9 we might have that we're not recognizing that may be
10 additive and lead us into these problems that we have with
11 hematoma.

12 DR. TALARICO: Yes, that in addition to the
13 fact that they might have, as you say, reduced muscle mass.
14 So, therefore, if it was going by weight, they would have
15 received a lower dose. But it turns out that the mean
16 weight was 61 kilos, whatever.

17 Also, in the clinical trials, elderly patients
18 were not necessarily at greater risk. The pharmacology
19 studies did show that the clearance was different in
20 elderly, but the bleeding risks were not greater in older
21 patients. Maybe when you combine several things together,
22 it might add up but I don't know that.

23 DR. CARLISLE: Am I incorrect in remembering
24 that the largest group of epidural hematomas occurred in

1 the elderly women?

2 DR. TALARICO: Yes.

3 DR. HORLOCKER: Dr. Palmer, your thoughts on
4 the labeling.

5 DR. PALMER: I have a problem because I cannot
6 locate in my materials a copy of what you read.

7 (Pause.)

8 DR. PALMER: It's becoming clearer now.

9 One of my problems is with the wording which
10 has remained the same in this old copy I had as well as the
11 one I've just been handed. If you look at the wording, it
12 says, when neuraxial anesthesia is employed, patients are
13 at risk. I really think that's not the case. I think
14 that, yes, maybe 70 percent of them are associated with
15 neuraxial anesthesia, but 20, 30 percent probably are not.
16 So, I really think the wording, although it can emphasize
17 neuraxial anesthesia, has to indicate that patients
18 anticoagulated with these drugs are at risk of CNS
19 hematomas, which the risk may be increased with the
20 presence --

21 DR. HORLOCKER: I think there has only been one
22 spontaneous one, in that patient with the allograft, and
23 then a couple lumbar laminectomy ones. So, they had
24 surgical procedures.

1 DR. PALMER: No, no. Of the 33 cases that are
2 summarized for us, I count between 4 and 6 of those 33 that
3 either had no epidural anesthesia or if you have the
4 information in the other articles, the actual hematoma is
5 remote from the site of insertion and probably not within
6 the reach of the catheter either. I have serious questions
7 about whether those are spontaneous hematomas or not.

8 I just think that we know that there is an
9 irreducible risk of spontaneous hematoma and what we may be
10 seeing is some increase with these drugs. So, I really
11 think that somehow we need to indicate you need to look for
12 these signs regardless of whether they used neuraxial
13 anesthesia or not.

14 So, if you could change the wording to say
15 anticoagulated patients are at risk for neuraxial hematomas
16 which may be increased with the use of spinal or epidural
17 and may be further increased with the presence, the
18 prolonged presence, of an indwelling catheter, then it
19 would make sense to me because the risk does seem to be
20 graduated.

21 The other issue I had with this change in the
22 boxed warning is who's getting it. From what I've read in
23 here, the Dear Doctor letter and the other attempts so far
24 have been directed at anesthesiologists, orthopedic

1 surgeons, pain experts, but have not included
2 neurosurgeons, as I said, who need to be our backup here
3 and need to be very informed on this issue. And it hasn't
4 included like orthopedic nursing as a specialty, since this
5 is the greatest group of people who will be caring for
6 these patients and educating them before they go home.

7 DR. HORLOCKER: Go ahead.

8 DR. BOTSTEIN: Dr. Palmer, you're absolutely
9 right. It needs to go to a wide audience.

10 Can we ask the companies just who our health
11 advisory was sent to? We didn't have enough money to send
12 it to all the doctors. The companies did that. Could
13 somebody please --

14 MR. DONNELLY: Yes, we have a list.

15 DR. TALARICO: While you are getting the list,
16 94 percent of the patients had some spinal manipulation,
17 whether it was anesthesia, spinal tap, analgesia, or
18 injection or whatever.

19 DR. PALMER: That 94 percent includes, though,
20 cases where a lumbar catheter was placed and the clot was
21 actually found in the thoracic region.

22 DR. TALARICO: No. These are just invasion of
23 the epidural space.

24 DR. PALMER: That's what I'm saying, but it's

1 hard for me to understand a spinal anesthetic or even an
2 epidural given at L3-4 and a clot that occurs at T10, above
3 the area, because most patients who are in bed are not head
4 down. If anything, they're usually head up. So, finding a
5 clot above the level of the invasion of the spinal canal is
6 a bit hard to reconcile.

7 I don't mean to say that I don't think that
8 these are related issues, but I'm just concerned that we're
9 missing the boat by just concentrating only on the epidural
10 catheter.

11 MR. DONNELLY: My name is Tom Donnelly from
12 Rhone-Polenc Rorer.

13 As you can see, the list, the recipients of the
14 mailing, that is, the health care advisory letter, that
15 went out by the companies at the end of January. It went
16 to a very broad list, including nurse anesthetists, all
17 hospital pharmacists, all hospital nurses, and so forth and
18 a broad category of physicians. So, in that way the
19 companies were trying to bring this to the attention to as
20 broad a group as possible.

21 DR. PALMER: Thank you. That really helps
22 clarify who got it so far.

23 Then my other problem with the boxed warning is
24 as I mentioned earlier. The sentence that says patients

1 should be frequently monitored for signs and symptoms of
2 neurological impairment I think is too vague and would
3 recommend adding wording that has to do with unexplained
4 flank or a perineal pain or radiating pain, and then
5 followed with unexplained increase in weakness or
6 paresthesias in the lower extremities, something that is
7 specific about this.

8 DR. HORLOCKER: Dr. Young.

9 DR. YOUNG: Aside from what Dr. Palmer has
10 already said, I don't have any additional modifications or
11 suggestions for the boxed warning.

12 Through this whole discussion, I have
13 difficulty understanding how there could be so many
14 thousands of cases done without any reported problem and
15 then suddenly there's this rash of incidences over the past
16 three or four years. My concern is that, as has been
17 pointed out, the reporting mechanism for these problems,
18 whether there's some way that the companies can increase
19 their vigilance of that so that there are more data to
20 reevaluate this over time and come to some better
21 conclusion in terms of what the contributing factors are.

22 DR. HORLOCKER: Is the section that was added
23 under the surveillance appropriate then? I don't have it
24 in front of me anymore. There will be ongoing surveillance

1 and reporting of the events.

2 DR. PALMER: If I can break in just for a
3 minute, I would really like to see them collecting data
4 that we didn't have, for instance, things like the
5 technique of insertion, the amount of catheter inserted,
6 the type of catheter. These are things I think most any
7 anesthesiologist would want to know. That just isn't in
8 most of these. So, if we could add a few things to their
9 surveillance.

10 DR. HORLOCKER: I believe that some of the drug
11 companies are even doing that to go back and try to collect
12 additional data for the FDA to fill in some of the follow-
13 ups. Is that correct?

14 DR. YOUNG: Are you still waiting for me? Come
15 back to me.

16 DR. HORLOCKER: All right. Dr. Carlisle.

17 DR. CARLISLE: I think I've voiced some of my
18 concerns. I also agree with Dr. Palmer in that I think the
19 wording could be changed so that there is an increased
20 awareness without it being a strict cause and effect
21 assumption.

22 DR. HORLOCKER: Dr. Reeves.

23 DR. REVES: I think we're talking about a
24 catastrophic complication that's extraordinarily rare. I

1 believe that what we can do is education to try to prevent
2 it. I think that this proposal continues that educational
3 venture, and with the modifications that have been
4 discussed, I would approve it. But I think a long-term
5 educational plan by the pharmaceutical industry who
6 actually, along with all of the physicians like us that put
7 them in, have vested interest in making certain that we all
8 are aware of this potentially devastating but
9 extraordinarily rare complication.

10 So, to answer the question, I would approve
11 with slight modifications what this warning has, but I
12 would also suggest that there be an ongoing effort to keep
13 this issue out there.

14 DR. HORLOCKER: For the record, I also agree
15 that the labeling revision is adequate, and I would add
16 that we need to work on the earlier detection by education
17 of our nursing staff and patients in addition to perhaps a
18 more safe placement and removal of needles and catheters by
19 looking at the pharmacology within patients so that a
20 higher awareness with what the pharmacology is, what the
21 assumed hemostasis is at the time of catheter removal and
22 placement.

23 Ms. Curll.

24 MS. CURLL: Yes, I too agree. But I was

1 wondering if anywhere in the labeling you could put a
2 warning or a precaution that elderly women have been shown
3 to be at an increased risk for these hematomas when used
4 with this drug because unless you spell it out, they won't
5 see it or someone may see it in the package insert and tell
6 someone else, did you see such and such. As we found out
7 today, the numbers are women and they're older women, and
8 we're all getting older.

9 (Laughter.)

10 DR. REVES: I have one question related to that
11 because I was thinking of that also. But many of the
12 orthopedic procedures are done in elderly women and I'm not
13 certain that again the data would support that this group
14 is in fact the ones that have a -- maybe they're just
15 exposed the most. I don't know if we have that data. If
16 we have it, then it should be included.

17 DR. HORLOCKER: Dr. Wysowski, do you think we
18 do? Is there actually a numerator and denominator and we
19 can identify that as a risk factor?

20 DR. WYSOWSKI: Probably not. As I pointed out
21 during my presentation, these are potential risk factors
22 and not definite risk factors. As you stated, there's a
23 high proportion of orthopedic surgery being done in elderly
24 women, and so they are the people that are most exposed.

1 On the other hand, I guess it's my own personal feeling
2 that it might be useful to put something like that in the
3 label nonetheless.

4 DR. REVES: Yes, I would have no problem. You
5 can state one fact which is most of these adverse events
6 have occurred in them for sure because that is the data.

7 DR. WYSOWSKI: Right.

8 DR. HORLOCKER: What's very interesting about
9 that finding is at Mayo when we did our prospective study
10 evaluating antiplatelet medications as a potential risk
11 factor for spinal hematoma, we looked at every patient and
12 anesthetic variable we could, and miraculously antiplatelet
13 drugs were not associated with more blood through the
14 needle or catheter than patients that weren't on those.
15 But female gender, increased age, hip fracture patients all
16 were associated. That's actually what you're sort of
17 seeing which is really fascinating for me.

18 DR. WYSOWSKI: Well, the other thing that I
19 question is whether elderly women who have higher
20 incidences of osteoporosis and greater spinal deformity
21 might be at increased risk for that reason.

22 DR. HORLOCKER: Dr. Rhode.

23 DR. RHODE: I've been sitting here listening to
24 people try to tease out causes and evidence from what is an

1 extremely pauc amount of data. There's just not much
2 here.

3 I agree with the suggestions that the increased
4 surveillance is perhaps the best thing that we can do at
5 this point. It strikes me to say that older women would be
6 at higher risk is probably premature. We simply don't have
7 the data to support that. However, there would be nothing
8 wrong in saying that to date most of the cases have
9 occurred in these groups, and that's sort of a buyer beware
10 or a user beware kind of thing and that's probably the best
11 thing we can do at this point and certainly the wisest
12 thing both from the scientific point of view of this
13 committee and from the FDA's integrity, and it would also
14 alert, properly so, the users. So, I would agree with the
15 comments that were made so far.

16 DR. HORLOCKER: Dr. Wood.

17 DR. WOOD: I would agree. I think the label
18 should remain pretty general because we don't have a lot of
19 data. I agree that it probably would be better to say 30
20 of 38, or whatever the number were, of the case reports
21 occurred in female patients rather than surmising on
22 inadequate data.

23 DR. HORLOCKER: Dr. Wysowski.

24 DR. WYSOWSKI: I'm not part of the committee.

1 DR. HORLOCKER: Oh, you don't get to even
2 comment, though?

3 DR. WYSOWSKI: No.

4 DR. HORLOCKER: We're always interested in what
5 you say.

6 DR. WYSOWSKI: It's also my personal opinion
7 that it wouldn't hurt to put some specific symptoms in,
8 neurological symptoms. I think that might be useful.

9 DR. HORLOCKER: Dr. Talarico, any other
10 comments?

11 DR. TALARICO: No. We appreciate any
12 suggestions. I think the idea of including the facts as
13 they are is okay, just specifying how many women, what was
14 the age range, even possibly when it happened in relation
15 to surgery if we have that information. But that is
16 probably as far as we can go in the boxed warning.

17 DR. HORLOCKER: Any further comments?

18 DR. BOTSTEIN: I don't have anything else.

19 When we went through these cases and batted
20 this around, we couldn't come up with good recommendations
21 about timing of stopping, starting, et cetera. I'm sorry
22 that you all couldn't either, but then you had the same
23 database.

24 DR. HORLOCKER: Dr. Talarico, do you feel that

1 you have enough comments to make minor revisions on that?
2 Do you want an actual vote from this committee or are you
3 happy with the comments that have been made here?

4 DR. TALARICO: No. I think we get the feeling
5 that we do have to include all the facts as we know them,
6 and we agree.

7 DR. ALVING: I'd just like to make one comment.
8 I really think this risk reduction strategy for low
9 molecular weight heparin that Dr. Pineo presented earlier
10 could be very useful, just a couple of these points where
11 they administered low molecular weight heparin after the
12 epidural/spinal puncture and then they removed the catheter
13 in their protocol 8 to 12 hours after the last dose. One
14 might want to change that, and perhaps one could say some
15 strategies that have been developed to avoid this, not to
16 make it sound like a guideline, but this is what others
17 have done could be very helpful, just maybe two points.

18 DR. HORLOCKER: Let's move to question number
19 2.

20 DR. MUNTZ: Dr. Horlocker, could I say one
21 thing?

22 DR. HORLOCKER: Yes, go ahead.

23 DR. MUNTZ: I'm Jim Muntz from Houston, Texas
24 from Baylor College of Medicine.

1 We have a series of 12,000 epidural catheters
2 and about 5,500 of them are on Lovenox. I'm going to go
3 home in two hours, and I need to tell 150 anesthesia people
4 what to do. We're already doing a lot of it. Dr.
5 Steinberg, I will go out of here and use aspirin and
6 Coumadin. We'll continue to do what we do.

7 I think Dr. Pineo has a very good start, and I
8 think we could somehow come up with -- they don't have to
9 be guidelines, but things to minimize problems.

10 When I go back, I will probably recommend that
11 we use spinal anesthesia, remove the catheter. Most of our
12 catheters, or 5,000 of them, have been in 48 hours. What
13 we'll probably do starting tomorrow is put in the
14 catheters, do a spinal anesthesia or do an epidural
15 catheter, remove it the morning after surgery. The patient
16 has never gotten Lovenox or enoxaparin. We'll wait 2 hours
17 before they get their first dose.

18 We have already prohibited Ticlid, aspirin.
19 Nobody can mix drugs. Toradol. We've weight-based our low
20 molecular weight heparin off label. We use a 30-milligram
21 Q24 dose if somebody is under 90 pounds. If somebody is
22 over 300 pounds, we change the dose. If we have an elderly
23 female, 80-pound female, it's all in our pathways for both
24 our hospitals, 1,200-bed hospital, and we alter the dose of

1 the drug because we had bleeding three or four years ago.

2 We never start enoxaparin before 24 hours post-
3 op. Many times we'll start at 36 hours post-op with
4 pumpers to avoid bleeding. We have had only one epidural
5 hematoma out of 12,000 cases and it was when somebody used
6 wrong drugs, multiple drugs.

7 I think there's a list of things. They don't
8 have to be guidelines, but they can be issues to decrease
9 the chances of epidural hematoma.

10 Age was another one. If the creatinine is over
11 2, we cut the drug, cut it down to 30 Q24 hours. This is
12 used around Houston, and again we've had good results. It
13 doesn't necessarily have to be scientifically based. All
14 we want is I want to walk out of this room and make sure
15 nobody ever gets an epidural hematoma that we could
16 prevent.

17 Thanks.

18 DR. HORLOCKER: I don't think we could promise
19 you that, unfortunately.

20 (Laughter.)

21 DR. PALMER: I'm concerned that that's probably
22 going to be the take-away message because now you've got,
23 in order to prevent one epidural hematoma that might have
24 been treatable, how many MIs are you going to have and

1 total knee operations where the patient really needed
2 profound pain relief because of their tenuous
3 cardiovascular status and then they couldn't get it because
4 they also had lung disease. The nurses won't give them the
5 IM injections, but their epidural catheter was removed and
6 that now becomes an unknown risk. So, I hope that if you
7 do implement the guidelines that you've just talked about
8 in summary, that you'll leave room for people to make
9 individual decisions about patients like the one I'm
10 describing.

11 DR. HORLOCKER: Dr. Wood.

12 DR. WOOD: I think it goes right back to my
13 original point about myocardial infarction, thrombolytic
14 therapy, and cerebral hemorrhage and stroke. It's a
15 catastrophic event. So is a subarachnoid hemorrhage. But
16 again, you're weighing the risk/benefit ratio. The aim may
17 not be to completely abolish the adverse event. That
18 nowadays might not be the ultimate goal.

19 DR. HORLOCKER: I agree with you. I don't
20 think we ever can promise patients that they won't have an
21 adverse event because they're at significant risk for a
22 thromboembolic event too, and what we have to do is weigh
23 the risks and benefits of our therapy, of the
24 thromboprophylaxis, and our analgesic method and try to

1 come up with the best one for each individual patient based
2 on their coexisting medical conditions.

3 So, I hope that nobody is coming out with
4 concrete, written-in-stone guidelines based on these things
5 because really what we're trying to do is make people
6 thinking clinicians and do the best thing for their
7 patients.

8 DR. MUNTZ: To answer your question, we still
9 use a lot of epidurals in the knees and revision knees.
10 We've almost totally quit using them in hips. Our patients
11 go home on day 3 or 4. The nurses are happier without the
12 epidural catheters. There's a good place for them.
13 They're good. I think the epidural catheters help with
14 pain, but I think the antithrombotic agents are paramount
15 to saving lives and I think the anticoagulation issue is
16 going to supersede epidural catheters for patient safety.

17 DR. HORLOCKER: Under question number 2 then,
18 this was really if we did not find the new revisions
19 sufficient. Are there any special circumstances or any
20 phrases in questions 2(a), (b), or (c) that you would like
21 to discuss at this time? For example, are there restricted
22 circumstances only that you would prefer to have low
23 molecular weight heparins given in combination with?

24 I think the general consensus here is that we

1 want to be able to do regional anesthetic techniques and
2 tailor that technique to the individual patient. I think
3 nobody is ready to have a restricted or total
4 contraindication. Am I correct in that assumption?

5 All right. I guess the last thing that we need
6 to really discuss then is should the class labeling be
7 extended to all approved anticoagulants, including the
8 intravenous -- oh, I'm sorry.

9 DR. BOTSTEIN: Before we get to that, could we
10 just see if there's any advice you all think would be
11 reasonable to put in about relative timing of the
12 anticoagulation and catheter use? Anything at all?

13 DR. HORLOCKER: We don't actually have the
14 data. You could put a generic statement saying to try to
15 do it at a time when the anti-Xa activity is low, which is
16 sort of ambiguous and intuitive, but that's what many of
17 the other regional anesthesia techs say about intravenous
18 heparin. That would require somebody to at least read the
19 pharmacodynamic and pharmacokinetic information, which they
20 probably have skipped over to get to the boxed warning.
21 Maybe that would send people back to the real literature.

22 DR. PALMER: Why isn't one of the
23 pharmacokinetic graphs that we looked at that at least
24 shows you the peak activity within 2 hours and at least

1 shows you that after 8 hours you're significantly down
2 included in this? I know that I'm pretty simple-minded,
3 but a picture is worth a lot more than some of these
4 tables. If we were going to include something, just
5 sticking to the facts, we could say that half of the cases
6 were associated with catheter manipulation or removal.
7 Then if you could show the picture of the time course of
8 action, the fact that it isn't cumulative. That's all
9 different from heparin. I don't see it easily available
10 here for the average clinician.

11 DR. BOTSTEIN: Yes. One problem I have with a
12 graph like that is that it gives the idea that the anti-X
13 activity is correlated with the anticoagulation in the
14 patients tightly and directly. That we don't know.

15 DR. PALMER: It obviously isn't because the
16 ordinate is the international units of anti-Xa activity,
17 and no clinician, who's not a hematologist, probably knows
18 what that means, but it does give you an indication of time
19 course that at least there would be some information.
20 There isn't anything here. That, plus the only other thing
21 we have, which is that half of them were associated with
22 catheter manipulation and removal, and just let them make
23 their own conclusions.

24 DR. WYSOWSKI: Actually from the 33 cases that

1 I looked at for Lovenox, they were not associated with
2 catheter removal. I think it was Dr. Horlocker who
3 mentioned and in the Vandermeulen study the review of
4 the --

5 DR. HORLOCKER: Also in the study that John
6 Heit and I did, we also thought it was, but we only had 16
7 of your 33 reported cases.

8 DR. WYSOWSKI: Right. There wasn't very good
9 information on timing and chronology of events in the
10 reports that I looked at. Some of them were very
11 meticulous and others had very sparse data. So, there's
12 really not very much information on timing of catheter
13 removal and the onset of neurological symptoms and
14 bleeding.

15 DR. REVES: I have no argument with more
16 information. That's fine, but to make any -- any --
17 inference that the peak level of that is related to an
18 adverse event I think is a big stretch. I'll give you the
19 easiest analogy I know. When you look at blood levels of
20 drugs and one patient can be absolutely, totally wide awake
21 and someone else will be totally asleep. So, these things
22 often don't really have anything to do with consequences
23 that are important to you as a clinician.

24 DR. TALARICO: Well, it would be reasonable

1 enough to assume that if enough time has gone by to the
2 effect of the drug to be near baseline, probably that would
3 be a less risky time for especially elective manipulation.
4 Obviously, an emergency change of catheter or whatever is
5 unpredictable, but if something is to be done on schedule,
6 one can select the best time for doing that.

7 DR. ALVING: I would just like to say anti-Xa
8 activity does correlate with anticoagulant activity. In
9 other words, I'm not going to stick a needle in somebody if
10 they have an anti-Xa activity of .7. I'd rather do it when
11 it was .05. You really could highlight the clinical
12 pharmacology because the half-life can be anywhere from 4
13 and a half to 12 -- well, 4 and a half hours half-life, but
14 significant activity remains for 12 hours. If you bolded
15 that so that you just say that, then somebody could say,
16 maybe I'll wait 12 hours after this last dose.

17 Now, again with danaparoid, the half-life is,
18 what, 22 hours? Right?

19 DR. MAGNANI: That's only the anti-Xa activity.

20 DR. ALVING: Well, let's go with anti-Xa
21 activity. I mean, you may not want to.

22 So, danaparoid has a half-life of 22 hours by
23 anti-Xa activity. So, if I've got someone on that and I'll
24 be using it for heparin-induced thrombocytopenia off label,

1 I'm going to wait much longer to pull a catheter.

2 DR. HORLOCKER: I agree. There's actually that
3 phrase in there that I was going to read. Following a 40-
4 milligram dose, significant anti-Factor Xa activity
5 persists in plasma for about 12 hours. So, just seeing
6 that is going to scare people enough to at least think
7 about what they're doing within that 12-hour time period.
8 If we could just highlight those sorts of things because we
9 don't have the information, as people have brought out, but
10 at least if we can look a little bit at the pharmacology,
11 take it into account when we place and remove the
12 catheters, that might help. It should theoretically.

13 Any other things that you wanted? Okay.

14 Then on to question number 4. Should the class
15 labeling be extended to all approved anticoagulants, such
16 as intravenous heparin, subcutaneous heparin, and warfarin
17 products?

18 Again, I think we should just go around the
19 table here. Dr. Steinberg, would you like to start?

20 DR. STEINBERG: Yes. I think that these also.
21 This is almost the same risk we've been talking about,
22 although we've been focusing on low molecular weights. But
23 we've seen clinically problems with these drugs as well.
24 As I said, that's one of the reasons that some groups have

1 gone to aspirin though folks have said aspirin is not as
2 effective. It certainly is safer.

3 DR. HORLOCKER: Dr. Alving?

4 DR. ALVING: I wouldn't do it for warfarin. It
5 seems to be covered. Furthermore, you can monitor it, so
6 you'll get an INR. If you know someone is on warfarin,
7 you'll want to check the INR.

8 For heparin, again you've got people in 5,000
9 subQ still b.i.d. or t.i.d., and I guess it would not be a
10 bad idea.

11 DR. HORLOCKER: Dr. Bauer?

12 DR. BAUER: I'd be inclined not to. I don't
13 think we've heard any evidence today of any real problem
14 with those agents as they're currently used in terms of
15 this problem. I think to open that box and issue a wide
16 warning about the problems that I don't think currently
17 exist and probably aren't likely to exist because I don't
18 see the way that warfarin being used or unfractionated
19 heparin as prophylaxis being used changed will do it. I
20 think it may actually be a dis-educational thing to do
21 because I think we need better education about the
22 properties of low molecular weight heparin rather than
23 further education about heparin and warfarin.

24 I think people have always held heparin and

1 warfarin in relatively high regard to their hemorrhagic
2 potential, and I think there may have been, to get back to
3 the question somebody had, why do we suddenly see this in
4 low molecular weight heparin, a sort of overzealous
5 appreciation that maybe this is a free lunch, which
6 obviously it's not.

7 DR. HORLOCKER: Dr. Palmer.

8 DR. PALMER: I'm sitting on the fence because
9 of the subQ heparin that I see used and without PTT
10 monitoring. I see it way too often where I will ask for
11 that pre-op hip surgery and I'll be told by the young
12 surgeon, well, that's not needed. It's not a therapeutic
13 dose of heparin. And I'll say, well, how do you know what
14 it is in this patient? At least we can settle it with a
15 lab test about what it is to this patient.

16 So, as I said, I feel both ways about it.
17 Really, if you're going to use heparin, you have to
18 understand there are variable results with it and PTT
19 should be checked before neuraxial invasions are made. So,
20 I don't know if it's the same warning or if it's a
21 different warning, but heparin should be respected for its
22 variability.

23 DR. HORLOCKER: So, do you think then that
24 heparin should have the label and not warfarin?

1 DR. PALMER: Well, I guess because I'm hospital
2 based, I just see that as the bigger problem, whereas the
3 warfarin is much more often used in the long term and it is
4 usually carefully deleted before planned surgery. So, I
5 don't see that we're having a problem with warfarin.

6 DR. HORLOCKER: It's still perhaps the number
7 one thromboprophylactic agent, though. So, if patients
8 have indwelling epidural catheters and warfarin therapy is
9 initiated, they will have those concomitantly.

10 DR. PALMER: Yes, you're right. Without
11 information, I just have a hard time making a firm opinion.

12 DR. HORLOCKER: Dr. Young?

13 DR. YOUNG: No.

14 DR. HORLOCKER: Dr. Carlisle.

15 DR. CARLISLE: Yes. I guess I'm not sure it
16 should be same label, but I think that the labels should
17 definitely include some statement to at least acknowledge
18 the fact that we do have ways to monitor the effects of
19 these drugs and that to do neuraxial procedures in the face
20 of the effects of these drugs is foolish.

21 DR. HORLOCKER: Do you believe then that we
22 should add a boxed warning similar to that for low
23 molecular weight heparin or is the existing warning such as
24 you saw for warfarin enough?

1 DR. CARLISLE: I think it's almost enough. I
2 think that it's not quite specific enough, but it's almost
3 enough.

4 DR. HORLOCKER: Dr. Reves?

5 DR. REVES: Well, I'm not impressed with the
6 data actually, and I think the warfarin label we've already
7 been shown is pretty direct and addresses this issue. I
8 guess if I have to say, which I do --

9 (Laughter.)

10 DR. REVES: -- I think warfarin is handled. If
11 you read this and were to substitute any anticoagulant,
12 i.e., heparins, it wouldn't offend me to have that kind of
13 advisory out there. So, I guess I would be for that, but I
14 would like to see a lot more data supporting it.

15 DR. HORLOCKER: I actually do not think that we
16 should extend the labeling because I feel that by doing so,
17 we're saying that that risk is equivalent with these other
18 drugs, and I just don't think we've seen the same problem
19 because we've been educated on how to manage both the
20 anticoagulant effect as well as regional anesthetic
21 techniques in patients that receive warfarin and
22 intravenous heparin. So, I would not put a boxed warning.
23 I think that they need warnings, as other members have
24 mentioned, but I would not put it to the same degree as the

1 low molecular weight heparins which are difficult to manage
2 because we can't monitor their effect, and they have such a
3 long half-life.

4 Dr. Rhode. Oh, I'm sorry.

5 MS. CURLL: That's okay. No, I don't think
6 they need the same type of labeling.

7 DR. HORLOCKER: Dr. Rhode.

8 DR. RHODE: It strikes me that there's even
9 less data here, so I would say no.

10 DR. WOOD: I would say no. The data is not
11 there. I think if you look back to what we did for
12 bupivacaine we said the same thing. There was a boxed
13 warning for bupivacaine but let's see what's going to
14 happen with bupivacaine before we do it. So, I would
15 agree.

16 DR. HORLOCKER: Members of the FDA --

17 DR. TALARICO: No. I was just noticing the
18 fact that Coumadin is contraindicated for patients with
19 spinal anesthesia, and yet it seems to be the most widely
20 used anticoagulant. So, that I find a little bit
21 difficult.

22 Second, I think we are confusing a little bit
23 starting Coumadin for thromboprophylaxis and the patient
24 being coumadinized. If a patient is on Coumadin and has to

1 have a hip replacement, that patient will be at risk no
2 matter what. But obviously if the Coumadin is started the
3 day after surgery and takes three more days to reach the
4 appropriate INR, by then all the manipulations will be over
5 and the risk will be minimal. But there is some risk
6 nevertheless with the Coumadin.

7 DR. REVES: But, see, in their insert they
8 already say it's contraindicated. I mean, you can't be
9 more direct than that.

10 DR. TALARICO: True, but then we are
11 contraindicating something that's off label. We are making
12 it a boxed warning for something that's off label.

13 DR. HORLOCKER: Dr. Talarico, are you happy
14 then with what the discussion is? Are you happy with the
15 way the voting is? Do you need us to get more elaborate or
16 do you want a formal show of hands?

17 DR. TALARICO: No. I think it's fine. I think
18 we get the message that we do have to expand the boxed
19 warning with more information, give more data on the cases
20 reported, probably include some information about the drug
21 pharmacology, and that will obviously depend from one
22 labeling to another because each drug is somewhat
23 different.

24 DR. HORLOCKER: Yes. Would you like to make a

1 comment?

2 DR. MAGNANI: I think everybody is agreed here
3 that we undoubtedly need a black boxed warning. For me
4 there's a paradox. The same patients who require the
5 spinal anesthesia, the neuraxial anesthesia are also
6 largely the same ones who need anticoagulant treatment.
7 They're usually the very old, the very weak, and the ones
8 who are likely to be more bedridden. So, the physician has
9 to be helped somehow to make a decision as to how he's
10 going to work out this tradeoff.

11 Now, of course, the paralysis is catastrophic
12 but so is a fatal PE. I think this is the thing we have to
13 keep in mind because we're all concerned with safety, but
14 we also have to be concerned with efficacy. And I would
15 disagree that things like stockings and aspirin are
16 equivalent in these very high risk patients to the low
17 molecular weight heparins and perhaps heparinoids and even
18 heparin itself.

19 If we follow Dr. Horlocker's argument, what do
20 we do with the new compounds if we don't include
21 unfractionated heparin and we don't include oral
22 anticoagulants in some way? I admit they have a warning,
23 so perhaps that is more excusable, but if we don't include
24 unfractionated heparin, what are we going to do with the

1 new things that come along which have absolutely no
2 incidence because they've hardly ever been tested? How are
3 you going to treat those? Put them automatically in a
4 black box, or are you going to leave them outside until you
5 get a case? Because I think that also has to be taken into
6 account.

7 DR. HORLOCKER: Yes.

8 DR. DeVANE: Philip DeVane, Wyeth-Ayerst.

9 Could I just ask for clarification on the vote?
10 Because polling the voting members, I thought the answer to
11 the last question was no.

12 DR. HORLOCKER: Dr. Somers, you have the formal
13 count. It's a split, though, between the two drugs. We'll
14 have to tally them up separately.

15 DR. DeVANE: Is everybody voting?

16 DR. HORLOCKER: The guests and FDA do not vote.
17 There are only eight votes. There are five noes.

18 DR. DeVANE: And there were five noes.

19 DR. HORLOCKER: Yes.

20 In summary then, I think what this committee
21 has decided to advise the FDA is that we do need additional
22 expansion of our boxed label warning to include more
23 patient data, that describes the patients that have
24 developed spinal hematomas, and perhaps some

1 pharmacokinetic/pharmacodynamic data that will help assess
2 when it's safe to place and remove a catheter. Also, we
3 have voted to not extend the boxed label warning to other
4 anticoagulant drugs.

5 Is there anything else that anybody on the
6 committee would like to say?

7 (No response.)

8 DR. HORLOCKER: I'd like to thank you all for
9 the opportunity to serve you. It has been an experience
10 and an educational one at that. Thank you very much for
11 your support.

12 We're adjourned.

13 (Whereupon, at 2:06 p.m., the committee was
14 adjourned.)

15

16

17

18

19

20

21