

1 sequellae: fatigue, decreased energy, and activity
2 reductions.”

3 This was the dosing regimen. It was a mixed
4 population, nonmyeloid tumor types receiving non-
5 platinum chemo. Interestingly, the baseline hemoglobin
6 was less than or equal to 10.5, so very much in line
7 with current standards. It did allow entry for patients
8 with a hemoglobin up to 12, if they in fact had more
9 than a 1.5 per gram per deciliter decrease in
10 hemoglobin.

11 The primary endpoint was transfusion
12 reduction, but secondary endpoints were prespecified and
13 included, as I said, the patient report outcomes
14 instruments that are well known to the oncology
15 community, the FACT-N and the CLASS and the SF-36.
16 Survival was collected in long-term followup.

17 The next slide, slide up.

18 (Staff complies.)

19 DR. ZUKIWSKI: In terms of the primary
20 endpoint, there was a significant reduction transfusion
21 requirement. Although the study wasn't powered for
22 survival, there was a trend after a median followup of

1 26 months favoring the EPO treated patient.

2 Again, I think it is very informative in a
3 study where the baseline hemoglobin levels were very
4 much in line with current label guidelines. The
5 hemoglobin level achieved was approximately 12.1. The
6 thrombotic incidence was relatively low and similar in
7 both treatment groups, and adverse events were
8 comparable between treatment groups.

9 Next slide, slide up, please.

10 (Staff complies.)

11 DR. ZUKIWSKI: Again, here are the individual
12 FACT and subscales. I present all three because there
13 is a consistent benefit in both the general subscale,
14 the subscale measuring fatigue and the subscale
15 measuring anemia, showing that in EPO-treated patients
16 there is a significant improvement in all three scores
17 as compared to the placebo patients in which as you see
18 all three scores decline. It is really this difference
19 that we are measuring here that significant improvement
20 for all three subscales of the FACT-N.

21 Next slide please, slide up.

22 (Staff complies.)

1 DR. ZUKIWSKI: Beyond the FACT-N instrument,
2 which was used to measure patient report outcomes, this
3 is the cancer linear analog scale, which as you can see
4 here is also showing a consistent benefit for the three
5 scales that are measured within this patient report
6 outcome instrument, specifically, energy level, ability
7 to do activities, and overall quality of life -- all
8 part of the CLASS instrument for patient report outcomes
9 and also showing the consistent benefit, showing a
10 significant improvement in these three subscales for
11 EPO-treated patients as compared to control.

12 We can go to QA8, please. Slide up.

13 (Staff complies.)

14 DR. ZUKIWSKI: In addition to INT-10, there
15 are four other prospective randomized control studies of
16 epoetin alfa with at least a hundred subjects per study.

17 All four have demonstrated improved patient report
18 outcomes in the EPO group as compared to controlled.

19 Now, not all of these are placebo-controlled,
20 so I do want to emphasize that, but they nevertheless
21 are randomized trials and three of which, one of which
22 being the INT-10 study which I just mentioned, reached

1 statistical significance. All of them use similar
2 patient report outcomes instruments.

3 CHAIRPERSON ECKHARDT: Can I ask you a
4 question? Were any of these submitted as part of the
5 registration package to the FDA?

6 DR. ZUKIWSKI: For the original labeling?

7 CHAIRPERSON ECKHARDT: Mm-hmm.

8 DR. ZUKIWSKI: No, one of them was, the Able
9 study. Actually, why don't we go to QA-14.

10 CHAIRPERSON ECKHARDT: Dr. Pazdur.

11 DR. KEEGAN: I can say that INT-10, which was
12 conducted with Eprex not Procrit, was not submitted to
13 the FDA in support of quality of life claims.

14 DR. ZUKIWSKI: Okay. That is correct.

15 You can go to QA-11, please. Slide up.

16 (Staff complies.)

17 DR. ALBAIN: Was there a reason why it was
18 not, if I may ask?

19 DR. ZUKIWSKI: We actually did have a
20 discussion with the Agency in April 2000 Type C meeting
21 to discuss the suitability of INT-10 being able to meet
22 a labeled claim for epoetin alfa and the discussion was

1 around the methodology of the instrument, how the
2 instrument was developed.

3 In that context, there were some limitations
4 that were raised with the validity of these instruments
5 being acceptable for supporting labeling claims.

6 DR. KEEGAN: I would also add that the design
7 which allowed a heterogeneous population to be enrolled
8 likely was also a concern for the FDA at that time.

9 CHAIRPERSON ECKHARDT: Okay.

10 DR. ZUKIWSKI: I do want to just make one more
11 comment around the patient report outcomes and the ESA
12 effect.

13 If we can bring up Slide QA-9, please. Slide
14 up.

15 (Staff complies.)

16 DR. ZUKIWSKI: In addition to the individual
17 patient-level data from studies, there are two meta-
18 analysis groups that have specifically looked at
19 patient-reported outcomes and included both of them,
20 both the Cochrane meta-analysis as well as the AHRQ
21 despite the fact of citing limitations, again, with the
22 instruments.

1 Both, as you see, have concluded that for the
2 Cochrane, an overall positive effect on health-related
3 patient report outcomes from ESAs, that seems unlikely
4 to be due to chance, and the AHRQ results which state
5 that the results favor ESA treatment over control, but
6 again stating that there is insufficient evidence for
7 definitive conclusions.

8 I also would like to add that based on similar
9 data, both the EORTC and the Canadian Cancer Anemia
10 Development Guidelines, so two independent groups that
11 develop guidelines for treating cancer-related anemia
12 have both concluded that epoetin alfa produces
13 significant and clinically relevant improvements in
14 quality of life of patients with cancer.

15 CHAIRPERSON ECKHARDT: Thank you. We will
16 move on.

17 Dr. Murgo, I know you had a question a while
18 back.

19 DR. MURGO: Thank you. Actually, I have a
20 question for Dr. Baynes regarding Study 103, in
21 particular, the baseline characteristics. I refer to
22 Company Slide C-53.

1 (PowerPoint presentation in progress.)

2 DR. MURGO: What I notice in addition to the
3 baseline characteristics of the prior chemotherapy and
4 gender, there being differences, the difference in the
5 sample size in the placebo group versus the darbepoetin
6 alfa group. In my opinion, a striking difference, 470
7 in the placebo group and 515 in the darbepoetin group.

8 One question, is there an explanation for why
9 there is this imbalance? Two, are there any data -- I'm
10 assuming that this is not the randomized population but
11 the population that received treatment, and if that's
12 the case, is there information about the patients who
13 actually were randomized but did not receive treatment?

14 DR. BAYNES: Thank you for the question. Yes,
15 indeed the study design was such that in fact all of
16 these patients are randomized, but the randomization
17 algorithm called for once a certain number of
18 transfusions had actually occurred in the study.

19 The randomization changed from one to one to
20 nine to one, so it was a prespecified alteration in
21 randomization at the time of hitting a specific
22 transfusion trigger point.

1 DR. MURGO: That was prospectively?

2 DR. BAYNES: That was prospectively defined,
3 yes, sir.

4 CHAIRPERSON ECKHARDT: Thank you.

5 Dr. Martino.

6 DR. MARTINO: Two questions, if I may, one to
7 the FDA. I want to get back to Dr. Albain's question on
8 the quality of life issue. Why is it that we do not
9 have quality of life as one of the approved values of
10 this therapy? Is it simply that nothing has been
11 brought forth? Is there argument related to the
12 instruments used? Or, what is the issue there? And
13 then I would like to ask another question, please.

14 DR. KEEGAN: The reason, it had to do with
15 presentation of the data, the study design. We would
16 like to see a randomized, placebo-controlled trial in a
17 homogeneous population where patients have the same
18 disease, the same stage of disease, and the same type of
19 therapy.

20 We don't think that we can interpret it in a
21 heterogenous population such as what is in INT-10. We
22 also do have some concerns about the instruments, but I

1 would say that our concerns with the types of data that
2 we have seen was more than just a concern with the
3 instrument.

4 DR. MARTINO: Thank you.

5 The other question is to Amgen and to J&J.
6 Part of my problem in thinking through all of this is
7 that there really are too many studies and they become
8 very jumbled in the mind. I am primarily struggling
9 with the following.

10 If there is an issue that we are decreasing
11 survival, what studies are there actually where survival
12 was the primary -- not secondary, not tertiary, not
13 tenth -- the primary endpoint that met actually accrual
14 and for which we have data? That's what I want to hear.

15 Is there such a study? Is there more than one? The
16 hodgepodge of things that are presented to make our jobs
17 much more complex and nearly impossible.

18 DR. KEEGAN: If you would like an answer from
19 FDA, our understanding is the only such trial was the
20 BEST trial in breast cancer where the primary endpoint
21 was 12-month overall survival rates.

22 DR. BAYNES: I believe the 145 Study meets

1 those criteria as well as a prespecified survival study.

2 DR. KEEGAN: Yes, we would agree it was a co-
3 primary endpoint of 145.

4 DR. MARTINO: Can I then assume that in both
5 trials the driving force to the end number of patients
6 randomized was in fact survival for both of those?

7 DR. PERLMUTTER: Yes, that's correct. But
8 keep in mind that the 145 Study was designed to show
9 superiority. That was the essence of the study. Based
10 on prior results that suggested that one would get a
11 superior result in that sense, and so was BEST.

12 DR. MARTINO: Okay. Don't leave.

13 (General laughter.)

14 DR. MARTINO: All right. Then, what I'm
15 hearing is that both from the FDA and from the
16 pharmaceutical companies is that, in fact, we have two
17 trials that meet those requirements; okay. Would you
18 then for me summarize the results of those on one and
19 only one endpoint, which is survival?

20 DR. PERLMUTTER: Right. The 145 Study was, as
21 we say, a study in small-cell lung cancer that was
22 designed to look at a primary endpoint of survival, and

1 the result of that study was neutral as we previously
2 described and those data have been made available to the
3 FDA. Synopses have been posted.

4 The BEST Study, and I'll just slide up, you
5 can see the diagram again for overall survival for the
6 145 Study. I think it is extremely reassuring that the
7 darbepoetin alfa arm and placebo arm are overlapping in
8 this way. The BEST study was a study that has other
9 features to it, and I would like Dr. Bowers to come and
10 describe that.

11 DR. BRAWLEY: An inferiority trial or a
12 survival trial, what was the hypothesis of 145?

13 DR. PERLMUTTER: I'm sorry, Dr. Brawley. The
14 hypothesis is that treatment with darbepoetin alfa would
15 actually achieve a superior result. It was designed to
16 show superiority with respect to survival.

17 DR. BRAWLEY: Am I correct you didn't show
18 that?

19 DR. PERLMUTTER: It did not show superiority,
20 that's correct.

21 DR. BRAWLEY: Is it fair then to say that it
22 did not show a decrease in survival?

1 DR. PERLMUTTER: Well, that brings up an
2 interesting question, which I would like to have
3 addressed from a statistical point of view, because this
4 came up in our discussion, and perhaps we should go to
5 that now.

6 Jesse I wonder, Dr. Berlin, if you would come
7 and address the statistical issue, and Dr. Snappen as
8 well? Maybe we could have Dr. Snappen first from a
9 statistical perspective. I think that would be helpful
10 at this point.

11 DR. SNAPPEN: Okay. Once again, as stated,
12 the 145 trial was designed as a superiority trial. It
13 was designed to detect a true hazard ratio of .75 with
14 90 percent power.

15 As was stated earlier, as you heard, the fact
16 that a trial designed to show superiority fails to show
17 superiority does not mean that that trial has shown non-
18 inferiority.

19 However, after the trial is complete, there is
20 no difference in how you would conduct or analyze a
21 superiority trial versus a noninferiority trial. In
22 both cases, you would look at the final confidence

1 interval that is observed and compare the upper bound of
2 the confidence interval to some limit that you would
3 consider to be a worrisome limit.

4 In this case, for the 145 results, the upper
5 bound of the confidence interval was a hazard ratio of
6 1.11, and so we can say just as if this had come from a
7 noninferiority trial, it's excluded with 95 percent
8 confidence an increased risk of more than 11 percent.

9 DR. BRAWLEY: You really believe that?

10 (General laughter.)

11 DR. SNAPPEN: Yeah. There is no difference in
12 how a superiority and noninferiority trial would be
13 analyzed. The confidence interval has the same meaning
14 in both cases.

15 We have a non-inferiority expert from the
16 University of North Carolina, Professor Gary Koch, who I
17 think might have some additional comment to make on
18 that.

19 DR. KOCH: Yes, it's Gary Koch, University of
20 North Carolina. As you heard, the study was designed as
21 a superiority study with 90 percent power to address a
22 hazard ratio of .75.

1 The same size it has would have been
2 comparable to the sample size you would have needed had
3 you designed a noninferiority study to rule out a hazard
4 ratio of 1.33 in order to demonstrate similarity if the
5 true hazard ratio was one.

6 It is well known in terms of multiple testing
7 that you can always test noninferiority first against
8 some bound, and if you are successful for it, you can
9 keep testing against progressively lower bounds and
10 ultimately do a test of superiority against a hazard
11 ratio of one.

12 The study could have specified that it would
13 originally address noninferiority with a hazard ratio of
14 1.33 because it would have had 90 percent power to have
15 addressed that if, indeed, there was no difference
16 between the arms.

17 Then, given that it showed noninferiority at
18 1.33, it could then test a bound of 1.32 and then 1.31
19 and then 1.30 and keep on going until you got to 1.11.
20 After that point, it would stop.

21 All of that testing can be done at the .05
22 level or, more accurately, the one-sided 025 level

1 because it is a closed testing procedure. The
2 mathematics of closed-testing procedure basically says
3 that when you consider the intersections of all of those
4 hypotheses you more or less can proceed from one to the
5 next one at the one-sided 025 level.

6 Now, the fact of the matter is they did not
7 actually write that into the protocol. The comments
8 that I am giving represent what they could have written
9 into the protocol and what they had actual power to do
10 and an analysis procedure that would have been totally
11 rigorous and could have been in the protocol.

12 You have to balance what was hypothetically
13 possible and within the scope of the study design
14 against the reality of how the study actually was
15 designed and what the analysis showed.

16 DR. ECKHARDT: All right. Dr. Rothman.

17 DR. ROTHMAN: Yes, thanks.

18 Just some comments. First, we had no
19 discussions with Amgen with regards to appropriate
20 noninferiority margin for the study, and also study
21 conduct and the ramifications of study conduct for a
22 superiority trial or noninferiority trial are different.

1 Study conduct that tend to make both arms
2 look similar decreases the chance to show superiority
3 but increases the chance to show noninferiority, and we
4 have not in any way been able to evaluate at present the
5 study conduct of this trial.

6 CHAIRPERSON ECKHARDT: All right. Thank you.

7 DR. KOCH: You have the data and you can do
8 the analysis, but, again, the comment is a hypothetical
9 comment. What the study showed, as Dr. Snappen
10 indicated as well as in the core presentation, is the
11 upper bound of the confidence interval is 1.11.

12 You will be able to do your own robustness
13 analyses to identify how durable that is and then will
14 be able to make your own judgment as to the
15 interpretation of the study.

16 CHAIRPERSON ECKHARDT: All right. Let's move
17 on.

18 Ms. Schiff.

19 DR. MARTINO: Madam Chairman, I'm sorry, but
20 my question was not answered in total. We got detoured
21 into details of the first trial and I appreciate the
22 discussion. They were about to present to me the BEST

1 trial results.

2 DR. BOWERS: Thank you. I'm Dr. Peter Bowers
3 from Johnson & Johnson. I would like to have the slide
4 on, please.

5 (PowerPoint presentation in progress.)

6 DR. BOWERS: This is just a brief review of
7 the BEST study, EPO-INT-76 conducted outside the United
8 States by the company using Eprex in a patient
9 population of women with metastatic breast cancer
10 receiving first-line chemotherapy.

11 Epoetin alfa, Eprex, was administered or
12 placebo once a week at a dose of 40,000 units, starting
13 when the hemoglobin dropped below 13 and targeting a
14 hemoglobin range of 12 to 14. As you recognize, that is
15 a hemoglobin range that is outside the current label.
16 It is above the current label limit of a limit of 12.

17 The primary endpoint of the study was 12
18 months survival, and objective measures of tumor
19 response or disease progression were not specified in
20 the protocol or the study. It was a large, simple study
21 conducted with an endpoint of survival in mind.

22 The study was discontinued at the

1 recommendation of the DSMV reviewing the data for the
2 study, and at the patient of discontinuation 88 percent
3 of the subjects enrolled had completed a full 12 months
4 of the study therapy or had been withdrawn. The last
5 patient in the study had completed approximately nine
6 months of study drug therapy.

7 May I have the next slide on, please?

8 (Staff complies.)

9 DR. BOWERS: These are the Kaplan-Meier plots
10 from the INT-76 Study. Blue represents the epoetin alfa
11 group. Grey represents the placebo group.

12 As you can see, there is a difference at the
13 12-month survival point. Primary endpoint is indicated
14 by the dashed vertical line. A hundred and fifteen
15 subjects, women, in the placebo group had died at that
16 point whereas 148 women in the EPO-treated group had
17 died. The hazard ratio for the 12 months survival was
18 1.37, favoring the placebo group patients.

19 May I have the next slide on, please.

20 (Staff complies.)

21 DR. BOWERS: We continued the follow the
22 subjects who had been enrolled in INT-76 until 75

1 percent of all subjects enrolled had succumbed to their
2 disease. This is the survival curve for the long-term
3 followup, indicating that although the curves had
4 separated and reached early and reached a maximal
5 separation by four months after study drug treatment had
6 commenced, they then continued parallel on to
7 approximately 12 months where they started to converge.
8 From that point forward, they are essentially
9 superimposable.

10 May I have the next slide, please? Slide on.

11 (Staff complies.)

12 DR. BOWERS: This is the slide of time-to-
13 disease progression. As I mentioned, although the
14 protocol did not specify a set schedule of objective
15 radiographic assessments of tumor, we were able to
16 collect investigator-assessed or recorded disease
17 progression.

18 As you see again, the placebo group is in
19 gray; the Eprex, epoetin alfa, group is represented in
20 the aqua color. In this particular Kaplan-Meier plot,
21 those two curves are superimposable with a hazard ratio
22 of .97 and a confidence interval that includes unity. I

1 would like to refer to Slide 38 --

2 CHAIRPERSON ECKHARDT: Before you move on,
3 there was a question on the previous slide by Dr.
4 Mortimer.

5 DR. MORTIMER: Yes. I'm just curious, when
6 did people stop their EPO? I mean, we see the curve
7 converge. Were they off their EPO by that point?

8 DR. BOWERS: Per-protocol EPO was to be
9 continued for the full 12 months of the duration of the
10 study.

11 DR. MORTIMER: The curves, then, converged
12 after people stopped their EPO? Am I interpreting that
13 correctly?

14 DR. BOWERS: Yes.

15 DR. MORTIMER: Okay.

16 DR. MARTINO: Do we have any insight as to the
17 causes of death of these patients?

18 DR. BOWERS: We do.

19 May I have the Slide BC-7, please? Slide on.

20 (Staff complies.)

21 DR. BOWERS: We looked at the causes of death
22 of the patients who had died at the four-month time

1 period because that was the point in time at which the
2 curves had separated maximally and the data were
3 minimally confounded by patients being withdrawn from
4 the study crossing over to other therapies, and so
5 forth.

6 As you see in the top panel of numbers, these
7 are the investigator-attributed causes of deaths, this
8 top panel here (indicating). We also performed a
9 retrospective blinded chart review of all deaths that
10 occurred of patients during the first four months of the
11 study, and there was also that retrospective blinded
12 chart review which was conducted by an independent,
13 outside group of oncologists, represented in the lower
14 panel of numbers.

15 As you see, investigators attributed more
16 deaths to disease progression in the first four months
17 in the epoetin alfa group patients with some imbalance
18 also seen in thrombotic-vascular event deaths.

19 However, in the independent blinded chart
20 review, the independent oncologists, who as I say were
21 blinded to treatment assignment, assessed more deaths as
22 being due to thrombotic-vascular event than had been

1 recorded on the case report form.

2 I might just note that the setup of the case
3 report form was such that there was a checkbox next to
4 "cause of death, disease progression" that could
5 checked.

6 There was a category for "other." The
7 investigator could check "other," but then would need to
8 write in the specific proximate cause of death, and so
9 we wonder if that perhaps introduced some bias into the
10 ascertainment of the cause of death.

11 DR. MORTIMER: Do you know what the hemoglobin
12 levels were for those individuals? I mean, the argument
13 is that the higher the hemoglobin, the greater the
14 problem. Were those thromboembolic complications in
15 individuals with high hemoglobins, in fact?

16 DR. BOWERS: If I could just have a moment.

17 (Pause.)

18 DR. BOWERS: Slide IT-16 please.

19 (Staff complies.)

20 DR. BOWERS: It gives, displays, the mean
21 hemoglobin levels of the patients who died in each group
22 in Study INT-76. In general, the hemoglobin levels of

1 the epoetin-alfa-treated patients who died were lower,
2 this is time in weeks across the "X" axis, were lower
3 than the hemoglobin levels achieved in the patients who
4 lived in this study. We see a similar pattern in the
5 placebo group patients; the hemoglobin levels were lower
6 in the patients who died.

7 DR. MORTIMER: But for the thrombotic--?

8 (Simultaneous discussion.)

9 DR. LINK: This includes all causes of death
10 or just the thrombotic causes of death?

11 DR. BOWERS: This is everybody who died in the
12 study.

13 DR. LINK: How about just the thrombotic?

14 DR. BOWERS: The number of thrombotic events
15 in the study is relatively small and doesn't allow us to
16 conclude definitively that hemoglobins were higher or
17 lower in the patients who died of thrombotic causes in
18 INT-76.

19 DR. LINKS: These are just means, then?

20 DR. BOWERS: These are means of everybody.

21 CHAIRPERSON ECKHARDT: All right. Thank you.

22 Any other questions, Dr. Martino?

1 DR. MARTINO: (Moving head from side to side.)

2 CHAIRPERSON ECKHARDT: Okay. We've got sort
3 of a list going here. Ms. Schiff had a
4 question.

5 MS. SCHIFF: Given the fact that we knew way
6 back in 1992 that there was a hypothetical risk of tumor
7 promotion and then it was discovered that there was a
8 higher risk of TVEs, I can't understand -- this is
9 addressed both to the FDA and to Amgen and J&J -- why
10 there were no studies done and now why there is only
11 one, the J&E, that is done according to the label.

12 DR. PERLMUTTER: Well, I think it is important
13 to keep in mind that the label of course has changed
14 over time, so when we look at it now the studies are not
15 done according to the label, but the label has also
16 changed over time.

17 I also think it is very important to place all
18 of this in the appropriate historical context. I tried
19 to do that. Keep in mind that the potential concerns
20 with regard to thrombovascular events have been known
21 for a long time, and that has remained very stable for a
22 long time.

1 We have done a great deal of work to try and
2 address the issue of whether or not there is any effect
3 of epoetins on tumor progression in a whole variety of
4 different settings.

5 Now, we have had the opportunity to review
6 those data with you from the clinical setting, and of
7 course we also have a very, very deep analysis in a
8 preclinical environment showing that epoetins do not
9 stimulate tumor progression.

10 We feel that we have been enormously proactive
11 in trying to address these issues both preclinically and
12 clinically and would be happy to step through the
13 totality of that dataset with you.

14 Again, I would point out that with respect to
15 tumor progression, looking in the chemotherapy-induced
16 anemia indication we do not have data that suggests that
17 tumor progression is an issue as a result of epoetin
18 treatment, we simply don't.

19 MS. SCHIFF: You don't have data that it is,
20 either.

21 DR. PERLMUTTER: Well, it's extremely
22 difficult for us to prove a negative. You know, I would

1 point out that if you look at, for example, the small-
2 cell lung cancer, Study 145, in which both survival and
3 progression are superimposable, you can argue, "Well,
4 gee, that's not the right tumor type in which to look."

5 Frankly, you know, tumor type after tumor type
6 we have the same kinds of results. There is no data to
7 support the view that EPO receptors are signaling in
8 those tumors.

9 We don't have any signal that suggests that
10 there is an effect on tumor progression in that setting.

11 In chemotherapy-induced anemia, we don't have a signal,
12 we don't.

13 MS. SCHIFF: Do you have it in other settings?

14 DR. PERLMUTTER: The only settings in which
15 tumor progression has been an issue has been
16 in the head and neck cancer setting with
17 radiotherapy assessed as locoregional control
18 clinically, and there are a variety of reasons
19 to be concerned about those results. We would
20 be happy to step through that with you as
21 well, if you would like to see that.

22 MS. SCHIFF: Well, does anyone else want to

1 see it?

2 DR. PERLMUTTER: If anybody wants to see it,
3 we would love to step through the analysis.

4 CHAIRPERSON ECKHARDT: It was presented
5 earlier.

6 MS. SCHIFF: Okay.

7 CHAIRPERSON ECKHARDT: Dr. Brawley.

8 DR. BRAWLEY: Dr. Perlmutter and Dr. Baynes,
9 my great concern is that we have seen a small-cell lung
10 cancer trial that, I'll grant you, wasn't done through
11 what I would consider the orthodox statistics, but
12 suggests that the drug does not stimulate small-cell
13 lung cancer.

14 We then have the head and neck cancer studies,
15 some of which we have seen the data, some of which we
16 haven't. I'm concerned that this compound is a
17 stimulant, a "tumor fertilizer," for epidermal tumors.

18 I'm interested in squamous-cell cancer of the
19 lung as well as squamous-cell cancer of the head and
20 neck. I'm also interested in adenocarcinoma of the
21 lung, since we have seen adenocarcinoma breast data.
22 What data do you have to assure me that this is not

1 Miracle-Gro® for cancer?

2 DR. PERLMUTTER: That's a question we love to
3 take on. There are two kinds of data that I would like
4 to present to you, if I could. The first is the
5 totality of preclinical data with respect to EPO, EPO
6 receptors, and the second is clinical data in those
7 settings. Is that acceptable?

8 (No verbal response.)

9 DR. PERLMUTTER: Okay. First of all, to bring
10 up the preclinical data, if I could have Dr. Begley join
11 us here, and he will briefly review the preclinical
12 data. Dr. Begley is the head of our basic research
13 group in oncology.

14 CHAIRPERSON ECKHARDT: May I ask that you make
15 this brief. We've got a lot more questions.

16 Thank you.

17 DR. BEGLEY: Can I address the issue of the
18 data relating that the EPO receptor is expressed in
19 human cancers? Is that the most relevant?

20 DR. BRAWLEY: Well, I'm interested in, I'm
21 interested mostly in clinical data.

22 DR. PERLMUTTER: Well, if you want to just see

1 the clinical data, we can do that for you. I don't want
2 to take up your time.

3 DR. BEGLEY: Can I have three slides? Slide
4 on, please.

5 (Staff complies.)

6 CHAIRPERSON ECKHARDT: Yes, I would prefer
7 that we focus on the clinical data. The preclinical
8 data I think is fairly well outlined in the information
9 provided.

10 DR. PERLMUTTER: In the briefing book, okay.
11 With that in mind, then, if I could have Dr. Baynes
12 review the data that exists in the setting of epithelial
13 tumors, and particularly lung cancer.

14 DR. BAYNES: I would like to, first, quickly
15 remind everyone that the original approval for
16 darbepoetin was actually based upon a study conducted in
17 lung cancer. It was actually a mixture of small-cell
18 and non-small-cell.

19 Indeed, slide on, please. Back one, back one
20 slide, yes. Slide on.

21 (Staff complies.)

22 DR. BAYNES: Indeed, this was the approval

1 data, which in fact was not mentioned in the FDA
2 presentation, but primary data in fact are on hand as
3 well as followup data. They were a part, the followup
4 data were a part of the long-term extension trial at the
5 time of the extended-dose file.

6 What we see, in fact, is that the survival
7 curves are in fact separating. In fact, the Nussbaum
8 overall appears to do slightly better. This is actually
9 what led us to go and study small-cell lung cancer in
10 the setting of the 145 Trial.

11 If I could move now to the solid-tumor weight
12 of evidence. We have a significant body of clinical
13 trial data which have indeed looked at various solid
14 tumors. Particularly, I wanted to show this because it
15 will speak to the epithelial component.

16 Slide on, please.

17 (Staff complies.)

18 DR. BAYNES: Here we see a number of different
19 randomized control clinical trials that have addressed
20 in the CIA setting a number of solid tumors. I point out
21 here a number, firstly, of breast cancer trials. Here
22 we see the APRO study, the MEBA study, the Leyland-

1 Jones study. In addition, we have lung cancer trials in
2 here, the Vansteenkiste trial, which we have spoken to.
3 I believe Dunphy's trial was a lung cancer trial.

4 In the head and neck setting, I would also
5 like to mention in the radiation setting that while we
6 have seen the signal with the Henke trial, which I think
7 is worth discussing then, because that is a very
8 confounded trial. The DAHANCA trial I will remind you
9 we have not seen any data yet, so we are really working
10 off hypothetical data there.

11 There actually are two clinical trials in the
12 head and neck setting that actually did not show the
13 significance. Actually, when you look at the weight of
14 evidence in randomized controlled trials, it is not
15 clear that there is a signal in fact in any of the
16 epithelial tumors.

17 CHAIRPERSON ECKHARDT: All right.

18 DR. BRAWLEY: One real quick followup,
19 hopefully quick. How come much of the data from those
20 trials haven't been turned over to the FDA and most of
21 the stuff that the FDA said that they received is
22 summary data?

1 DR. BAYNES: Well, I think we all recognize
2 clinical trial work happens in many different arenas.
3 For example, a large numbers of cooperative group
4 studies are done that don't get submitted to the FDA.
5 Investigators do clinical trials that are randomized in
6 high-quality which don't get turned over to the FDA.

7 There is clearly a sort of evidence base here
8 that is more than just what the FDA has in its
9 possession. We have turned over all primary data that
10 we have. But suffice it to say, there is a much larger
11 body of clinical trial data, which in fact most of you
12 around the table participate in.

13 CHAIRPERSON ECKHARDT: All right. Dr. Perry

14 DR. PAZDUR: Gail, I would like to answer that
15 question or that response, because I really think that
16 this really deserves an answer from the FDA on this.

17 I really think that there were, especially
18 after the 2004 meeting, considerable controversy
19 regarding the safety of this drug which led obviously to
20 that 2004 meeting.

21 The sponsor really has an obligation. These
22 are not just studies that were out there being done by

1 investigators, no, the two sponsors of these companies
2 in 2004 did present these as trials that were supposed
3 to answer the questions that were posed by the Committee
4 to demonstrate the safety of these drugs.

5 In subsequent conferences with the company,
6 they told us that, "Well, we really don't have
7 necessarily access to the data on the studies." I
8 really think if these studies were being done to answer
9 the questions, they really have the obligation really to
10 work with the investigators prospectively after the 2004
11 meeting to provide us with all of the data.

12 CHAIRPERSON ECKHARDT: Thank you.

13 Dr. Perry.

14 DR. PERRY: In the FDA's presentation about
15 the studies, there were a lot of comments that the
16 studies were not prospectively designed to pick up all
17 the thromboembolic phenomenon. I would like to get the
18 FDA's ideas of what an ideal design to pick up all these
19 events would be.

20 It seems to me that clinically we pick up the
21 venous thrombosis. We are aware if somebody has a heart
22 attack or a stroke. Are we talking about doing Doppler

1 monitoring studies on people looking for occult DVTs
2 every three months? Are we talking about angiograms for
3 pulmonary emboli on asymptomatic patients every six
4 months?

5 I look at the list of things that are included
6 under TVEs. They include up to 20 things including
7 cardiomyopathy in some studies. I would like some
8 definition of what you think is important and how the
9 companies or anybody else designing a study should
10 prospectively look for them.

11 DR. JUNEJA: Let me start with the study in
12 cancer patients, which I have referred to a lot, EPO-
13 ANE-310, which is that large breast cancer trial that
14 was proposed at ODAC 2004, the one that has had
15 significant difficulties in accrual.

16 Now, that study specifically asked patients
17 for clinical signs and symptoms related to
18 thrombovascular events on a regular schedule, and that
19 has not been a component of these other cancer studies
20 that I presented today.

21 Now, if you want to talk about non-cancer
22 patients, the spine study, which was the study in

1 perioperative patients getting major elective spinal
2 surgery, again, the primary endpoint was to look for the
3 incidence of DVTs. That study routinely did perform
4 postoperative Doppler on patients after their surgery.

5 DR. PERRY: That study has very little
6 credibility with me. If you do spine surgery on
7 somebody and don't put them on prophylactic coagulants,
8 it doesn't seem to me that that would be exactly a
9 standard of practice. I mean, most people who get
10 orthopaedic surgery get some kind of anticoagulant.

11 DR. KEEGAN: This population was, in fact,
12 selected because they are not routinely anticoagulated.
13 We are not talking about hip replacement.

14 DR. PERRY: Otis is correcting me over here. I
15 stand corrected by my senior.

16 (General laughter.)

17 CHAIRPERSON ECKHARDT: All right. Let's move
18 on. We still have some other questions.

19 Dr. Redmond.

20 DR. REDMOND: It's been asked and answered.

21 CHAIRPERSON ECKHARDT: Okay. Dr. Harrington
22 or Haylock -- sorry, Ms. Haylock.

1 MS. HAYLOCK: The comments this morning about
2 the inpatient or ICU population that was included in
3 some of the studies versus community-based and
4 ambulatory patients highlights the, hmm, heterogeneity
5 of the population.

6 I'm wondering among patients that we might
7 routinely or that we might expect to have a long-term
8 survival, for example, relatively early-stage breast
9 cancer patients who get pretty aggressive
10 Myelosuppressive therapy, what might be the morbidities
11 and cause of death in that kind of a population?

12 Then, I guess along with that, are there some
13 early signs and symptoms of people getting into trouble
14 that patients might address by some more aggressive
15 patient education or more information that helps them
16 make truly informed decisions about whether or not to
17 undergo this kind of therapy?

18 DR. PERLMUTTER: I think you asked important
19 questions, and thank you very much for that about
20 clinical judgment. I would like to ask Dr. Glaspy
21 please to come and talk from his perspective in terms of
22 managing patients in exactly that kind of circumstance

1 with respect to ESAs and transfusions.

2 DR. GLASPY: First of all, I think the ICU
3 comments this morning were related to the transfusion
4 talk. They were talking about transfusions to different
5 targets in ICU patients. Those weren't ESA-directed
6 talks.

7 In terms of transfusions, I think we heard an
8 excellent review. I don't have too much to add to the
9 risk of transfusions. I don't think any of us think
10 they are innocuous. We're glad they went down in risk,
11 but there are problems with them. First of all, they
12 are a very temporary fix.

13 In our patient populations, patients are
14 repeatedly transfused. The average transfusion per
15 patient in our trials has been 2.7 units per transfused
16 patient, so that adds up to a fair amount of
17 transfusions.

18 As Jeff talked about this morning, we are
19 transfusing them in part for symptoms, often not for
20 simply a hemoglobin trigger, and so they are bouncing up
21 and down into that symptom range. That is not an
22 optimal place to be if there are safe ways to be

1 someplace else. There is that potential negative impact
2 on cancer outcomes.

3 Slide on. Next slide, next slide. Is that
4 it? There you go. Slide on.

5 (PowerPoint presentation in progress.)

6 DR. GLASPY: Okay. You heard a little bit
7 this morning about the potential negative effects on
8 cancer outcomes that the transfusion person talked
9 about. This is the data I think he was referring to.

10 This comes from a Cochrane analysis of studies
11 that were done in the perioperative period for cancer
12 surgery, specifically focused on colon cancer surgery in
13 most of the trials, that suggest that the more
14 transfusion that one gets in association with the
15 initial cancer surgery, the more likely you are to have
16 a bad outcome of that cancer.

17 I don't think we should put too much of an
18 emphasis on this. It is a theoretical issue. Because
19 the bloodier cancers, the ones that require more
20 transfusions, are probably worse to begin with.

21 But it contributes to a concern on the part of
22 us clinicians that we don't have proof that transfusions

1 don't decrease the cancer outcomes as well. It
2 contributes to our fear of transfusions and our
3 patients' fear of transfusions.

4 The other thing I would like to talk about
5 came up in some of the public address here, and that had
6 to do with the impact of changing our practices in the
7 management of cancer patients.

8 I want to speak only to the appropriate use.
9 If there is inappropriate use going on that is driven by
10 reimbursement, that is a bad thing. I am not here to
11 defend that. I wish it would go away. If it's
12 happening, it's terrible.

13 By the same token, I think the best person to
14 make a decision is a patient who is fully informed and
15 that patient's doctor. I think that that autonomy has
16 worked well for the majority of oncologist who are all
17 trying to do a good thing.

18 That said, now talking about if we were to
19 change our practices, we don't have a largesse of blood
20 available as a country. About 8 to 9 percent of
21 outpatient surgeries get cancelled in this country
22 because blood isn't available and they have to be

1 rescheduled.

2 According to the Red Cross, the country works
3 on a margin of about 600,000 units of blood, and that's
4 the wiggle room we have to go between high-demand and
5 low-demand periods.

6 There are 450,000 patients receiving ESAs in
7 this country. If they prevent transfusions in half of
8 the people who are going to be transfused and it's 2.7
9 transfusions on average per patient, eliminating ESA use
10 in oncology could consume a big chunk of that margin
11 that we're working on.

12 I mean, the math you can do all kinds of
13 different ways. I don't want to draw any firm
14 conclusions, but the magnitudes of what wiggle room we
15 have in the blood supply and what we are saving through
16 ESAs in oncology is of similar magnitude.

17 CHAIRPERSON ECKHARDT: All right. Thank you.

18 We still have quite a few questions to get
19 through, so I would like for both the questioner and the
20 target person answering the question to try to make
21 comments brief.

22 I have Dr. Harrington next on my list.

1 DR. HARRINGTON: Thank you.

2 I have three questions about areas where there
3 seems to be still some disagreement between the FDA and
4 the presenting sponsors about existing data. Let me list
5 them off and then people can tackle them, some are for
6 the company and some are for FDA.

7 The first is the point/counterpoint about the
8 provision of primary data for which the FDA only has
9 only summary data right now. I think the immediacy of
10 our action may well depend upon how long it is going to
11 take to get those primary data to the FDA, so I would
12 like to hear a little bit from the companies about
13 barriers and when they expect to get that data to the
14 FDA.

15 The second is about the meta-analysis. We saw
16 very different looking forest plots here, one from the
17 FDA and one from the company. The FDA's reviewer
18 stated, quite rightly, that the quality of a meta-
19 analysis depends crucially on what studies are included
20 and what are excluded.

21 What I would like to hear a little bit more
22 detail from the FDA is what important studies do they

1 think are excluded from the forest plot shown by the
2 company?

3 Then, finally, there is for me this mysterious
4 DAHANCA study in Denmark for which the companies seem to
5 think the data are not out there and not reliable. The
6 FDA has actual P values and hazard ratios. I would like
7 someone to fill in the gap for me there and let me know
8 where is that data, how reliable is it, and is it in the
9 public domain yet.

10 DR. PERLMUTTER: Okay. We would like to begin
11 by emphasizing our attention to the diligence
12 obligations that we took on in 2004 and then we will in
13 the process talk about the DAHANCA data which was part
14 of that diligence. Dr. Baynes is going to go through
15 all of these things for you.

16 DR. HARRINGTON: But briefly I would imagine;
17 right?

18 (No verbal response.)

19 DR. BAYNES: Slide up, please.

20 (PowerPoint presentation in progress.)

21 DR. BAYNES: In terms of the Amgen
22 postmarketing commitment, we see here the expected

1 volume of data come in and essentially when studies
2 complete. These are the times during which we believe
3 that in fact we will be able to provide these primary
4 data sources to the FDA.

5 Slide, please.

6 (Staff complies.)

7 DR. BAYNES: If I could move then to the
8 DAHANCA trial, essentially the trial, as I indicated,
9 was stopped for futility. Preliminary, interim result
10 set was communicated.

11 Essentially, the exact verbiage on the webpage
12 says "Approximately 10 percent in three locoregional
13 progression" and then gives a fairly precise P value
14 "also, notes no significant difference in overall
15 survival." The principal investigator cautions against
16 overinterpretation.

17 Next slide, please. Slide on.

18 (Staff complies.)

19 DR. BAYNES: This is, in fact, verbatim from
20 what Dr. Overgaard had sent to us and which we have
21 forwarded to the FDA. I'm going to read this for you.
22 He says:

1 "We are a bit concerned about the data being
2 overinterpreted. That is why we are reluctant to
3 present any further information until it has been
4 properly collected from all 522 patients.

5 "I will warn about concluding more than we
6 have done, namely, that it was not likely that if the
7 trial if completed would come out in favor of Aranesp no
8 more, no less.

9 "We are now in the process of doing this
10 additional collection and so far have not seen any data
11 which are in disagreement with this conclusion. This
12 also includes that we did not apparently see any excess
13 deaths from lung cancer causes including cardiovascular
14 events, despite the high hemoglobin levels accepted in
15 the study.

16 "I do in principal think that it is too early
17 to declare DAHANCA-10 study negative in the sense that
18 Aranesp will cause a decrease survival in patients as
19 mentioned. We just conclude that the likelihood that
20 will become positive is very small, so please do not
21 interpret the study beyond that."

22 Then, if I could perhaps just go to the

1 ongoing Pharmacovigilance Program. Slide on.

2 (Staff complies.)

3 DR. BAYNES: These are the components of the
4 Pharmacovigilant Program the were presented at the '04
5 ODAC, recognized by '04 ODAC, and actually agreed to by
6 the FDA. This became a post-marketing commitment in
7 2006.

8 The 145 data Amgen -- well, first, the study
9 was prosecuted with due vigor. It was, in fact,
10 completed on the 22d of February, and primary data are
11 in the hands of the FDA along with a study report.

12 The GELA study, I have indicated the interim
13 has been presented, and we are expecting data delivery
14 on schedule. The PREPARE study, likewise. The study in
15 adjuvant breast cancer from the ARA 03 group, expecting
16 interim data at ASCO this year, data delivery on track.
17 In fact, DAHANCA we have spoken about already.

18 In terms of the post-marketing commitment
19 which was agreed to by FDA, indeed the protocols have
20 been reviewed by FDA, and indeed the statistical
21 analysis plans from the cooperative groups in these
22 later four cases that have been reviewed by the FDA are

1 currently on track. In fact, due diligence has been
2 fully executed around the post-marketing commitment.

3 CHAIRPERSON ECKHARDT: All right. Dr.
4 Richardson.

5 DR. KEEGAN: Wait.

6 CHAIRPERSON ECKHARDT: Dr. Keegan.

7 DR. ROTHMAN: Let me respond to I guess what
8 was asked of us. First, how do we get that information
9 about DAHANCA? We were given a study report that for
10 both locoregional control and overall survival, there is
11 on randomization.

12 We gave the number of events, the total number
13 of events, and the P values. The total number of events
14 and the P values were used to work back and get the log
15 hazard ratio and the standard error. That's how the
16 hazard ratio and the confidence interval were determined
17 for the DAHANCA.

18 As far as studies not in Amgen's meta-
19 analysis, we note that the DAHANCA study isn't there and
20 the CAN-20 study is not there. We probably would need a
21 little more time to figure out exactly what studies are
22 or aren't included because I think we are using some

1 different names for different studies.

2 DR. PERLMUTTER: Was the question, I'm sorry,
3 how do these different meta-analyses differ? Oh, I'm
4 sorry.

5 DR. HARRINGTON: No, but I think I'm getting
6 my answer. The question was what important studies were
7 left out of your meta-analysis. I heard there were two
8 that are relatively recently completed.

9 DR. ROTHMAN: Yeah, DAHANCA is also left out.

10 DR. PERLMUTTER: Right, for which we don't
11 have the data. But all of the CIA studies are included
12 in our meta-analysis.

13 CHAIRPERSON ECKHARDT: All right. Dr.
14 Richardson.

15 DR. ROTHMAN: Well, I guess I'm reminded to
16 sort of add -- my apologies -- I mean, we do think some
17 of the studies included do not have adequate followup.
18 They are not necessarily, perhaps, influential on the
19 final meta-analysis result.

20 For example, O'Shaughnessy just has her one
21 event and we sort of study deaths -- as are some of the
22 studies here, too -- not that they would contribute much

1 to the result of the ending meta-analysis. They weren't
2 studies that had long-term followup, so we wouldn't have
3 included them in any meta-analysis.

4 CHAIRPERSON ECKHARDT: All right. Dr.
5 Richardson.

6 DR. RICHARDSON: I have a couple of questions
7 that relate I guess to study design and study rationale.
8 We heard this morning a little bit about oxygen
9 extraction and oxygen dissociation curves. As far as I
10 know, those curves have not changed since darbepoetin
11 came on the scene.

12 I wonder, first of all, if there is any
13 information with respect to oxygenation and tissue
14 levels in patients who are given these kinds of drugs?
15 Is there any evidence that these ESAs have any effect on
16 these levels at hemoglobin levels above the 10 to 12
17 range?

18 Secondly, I guess, and this relates to a term
19 that Dr. Baynes used, this was his description of the
20 145 study as a "hyperoxygenation" study and extensive
21 stage small-cell lung cancer.

22 I'm wondering if there was some interaction

1 that you postulate between hemoglobin levels and
2 platinum and BP-16 or a topocyte that would provide a
3 rationale for doing a study, or is this all based on
4 that weight separation of curves in the small-cell lung
5 cancer study, which I think was conducted in both
6 limited- and extensive-stage disease and really had a
7 fairly miserable survival at least certainly weighted
8 toward the extensive stage cohort of that group?

9 Then I guess, finally, I'm just curious
10 whether these were studies were written by the
11 investigators, or were the authors of this from the
12 company?

13 DR. PERLMUTTER: Let me begin by saying that
14 there is no clinical data that is helpful in terms of
15 thinking about oxygenation, but if we could have the
16 previous slide up.

17 This is a slide that shows nonclinical models,
18 the hemoglobin levels and median POT and tissue for a
19 variety of different tumors that have been placed into
20 such models.

21 I don't think that this data, frankly, is
22 terribly helpful in terms of thinking about the issue of

1 how does the hemoglobin, achieved hemoglobin, relate to
2 oxygenation of the tumor and whether that could in any
3 way affect the growth process.

4 If these data were used as a means of trying
5 to explore the observation that increasing hemoglobin
6 like hyperbaric oxygen treatment could improve tumor
7 kill, and an attempt was made to try and correlate those
8 things. With respect to the non-small-cell lung cancer
9 study, I will let Dr. Baynes speak to that and the
10 design issues.

11 DR. RICHARDSON: Let me say one thing about
12 the hyperbaric oxygen business. I mean, that was beaten
13 to death years ago. People looked at not only
14 hyperbaric oxygen but increasing fractions of oxygen in
15 patients' high inspired oxygen concentrations without
16 any benefit.

17 DR. PERLMUTTER: No, I appreciate that. I
18 know that to be true.

19 DR. RICHARDSON: What is the rationale for
20 doing this in a group of head and neck patients? If
21 there is no interaction with the oxygen -- I mean, if
22 you can't do anything with oxygen, if this does not have

1 any sort of interaction at a cellular level, I mean, if
2 the ESA doesn't do that, what is the basis for doing the
3 study?

4 MR. PERLMUTTER: We agree that the hyperoxic
5 theory at this point has no support. We agree it has no
6 support, and we don't see a rationale for such studies.
7 Those are not studies that we feel are important to do.

8 DR. BAYNES: I think to Dr. Perlmutter's
9 point, there has been a fairly extensive preclinical set
10 of data that suggests that in fact hypoxia is a tumor-
11 resistance factor.

12 The head and neck cancer arena I think has
13 been spurred by an observational notion that, in fact,
14 intratumoral oxygen content seem to show some
15 correlation with outcome. I'm not an expert in head and
16 neck cancer, but I understand that there are a body of
17 data around this.

18 In fact, the two studies that you have
19 identified, the Henke and the DAHANCA trials, were both
20 initiated by oncology, radiation oncologists with a
21 specific interest in this field, and that is what drove
22 those particular trials.

1 DR. RICHARDSON: The rationale with the small-
2 cell studies?

3 DR. BAYNES: Well, there are data to suggest
4 that, in fact, intratumoral hypoxia is a resistance
5 factor for both alkylating agents and for radiation
6 therapy. There is a fairly significant body of
7 preclinical data to suggest that.

8 CHAIRPERSON ECKHARDT: Okay. We still have
9 some other questions. Dr. Albain, you had one?

10 DR. ALBAIN: Yes. What perhaps is troubling
11 me the most here is the broad application of these
12 agents to chemotherapy regimens that would not require
13 blood transfusions, in other words, in particular in the
14 adjuvant breast cancer arena where you would not
15 normally transfuse the patient.

16 Thus, I'm asking to see in greater detail your
17 adjuvant breast cancer data, in particular, the recent
18 data shown in San Antonio, updated data. We haven't
19 really had a chance to see that today.

20 DR. PERLMUTTER: Yes, I will let Dr. Bowers
21 speak to that or Dr. Zukowski.

22 DR. BOWERS: If I may take a little bit of

1 indulgence, could I go to Slide BI-3, please?

2 (PowerPoint presentation in progress.)

3 DR. BOWERS: I would just like to clarify one
4 of the situations regarding the recording of some of the
5 data.

6 Slide up, please.

7 (Staff complies.)

8 DR. BOWERS: All of the studies outlined in
9 the FDA Table 9 were above the hemoglobin target of 12
10 grams per deciliter. The RTOG study and the CAN-20
11 study were both cooperative group trials, which by
12 contrast we do not have access to the data in typical
13 cooperative contracts until the data is published. We
14 were able to get the data very recently.

15 The CAN-20 has been published in "The Journal
16 of Clinical Oncology," and the RTOG study has been
17 submitted for publication. The data is in-house, within
18 Johnson & Johnson, at the present time. Unfortunately,
19 we were not able to get it any time sooner.

20 The CAN-17 trial, which was a company-
21 sponsored trial, a breast cancer trial, which did not
22 demonstrate any safety signals, we have recently sent

1 the CSR to the FDA. Unfortunately, we only provided, or
2 fortunately we did provide to the FDA in May of 2006 the
3 full safety data.

4 If we could go to the next slide, please?

5 (Staff complies.)

6 DR. BOWERS: I believe that, Dr. Albain, the
7 study that you are referring to is the Mobus trial. It
8 was presented in San Antonio. We have that data and we
9 can present that.

10 DR. ALBAIN: That, but that other data as well
11 that has been alluded to, I would like to see a summary
12 of all of the adjuvant breast data.

13 DR. PERLMUTTER: Dr. Bowers?

14 DR. BOWERS: Yes, we can come back to this,
15 but let's address the breast cancer data for you first.

16 DR. ALBAIN: Adjuvant breast besides Mobus, in
17 addition to Mobus.

18 DR. JOHNSON: I would like to summarize the
19 information that we do have regarding adjuvant breast
20 cancer studies in particular for you. Just to summarize
21 very quickly, there are three other studies in breast
22 cancer to which I could speak, two of them are in the

1 adjuvant setting and one of them, the BRAVE study,
2 conducted with epoetin beta is a study conducted in
3 women with metastatic breast cancer patients.

4 The two in adjuvant, with adjuvant, women in
5 the adjuvant setting are studies conducted with epoetin
6 alfa, Eprex, outside of the United States. There is one
7 additional study that I don't have listed on this trial.

8 It has also enrolled some women with adjuvant disease.
9 I haven't included it because it is a study that was
10 terminated early. There were no safety signals in that
11 study, and I can speak very briefly to that study for
12 you.

13 If I could have the next slide.

14 DR. MORTIMER: There is a I know Shaughnessy
15 trial that I realize was done for cognitive function,
16 but you have shown it up as having a three-fold
17 increased incidence of death, and it is just obviously a
18 very wide confidence margin given the small number of
19 patients, but those are all adjuvant breast cancer
20 patients.

21 DR. ALBAIN: That's exactly what I'm trying to
22 get at here. Thank you, Joan.

1 DR. JOHNSON: I don't believe the
2 O'Shaughnessy study was a controlled study. The studies
3 that I have here --

4 DR. ALBAIN: I wanted to see all your data in
5 this adjuvant-like population be it Stage II data such
6 as the cognitive function studies as well as, you know,
7 leave out the metastatic study, just focus on the
8 adjuvant studies.

9 DR. JOHNSON: I will be happy to present the
10 studies that I have. I don't have the data from the
11 O'Shaughnessy study with me, but of course I would be
12 happy to make that available to the members of the
13 Committee.

14 If we could run through CAN-17, I think it is
15 BC-10?

16 (PowerPoint presentation in progress.)

17 DR. JOHNSON: EPO CAN-17 is a study conducted
18 to evaluate quality of life within the adjuvant therapy
19 setting for breast cancer. Primary endpoint is quality
20 of life and the secondary endpoint included transfusions
21 and overall survival.

22 Women were followed for two years in this

1 study. This was a randomized, open-label study. Eprex,
2 epoetin alfa, versus no Eprex. Eighty percent of
3 patients enrolled were treated in the adjuvant setting,
4 20 percent had metastatic disease and there needed to be
5 a planned 12 additional myleotoxic chemotherapy at
6 baseline.

7 As I mentioned, this is a beyond-anemia
8 correction study. Women were randomized into the study
9 when their hemoglobin fell below 12 and were treated to
10 a target of between 12 and 14.

11 May I have the next slide, please.

12 (Staff complies.)

13 DR. JOHNSON: The quality-of-life results in
14 the study were significantly in favor of the Eprex-
15 treated women. As you will see in a moment, the study
16 results are quite reminiscent of the INT-10 study
17 quality-of-life results, the CLASS assessment support of
18 the findings on the effect and anemia scale used to
19 assess quality of life in the study.

20 There was an improvement in hemoglobin in the
21 Eprex-treated patients for baseline to week 12.
22 Transfusions were substantially reduced in epoetin-

1 alfa-treated women in this study. Among the metastatic
2 subset, 74 patients, response rates were similar.

3 Safety, there was a slight increase in
4 thrombotic vascular events. On study, mortality, 27
5 deaths in the Eprex-treated women; 28 deaths in the
6 control group.

7 If I could have the next slide on, please?

8 DR. ALBAIN: Before you leave that slide, how
9 about in the adjuvant subset in that trial?

10 DR. JOHNSON: I'll show you the Kaplan-Meier
11 plots for the group.

12 The next slide on, please. The next slide,
13 please.

14 (Staff complies.)

15 DR. JOHNSON: These are the quality-of-life
16 results. We'll skip them. The next slide, please.

17 (Staff complies.)

18 DR. JOHNSON: This is the Kaplan-Meier plot
19 for the entire cohort, the 354.

20 If I could have the next slide, please?

21 (Staff complies.)

22 DR. JOHNSON: This is the metastatic group.

1 If I could have the next slide, please?

2 (Staff complies.)

3 DR. JOHNSON: This is the Kaplan-Meier plot
4 for the adjuvant. Of the 80 percent of patients who
5 were treated in the adjuvant setting, 142 treated with
6 Eprex, 138 were in the control group, 9 deaths in Eprex-
7 treated patients and 6 deaths in the no-Eprex treated
8 group. Point estimate for the hazard ratio is .88 and a
9 confidence interval that includes unity.

10 The Mobus study, and we have data by kind
11 agreement with Professor Volker Mobus. He is going to
12 be presenting an update at ASCO. He has kindly shared
13 his data with us for presentation here today.

14 If I could have the first slide on please from
15 the Mobus study?

16 DR. ALBAIN: You alluded to ASCO data about to
17 be shown for an error, I didn't quite get the name of
18 that study, in three weeks or so. Do you have that data
19 as well?

20 DR. JOHNSON: I do.

21 Slide BC-18, please?

22 (Staff complies.)

1 DR. JOHNSON: This is an investigator-
2 sponsored study conducted in Germany by Professor Mobus.
3 It is a study that evaluated dose-dense versus
4 conventional chemotherapy. In the dose-dense arm, there
5 was a second randomization to EPO, epoetin alfa, Eprex
6 support versus no Eprex, an open-label randomization.

7 The population were women being treated in the
8 adjuvant setting. They were at higher risk for
9 recurrent disease with greater than four positive lymph
10 nodes.

11 As I mentioned, the second randomization
12 randomized the women in the study to Eprex versus no
13 Eprex. This is also a beyond-correction of anemia
14 study. It used epoetin alfa, Eprex, 150 units, 3 times
15 per week. The target hemoglobin level was 12.5 to 13.
16 The dose was withheld, if the hemoglobin level exceeded
17 14.

18 The next slide on, please. The next slide.
19 Thanks. This is just a schema of the study design. The
20 next slide up, please. Thank you.

21 (Staff complies.)

22 DR. JOHNSON: According to the protocol-

1 defined analyses in the epoetin alfa randomization
2 group, two-year, disease-free survival was to be
3 reported, transfusions, hemoglobin, and intramemory
4 recurrence. All patients studied were followed for two-
5 year, disease-free survival; five-year disease-free
6 survival; and five-year overall survival.

7 The transfusion data has been reported
8 previously in the San Antonio conference and the five-
9 year overall, excuse me, the five-year disease-free
10 survival and overall survival at a median followup of 62
11 months will be presented at ASCO. Data snapshot of data
12 from the study was transferred to J&JPRD on April '07.

13 If I could have the next slide up, please?

14 (Staff complies.)

15 DR. JOHNSON: In October 2003, subjects with
16 one or more thrombotic vascular events in the Eprex-
17 treated group, the epoetin alfa group, 3 percent versus
18 1.7 percent in the control group. Remember, all of
19 these patients are receiving dose-dense chemotherapy.
20 This is the dose-dense group.

21 In the ASCO abstract currently provided to us,
22 658 women were randomized to the dose-dense arm; and in

1 that dose-dense arm in the second randomization, 333
2 women were randomized to Eprex.

3 The five-year disease-free survival is 72
4 percent in the Eprex-treated women and 71 percent in the
5 control group, and the P value for the difference is
6 0.86, nonsignificant.

7 The mortality snapshot, as I mentioned, at the
8 median followup is 62 months. Overall survival among
9 epoetin-alfa-treated women, 81 percent versus 83 percent
10 in the control group, again, a nonsignificant P value.
11 Transfusions were significantly reduced in EPO and alfa-
12 treated women.

13 May I have the next slide, please?

14 (Staff complies.)

15 DR. JOHNSON: This is the Kaplan-Meier for
16 time to recurrence for the women randomized to Eprex or
17 control. The bolded line is the epoetin alfa group; the
18 unbolded line, the control group. As you see, these
19 curves are essentially superimposable and the log-rank
20 test is nonsignificant.

21 May I have the next slide on, please?

22 (Staff complies.)

1 DR. JOHNSON: Overall survival, again, and
2 this is after a median followup of 62 months, a
3 substantial length of time. The log-rank test is
4 nonsignificant, P value .89.

5 DR. MARTINO: I'm sorry. Can I make a
6 comment, Dr. Albain?

7 (No verbal response.)

8 DR. MARTINO: Realize that these are not
9 studies designed to answer the specific question of
10 survival.

11 DR. ALBAIN: This was a preplanned
12 randomization to look at the EPO endpoint, was it not?

13 DR. JOHNSON: That's correct.

14 DR. MARTINO: But survival, I'm getting at the
15 end number to this subrandomization.

16 DR. ALBAIN: It's very small. What was your
17 endpoint? Was it a transfusion endpoint, or was it a
18 survival endpoint in the EPO versus not?

19 DR. JOHNSON: If I could have BC-20 up?

20 (Staff complies.)

21 DR. JOHNSON: The protocol again for you, the
22 protocol-defined analyses in the epoetin alfa group:

1 two-year disease-free survival, transfusions, median
2 hemoglobin, and intramammary recurrence. We don't have
3 any data on the later endpoint. For all patients in the
4 study, two-year disease-free survival, five-year
5 disease-free survival, and five years of overall
6 survival.

7 DR. ALBAIN: At least with robust followup,
8 your disease-free curves are superimposed right now in
9 this ASCO updated, what you're showing?

10 DR. JOHNSON: Yes, that's correct. This is at
11 a median followup of 62 months.

12 CHAIRPERSON ECKHARDT: Dr. Keegan, did you
13 have a comment?

14 DR. KEEGAN: We wanted to comment and ask for
15 some clarification about our understanding of the
16 protocol, which is the patients are assessed for tumor
17 status at baseline end of chemotherapy and annually
18 thereafter. Is that correct?

19 DR. JOHNSON: Dr. Keegan, I would have to pull
20 the details of the protocols of concern for you. If you
21 have that document there, I would --

22 DR. KEEGAN: I do not have the protocols with

1 me.

2 DR. JOHNSON: I would be happy to check for
3 you and could provide the answer shortly.

4 DR. JUNEJA: I would like to make a comment on
5 that because I have reviewed all of these protocols.
6 The Mobus study, again, the primary endpoint was looking
7 at the dose-intense versus the every-three-week arm. It
8 was not looking at EPO versus supportive care.

9 The second thing is in terms of the assessment
10 of disease-progression, chest X-ray, upper-abdominal
11 ultrasound were again used at the beginning of
12 chemotherapy, end of chemotherapy, and annually.

13 CHAIRPERSON ECKHARDT: All right. Thank you.

14 Dr. Doroshow.

15 DR. DOROSHOW: I have a short question, and
16 that is, I am interested to know from any of the
17 sponsors whether any data exists with respect to any
18 clinical outcome or adverse event that distinguishes
19 actual hemoglobin levels versus targeted hemoglobin
20 levels?

21 DR. PERLMUTTER: At this point I don't think
22 that we can look at these data in terms of hemoglobin

1 level achieved in the cancer populations and derive an
2 interpretable conclusion, so I will just give you the
3 short answer, which is, I don't think that there is an
4 interpretable conclusion there.

5 There is a trend that we've spoken about,
6 which is that one sees that if you look at -- slide up,
7 please -- hazard ratios for time-dependent covariates
8 with hemoglobin greater than 13, you can see that the
9 responders in general have a better result.

10 But, as I point out, that is confounded by the
11 fact that it is very likely that responders have a more
12 favorable prognosis for other reasons. I don't think we
13 can answer that question.

14 CHAIRPERSON ECKHARDT: All right. Dr. Allegra,
15 did you still have a question?

16 DR. ALLEGRA: Just a quick question about
17 toxicities related to transfusions. We've talked a fair
18 amount about ESA toxicities. Can you tell me how often
19 did you see high-grade toxicities including, grade 5's,
20 that could be attributed to transfusion in your larger
21 studies, BEST, for example?

22 DR. PERLMUTTER: I don't believe that we have

1 that dataset for you. I apologize. I don't have
2 toxicities defined by transfusion results, but we will
3 dig into the data and find it and we will get back to
4 you. I don't have that, I'm sorry.

5 CHAIRPERSON ECKHARDT: Dr. Krook.

6 DR. KROOK: A question to Dave Crawford, my
7 fellow clinician. On C-19, I remember what you said,
8 that you need to treat five patients to decrease
9 transfusions on one person. If I play the oncologist
10 game, that's a 20 percent response rate.

11 The second issue would be on C-24, which is
12 hemoglobin associated with higher quality-of-life
13 issues. We have gone from a hemoglobin of 7 to 14, and
14 yet we have a 15 percent grade in quality-of-life
15 improvement.

16 Now, my own impression is that this here looks
17 at all the other factors, Dave, that are involved in
18 chemotherapy-induced anemia. I don't know whether the
19 FDA has something -- but with, again, higher hemoglobin,
20 we've only improved it 15 percent. We can sit here and
21 talk is that important or not.

22 DR. CRAWFORD: Right. Let me reexplain this

1 slide. First of all, this is a cross-sectional analysis
2 looking at patient-reported outcome in terms of a linear
3 analog scale and what their hemoglobins were at that
4 time and just showing the general association between
5 higher hemoglobins and higher quality of life.

6 Now, if you do this prospectively, you will
7 see a similar trend, but this particular analysis was
8 cross-sectional, and it just suggests that the magnitude
9 of difference, the magnitude of difference between
10 grades of hemoglobin are greater in this 10 to 12 range
11 than they are found in this range.

12 This is the range where we transfuse people;
13 this is the range where we restore hemoglobin and
14 minimize signs and symptoms. The better data, though,
15 to really look at these analyses and really from the
16 randomized data that we have shown earlier is between
17 placebo and treatment, so I think that's a better way to
18 look at it. It's just that this cut point works very
19 nicely with other triggers for transfusion, signs and
20 symptoms, and even some old data.

21 We talked about what other old data there is
22 around what a "hemoglobin cut point" was. It turns out

1 from data from Clement Finch back in the seventies when
2 he looked for endogenous EPO levels in people as they
3 were phlebotomized they began to increase when
4 hemoglobin fell below 12. It's a convergence of a lot
5 of data around that number.

6 DR. KROOK: I guess what I'm trying to say is
7 that if this in chemotherapy-induced anemia, I mean, the
8 quality of life has improved except we have not taken
9 them to 90 percent, if that is where we are going to.

10 DR. CRAWFORD: Again, this is a scale. None
11 of us are at 90 percent of the scale. Most of us might
12 be a little bit higher. But if you look at patients at
13 the start of treatment from studies we have done from
14 initial therapy, they are around 55 to 60, if you look
15 at the cancer patient, per se. We can improve quality
16 of life around symptoms relevant to anemia, but we can't
17 improve all quality of life that impacts a cancer
18 patient in terms of their disease.

19 DR. KROOK: It appears that the two things we
20 are using is transfusion and quality-of-life issues for
21 the ESAs. Again, on the previous slide, C-19, if I
22 heard you right, you said we gave five patients

1 erythropoietin-stimulating agents to decrease
2 transfusions for one.

3 DR. CRAWFORD: Correct. What we are doing is
4 we are reducing transfusions. We are reducing the signs
5 and symptoms associated with those transfusions. Even
6 those patients who are transfused, there are less signs
7 and symptoms and therefore, hopefully, better quality of
8 life at least around fatigue and related symptoms that
9 would occur.

10 I'm not an expert in NTT analysis, but I
11 believe that treating five patients to benefit one
12 patient is a favorable profile for most drugs.
13 Certainly, a 20 percent rate is a good number by other
14 criteria, and I think most patients that avoid a
15 transfusion this would be a useful number to talk to
16 them about.

17 I don't know if anyone else wants to address
18 that, NNT?

19 DR. BAYNES: Just to emphasize the point,
20 there have been a number of pooled analyses and single
21 analyses looking at the effect size of transfusion. If
22 we could go to the pooled analyses of effect size for

1 transfusion --

2 CHAIRPERSON ECKHARDT: Can you be brief?

3 DR. BAYNES: Sure.

4 CHAIRPERSON ECKHARDT: We need to move on.

5 DR. BAYNES: Okay. Just in general terms,
6 most chemotherapy, as you heard from Dr. Crawford, has
7 50 to 60 percent incidence of transfusion, and that's
8 reduced to 20 to 25 percent. The effect size is
9 actually close to 50 percent on average, 50 to 60
10 percent in terms of reduction of transfusion.

11 CHAIRPERSON ECKHARDT: All right. Thank you.
12 Dr. Martino had one last question.

13 DR. MARTINO: I think I'm getting the
14 impression here that there may or may not be EPO
15 receptors on tumors, and if they exist, it appears that
16 the general sense is that they are not a way to
17 stimulate a tumor.

18 My question relates to, do we not know that
19 there are receptors on the endothelial cell; and if that
20 statement is accurate, what do we know relative to
21 angiogenesis? Because there are other mechanisms by
22 which tumors grow other than direct stimulus to them.

1 DR. PERLMUTTER: We can answer that question
2 in great detail.

3 CHAIRPERSON ECKHARDT: Ah, but please be
4 brief.

5 (General laughter.)

6 DR. PERLMUTTER: Maybe I should just say that
7 reagents to detect the EPO receptor do not exist that
8 would be able to pick up those kinds of receptors.

9 First of all, the EPO receptors expressed at
10 very low abundance on the surface. There are not
11 satisfactory reagents despite a lot of effort that can
12 selectively pick up the receptor, because the existing
13 antibodies are both polyclonal and polyspecific, and
14 that makes it impossible to detect them.

15 Thus far it has not been possible to isolate
16 an EPO-derived effect on those endothelial cells. It is
17 speculation at this point about whether or not there
18 could be an effect.

19 You can find EPO receptor message in a variety
20 of different contexts, that is, messenger RNA. In terms
21 of EPO-receptor signaling, to spare you the technical
22 detail, you can't find that.

1 CHAIRPERSON ECKHARDT: All right. I think
2 what we are going to do is wrap up this part of the
3 session.

4 Dr. Keegan, did you have a--?

5 DR. CHERNEY: Yes. This is Barry Cherney of
6 the FDA. There is an extensive literature that EPO
7 receptors are found on plenty of tumor types, breast
8 included, and that they are functional in in vitro tests
9 and some limited tissue, tumor tissue, where they look
10 for signaling in STAT5 signaling and they see the
11 signaling. There is an extensive body of literature
12 that counteracts what you say.

13 DR. PERLMUTTER: I think, then, under those
14 circumstances, we probably should go into it and talk
15 about exactly how confounded that literature is. Shall
16 we do that?

17 CHAIRPERSON ECKHARDT: No.

18 (General laughter.)

19 DR. PERLMUTTER: Because I think we can refute
20 that pretty directly.

21 DR. CHERNEY: No, I think the --

22 (Simultaneous discussion.)

1 DR. PERLMUTTER: We can take that apart pretty
2 dramatically.

3 CHAIRPERSON ECKHARDT: I would just say that
4 it is controversial, and I don't think that we will be
5 able to arrive at a solution at this meeting, but thanks
6 for the input from both of you.

7 What I would like to do is to wrap up this
8 part of the session. We will be taking a 10-minute
9 break.

10 I wanted to sort of sum up some of the
11 questions that have gone on, and that would be that I
12 think many of us on the Committee had a lot of questions
13 about trial design, specifically, some of the endpoints
14 that were utilized, accessibility of data and many
15 questions with regards to why exactly data hasn't been
16 available to scrutinize.

17 Many of us I think have been confused about
18 the quality of life and fatigue and some of the patient-
19 reported outcomes data and exactly why that does not
20 appear to have been part of the label. We understand
21 that that was not sufficient at the time of
22 registration.

1 You know, I think it is very interesting that
2 the data would have not been available or at least to
3 the level to reach those kinds of conclusions because
4 many of us see marketing to be somewhat revolving around
5 some of that patient-reported data.

6 I think the other thing that's interesting is
7 that there is very little known about the dosing with
8 regards to this agent. I really didn't hear a lot of
9 discussion about why the doses utilized were quite a bit
10 higher than those utilized on the label.

11 I think we have sort of revolved and discussed
12 a lot of those issues, and I look forward to the next
13 session where we will drill down to some of the
14 questions. We will take a 10-minute break, and then
15 come back.

16 (Recess.)

17 QUESTIONS TO THE ODAC AND ODAC DISCUSSION

18 CHAIRPERSON ECKHARDT: Okay. We are going the
19 move on to the first of several questions that have been
20 posed to the ODAC Committee. I will ask Dr. Pazdur to
21 chime in whenever he feels like; okay.

22 Essentially, as you can see, this question has

1 to do with sort of the body of the discussion that we
2 have had today with regards to update on the risks.
3 Keeping in mind again that we are not talking about a
4 regimen that actually prolongs quality of life, this is
5 supportive care, and so we need to be thinking about the
6 risks and benefits within that context.

7 Based upon what we have heard today, we have a
8 couple of votes, the first of which is revolving around
9 the current restrictions that were put into the black
10 box warning. You have those actually attached to the
11 list of questions.

12 I think what is important is to think about
13 whether or not the ongoing marketing authorization needs
14 to be contingent on these being changed. We will in a
15 few minutes get to what types of changes could be
16 considered in particular tumor types and others.

17 I think this first vote really just points or
18 asks whether or not we do think that additional
19 restrictions need to be put onto the label; and then,
20 secondly, whether or not this also should be contingent
21 on additional trials.

22 Now, the idea would not be to have an extreme

1 amount of detail regarding the trials, but whether or
2 not indeed this should be another requirement. I will
3 take any discussion that someone has about that.

4 Dr. Perry.

5 DR. PERRY: Yes. I have a problem with the
6 statement of this particular issue. It is very true
7 that the infectious complications of transfusions have
8 been reduced, but none of the others have.

9 People still get iron overload. People still
10 get immunologic reactions. They still get hyperkalemia.

11 It still becomes increasingly difficult to transfuse
12 patients over time.

13 It becomes difficult to keep lines patent. It
14 becomes difficult to find compatible blood if you're in
15 a small town and somebody has been multitransfused.

16 I think that just simply saying that the
17 infectious rates of transfusions have been reduced over
18 time is rather disingenuous. I think what you really
19 want to say is transfusions are available. It's possible
20 to avoid them with erythropoietin-stimulating factors.

21 What is the risk/benefit ratio between the
22 two, not between just the risk of your getting West Nile

1 Valley fever or something similar? I think the way this
2 is stated is most inappropriate. The risk of
3 transfusions are far more than just infectious.

4 DR. PAZDUR: Fair enough.

5 CHAIRPERSON ECKHARDT: Actually, I wanted to
6 make a comment. I agree as well. I think certainly
7 there are appropriate times for transfusions, but I
8 think the idea of sort of going back to the Dark Ages,
9 so to speak, with regards to supportive care is a step
10 backwards.

11 I think what we should be thinking about today
12 are ways to manage the risks that we have heard about
13 with regards to this very useful supportive care agent.
14 I would really put it into that context rather than
15 whether or not we use the drug versus transfusion.

16 Any other discussion?

17 DR. MARTINO: I have lots of problems with
18 this whole experience today, but one of the problems
19 that I have is that as I read the black box, it
20 basically says that if you have cancer and you are being
21 treated for it, that these agents are okay.

22 Yet, when I asked the question, are there

1 studies that were actually designed to look at survival
2 and that finished accrual and for which there is data
3 that deals with that as the specific and primary
4 endpoint, the two groups that were brought forth, lung
5 and breast cancer, somehow to me don't seem to be
6 addressed by this black box warning.

7 I am kind of thinking probably the group for
8 which there was the most information that there may be
9 some dangers are those patients, yet I don't know that
10 we have dealt with that -- certainly not to my personal
11 satisfaction.

12 But I must say an overriding issue that I have
13 with all of this stuff is that we're being presented
14 with problems in patients who are "hypertreated." Okay?

15 That is what I see as the real issue.

16 You are asking me to think about patients who
17 were overtreated and then to make decisions as if we are
18 now going to properly treat them, whatever the hell that
19 is. I am not sure how to think through this whole
20 issue.

21 CHAIRPERSON ECKHARDT: Well, I'll make a
22 comment to that. I actually think what we are seeing,

1 which is not unlike when you take drugs and you dose
2 escalate them to a certain point and you start seeing
3 boundaries of toxicities and you see characteristics of
4 toxicities that become worrisome, I think what we're
5 seeing is that there are issues perhaps if you push the
6 dose of this beyond what's recommended.

7 I agree with you the problem is in translating
8 that to the current situation that is being used. But I
9 think that was really one of the concerns is, are these
10 safety signals, albeit at doses that are nonlabeled, are
11 they messages that need to be further incorporated into
12 the current utilization of the agent to really maximize
13 safety until we can conduct the appropriate studies at
14 the appropriate doses?

15 DR. MARTINO: But then that same issue applies
16 to patients who aren't on chemotherapy. They were also
17 treated beyond that magic level. How do we separate
18 that group out?

19 DR. KEEGAN: Could I clarify?

20 (No verbal response.)

21 DR. KEEGAN: The target for the anemia of
22 cancer of study was not higher than the current

1 labeling.

2 DR. MARTINO: Was it not thirteen?

3 DR. KEEGAN: They held the dose at 13, but
4 the target was twelve.

5 DR. MARTINO: Well, nevertheless, if you allow
6 people to be transfused beyond the usual levels, you see
7 you are still getting to a point where you're making a
8 judgment about appropriate therapy when it was slightly
9 inappropriate. I'll grant you maybe only slightly
10 inappropriate, but it still is the same basic concept.

11 DR. KEEGAN: Right. I guess I was just trying
12 to draw a distinction between that and the studies that
13 were looking at hemoglobins of 13, 14, 15 and
14 maintaining it at those levels.

15 DR. MARTINO: If you look at the breast
16 cancer, the BEST trial, wasn't their goal around
17 thirteenish or so? I don't think that was 15 or 16,
18 unless I'm not remembering correctly.

19 DR. KEEGAN: Twelve to fourteen.

20 DR. MARTINO: You know, again, those to me
21 don't seem too different to me.

22 CHAIRPERSON ECKHARDT: Dr. Mortimer.

1 DR. MORTIMER: You know, I share Dr. Martino's
2 concern about making decisions on the basis of
3 overtreatment conceivably. But I guess, you know, in
4 asking the sponsors could they correlate toxicity with
5 hemoglobin levels, they could not give us that data.

6 They said thrombotic complications were more
7 common when hemoglobin was high. The cause and effect
8 of toxicity wasn't there, and so I don't feel that feel
9 that uncomfortable about making a decision.

10 DR. MARTINO: I'm not sure that I'm convinced
11 that they satisfied me in that answer. Yes, they gave
12 an answer, that's correct.

13 (Simultaneous discussion.)

14 CHAIRPERSON ECKHARDT: Dr. Redman. I'm sorry.

15 DR. KEEGAN: I was going to say the other
16 important point to remember about the anemia
17 of cancer study is in fact it showed no
18 transfusion benefit.

19 DR. REDMAN: Yes, I guess I just need
20 clarification. I'm not sure what marketing offer means,
21 number one. Number two, I get the sense that in the
22 black box we're going to just keep adding it on to every

1 negative study or non-positive study that there is and
2 keep warning physicians that there is no positive study.

3 It seems like it's taking care of in the
4 fact that it is for chemotherapy-induced anemia for a
5 target of 12 and it has not been proven to be
6 effective in many other non-myeloid malignancies.
7 It's not been proven to be of any other benefit.

8 DR. PAZDUR: Authorization obviously means
9 the ability to sell the drug. The other issue here is
10 do these isolated signals, even though they might be
11 done in, okay, not the exact population of a higher
12 hemoglobin, are these bothersome enough to start
13 putting other restrictions here?

14 Because here again you don't have any ideal
15 population here, but you have something here,
16 something there, something there. Do we need to
17 really bring a little more stringent behavior the how
18 this drug is prescribed even within the label's
19 indication?

20 DR. REDMAN: I guess along the same comment,
21 and this is probably really an oversimplification of
22 it, but it's a McDonald's cup of coffee warning label

1 "It's hot." I mean, you don't have positive data that
2 suggests that it's of any benefit in the patient
3 population to achieve a hemoglobin greater than 12,
4 okay, and you can use it in somebody who is not
5 receiving chemotherapy. You have negative or non-
6 positive trials,
7 but you have no positive trials, so you can't have a
8 positive indication. I guess what I'm getting to is
9 the next negative trial that comes out, if one is done
10 by industry, do we add it on to the black box?

11 CHAIRPERSON ECKHARDT: You know, I think one
12 thing we need to keep in mind is that many times the
13 labeling and restrictions can also translate into
14 reimbursement, so it does become an operational issue
15 with regards to the patient population being targeted.

16 I think what we are trying to get out with
17 this particular question is hearing what we have heard
18 today, is there anything in addition? Do we need to
19 start adding things?

20 Again, the question is for a supportive care
21 agent, to what extent do we need to have proof to have
22 safety signals that are reasonable to put into the black

1 box warning?

2 Ms. Schiff.

3 MS. SCHIFF: I still don't understand why or
4 how it's been proved that it is safe under 12,
5 especially if it's been found that there is not an
6 increase in TVEs as the hemoglobin rises.

7 That means it's the same under 12 as it is
8 above 12, if there's no difference. Why are we assuming
9 the under 12 is safe at this point? I haven't seen the
10 data for that. There is no data.

11 DR. REDMAN: It is in there that there is a
12 risk of TVEs. I mean, it is in the product insert.
13 Nobody is ignoring it, it's there.

14 CHAIRPERSON ECKHARDT: Dr. Albain.

15 DR. ALBAIN: I would like to come back to Dr.
16 Pazdur's question. I guess for situations like adjuvant
17 breast cancer therapy I am not yet reassured. I have no
18 prospectively designed, noninferiority study that it
19 will not adversely impact survival. So, yes, I would
20 like to see that on a label. In similar situations like
21 that, I would be interested in what my colleagues think.

22 CHAIRPERSON ECKHARDT: That's exactly what

1 we're getting at.

2 DR. BRAWLEY: One of the most important issues
3 I think here is that most doctors and most patients
4 think that this drug has been approved because it
5 improves quality of life, it improves fatigue. I don't
6 see that in the FDA indication; I see it on late-night
7 television.

8 I mean, there is a lot of sort of sleight of
9 hand here with how the drug is used, what the drug is
10 used for, and I think that is a real problem, especially
11 when one looks at -- I was struck by one of the comments
12 earlier today that said let the doctors decide who gets
13 the drug.

14 The problem is that doctors get about \$1,200
15 for every dose that they give patients, so the doctors
16 are not necessarily objective. They don't have to make
17 that same objectivity statement that we have to sign or
18 conflict of interest statement that our people who spoke
19 here had to do today, and that's a real problem.

20 CHAIRPERSON ECKHARDT: I would actually like
21 to ask Dr. Pazdur to make comment clarifying sort of. I
22 think we have all been struggling today with the

1 advertising that we see versus the body of data that was
2 presented to actually support the indication. Perhaps
3 Dr. Pazdur can enlighten us as to that dichotomy.

4 DR. PAZDUR: Okay. Labeling claims, okay,
5 advertising claims are supposed to be derived from
6 product labeling, okay. Obviously, there is a great
7 deal of concern that I have and I think most of the
8 clinical review staff have about these advertisements
9 that were made.

10 One has to remember that we as the review
11 division at the FDA are not responsible for the
12 enforcement. There is a high degree of enforcement
13 discretion that is exercised in CBER by the acronym
14 "APLB," which is their enforcement and advertising
15 division as well -- I shouldn't say enforcement, but
16 their advertising division as well as in CDER by DDMAC.

17 The FDA Chief Counsel Office obviously sets
18 the tone for enforcement. We are looking into this
19 whole issue of why these ads were allowed to go on. I
20 think that the FDA is responsible for giving the
21 American public as well as the review staff that sits
22 here the reason why these ads were allowed to go on. I

1 really would like to thank the advocate community for
2 bringing this up because I think the FDA really does
3 need to address this issue.

4 Enforcement discretion can occur for many
5 reasons and obviously there is a fine line between the
6 rights of First Amendment speech and the protection of
7 the American population from false and misleading
8 advertisement.

9 That is an enforcement discretion that is
10 handled by other parts of the Agency as well as the
11 Office of Chief Counsel. I think that they need to give
12 the American public a clear understanding of why these
13 ads were allowed to continue.

14 CHAIRPERSON ECKHARDT: Thank you.

15 Any other discussion before we go ahead?
16 Remember, this first vote is to add additional
17 restrictions in the product labeling based upon what
18 you've heard.

19 One more?

20 DR. HARRINGTON: One more. A question from a
21 non-clinician to my clinical colleagues. I noticed in
22 the slides about transfusion that the recommendations to

1 start transfusions seemed to be dropping down to lower
2 and lower hemoglobin. Why twelve? What's the rationale
3 for twelve with these agents when transfusions is
4 getting down as low as 7 grams per deciliter?

5 CHAIRPERSON ECKHARDT: Now, we actually have
6 that as another question.

7 DR. HARRINGTON: Oh, sorry.

8 (General laughter.)

9 DR. HARRINGTON: I didn't read ahead. All
10 right, so let's go ahead and get started. Remember,
11 that you need to state your name and your vote.

12 Oh, sorry. Another question?

13 DR. RICHARDSON: I would just like to respond
14 to my colleague, Dr. Perry. I think when he talks about
15 a lot of difficulties in transfusing people
16 repetitively, certainly that is an issue in the
17 myelodysplastic and Aplastic anemia population. I think
18 everybody realizes that there is a critical need for
19 these agents in that group.

20 I would like to remind you, though, if you
21 look back at the discussion that Dr. Glaspy gave
22 earlier, I think it was the mean number or median number

1 of units of blood per patient transfused was 2.7. 2.7
2 units of blood is a little more than one transfusion
3 episode in that population, so keep that in perspective
4 as well.

5 CHAIRPERSON ECKHARDT: Thank you.

6 A PARTICIPANT: (Inaudible, no microphone.)

7 DR. HARRINGTON: Okay. My mistake. I'm
8 sorry.

9 CHAIRPERSON ECKHARDT: Okay. All right. We
10 are starting with Dr. Murgo. Okay, make a comment and
11 then you get to vote.

12 DR. MURGO: My comment has to do with we're
13 talking about restriction, additional restrictions on
14 the package insert. It seems like the focus is on the
15 black box and the warning section.

16 DR. PAZDUR: We are asking specific questions
17 afterwards, so please do not consider restricting to a
18 black box warning, but we are asking specific questions
19 obviously on starting hemoglobins, target hemoglobins,
20 tumor types, et cetera.

21 (Simultaneous discussion.)

22 CHAIRPERSON ECKHARDT: Right. This is purely

1 a change.

2 MR. MURGO: No, but my comment is will this
3 also include the labeled indication? The labeled
4 indication for cancer patients is very loose.

5 DR. PAZDUR: Yes, it could.

6 CHAIRPERSON ECKHARDT: What's your vote?

7 DR. MURGO: My vote for Part A?

8 CHAIRPERSON ECKHARDT: We're voting A right
9 now.

10 DR. MURGO: Okay. My vote is yes.

11 CHAIRPERSON ECKHARDT: Please state your name?

12 DR. MURGO: Anthony Murgo.

13 DR. KROOK: Jim Krook, yes.

14 DR. REDMAN: With the caveat I'm still not
15 quite sure I understand 1(a), no.

16 DR. MARTINO: Yes, Martino.

17 DR. ALLEGRA: Allegra, yes.

18 DR. LINK: Link, yes.

19 MS. HAYLOCK: Haylock, yes.

20 DR. HARRINGTON: Harrington, yes.

21 DR. DOROSHOW: Doroshow, yes.

22 DR. MORTIMER: Mortimer, yes.

Capital Reporting Company

Page 290

1 CHAIRPERSON ECKHARDT: Eckhardt, yes.

2 DR. RICHARDSON: Richardson, yes.

3 DR. PERRY: Perry, no.

4 MS. SCHIFF: Schiff, yes.

5 DR. BRAWLEY: Brawley, yes.

6 DR. ALBAIN: Albain, yes.

7 DR. STRONCEK: Stroncek, yes.

8 CHAIRPERSON ECKHARDT: All right. Thank you.

9 Fifteen to two, yes.

10 The second part of this is based upon, and
11 again it could also be in conjunction with (a), which
12 we've just voted on, is with regards to additional
13 trials. Dr. Pazdur, perhaps you can elaborate on how
14 detailed you want? I mean, in my view if this is a
15 question of should additional trials be run in order to
16 continue with marketing authorization?

17 DR. PAZDUR: Correvt. Again, since we do have
18 a question that as with our last question goes into a
19 little more detail on questions, maybe if somebody could
20 just give an area that they would like another trial in
21 or some question that they feel is burning here that
22 they need to have answered in a trial"

1 DR. MARTINO: Yeah, we have burning questions.
2 The burning question is does this thing actually kill
3 people in the doses that we think are reasonable and
4 appropriate? I don't see anything that has approached
5 an answer to that question.

6 I would actually put a stop to all of these
7 trials that are using higher doses than the recommended
8 doses, because I don't think they are going to add to
9 our knowledge. They are going to continue to confuse us
10 and waste patient resources. To me it is not a complex
11 thing in terms of conceptualizing; it may be complex in
12 terms of doing.

13 CHAIRPERSON ECKHARDT: Dr. Link.

14 DR. LINK: Is there somewhere you could manage
15 getting the data from the trials that you have already
16 done so that you can do that before we go on to new
17 trials?

18 DR. PAZDUR: At the present time we could ask
19 for post-marketing commitments. I cannot make a
20 contingency on continued authorization of the drug based
21 on getting this data at this present time.

22 CHAIRPERSON ECKHARDT: Other comments?

1 Discussion?

2 (No verbal response.)

3 CHAIRPERSON ECKHARDT: Again, I guess this
4 question -- I'm sorry, Dr. Redman.

5 DR. REDMAN: I guess the question is, again,
6 is this asking for additional trials for additional
7 indications to add to the marketing information, or as
8 it stands now are additional trials needed to support
9 the current marketing?

10 DR. PAZDUR: Correct.

11 DR. LINK: Maybe I need a clarification. You
12 authorized some trials that you were putatively going to
13 get data from in order to continue this. If we say that
14 you should do the next set of trials, how do we know
15 that you are going to get the data from those trials?

16 DR. PAZDUR: This is one of the problems that
17 we face in post-marketing commitments for the FDA. We
18 can ask them to be perhaps submitted, review, and work
19 with the sponsor to try to get these done in a timely
20 fashion. There are limitations we have on these post-
21 marketing commitments at the present time.

22 CHAIRPERSON ECKHARDT: Dr. Brawley.

1 (No verbal response.)

2 CHAIRPERSON ECKHARDT: Dr. Perry.

3 DR. PERRY: I would like to point out, to be
4 fair to the sponsors, it's very difficult sometimes to
5 accrue to these trials. If you were a patient being
6 randomized to one of these trials, you would have to ask
7 yourself, "Why do I want to be on one of these
8 particular trials when I could get a placebo, if I
9 believe in Procrit or Arnesp?" If I don't believe in
10 them, why would I want to take the chance of getting one
11 of them?"

12 I think the company, it is in their best
13 interest to complete the trial. You see the breast
14 cancer trial, which is going to be criticized by the FDA
15 because too many different kinds of chemotherapy agents
16 are being used, but in order to get the trial done, the
17 sponsor had no choice but to do that.

18 They couldn't mandate just one chemotherapy
19 program or they would never get patients on it. They
20 are doing the best they can, and they can't accumulate
21 patients on the trial. It is a difficult thing.

22 What we need is a large, simple trial without

1 too many very difficult endpoints. That's why I was
2 asking you about what kind of endpoints do we want for
3 thromboembolic events before.

4 If you make it too cumbersome, you can't sell
5 it to the patients. If you tell the patients "You're
6 going to have to come in every week and get an angiogram
7 to see if you have an asymptomatic pulmonary embolism,"
8 that ain't gonna sell in Peoria or anyplace else.

9 It's a compromise between what is doable and
10 what we want to have done. I have some sympathy for the
11 sponsors. It's in their best interest to have them
12 done. If they could put a little more money into it and
13 get it done, I'm sure they would.

14 CHAIRPERSON ECKHARDT: Dr. Brawley.

15 DR. BRAWLEY: I'm not sure it's in the best
16 interest of the sponsor to do the trial, but I would
17 love to see a large, simple trial with a survival
18 endpoint.

19 DR. PAZDUR: No homogenous disease?

20 CHAIRPERSON ECKHARDT: And how about more than
21 one dose?

22 DR. BRAWLEY: Say it again. Say it again,

1 sir.

2 DR. PAZDUR: No homogenous disease? And, a
3 disease?

4 DR. BRAWLEY: In a homogeneous, epidermoid
5 disease.

6 DR. PERRY: What homongeous disease do you
7 defined?

8 CHAIRPERSON ECKHARDT: What dose?

9 DR. BRAWLEY: Squamous-cell lung cell.

10 DR. PERRY: Okay.

11 DR. BRAWLEY: Squamous-cell of the head and
12 neck.

13 DR. PERRY: Well, squamous-cell lung cancer in
14 the United States is 33 percent of all the cancers, so
15 you've got --

16 DR. BRAWLEY: Well, they are presenting data
17 on small-cell lung cancer, which is half of that.

18 DR. PERRY: Yes, but that's a population that
19 you could easily define. Sometimes all you get is non-
20 small-cell lung cancer not further defined.

21 DR. BRAWLEY: I would buy that. That's better
22 than what we've got right now.

Capital Reporting Company

Page 296

1 DR. PERRY: You're an easy sell; okay.

2 (General laughter.)

3 CHAIRPERSON ECKHARDT: Any other discussion?

4 (No verbal response.)

5 CHAIRPERSON ECKHARDT: The vote formulated,
6 then, will be to vote for or against additional studies
7 that would need to be performed in order to continue
8 with marketing authorization, with the idea that later
9 on we will be discussing a little bit more about what
10 those trials would look like; okay.

11 Dr. Albain -- oh, sorry, Stroncek.

12 DR. STRONCEK: STRONCEK, yes.

13 DR. ALBAIN: Albain, yes.

14 DR. BRAWLEY: Brawley, yes.

15 MS. SCHIFF: Schiff, yes.

16 DR. PERRY: Perry, yes.

17 DR. RICHARDSON: Richardson, yes.

18 CHAIRPERSON ECKHARDT: Eckhardt, yes.

19 DR. MORTIMER: Mortimer, yes.

20 DR. DOROSHOW: Doroshow, yes.

21 DR. HARRINGTON: Yes.

22 MS. HAYLOCK: Haylock, yes.

1 DR. LINK: Link, yes.

2 DR. ALLEGRA: Allegra, yes.

3 DR. MARTINO: Martino, yes.

4 DR. REDMAN: Redman, yes. They will never be
5 done.

6 (General laughter.)

7 DR. KROOK: Krook, yes.

8 DR. MURGO: Murgo, yes.

9 CHAIRPERSON ECKHARDT: All right. That was 17
10 yes.

11 Okay. Well, moving right along, as we go down
12 the list, then what we are really doing is drilling down
13 a little bit with regards to the restrictions that we,
14 for the most, voted for.

15 This next question really looks across the
16 different types of trials that were performed and asks
17 whether or not we would specifically indicated
18 particular types of tumors that would be restricted to,
19 you know, not receive treatment.

20 I think really probably of the ones that were
21 presented that have the greatest safety signals are
22 breast cancer. I think there is some controversy over