

1 pharmacologically, then we won't need
2 electricity.

3 MEMBER HARRINGTON: Although, but,
4 then, you've got to make the case, Mike, that
5 there is an advantage to the drug therapy, as
6 you say. And then you have to enter into
7 what are the risks of the pharmacologic
8 strategy versus what are the risks of the
9 electrical strategy.

10 And if ultimately 30-plus percent
11 of the drug patients are going to get the
12 electrical strategy anyway, that needs to be
13 considered in the totality of, is it worth
14 it.

15 MEMBER LINCOFF: But, again, the
16 hypothesis here was, I mean, we have never
17 directly evaluated that. Maybe we should
18 evaluate that assumption or examine that
19 assumption because there is no question the
20 electrical Cardioversion is better than any
21 drug therapy. No drug therapy has ever
22 approached the conversion rate.

1 So I think that is an intrinsic
2 assumption of this whole development effort.
3 It doesn't mean we can't examine it because
4 one could question whether or not these
5 reasons why electricity may be less than,
6 less desirable than, pharmacologic therapy
7 could be questioned.

8 CHAIR HIATT: Well, we could
9 propose other strategies, but that wouldn't
10 be fair. I mean, you could have thought
11 about maybe Cardioversion is a standard of
12 care and it should be employed immediately.

13 I think Dr. Granger would disagree
14 and say that, "No. It's okay to wait." So
15 placebo is ethical and an appropriate
16 decision and an appropriate thing for us to
17 contemplate. But it still isn't -- you can't
18 just take the two-hour drug period in
19 isolation because other things happen to both
20 groups and, therefore, that is a strategy,
21 too.

22 So because we are trying to weigh

1 risks and benefits and we have got very clean
2 signals of efficacy very early on, the thing
3 that I think is sort of absent a little bit
4 from the material we had and is sort of
5 getting filled in now is, what do these
6 patients look like at 24 hours and 7 days
7 when other standard therapies were employed?
8 You can't divorce yourself from the fact that
9 they will be employed.

10 DR. MASSIE: I wanted to look back
11 at slide 46. This is the one that gives for
12 the vernakalant group the success of
13 Cardioversion by day and recognizing the
14 numbers are smaller and it's a little bit
15 scattered.

16 It does appear that we recognize
17 there wasn't much efficacy beyond day seven.
18 But, as it turns out, beginning at day three,
19 we're down into the 25 to 30 percent success
20 rate.

21 So it's day one and two, the ones
22 that I actually didn't think existed, day one

1 and two from the onset, where there is the
2 big difference. And that's a bit of a
3 concern.

4 The other concern about the slide
5 is I see that this is ACT I and ACT IV. What
6 happened to ACT III?

7 DR. LIU: I can offer some
8 explanation. The two studies -- by the way,
9 my name is Jeen Liu. I am the statistician
10 from Astellas.

11 We had two pivotal studies: ACT I
12 and ACT III. Both were designed to study the
13 conversion rate in the short duration, short
14 minute to three-hour to a seven-day.

15 So in ACT III, we actually didn't
16 collect a specific time or number of days
17 patients were in AFib. So that study didn't
18 provide useful data to facilitate this
19 analysis.

20 DR. MASSIE: But, of course, ACT
21 IV is an uncontrolled study. And the
22 question is, how sure are you about the time

1 in ACT IV? People came in. They got
2 converted. We have already said we don't
3 really know how long these people are in AFib
4 because they're not always symptomatic or
5 they go through in and out periods.

6 But do we have the data from ACT I
7 separately?

8 MEMBER HARRINGTON: I just want to
9 clarify something you said. Did you say that
10 you don't know the time of AF duration in ACT
11 III? Because I'm looking at slide 41. And
12 it says that to get into the trial, you had
13 to have AF for 3 hours for 45 days. How did
14 you determine that if you didn't collect it?

15 DR. PRITCHETT: This is Ed
16 Pritchett.

17 Patients were classified,
18 stratified into one of two bins. The
19 experiment was done with two bins. There was
20 a 3-hour to 7-day bin and a 7-day to 45 bin.
21 That was the experiment that was done. Okay?
22 The data about how long were you in atrial

1 fibrillation was collected in two of the
2 trials, ACT I and ACT IV, which is shown here
3 without placebo data.

4 So there is no placebo data in
5 this curve. This is simply looking at
6 conversion rates. You know, the experimental
7 bin is shown there in the three-hour to
8 seven-day window. And, you know, that was
9 the primary efficacy analysis.

10 So what you are looking at is an
11 exploratory analysis. What it shows is the
12 longer you have been in atrial fibrillation,
13 the less likely you are to convert. That's
14 not a surprise. I mean, that is true of every
15 modality that we have to convert people. And
16 it has been known since the beginning of
17 time.

18 I mean, there is a sense that we
19 learned this from goats in Maastricht, but in
20 point of fact, this is in clinical
21 observation that has been around for years.
22 The longer you have been in atrial

1 fibrillation, the less likely you are to
2 convert by any more modality. And the more
3 likely you are to go back into it.

4 So, I mean, I personally am
5 intrigued by this data because I think it is
6 quantitative support for what intuitively
7 clinicians have known for a long time. But
8 in point of fact, the experimental design
9 here was the three-hour to seven-day window.

10 MEMBER HARRINGTON: So if it was
11 so well-known and you were trying to quantify
12 better what the relationship was between the
13 time of the duration of AFib and subsequently
14 the ability to convert, why would you just
15 put it into two bins and not actually collect
16 the actual value?

17 DR. PRITCHETT: Well, the reason
18 for using two bins is you can stratify pretty
19 easily on two bins.

20 MEMBER HARRINGTON: You can do
21 that if you collect the absolute value and you
22 just stratify based on some cut point.

1 DR. PRITCHETT: Well, you could do
2 that, but you wind up with an awful lot of
3 bins at that point and it becomes quite a lot
4 more difficult to manage.

5 MEMBER HARRINGTON: No, no, no, it
6 doesn't, I mean, if you say that the bin is
7 zero to seven and then when you're
8 randomizing people, everybody less than seven
9 gets stratified on one bin and everybody more
10 than seven gets stratified in the other. But
11 was there any other reason why you wouldn't
12 have collected the specific data?

13 DR. PRITCHETT: It simply wasn't
14 done.

15 DR. MASSIE: So do you have --

16 DR. PRITCHETT: It was done in
17 these two trials but not in the others.

18 DR. MASSIE: So do you have ACT I
19 as an individual trial?

20 DR. LIU: Yes, yes. Actually,
21 it's a similar analysis using only ACT I
22 study. It is in the briefing document, 55,

1 page 55. It's a slightly different
2 methodology. I think if you look at the
3 figure, you can reach the same conclusion.

4 If you want, we can project this.
5 Why don't we project it, please?

6 DR. MASSIE: It's not quite as
7 nice as a daily barr. It fit curves, but --

8 CHAIR HIATT: While we are trying
9 to just stay sort of broad stroke and fill
10 out some data, again, Dr. Harrington asked
11 and I had a list, a little separate list
12 here, of trying to kind of add up the bad
13 stuff.

14 So on the safety side, you know,
15 at 24 hours and 7 days -- and I think we
16 could cut this a variety of ways but deaths,
17 torsades, new heart failure, embolic events,
18 bleeding events, those kinds of things.

19 If there's some way to summarize
20 that so as we kind of get to the safety side
21 of this, if we could sort of look at a
22 consolidated -- these are low event rate

1 numbers, but the actual exposure is
2 relatively limited as well. If you just look
3 at the first 24 hours in this whole
4 development program, there are three
5 patient-years of exposure.

6 So as you think about as we try
7 and deliberate the safety side and maybe that
8 won't come up for a few minutes, but if there
9 is some way to kind of consolidate the number
10 of sort of what we would typically look at in
11 terms of cardiovascular, bleeding events?

12 DR. KITT: We don't have that
13 analysis. What we have is all adverse
14 events, which is found on table 16, which is
15 within the first 24 hours. But our incidence
16 rates of hemorrhagic or strokes were very
17 low. And so they don't meet the criteria we
18 used for this table.

19 CHAIR HIATT: I understand that,
20 but they're low by definition.

21 DR. KITT: Right.

22 CHAIR HIATT: And we are going to

1 try to extrapolate this experience. Again,
2 I'll just emphasize three patient-years of
3 exposure if you count the 24-hour window --

4 DR. KITT: Right.

5 CHAIR HIATT: -- and lower 1,000
6 patients to tens of thousands of patients
7 being exposed a lot across the states. And
8 so you have to take these low-frequency
9 events and try to ask whether they really
10 would contribute.

11 Dr. Ruskin I would applaud for
12 doing the 95 percent confidence interval
13 around some of those events because, in fact,
14 it's not the point estimate necessarily we
15 are worried about but the extremes of the
16 risk.

17 And so if numerically you could
18 just count them up, that would really be
19 helpful. Drug plus placebo 24 hours, number
20 of people dead. I know the MIs and strokes
21 were not really seen but serious bleeding
22 events, you know, some of these are a step

1 down from what we usually typically look at
2 at cardiovascular trials but new ventricular
3 fibrillation, pulmonary edema, sinus arrest.

4 There are a lot of things kind of
5 occurring on drug in very low numbers. If
6 you start to add them up, you start to
7 develop a sense of a bit of a safety concern.

8 And we're just looking for kind of
9 a simple tabulation of the numbers. We're
10 not going to try to extrapolate. And we
11 could do that. We could come back to that if
12 you would like.

13 DR. KITT: Okay. Yes. Please
14 give us a second.

15 CHAIR HIATT: Yes. You bet. So
16 we'll go to other questions and then --

17 DR. KOWEY: Dr. Hiatt, can I
18 comment? I'm Peter Kowey. I'm an
19 electrophysiologist in Philadelphia.

20 I completely agree with you that
21 in order to increase your confidence about
22 the incidence of adverse events that are

1 uncommon, it would be good to increase the
2 experience.

3 If you just try to do that by
4 extending your period of observation, which
5 is what you are suggesting, I think --

6 CHAIR HIATT: No, not really.

7 DR. KOWEY: Well, you are asking
8 for data out to seven days, when the drug is
9 very, very long gone.

10 I agree with you that we need more
11 confidence with regard to these infrequent
12 adverse events, but I think the way to do
13 that is to increase the number of patients
14 that get the drug.

15 CHAIR HIATT: I agree.

16 DR. KOWEY: And that's the
17 rationale for what you saw for the
18 postmarketing studies and further
19 observations of safety because it's unique
20 patient experiences that will teach you about
21 the confidence intervals for torsade and the
22 confidence intervals for hypotension, the

1 things that we really need to know about.

2 So we'll do, obviously, what you
3 suggest and do the best we can with it, but
4 you have to understand that attribution to
5 the drug past 24 hours, for example, at 7
6 days, even at 24 hours is tough, but at 7
7 days, it's really difficult.

8 And I want to make just one other
9 point, if I might, while I'm up here. There
10 has been a lot of discussion about the
11 spontaneous conversion rates that might occur
12 with atrial fibrillation. I agree that if
13 you take an unselected AF population that
14 comes into the emergency department, there's
15 a fairly high spontaneous conversion rate.

16 As you saw in the clinical trials,
17 the spontaneous conversion rates in the
18 placebo groups was very low. The placebo
19 conversion rates in the trials were single
20 digits. Why was that? Even though these
21 patients had atrial fibrillation of
22 relatively short duration, the answer is that

1 the investigators who were seeing the
2 patients as the doctors, the patients, had a
3 very good idea of which patients were going
4 to spontaneously convert and probably didn't
5 put them into the trial because it would have
6 been a self-defeating thing to get people all
7 signed up and then have them convert
8 spontaneously to sinus rhythm.

9 So there had to be an
10 investigator-imposed selection bias to enroll
11 patients that were really the relevant
12 patients for pharmacologic conversion because
13 I have no other way to explain why three
14 hours or one day of atrial fibrillation would
15 be associated with a single digit spontaneous
16 conversion rate. It doesn't jibe with the
17 data that you heard. It doesn't make any
18 sense.

19 DR. MASSIE: There was only a
20 two-hour observation period. We're not
21 talking about the data that we have been
22 shown from other sources.

1 DR. KOWEY: Well, if you look at
2 all of the pharmacologic conversion studies,
3 including the ibutilide data, where patients
4 didn't get other therapies for 24 hours, --
5 and you will see some more of that tomorrow,
6 by the way, -- the spontaneous conversion
7 rates are still very, very low within that
8 24-hour observation period.

9 So it isn't just two hours. It
10 really is 24 hours. And the conversion
11 rates, spontaneous conversion rates, are very
12 low.

13 CHAIR HIATT: Well, the numbers
14 are what they are. Certainly we're not going
15 to dispute that. Your earlier point I
16 generally agree with that since this is not
17 chronic therapy, the only way we are going to
18 learn more about safety is by exposing more
19 patients and that it is generally true that
20 the 24-hour time frame probably reflects most
21 of the safety at risk but not necessarily.

22 I mean, there could be thrombotic

1 events that could be precipitated during that
2 time that might manifest out at seven days,
3 but certainly that is going to be more
4 robust.

5 Now, back to that thing, though.
6 We're going to have numerically more of these
7 events on drug than placebo. And that's the
8 issue we are going to have to wrestle with,
9 that there will be a numeric difference.

10 And I just wanted to ask the
11 sponsor at some point to just kind of add up
12 those things and so we could just look at
13 them.

14 MR. MANGAL: My name is Brian
15 Mangal. I'm the statistician with Cardio.

16 Just to go back to one of your
17 earlier points about the size of the database
18 and the amount of exposure we have, based on
19 the 773 patients that we have exposed, we did
20 look to see what the upper bound of the
21 confidence limit would be around a rate of
22 infrequent events. And we're 95 percent

1 confident from the size of our database that
2 we would be able to detect an infrequent
3 adverse event rate of .4 percent or more.

4 DR. MASSIE: As long as we're
5 assigning homework, as I remember, a
6 substantial proportion, and I think I
7 remember like 85 percent or so, of these
8 patients were not enrolled in North America
9 for the pivotal trials.

10 And I'm not sure that's right
11 because I was looking through the various
12 analyses. But I think management of atrial
13 fibrillation is probably very
14 practice-dependent and I suspect might be
15 very different from country to country,
16 although we had some things from the Euro
17 Heart Survey and others that showed
18 similarities as well.

19 What do we know about background
20 therapy, background clinical conditions,
21 baseline diagnoses, and all the rest across
22 countries? And how relevant do you think

1 this data is to the American population?

2 CHAIR HIATT: That's an excellent
3 question. And, actually, another way to look
4 at that and one of my questions was, is there
5 a treatment by country interaction?

6 DR. KITT: There is no treatment
7 by country interaction.

8 MEMBER HARRINGTON: The sizes of
9 the boxes, though, will be small. Could you
10 show us the data of first the enrollment by
11 country? I know it's in the briefing book,
12 but if you could put a slide up and show us
13 the enrollment by country and then show us
14 the primary endpoint, point estimate, and
15 confidence intervals by country visually so
16 that we can see that?

17 DR. MASSIE: But also I think most
18 relevant to I guess what we will find out in
19 a postmarketing study if that is done,
20 background therapies of interest, diagnoses
21 of interest, age. You know, I think there
22 could be a lot of potential. And it would

1 certainly be reassuring if we didn't see a
2 lot of differences between, say, that 15
3 percent North America and the other
4 countries.

5 DR. KITT: We do not have a slide
6 of enrollment by country, but I can tell you
7 that in our ACT I study, 48 percent of the
8 patients came from Denmark, 29 percent of the
9 patients came from Canada, 14 percent came
10 from Sweden, and 10 percent came from the
11 U.S.

12 In ACT III, 39 percent of the
13 patients came from Denmark, 18 percent came
14 from Canada, and 18 percent came from the
15 United States.

16 DR. MASSIE: Thirty-five to 40
17 percent from Canada and the U.S. for the 2
18 studies?

19 DR. KITT: Correct. Most of the
20 patients came from the Scandinavian
21 countries.

22 DR. MASSIE: More of concern to me

1 would be -- well, that's important because
2 that's not a small size -- the differences in
3 the patients in their background therapies.

4 DR. PRITCHETT: Dr. Massie, this
5 is Ed Pritchett again speaking.

6 I am not sure that we actually can
7 parse that out very quickly from the studies,
8 but remember that the published guidelines
9 from the Heart Association are developed now
10 jointly between the American Heart
11 Association, the American College of
12 Cardiology, and the European Society of
13 Cardiology.

14 So at least the people who write
15 guidelines have to come to some kind of more
16 or less consensus about what they think ought
17 to be done. Now, whether that is filtered
18 down and managed in the practice level, we
19 can't be sure, but at least in terms of what
20 the guidelines say, the guidelines for Europe
21 and the U.S. are the same now.

22 CHAIR HIATT: You know, I mean, I

1 inferred that there was no treatment by
2 country interaction. And, Barry, I think
3 it's a really fair question. It might affect
4 the generalizability of the findings to
5 different populations, but it didn't appear
6 to be a treatment effect driven by Europe
7 versus U.S. or something like that. So it's
8 probably relatively robust across a variety
9 of sort of Western populations.

10 DR. MASSIE: Actually, the broader
11 implication of my question is not really
12 efficacy. And, of course, for these types of
13 numbers, interaction testing is not going to
14 really exclude much. It's very hard to have
15 a treatment by country interaction done.

16 But I am more interested in the
17 safety issues. That's why I am interested in
18 the concomitant medications and other
19 different practice things across countries.

20 I mean, what drugs are being used
21 differently in the two countries? You know,
22 what types of patients are being enrolled in

1 the two countries? Rather than the effect, I
2 am interested in the safety.

3 MEMBER KASKEL: Is there any data
4 on how many patients might be receiving
5 potassium supplements or status of their
6 potassium homeostasis?

7 DR. KITT: Potassium had to be
8 corrected prior to enrollment into the study.
9 I think it needed to be at least 3.5 before
10 they could be enrolled in the study.

11 MEMBER KASKEL: And also in the
12 nonresponders, do we have any reason or any
13 evidence as to why they might not respond to
14 treatment? Is there anything there, a trend
15 in nonresponders, that might be useful?

16 DR. KITT: I think it could be the
17 duration of their atrial fibrillation, that
18 patients with longer duration didn't respond.

19 DR. CANNON: Dr. Kitt, could you
20 tell us more about the ACT II results? So
21 these were the patients who had had CABG or
22 other heart procedures, post-op patients. In

1 slide 53, you show the efficacy of
2 vernakalant Cardioversion similar to what you
3 saw in the ACT I, ACT III, and ACT IV
4 populations at 90 minutes.

5 So I have two questions. One is,
6 can you tell us about the durability of that
7 response in the vernakalant-treated patients
8 at even 6 hours or 24 hours? Was it similar
9 to what you saw in ACT I, ACT III, and ACT
10 IV? That's the first question.

11 And the second is, were adverse
12 events and serious adverse events more
13 frequent in that post-op population than it
14 was in the non-postsurgical populations,
15 particularly with regard to hypotension,
16 bradycardia?

17 DR. KITT: Yes. Dr. Dickinson
18 will answer that question.

19 DR. DICKINSON: Hi. I'm Garth
20 Dickinson. I'm a medical consultant with
21 Cardio.

22 Slide up, please. This slide

1 shows you the Kaplan-Meier curve for
2 conversion in ACT II. And the big difference
3 in this study compared to our other trials
4 was the placebo spontaneous conversion rate.

5 So it's 14 percent here versus 4
6 percent in all of our other trials. And I
7 think this reflects the population. The
8 post-cardiac surgery population tends to be a
9 bit more unstable, flip back and forth. And
10 you will also see that with the durability.

11 Next slide. So the durability out
12 to 24 hours was 60 percent, 60 percent in
13 both the vernakalant-treated group and in the
14 placebo-treated group, those that
15 spontaneously converted, very similar.

16 Can I have that other comparison
17 slide? I think that's 35.

18 DR. CANNON: So in the
19 vernakalant-treated patients who successfully
20 cardioverted within 90 minutes, about a third
21 reverted to site 2 atrial fibrillation in 6
22 hours roughly?

1 DR. DICKINSON: Yes.

2 DR. CANNON: Okay. That's another
3 way of looking. What about the adverse
4 events and serious adverse events in the ACT
5 II population?

6 DR. DICKINSON: Okay. Just one.
7 Can I have this slide up, just to show you
8 one other thing just comparing to the
9 literature? This is the ibutilide study.
10 Basically what it shows is really an
11 identical kind of population. At 24 hours,
12 60 percent of the patients who were converted
13 to sinus rhythm are still in sinus rhythm,
14 very similar. So I think it's population.

15 Slide up. And as far as serious
16 adverse events are concerned, we had very few
17 in the ACT II study, essentially one case of
18 AV block, complete heart block, and one case
19 of hypotension.

20 These both occurred at the time of
21 the infusion. And their duration was less
22 than ten minutes each. And they were

1 completely respondent.

2 DR. CANNON: Thank you.

3 MEMBER HARRINGTON: Dr. Kitt, you
4 have shown us the data for the symptom scale.
5 Can you tell us the methodology of how that
6 was done? In other words, at 90 minutes,
7 when the primary analysis was done, was the
8 person doing the symptom assessment blinded
9 to whether or not the patient was in sinus
10 rhythm?

11 And, secondly, was the patient
12 still blinded to whether or not he or she was
13 in sinus rhythm? Did people tell them,
14 "Well, you've converted successfully" and
15 then the symptom score was done or help me
16 understand that?

17 DR. KITT: We did not assess
18 whether or not -- our primary endpoint was
19 assessed by a clinical endpoint committee.
20 And so that was what we based our primary
21 endpoint. I don't know whether or not the
22 nurse coordinator made any comment to the

1 patient about what their rhythm was at that
2 time.

3 MEMBER HARRINGTON: So the effort
4 wasn't made to try to reduce that bias? You
5 didn't instruct your investigators, "Look, at
6 90 minutes, we want you to deliver this
7 symptom checklist. We want this to be done
8 by someone without knowledge of the rhythm
9 status"? You didn't do that specifically?

10 DR. KITT: We did not do that
11 specifically.

12 CHAIR HIATT: So, again, Rob, to
13 kind of key off some of your questions,
14 another global question I had is that there
15 was clear symptomatic benefit associated with
16 this therapy, particularly around the
17 conversion back to sinus. There were also
18 clear adverse events, which are relatively
19 short-lived.

20 I think I know the answer to this
21 question, but I am posing this a bit as a
22 rhetorical question that it would seem to me

1 that if symptomatic relief is important in
2 this kind of therapy, that having a balance
3 of adverse events versus favorable
4 symptomatic outcomes should be done in a
5 global sort of assessment, you know, like an
6 SF-36 or something like that.

7 So that it doesn't appear to me
8 that the short-term adverse events really
9 somehow outweighed the overall clinical
10 benefit of the patients feeling better in
11 sinus rhythm.

12 I just want to pose that to you.
13 Did you think about that? I mean, was there
14 any kind of concern that that might diminish
15 the overall symptomatic benefit? Do you see
16 where I am going with that?

17 DR. KITT: Dr. Pritchett I guess
18 wants to address that. Thank you.

19 DR. PRITCHETT: The SF-36, as you
20 know, is supposed to integrate how the
21 patient felt over the last 30 days. So
22 administering it at the end of 90 minutes

1 would sort of -- if it really does that. And
2 I'm not sure it does in patients with atrial
3 fibrillation. But if it really does
4 integrate what took place over the last 30
5 days, I don't think it would be particularly
6 helpful here.

7 You know, I have been interested
8 in the issue of symptoms with atrial
9 fibrillation, asymptomatic atrial
10 fibrillation, for a long time now. I wish we
11 knew more. The state of the art is not very
12 good.

13 We don't understand why some
14 patients are symptomatic on some occasions
15 and sometimes they're not or why they have
16 one symptom on one occasion and a different
17 symptom on another occasion or why one
18 patient has one constellation of symptoms and
19 another has another.

20 You know, the symptom checklist
21 that was used here was very long. It had 17
22 items in it. And it covered a broad range of

1 things. And the reduction that you saw here
2 was very simple. Just let's just say how
3 many patients had no symptoms at these time
4 points. We looked just at asymptomatic.

5 You can also add up the number of
6 symptoms that a patient had. And you can
7 compare those between groups. You can try
8 and grade the symptoms between mild,
9 moderate, and severe. That has been tried.
10 But we're really stretching what we know when
11 we try and do that.

12 I am quite impressed with the sort
13 of consistency of the symptom outcome with
14 the objective ECG outcome in these patients.
15 And it's about as good as you are going to
16 get right now.

17 CHAIR HIATT: Let me just follow
18 up on that. I really applaud you all for
19 looking at this aspect because it seems to me
20 that it's an extremely important endpoint in
21 that it did seem to track really fairly
22 closely conversion, though I can pull it out

1 in a minute. There was one figure where
2 there was a little bit of a dissociation. It
3 was on placebo that the conversion rates and
4 the symptomatic benefits were a little out of
5 synch.

6 Nevertheless, I would also say
7 that I think that the symptomatic benefit far
8 outweighs the adverse events on the drug. I
9 was posing the question to see what you all
10 thought, but --

11 DR. PRITCHETT: I think we need to
12 do better, but it's where we are. I mean,
13 this is the state of the art.

14 CHAIR HIATT: Yes. But, to finish
15 off, there's clearly symptomatic benefit when
16 you convert. And if you convert quicker on
17 this drug, you are free of symptoms quicker.
18 I think that seems unequivocal, but it also
19 seems pretty clear that at 24 hours, once
20 everybody has converted, they have about the
21 same symptoms for it.

22 DR. PRITCHETT: Certainly, as we

1 would expect.

2 CHAIR HIATT: Yes.

3 DR. PRITCHETT: I mean, if
4 symptoms are associated with being in sinus
5 rhythm, it shouldn't make a whole lot of
6 difference about how you got there.

7 CHAIR HIATT: Correct.

8 DR. PRITCHETT: Okay.

9 CHAIR HIATT: And you would agree,
10 then, that your symptom score largely
11 reflects sinus rhythm?

12 DR. PRITCHETT: Yes.

13 DR. MASSIE: I just would like to
14 return to the second case of the VFib, which
15 has been explained and considered, at least
16 by the investigator, as unrelated, despite
17 the fact that it occurred two hours after the
18 drug was given.

19 Do we know? It was a
20 non-synchronized Cardioversion, which happens
21 now and then. I have actually not
22 experienced one causing VFib, but it could.

1 Do we know when the shock was given in
2 relationship to the QRS cycle? Do you have
3 those recordings?

4 DR. KITT: No. No, we don't. It
5 was a loose monitor. One of the leads had
6 come loose, and the shock was a
7 non-synchronized shock. And then the patient
8 was immediately defibrillated back into sinus
9 rhythm. That's all we know.

10 DR. MASSIE: So that I would have
11 to say the conservative approach is given
12 within two hours of the drug that this might
13 not have happened had they not been on this
14 drug.

15 DR. RUSKIN: Jeremy Ruskin,
16 Boston. I wouldn't argue with that. I think
17 it is a conservative thing to do. But the
18 case doesn't fit any of the sort of classic
19 fingerprint criteria of a drug-induced
20 pro-arrhythmia.

21 And I looked at the intervals
22 immediately prior to Cardioversion. The ECG

1 during the shock is not available. But there
2 is a 12-Lead immediately afterwards. And the
3 QRS is not prolonged, and the QT is not
4 prolonged.

5 So if it was a pro-arrhythmic
6 effect of the drug, it was by some
7 as-yet-unknown mechanism. And I wouldn't
8 argue that that is possible, but it wasn't a
9 result of any of the classically known
10 pro-arrhythmic mechanisms, which would have
11 had some manifestation on the ECG. And there
12 were no spontaneous atrial arrhythmias before
13 or after the shock.

14 So you can't exclude the
15 possibility, but it doesn't have the
16 fingerprint, the classic fingerprint, of a
17 drug-induced event.

18 CHAIR HIATT: Thank you for that
19 explanation. I think that we are often
20 confronted with drug relatedness in clinical
21 trials. And you often ask investigators to
22 make those assessments, which I think are

1 kind of worthless. They usually don't read
2 the investigational brochures anyway.

3 But you know there are two ways of
4 looking at it. One is to try to do what you
5 did and try to ascribe causality, which I
6 think is very helpful because, you know,
7 there are some clearly sort of other
8 disease-related deaths. They just happen to
9 occur on drug. The other simple way to do
10 that is to say there are more people dead on
11 drug than not. You just can't write that
12 off.

13 We're going to go just a little
14 bit longer and then have the FDA presentation
15 after lunch. I want to ask the sponsor about
16 off-label use. There is this one death where
17 there's clearly you give the drug, the
18 patient dies. In that, then, there's a lot
19 of hand waving about, well, that patient
20 shouldn't have gotten the drug anyway. Okay.

21 And I know you are going to have
22 sort of a postmarketing surveillance system

1 and try to maintain some kind of a safety in
2 its use. But I still worry a lot about
3 off-label use. You know, I could see where
4 more and more patients who are kind of
5 post-MI could get this drug, more and more
6 patients that might have other
7 contraindications. And there could be more
8 deaths, no matter what you try to do to limit
9 the drug to the population study.

10 Can you comment on that beyond
11 what you are going to say to me anyway, which
12 is we got all that hard-wired with our
13 postmarketing surveillance thing? Are you
14 worried?

15 DR. KITT: Yes. I'm always
16 worried when patients die in our clinical
17 studies or when any patient dies after
18 receiving a drug. I spent six years doing
19 pharmacovigilance. So I am very concerned
20 about any drug-related event.

21 I think the best that we can do is
22 to clearly put it in our label that patients

1 who have an acute MI or ongoing ischemia
2 should not receive this drug as well as our
3 educational plan with our sales reps and our
4 scientific liaisons when we start to
5 physicians about use of this drug to make it
6 very clear that there are certain patient
7 populations who should not receive
8 vernakalant.

9 CHAIR HIATT: Okay. Despite those
10 efforts, do you think that could still
11 happen; i.e., patients with critical aortic
12 stenosis, patients with Class IV heart
13 failure, patients who are post-MI by 48
14 hours? Is there still a measure of risk here
15 that you can't mitigate?

16 DR. KITT: That's true of any
17 drug. No matter how well you educate
18 physicians, there will be misuse of that
19 drug.

20 CHAIR HIATT: And in my mind with
21 some other relatively recent examples, it's
22 the off-label use that I worry about a lot

1 because you know what the risk is in the
2 population study.

3 DR. KITT: Dr. Kowey?

4 DR. KOWEY: Peter Kowey from
5 Philadelphia.

6 The most commonly used drug in
7 this country for AF termination is IV
8 amiodarone. So if you're worried about
9 off-label or unlabeled use, then we need to
10 talk a long time about, first of all, what is
11 the efficacy, which I can't begin to tell
12 you. And second is what is the safety, and
13 what is the dose? And what kinds of things
14 should you monitor? And what do you follow
15 up with?

16 So I am very concerned, as you
17 are, about using drugs off-label, but the
18 reason why I think this is an important
19 initiative is an attempt to be able to
20 instruct doctors about the proper use of a
21 drug and what they can expect from it. We're
22 not going to know everything.

1 And the answer to your question
2 is, of course, somebody could use it in the
3 wrong patient, absolutely. But it's up to
4 the sponsor, I think, to educate, to observe,
5 and to prove that they can do the right
6 thing. And you have to obviously make that
7 decision. But I am very concerned about
8 off-label use, as you are.

9 CHAIR HIATT: And just to follow
10 up that comment, there are clearly other
11 therapies out there that are probably far
12 more risky and maybe far less efficacious.

13 DR. KOWEY: Oh, yes.

14 CHAIR HIATT: Yes. So --

15 DR. KOWEY: Including a drug that
16 is labeled for the indication already --

17 CHAIR HIATT: Yes.

18 DR. KOWEY: -- that I can promise
19 you that most doctors in the United States
20 don't want to give because they are very
21 concerned about its safety.

22 CHAIR HIATT: It's too bad we

1 can't pass judgment on those drugs, too, but
2 we can't.

3 DR. KOWEY: Well, you did.

4 CHAIR HIATT: I didn't.

5 DR. KOWEY: And I was actually the
6 person that presented the information. So
7 I'll take some responsibility. But
8 ibutilide, for all of its worths, is a drug
9 that is well-described. I think doctors
10 understand its efficacy and they understand
11 its safety. Whether they choose to use it or
12 not is another issue, but there is no
13 question that what we wrote in the label and
14 what the FDA wanted in the label back when it
15 was approved was highly appropriate
16 information.

17 I think it has led to very safe
18 use of that drug, although it's somewhat
19 limited.

20 MEMBER HARRINGTON: Peter, so help
21 me understand, then. There was a question I
22 wanted to bring up since you just brought it

1 up, the unmet need issue here. If we're
2 talking about what the current practice is in
3 the United States, help me understand that
4 for 100 of the patients that would be
5 potentially eligible for this therapy, how
6 are they being treated now? Are the majority
7 of them getting electrical Cardioversion or
8 are the majority getting amiodarone? What
9 are they getting?

10 DR. KOWEY: We actually have two
11 large registries that are in progress that
12 are attempting to look at this global use of
13 drug for atrial fibrillation in the United
14 States. So some of the stuff is not
15 published yet, but I can give you a broad
16 idea.

17 The numbers look like somewhere
18 around 75 to 80 percent of patients are
19 electrically converted in the United States
20 versus about 20 to 25 percent who are
21 pharmacologically converted presently.

22 That, by the way, is almost

1 completely reversed in Europe, where it's
2 about 80 percent pharmacologic, at least as
3 the initial strategy, followed by electrical
4 conversion. So it's much different, as Dr.
5 Hiatt implied earlier. I guess it was you,
6 Bill, that said earlier about differences in
7 countries. Maybe it was Bob. So there is a
8 big difference between the two.

9 If you look at what drugs are used
10 for pharmacologic conversion in the United
11 States, by far the overwhelming winner is
12 intravenous amiodarone. Intravenous
13 amiodarone is used 25 times more frequently
14 than ibutilide in the United States. So
15 every one ibutilide shot, it's 25 IV amio
16 shots in the United States. And there's a
17 smattering of other drugs that are used: IV
18 procainamide is one, oral propafenone and
19 oral flecainide.

20 In Europe, intravenous 1C drugs
21 are used very frequently. Flecainide and
22 propafenone are available as parenteral drugs

1 in Europe. And they are the leaders in the
2 European market.

3 MEMBER HARRINGTON: Do you think
4 that IV amio is used because people
5 ultimately see themselves as transitioning
6 this patient to oral amiodarone?

7 DR. KOWEY: It's a big hook. A
8 very large hook in amiodarone parenteral use
9 is that. First of all, it's not terribly
10 expensive. It's available. People think
11 they know how to use it. And then, in
12 addition, they know that they can make a very
13 complete transition in therapy very soon
14 after they have given the IV drug.

15 So yes, absolutely, positively
16 oral is a big hook.

17 MEMBER HARRINGTON: Thank you.

18 DR. MASSIE: I think, you know,
19 collecting more postmarketing data is really
20 critical. The question is how it's done.
21 Often it's done in the context of really
22 intensive educational campaigns just where

1 you're collecting the data, which could
2 perhaps make it not representative of general
3 use outside as well.

4 I think that this can't be a
5 really simply registry, which is very
6 tempting because any other registry gives you
7 incomplete data. But, nonetheless, I think
8 there are a lot of things we need to know
9 about concomitant medicines and concomitant
10 diagnoses.

11 And I would think that the case
12 report form, at least in terms of baseline
13 information, should not be very different
14 from that from a major clinical trial. What
15 you collect afterwards might be simpler, but
16 it would still be adverse events and efficacy
17 in Cardioversion.

18 I am concerned that the numbers
19 remain relatively small, 2,000 patients
20 suggested. And obviously the FDA would have
21 a chance to discuss and figure out what they
22 would want in such a registry as well. They

1 remain relatively small.

2 And I am concerned that they will
3 not be representative of practice either,
4 both by choice of locations and by attentive
5 education that goes along with enrolling
6 people in such types of postmarketing
7 surveillance studies.

8 CHAIR HIATT: If we come to the
9 end of the day and recommend the drug should
10 be approved, then we will need to discuss
11 that specifically, I think maybe formal
12 observational studies using
13 propensity-adjusted kinds of analyses because
14 there are, in fact, lots of treatment options
15 that one could select from.

16 I wasn't clear why ACT IV was not
17 placebo-controlled. I just never understood
18 why people would do that. All the bad stuff
19 is now just on the drug.

20 Anyway, other questions?

21 MEMBER HARRINGTON: Just one more
22 quick. Could Dr. Kitt define for us heart

1 failure? You have made a point that the
2 heart failure patients fared differently? Is
3 this systolic heart failure or is this just
4 all symptomatic heart failure? And do you
5 have data that would allow you to parse out
6 the systolic heart failures from the
7 diastolic or the systolic-preserved heart
8 failure?

9 DR. KITT: Congestive heart
10 failure was simply defined as somebody who
11 the patient who would come in and say, "I had
12 heart failure." That's how it was defined.

13 MEMBER HARRINGTON: Not defined by
14 ejection fraction or --

15 DR. KITT: In ACT III, we did
16 collection ejection fractions in those
17 patients who had an echocardiogram within the
18 previous three months, but it's very limited
19 data.

20 MEMBER HARRINGTON: How limited?
21 I mean, of the patients with heart failure,
22 what was the median ejection fraction?

1 DR. KITT: Just a minute if we
2 look to see if we can get that data for you.

3 MEMBER HARRINGTON: Because I am
4 just trying to tease out the issue. If it's
5 people who have a history of symptomatic
6 heart failure, that is one issue. And, as
7 Dr. Cannon and others noted in the earlier
8 remarks, this is a population that has a lot
9 of systolic preservation heart failure.

10 DR. KITT: Right, yes. Just a
11 minute. Okay. Slide up, please. I think
12 this probably also includes ACT IV. So here
13 are our baseline characteristics by ejection
14 fraction. We cut it off at those with
15 greater than or less than n-50 percent. So
16 here are our baseline characteristics on that
17 population.

18 So clearly there is more history
19 of congestive heart failure in patients with
20 ejection fraction of less than 50, about 11
21 percent gave a history of congestive heart
22 failure that had an ejection fraction greater

1 than or equal to 50 percent.

2 Slide down, please.

3 CHAIR HIATT: This may seem an
4 awfully detailed question. On your table 18,
5 page 61, incidence of ventricular arrhythmia
6 events, you know, I couldn't get the numbers
7 to add up. In most of these tables, they did
8 add up.

9 But if you look at the bottom of
10 this table, I think these integrals are
11 mutually exclusive, right: zero to 2 hours,
12 2 to 24. Zero to 24 is cumulative, then. So
13 if you just take the 9 events on placebo plus
14 38, it doesn't add up to 41.

15 The other parts of the tables did
16 add up, but I thought the ventricular
17 arrhythmia differences might be important to
18 explore.

19 DR. KITT: Patients could be
20 counted more than once on this table. What
21 we did was we summarized the Holter and the
22 12-Lead ECG, which were read, and as well as

1 adverse events, which were read by different
2 cardiologists. So one particular
3 cardiologist could have called something one
4 thing --

5 CHAIR HIATT: Okay.

6 DR. KITT: -- and somebody else
7 could have called it something else.

8 CHAIR HIATT: All right.

9 MR. SIMON: I've been
10 electro-cardioverted twice: one in '96 and
11 one in about '99, I believe it is. And I was
12 never given the option of pharmacologically
13 converted. Number one, I am assuming that is
14 just the doctor's preference and says to you,
15 "That's it, patient. This is what you need."

16 With your drug, how would you get
17 it on the market? How would you get it to
18 the doctors, in other words, for them to
19 prescribe it versus Cardioversion or
20 ibutilide? I just want to see how it goes
21 from if you get approval to usage by
22 patients.

1 DR. KITT: I would foresee that if
2 the drug gets approved, it would get on the
3 hospital formulary. And obviously this is a
4 medication that would be given in a hospital,
5 in a monitored setting by physicians who are
6 trained to do Cardioversion, such as
7 cardiologists or electrophysiologists or ER
8 physicians. Our sales force and our
9 scientific liaisons would then educate those
10 specific target audiences on the correct
11 usage of vernakalant.

12 And then it would be up to the
13 physician to decide based upon discussion
14 with the patient and the patients'
15 background, comorbidities, whether or not
16 they would be a candidate for treatment with
17 vernakalant.

18 I think the way I would probably
19 foresee it being used would be that this
20 would be an option that you could get
21 tentative infusion, be observed, and then if
22 you didn't convert, the second infusion can

1 be given.

2 But while that is going on, if
3 they believe that you need to get back into
4 sinus rhythm, that may give them time to set
5 up for electrical Cardioversion, getting an
6 anesthesiologist or whatever available. And
7 if you convert, then you don't need to
8 undergo that electrical Cardioversion.

9 Dr. Kowey, did you --

10 DR. KOWEY: Yes. Peter Kowey
11 again.

12 It's a superb question because it
13 really does get down to the crux of how you
14 translate an innovation in medicine to
15 patient-level care. Obviously education is
16 extraordinarily important.

17 And I think the company
18 understands that there is a massive burden
19 that they are assuming to educate physicians
20 about how to use this particular drug in the
21 context of the kind of care that they are
22 already giving.

1 The other thing I think is very
2 important to remember is that we're talking
3 about electrical conversion, a pharmacologic
4 conversion, somehow like they're competing
5 techniques, when, in reality, they are
6 complementary. In many kinds of clinical
7 practice, we will do exactly as Therese just
8 said, which is we'll try a drug. And if the
9 drug doesn't work, we have the option of
10 doing something else, which is electrically
11 converting the patient.

12 So I think it's much better to
13 think about this drug as part of -- and I
14 think Bob said it earlier -- a strategy,
15 rather than that as a separate innovation
16 that is coming out of the blue somewhere.

17 The physicians that are going to
18 be using this are used to using drugs, and
19 they are used to doing Cardioversions. And
20 they are going to take this and employ it and
21 integrate it into their care.

22 Will they use a lot of it? I

1 guess if it works well and it's safe, yes.
2 And if it doesn't, they won't. It will find
3 its level in care, but it will be people who
4 know what they're doing and have been doing
5 this for a long time who will be using the
6 drug and benefitting hopefully patients like
7 you.

8 CHAIR HIATT: I think we're
9 getting a bit near the end of the question
10 session. We will have certainly more time to
11 debate this this afternoon. Are there any
12 other questions the Committee wants to ask of
13 anyone before we perhaps adjourn for lunch?

14 (No response.)

15 CHAIR HIATT: If so, then I guess
16 we're adjourned until -- let's give us an
17 hour -- 1:20.

18 (Whereupon, a luncheon recess was
19 taken at 12:20 p.m.)

20 OPEN PUBLIC HEARING

21 CHAIR HIATT: We're going to start
22 with the open public hearing, which may be

1 quick depending upon who might or might not
2 be here for that, and that will allow us to
3 transition to the FDA presentation.

4 So as you're all getting seated, I
5 have to read this script. Bear with me here.

6 Both the Food & Drug
7 Administration and the public believe in a
8 transparent process for information gathering
9 and decision making. To ensure such
10 transparency at the open public hearing
11 session of the advisory committee meeting,
12 FDA believes that it's important to
13 understand the context of an individual's
14 presentation.

15 For this reason the FDA encourages
16 you, the open public hearing speaker, at the
17 beginning of your written or oral statement,
18 to advise the committee of any financial
19 relationship you may have with the sponsor's
20 product and if known its direct competitors.

21 For example this financial
22 information may include the sponsor's payment

1 of your travel, lodging or expenses in
2 connection with your attendance at the
3 meeting.

4 Likewise FDA encourages you at the
5 beginning of your statement to advise the
6 committee if you do not have any such
7 financial relationships. If you choose not
8 to address this issue of financial
9 relationship at the beginning of your
10 statement, it will not preclude you from
11 speaking.

12 The FDA and this committee place
13 great importance on the open public hearing
14 process. The insights and comments provided
15 can help the agency and this committee in
16 their consideration of the issues before
17 them.

18 That said in many instances and
19 for many topics there will be a variety of
20 opinions. One of our goals today is for this
21 open public hearing to be conducted in a fair
22 and open way, so every participant is

1 listened to carefully, and treated with
2 dignity, courtesy and respect.

3 Therefore, please speak only when
4 recognized by the chair. Thank you for your
5 cooperation.

6 Are there any speakers at the open
7 public hearing?

8 If there are, please come forward.

9 (No response)

10 CHAIR HIATT: Anybody out there
11 want to say anything? Anything at all?

12 (No response)

13 All right, the open public hearing
14 portion of this meeting has now been
15 concluded. We will no longer take comments
16 from the audience.

17 The committee will now turn its
18 attention to address the task at hand, the
19 careful consideration of data before the
20 committee as well as the public comments.

21 So our next agenda item is the FDA
22 presentation.

1 FDA PRESENTATION
2 VERNAKALANT FOR CONVERSION OF ATRIAL
3 FIBRILLATION

4 DR. UNGER: Well, good afternoon
5 everyone. I'm Ellis Unger. I'm the deputy
6 director of the division of cardiovascular
7 and renal products. And I'm very pleased to
8 present FDA's perspective on vernakalant for
9 conversion of atrial fibrillation.

10 And the points I'll be touching on
11 will be the determination of benefit. And
12 I'll try to speak some to this quandary of
13 quantifying benefit in a setting where you
14 have spontaneous conversion from atrial fib
15 to sinus rhythm.

16 I'll speak some to the limitations
17 of the data that we received, then I'll talk
18 a bit about some special risks.

19 So if there is an elephant in the
20 room, I guess this is the element. And
21 basically here you are looking at the
22 probability of converting from AF to sinus

1 rhythm versus time.

2 With the X axis only going out to
3 a day, and the company did an excellent job
4 of characterizing this part of the curve,
5 basically from zero to two hours, and these
6 data are made up, but they are pretty
7 representative of what we found in the phase
8 III trial.

9 So after two hours, 90 minutes,
10 you have roughly 50 percent of patients
11 converted. Placebo was 4 percent. But we've
12 heard that over 24 hours that maybe half to
13 two-thirds of patients in fact will convert
14 spontaneously from atrial fibrillation to
15 sinus rhythm.

16 However in this study, or in the
17 vernakalant development program, after two
18 hours other modalities were used to convert
19 patients to atrial fibrillation.

20 If we had maybe more foresight we
21 might have said, keep your hands off the
22 patient for 24 hours. Nobody will be harmed

1 by staying in atrial fibrillation for 24
2 hours. Let's see what happens. And in fact
3 this may be what we would have observed, and
4 the fact of the - in this case what actually
5 happened was, at this point in time, many of
6 these patients, because they received other
7 modalities, they jumped up near in the 90
8 percent range, and so did these people.

9 But if we'd kept our hands off
10 these patients, in fact these lines may have
11 converged. So that is very problematic.

12 I can tell you that I performed
13 the secondary review on these data and
14 explored the efficacy data quite extensively.
15 And I would say the data were in fact very
16 robust to exploration. No question about
17 this. I looked at various subgroups. I
18 didn't prepare slides on it. But the
19 efficacy was robust across multiple
20 subgroups. It's in the document that you
21 received in the briefing package. It's all
22 in there, U.S., non-U.S.

1 It was very robust except for
2 congestive heart failure, which sponsors
3 explained earlier there are fewer data and
4 the efficacy in fact is less striking.

5 So that's the situation that we
6 have unfortunately.

7 In terms of congestive heart
8 failure, my understanding of the data is that
9 patients in ACT III, one of the two pivotal
10 efficacy studies, those patients were
11 specifically queried about congestive heart
12 failure upon entry into the study, and the
13 case report forms were designed to capture
14 this information.

15 In ACT I, I believe the history
16 was more spontaneous, past medical history,
17 if they said congestive heart failure, it was
18 written down. But it's ACT III where we
19 actually have a good idea of background,
20 congestive heart failure. And you see the
21 percentage of patients here.

22 So in total there were only 17

1 percent of the patients in ACT III with a
2 history of congestive heart failure, and 23
3 patients total with congestive heart failure
4 in ACT III received vernakalant.

5 It's not a great deal of
6 experience.

7 Probably 30 years ago we wouldn't
8 have cared too much about race as far as
9 being generalizable from one race to another,
10 efficacy and safety. And maybe after BiDil
11 we care more about it.

12 But if we care about it, we
13 certainly don't have any data, because 97.7
14 percent of the patients in the pivotal
15 studies were Caucasian.

16 So this is an area where we have a
17 knowledge gap.

18 Okay now in this slide I have
19 shown the conversion rates by various
20 durations of atrial fibrillation. So it was
21 recorded when a patient had the first
22 symptom. Then you could calculate the time

1 from when the first symptom was reported to
2 when they actually received the drug.

3 So these are divided into bins by
4 day. I placed the Ns down here in white, and
5 probability of conversion is on the ordinate.

6 So within the first day, 65
7 percent, 60 percent, then 40 percent. And
8 you can see after day three the efficacy
9 falls off in a very significant way.

10 So roughly a fifth of the patients
11 after day three convert with vernakalant
12 within 90 minutes.

13 This, you have a 50 percent
14 conversion rate out here between day six and
15 seven, but that's based on four patients of
16 who two converted. So not a lot of data.

17 This was the sponsor's slide, I
18 believe this one is in the briefing package.
19 We had asked the sponsor to do some kind of
20 modeling to show us what the conversion rates
21 were with respect to time in atrial
22 fibrillation. And they obliged with this

1 plot. This was based on a logistic
2 regression model.

3 And you see what it looks like
4 here. This is a slide people were asking for
5 this morning, where I've taken those blue
6 bars from the slide two slides ago and
7 plotted them against the logistic regression
8 done by the sponsor.

9 The sponsor showed essentially the
10 same slide, but they included the data from
11 ACT IV. ACT IV was an uncontrolled study.
12 These are the ACT I data.

13 So my concern about this is, you
14 could look at this graph casually. This is
15 the probability of conversion, the middle
16 line, with the confidence intervals above and
17 below.

18 You could say, okay, day seven, it
19 looks like about a 30 percent chance of
20 converting. But the data don't really speak
21 to that. I think this is a case where you
22 have done some mathematical modeling, and you

1 have a nice smooth curve, but it may not
2 represent reality very well.

3 And so one question would be what
4 the labeling might say. The bin as the
5 sponsor described it was from a couple hours
6 to seven days, and then seven days and
7 beyond.

8 And if you look at the AAHA ACC
9 guidelines for management of atrial fib there
10 is a distinction made also at seven days.

11 But the actual data out here from
12 four, five, six and seven days are pretty
13 weak. So bear that in mind.

14 We wondered about the need for an
15 additional of vernakalant in patients with
16 heart failure. Again there weren't many
17 patients, as I showed you earlier. There was
18 cardiovascular depression in the animals at
19 super-therapeutic doses. The sponsor showed
20 you that this morning.

21 We had hypotension in some
22 patients. Well, hypotension isn't magic.

1 You have to understand what is underlying
2 that, whether it's an effect on weight, or a
3 vasodilator effect, it's a negative inotrope
4 effect. There has to be some effect, but we
5 don't actually understand what it is.

6 And patients with heart failure
7 seem to be predisposed to developing
8 hypotension.

9 So this is an area where I think
10 more information would be of value.

11 Another question that comes to
12 mind is monitoring. This is very important
13 issue. We know that vernakalant prolongs
14 the QT interval. The sponsor showed you some
15 information about in terms of metabolism, and
16 how it doesn't seem to affect the QT very
17 much.

18 And I would agree with that. I
19 would also say that the average doctor
20 doesn't know whether a given patient is a
21 rapid metabolizer or not. So it might be
22 useful in 20 years, but it isn't very useful

1 in 2007.

2 So we look at all of the data
3 together, and we have to say something in
4 labeling about how long a patient should be
5 monitored after they receive one infusion or
6 two infusions.

7 One proposal was one hour after
8 one dose, and 90 minutes after two. I
9 believe that was our clinical
10 pharmacologist's recommendation. You could
11 say monitor until the QT is normal. Maybe
12 until the period of peak QT prolongation is
13 passed. Or maybe some hybrid approach where
14 you basically say monitor for so many
15 minutes, or until clinically significant QT
16 prolongation has passed. So that's something
17 else we'd have to deal with.

18 The effect of the drug on atrial
19 defibrillation threshold was a point of
20 interest for us. The sponsor showed a slide,
21 I'm not sure which one, but they showed you
22 the median number of shots, which was one,

1 and the median energy, which was 200 joules.
2 That is not a particularly useful way of
3 looking at this.

4 So I constructed what amounts to a
5 dose response per energy and percent success
6 in cardioversion. So this represents
7 patients who received vernakalant and the
8 whole slide basically is patients who
9 received vernakalant, and it shows the
10 success of cardioversion. But it's divided
11 here. These patients basically had
12 vernakalant on board. So this was within
13 four hours of receiving vernakalant, and
14 these bars depict success rate after by and
15 large vernakalant levels had dropped.

16 And had there been an effect of
17 the drug on atrial defibrillation thresholds,
18 you would see a difference in success between
19 vernakalant and placebo, and you don't.

20 So I think we are very satisfied
21 that there is no effect of vernakalant, pro
22 or con, on atrial defibrillation threshold.

1 A question though remains about
2 ventricular defibrillation threshold.
3 Obviously it's much less common to need to
4 defibrillate somebody because of a
5 ventricular arrhythmia, but clearly you need
6 to be prepared to do it. It will happen, and
7 we don't really know what the effect of the
8 drug is on ventricular defibrillation
9 threshold.

10 So in summary the evidence of
11 efficacy as the primary endpoint was defined
12 is strong, substantiated in two independent
13 randomized controlled trials. And the
14 results were robust to exploration.

15 But again the apparent effect size
16 is largely a function of the study design.
17 We said 90 minutes, in retrospect maybe that
18 wasn't such a good idea. But that is the
19 data that we have.

20 The safety concerns were discussed
21 I think fairly by the company. torsades is a
22 concern; hypotension, bradycardia and QT

1 prolongation. I can tell you that in some of
2 the analyses you asked about this morning in
3 terms of other drugs on board, different
4 anti-rhythmic agents, I did those analyses
5 very carefully. The problem is the subsets
6 are pretty small. So if you want to know
7 about people on sotalol you can look.

8 I was not struck by any signals in
9 terms of safety problems in any particular
10 subgroup based on medication use. But again
11 the subgroups are limited in size.

12 We think more data would be
13 helpful for patients with more advanced heart
14 disease, and for nonwhites. And we would
15 like to see the ventricular defibrillation
16 threshold determined in preclinical studies.

17 And that's all I have. Thank you.

18 CHAIR HIATT: Thank you.

19 Questions from the committee?

20 DR. LINCOFF: I have several
21 questions, if I can refer to some of your
22 slides.

1 DR. UNGER: Okay.

2 DR. LINCOFF: On I guess it was the
3 sixth slide, it was the one probability of
4 converting versus duration of atrial
5 fibrillation in ACT I.

6 DR. UNGER: Okay, I may need a
7 little help here.

8 DR. LINCOFF: I think your sixth
9 slide. So if you sum up those Ns that's 145
10 or close to that by my adding. Now there
11 were more than 145 slides - I'm sorry, 145
12 patients, sorry. There were more than 145
13 patients who received active drug. So what
14 is that, in that study?

15 DR. UNGER: In ACT I?

16 DR. LINCOFF: Are those just the
17 successful numbers?

18 DR. UNGER: I would actually need
19 my review document to tell you.

20 DR. LINCOFF: So then by that, less
21 than half the patients were in the first day,
22 60 out of 145, day zero to one.

1 DR. UNGER: Right.

2 DR. LINCOFF: I just think that,
3 because we keep coming back to what is
4 reflected in your slide three, apparent
5 effect size of treatments versus AF effect
6 time prior to initiating others.

7 DR. UNGER: This?

8 DR. LINCOFF: You know I would
9 challenge whether with no treatment that
10 would be the case for anybody presenting
11 after the first day.

12 Now we've said we don't have a lot
13 of data. But realistically when patients
14 come in that we see, not in the first couple
15 of hours, but a couple of days out, and they
16 usually don't spontaneous convert in the
17 first day.

18 So I think this whole question of
19 whether or not we needed - it would have been
20 better to design - or we observe the patients
21 for a full day, et cetera. I think that
22 aside from patients who are showing up the

1 first day, who represent what looks like
2 roughly 40 percent of the patients in the
3 study, I think most of these other patients
4 would not have converted, at least within a
5 day, maybe within a couple of days, maybe in
6 a couple of weeks, but would not have
7 converted in the first day if they had not
8 received any treatment at all.

9 DR. UNGER: Another way to look at
10 that, another way to interpret this, these
11 patients selected themselves out. I mean
12 these patients had had symptoms for two or
13 three days, and had not spontaneously
14 converted.

15 DR. LINCOFF: Right.

16 DR. UNGER: I agree.

17 DR. LINCOFF: So I think the odds
18 are that they probably would not.

19 DR. UNGER: Probably less.

20 DR. LINCOFF: And then by the same
21 token, sort of in terms of selection, where
22 you talk about the lack of effect on atrial

1 defibrillation, the threshold, you showed no
2 difference between the before four hours, and
3 the after four hours.

4 The patients who - but isn't there
5 a selection bias here as well? In other
6 words the patient who in the active
7 treatment, in the active arm, the vernacular,
8 those patients who then needed to go on to
9 electrical cardioversion selected themselves
10 - were selected in a way, that is that they
11 failed the pharmacologic. So they may have
12 had for whatever reason a more refractory
13 arrhythmia. So to see the same
14 defibrillation thresholds doesn't - it isn't
15 the same as taking all patients, treating
16 them with the drug, and then defibrillating
17 them. Because the easy ones already fell
18 out.

19 DR. UNGER: I think that's true. I
20 think you could interpret this by saying,
21 vernakalant doesn't worsen - makes it no
22 worse. In actuality it's kind of easy to

1 follow because about half the patients who
2 got vernakalant converted, and almost none of
3 the patients who got placebo converted. And
4 most of those patients did in fact receive
5 electrical cardioversion.

6 DR. LINCOFF: And then did convert
7 later on?

8 DR. UNGER: Right, right. So the
9 Ns for vernakalant are about half of what
10 they are for placebo. And this is only
11 people who were shocked.

12 DR. HARRINGTON: So as you've
13 indicated, Dr. Unger, part of the challenge
14 here will be, as it is all the time, is to
15 weigh the risks and the benefits.

16 And as you've suggested the
17 benefit is all pretty much concentrated up
18 front, and particularly in the patients who
19 have had a short duration in their
20 arrhythmia. Is there any way, given the
21 small event rates, that we can tease out
22 where the risk occurs preferentially?

1 In other words is there any
2 relationship between duration that you've
3 been in AFib and risk of receiving the drug
4 that you can tease out? Or are the event
5 rates are just too small?

6 DR. UNGER: I think the event rates
7 are pretty small. I did a number of subgroup
8 analyses on safety, and I think they are not
9 in that review document that I produced. But
10 I did them. And I really didn't see - I did
11 not do the specific analysis that you're
12 mentioning, which is time in atrial fib. In
13 part that's because that was only solicited
14 for patients who were in I think ACT I, so
15 you're only talking about half the patients,
16 so it didn't seem worth doing.

17 And there was a question this
18 morning about having a table of all the
19 adverse events. The three tables in that
20 review document, I think five, six and seven,
21 that actually are all - I'm not suggesting
22 you look at it this second - but they are

1 adverse events through 48 hours, common
2 adverse events, severe adverse events, and
3 serious adverse events.

4 So that may be helpful if the
5 company can do their part of the homework.

6 CHAIR HIATT: Some question asked
7 earlier that I'd like your opinion on is when
8 you reviewed these data did you see any
9 evidence of reduction in thromboembolic
10 events, hemmorrhagic events, hospitalizations,
11 things like that, any kind of endpoint
12 benefits that you saw from early chemical
13 conversion?

14 I know the numbers are small, but
15 did you see anything?

16 DR. UNGER: The numbers are small.
17 The one thing I saw that was interesting was,
18 there were complications. There were
19 physical mechanical complications related to
20 cardioversion, chest wall adverse events. I
21 think the rate was 2.7 for placebo, and it
22 was half of that - it was 1.4 percent - in

1 patients who received vernakalant. And in
2 fact it converted half the patients. So it's
3 kind of interesting.

4 But in terms of things that we
5 really care about, embolic events, bleeding,
6 no.

7 DR. HARRINGTON: So when I did look
8 at the table it reminded me of a question I
9 had this morning. And maybe the sponsor can
10 help if you don't recall this.

11 One of the issues we talked about
12 with Dr. Grainger is the challenge with
13 electrical cardioversion is that you have to
14 get anaesthesia, sedation, and some of the
15 complications associated with that.

16 There is a significant increase
17 here of nausea, which is also a complication
18 of anaesthesia. Did the nausea lead to
19 vomiting? Do you know? Or is this just a
20 transient sense of GI upset?

21 Because vomiting would be a more
22 serious side effect.

1 DR. UNGER: I think you would have
2 to ask the company that.

3 DR. KITT: Not all the nausea lead
4 to vomiting, but there was some; there was a
5 slight increase in vomiting in the
6 vernakalant group.

7 DR. HARRINGTON: So if nausea is
8 roughly 7 - 8 percent, give me a sense of, is
9 it half the nausea ends up vomiting?

10 DR. KITT: I think it's less than
11 half.

12 DR. HARRINGTON: Less than half.

13 CHAIR HIATT: So just to reference
14 the tables you mentioned, direct current
15 cardioversion in the short term AF
16 population, ACT I and III, Table 6, from the
17 FDA document, at any time placebo 76 percent,
18 vernakalant two doses, 63 percent.

19 So numerically less. And then you
20 also commented that the drugs used weren't
21 that different between two groups, right? So
22 the other anti-arrhythmic drugs didn't seem

1 to differ a lot between the groups?

2 DR. UNGER: Well, not only did they
3 not differ, but the adverse event profile
4 didn't seem - within any given group in terms
5 of concomitant medications received, there
6 didn't appear to be important disparities in
7 event rates.

8 DR. CANNON: Ellis, did you run
9 across data, if you didn't, maybe the sponsor
10 could help me with this, on duration of
11 hospitalization? So were the patients
12 treated with randomized vernakalant and
13 successfully cardioverted, were they able to
14 go home faster than, say, placebo randomized
15 patients where they might have been more
16 watchful, waiting, hoping for spontaneous
17 cardioversion before doing something, whether
18 it be electrical or ibutilide or whatever,
19 and perhaps resulting in an overnight stay
20 versus they might have gone home the same day
21 with vernakalant.

22 Do we have any data on that?

1 DR. UNGER: I'll Therese.

2 DR. KITT: We did not collect
3 duration of hospitalization. If patients
4 were stable they were allowed to be
5 discharged within 24 hours after receiving
6 vernakalant. They could be discharged as
7 early as 20 hours afterwards, and we did not
8 collect duration of hospitalization.

9 DR. MASSIE: I was going to - this
10 is a little bit off the subject, but Bob just
11 brought up something that I forgot, I think
12 it's important, worth mentioning; probably
13 not directly to your presentation.

14 But there is actually considerable
15 data now and growing, and actually I authored
16 a paper that is likely to come out fairly
17 soon on racial differences in atrial fib, and
18 I hadn't really thought of in that context.

19 But the racial differences we are
20 talking about are prevalence of atrial fib,
21 which are substantially lower in African-
22 Americans, despite the fact that they should

1 have more risk factors.

2 We have analyzed the ALLHAT study.
3 There are people from NIH that are involved
4 in this. And it's up 30 - 40 percent just by
5 equivalent levels or higher blood pressure.
6 And we've seen the same thing in several
7 heart failure trials.

8 So I don't know that that has any
9 relevance to a drug effect or a conversion,
10 but it does tell you that it's something that
11 one would like to make sure that it looks the
12 same in African-Americans, or find out
13 whether it does or not later.

14 It has nothing to do with these
15 particular issues. I am not aware of any
16 data about cardioversion and things like
17 that.

18 DR. UNGER: Well, I think if in any
19 way it's a different disease in African-
20 Americans, then we want to know. Different
21 prevalence, I understand what you're saying;
22 I'm not sure that means it's a different

1 disease.

2 But they do have - I mean the risk
3 factors, you would think that they would have
4 at least as high a prevalence.

5 DR. MASSIE: No actually going
6 back, I never really thought of this, but one
7 of our coauthors went back to autopsy data
8 which actually identified lower rates of
9 atrial fib as a comorbid condition of people
10 who died in African-Americans, despite what
11 you would think is the most prevalent risk
12 factor of all is hypertension.

13 DR. HARRINGTON: So could maybe
14 since Barry and I were just starting to talk
15 about that, could maybe Peter, Ed or Jeremy
16 help us with that?

17 Is it the same disease in African-
18 Americans as it is in Caucasians? Do we
19 know? And should we care about the fact that
20 there is, what did you say, 98 percent
21 Caucasians in the data set?

22 DR. KOWEY: I think we are going to

1 have to plead ignorance on this one. I agree
2 with exactly what Barry said, which by the
3 way, Barry, my recollection is that that is
4 separated by gender; that is that African-
5 American men have a pretty low incidence of
6 atrial fibrillation, but women with
7 hypertension, African-American women with
8 hypertension, specifically with metabolic
9 syndrome, actually have a fairly high
10 incidence of AF.

11 DR. UNGER: I'd have to go back and
12 look.

13 DR. MASSIE: Well go back and check
14 your data, because that is the data that has
15 come out of a lot of epidemiologic studies.
16 I know that we treat a lot of African-
17 American women that border our hospital. And
18 it's an epidemic in our emergency department
19 of AF.

20 But to answer your question, Bob,
21 I don't think that we really have a handle on
22 that at all. And I think anything we would

1 say would be speculative.

2 But I agree with what Ellis has
3 said and what everybody has said, that
4 clearly there is a need to study an African-
5 American population specifically with this
6 drug and this disease.

7 MR. SIMON: If I could ask one
8 question with regard to your third slide.

9 No treatment versus drug. I hope
10 I can say this correctly.

11 Was there data collected that
12 showed patients who took any drug or no drug
13 before treatment - no treatment I should say
14 - and any drug or any treatment before the
15 drug? Is there a relationship between no
16 treatment and drug and the results? Does
17 that make sense?

18 DR. UNGER: I think so. So you're
19 asking whether drugs that were taken by
20 patients before they showed up for the study,
21 drugs that were in their systems, had any
22 effect on whether they could be cardioverted

1 with the drug or with placebo?

2 In the analyses that we did, we
3 didn't see a difference. In other words,
4 your chances of being cardioverted were about
5 50 percent almost no matter what, and that
6 included drugs on board or not on board. Is
7 that -

8 MR. SIMON: Yes, thank you.

9 CHAIR HIATT: Other questions?

10 I think if we deliberate our
11 questions, as a committee, if the sponsor,
12 Dr. Kitt, has some additional information to
13 give us, this would be a good time to do it
14 if that is convenient?

15 DR. KITT: Over the lunch break we
16 created four or five slides to help address
17 some of the issues that were raised by the
18 committee.

19 Slide up, please.

20 I think one of the questions was,
21 conversion based on country. And we've got
22 this broken into two slides, ACT I and ACT

1 III. So here is the conversion rate, all
2 sites, 4 percent in our placebo group, and in
3 ACT I it was a 52 percent conversion rates.

4 And here's the conversion rates by
5 the different countries, essentially no
6 difference. And the conversion by placebo.
7 And the next slide shows ACT III again. And
8 here's the conversion rates by country.
9 Chile and Mexico, there were no patients who
10 converted.

11 We were attempting to get non-
12 Caucasians.

13 The next slide I think is the
14 question you had asked about a sort of a
15 cumulative efficacy, broken out by zero to
16 two, two to 24, and 24 to seven days.

17 So here's our primary endpoint,
18 the conversion rate of 51 percent versus 4.
19 These are the number of patients in sinus
20 rhythm now, at 24 hours, 86 and 83; and we
21 did a 12 Lead ECG on day seven, so this
22 actually represents day seven data, 73

1 percent of the vernakalant patients compared
2 with 78 percent of the placebo patients.

3 And here is the percentage of
4 patients that were asymptomatic at those time
5 periods. Once again this would be the 90-
6 minute time period, this is the 24-hour time
7 period, and this is the seven day when we did
8 our symptom checklist.

9 And this is the number of
10 attempted electrical cardioversions. This is
11 not successful cardioversions. So you'll see
12 that because more patients converted with
13 vernakalant, there were less attempts at
14 cardioversion compared to placebo during this
15 period.

16 And this is - this data here is a
17 little - not quite so robust. This is other
18 anti-rhythmics used. However, I don't know
19 if that was given to maintain sinus rhythm,
20 or if those were given to convert. But this
21 is the data that we have that at 24 hours
22 about 26 to 28 percent of the patients in our

1 studies had received other anti-rhythmics.

2 CHAIR HIATT: Just leave that up
3 for one second if you don't mind.

4 Great, thanks. That's very
5 helpful.

6 DR. KITT: And then we put together
7 a safety slide also at your request.

8 Next slide up please.

9 And here are the events you had
10 asked about. So within the first seven days
11 there were two deaths in the vernakalant
12 group. The one patient with a critical
13 aortic stenosis and the hypotension and
14 ventricular fibrillation. And this patient
15 here, who was the lady who had the dissecting
16 aortic aneurysm.

17 CHAIR HIATT: You're just leaving
18 out the assumed, attributable, possibly
19 attributable deaths?

20 DR. KITT: Right, well, the only
21 one that was considered related by the
22 investigator was this one. So these are all

1 not - these are not related. These are all
2 events.

3 CHAIR HIATT: All right.

4 DR. KITT: We're ending here at
5 seven days. There were the two reports of
6 ventricular fibrillation that occurred within
7 the first two hours. There was the one
8 torsades that occurred within the two to 24
9 hours period, in the patient who had also
10 received the ibutilide.

11 And then there were two reports
12 within the 24 to seven day period, one in the
13 vernakalant, and one in the placebo group.

14 Embolic events, which were
15 strokes, one within the zero to 24 hour
16 period, and three in the - three in each
17 group. But remember, once again, this is a
18 two to one randomization, so there is a
19 higher incidence of stroke in the placebo
20 group compared to the other vernakalant
21 group.

22 And these are significant bleeds.

1 So there are was one GI hemorrhage in the
2 zero to two-hour time period. There was
3 another bleed in the two to 24 hour period.
4 And between 24 and seven days 1.3 percent in
5 the vernakalant versus .6 percent in the
6 placebo group.

7 Do you need that up a little
8 longer?

9 DR. HARRINGTON: Are these events
10 mutually exclusive? So the v-fibs, does that
11 include the patient that died? Or are they
12 two separate events?

13 DR. KITT: No, that is the same,
14 this v-fib is the same patient here who died.
15 They are not mutually exclusive.

16 DR. KITT: Okay, ready? Here I
17 think is the last slide. And this is the
18 incidence of congestive heart failure or
19 pulmonary edema. Two reports within the
20 first two hours within the vernakalant group;
21 one in the two to 24; and then 24 to seven
22 days one in two, and this is atrial - adverse

1 events of atrial fibrillation, 11 in the zero
2 to two hour time period, five, one. And then
3 eight and three out here.

4 And this is the total number -
5 excuse me? Why did I say fib? Sorry,
6 flutter.

7 And the serious adverse events, 2-
8 1/2 percent in the vernakalant group compared
9 with .6 percent in the zero to two hour time
10 period. About 2 percent in the two to 24
11 compared with 3 percent; and then 24 to seven
12 day, three and six percent.

13 DR. CANNON: I'm sorry, explain
14 what the atrial flutter, what are you showing
15 on that row?

16 DR. KITT: This is an adverse event
17 of atrial flutter that developed after the
18 administration of vernakalant.

19 DR. MASSIE: One of the things that
20 bradycardia and hypotension were -

21 CHAIR HIATT: They did this on the
22 fly and didn't pick all the things, but I

1 think that's extremely helpful. Is it
2 possible to even get those last three slides
3 printed up before the end of the day?

4 DR. KITT: We can get copies of
5 those, yes.

6 CHAIR HIATT: Okay, that's
7 extremely helpful.

8 Does the committee have more
9 reactions to that information?

10 Thank you very much.

11 DR. KITT: All right, you're
12 welcome.

13 CHAIR HIATT: Any other comments so
14 far? I think the next part of the meeting we
15 actually transition into the questions, which
16 usually involves mostly deliberation within
17 the committee.

18 But before we do that, Norman, any
19 comments? Anyone from the sponsor have any -
20 we will certainly continue a dialogue. I
21 just wondered if anyone has any other general
22 comments they'd like to make before we do

1 this.

2 Michael, do you want to talk about
3 process a little?

4 DR. LINCOFF: There's been a lot of
5 talk, discussion here, about the symptoms,
6 and whether or not we should be
7 cardioverting, et cetera, which is all very
8 relevant, but I think we need to focus on the
9 issue here of sort of a one-day journey, or a
10 two-hour journey really.

11 The destination for 80 percent of
12 these patients was sinus rhythm. They just
13 got there on different pathways.

14 And it seems to me, and I may be
15 wrong, but the symptom status, the quality,
16 et cetera, was ultimately dependent upon
17 whether or not they achieved sinus rhythm.

18 So I think it's less of an issue
19 for those, and more of an issue of what
20 happened during those two hours.

21 What was the journey? Was one
22 safer than the other, et cetera?

1 There is certainly controversy
2 regarding whether or not in the net it's
3 necessary to convert some patients, but I
4 don't think that is something we are going to
5 affect. Eighty percent of these patients
6 were converted, one way or the other; mostly
7 converted, very few spontaneously.

8 So I think, at least for this
9 population of patients, it shows fairly
10 clearly that the physicians wanted to convert
11 these patients. They wanted them in normal
12 sinus rhythm. Whether or not that's data
13 driven; whether or not that's evidence-based
14 medicine, that's the practice.

15 So given that that's the practice,
16 and that the current available modality to do
17 that is electrical cardioversion or
18 ibutilide, for the most part, and
19 overwhelmingly electrical cardioversion is
20 favored, I think what - at this point what we
21 need to focus on is whether or not it is a
22 more unsafe journey one way or the other

1 using the drug as compared with electrical
2 cardioversion, because in the end you are
3 going to achieve the same effect.

4 And I think the issue in
5 particular, we haven't talked about it much,
6 but I'm concerned about the issues of
7 hypotension, et cetera, and how those offset
8 or balance out the advantages of not having
9 to put a patient under sedation for
10 cardioversion, having to deal with the
11 fasting state and the relatively minor
12 complications that happen as a result of
13 cardioversion.

14 So at least in my mind that's
15 where we ought to be focusing for the rest of
16 this discussion on approval.

17 DR. HARRINGTON: I don't think -
18 that's consistent with the way I'm thinking
19 of sort of a strategy. I thought the pool
20 information presented was very helpful,
21 because the figures that I wrote down, if we
22 are looking at the drug group versus the

1 placebo group, a third of the drug patients
2 get electrically cardioverted; two-thirds of
3 the placebo group gets electrically
4 cardioverted; and roughly the same percentage
5 get some other anti-arrhythmic drug.

6 So yes, what happens in that first
7 two hours is relatively safe, but you do have
8 to say, what is it contributing to the
9 overall - the totality of benefit for that
10 journey to use your phrase?

11 So I don't disagree with that. I
12 may disagree that the focus has got to be two
13 hours, or 90 minutes.

14 CHAIR HIATT: Yes, I think we are
15 trying to set ourselves up here to address
16 some of these challenging questions. Because
17 clearly in the context of what the sponsor
18 has provided us we have very robust data,
19 reasonable safety information.

20 Now I think actually it is
21 significantly helped by looking at what the
22 groups are looking like at 24 hours.

1 But the questions are also going
2 to focus on, does that matter? Does that
3 have any outcome that patients need to have,
4 because you converted them quicker with a
5 drug and save them from cardioversion?

6 DR. HARRINGTON: Norm, this morning
7 you said - you chose your words as expected
8 very carefully, that you wanted us to
9 consider the data and be less concerned with
10 the policy issues and the precedent issues.

11 But can you give us some
12 perspective as to how you came to this
13 endpoint? Because a lot of what I think
14 Bill's remarks are getting at is, do we think
15 this endpoint matters? Or is that not our
16 task?

17 DR. STOCKBRIDGE: No, I don't think
18 that should be your task. If you think that
19 this is the right way to develop a product in
20 this area. And you can make a rational data-
21 driven decision about the approval of a
22 product based on a trial like this; that's

1 fine.

2 And if you think that wasn't a
3 great idea, you should say what you think a
4 reasonable basis for approval is, and what
5 happens in terms of a regulatory decision
6 here may not follow that. But at least we
7 can figure out what a rational course would
8 be.

9 DR. MASSIE: I'd like to add just,
10 I mean this is a way of quantifying
11 conversion, and I think it's as reasonable as
12 any other.

13 I think in the future, if we are
14 talking about that, there is a lot of data
15 we'd like to collect in addition to knowing
16 what happened at 90 days - I mean 90 minutes.
17 I got my days and minutes confused.

18 And in addition it would be nice
19 if there were some way to enroll a population
20 in which we could look a little bit more
21 about the natural history, early on, over a
22 period of time. That may be unethical in