

1 of the equipment, which is pretty technically  
2 complicated as you've heard. Well, now, it's  
3 even more technically complicated than the  
4 one hanging on your tree, but are hopefully  
5 not hanging on your tree.

6           And I would just like to -- I did  
7 contact Dr. Eccles prior to this meeting  
8 just by e-mail to ask what he thought about  
9 that question. And he said that he would  
10 prefer NAR if the interest was in a  
11 physiological as opposed to a symptomatic  
12 change. And I would just quote him,  
13 "Decongestant claims should be backed with an  
14 objective demonstration of effectiveness,  
15 whereas claims of symptom relief should be  
16 backed up with or supported by changes in  
17 symptom scores."

18           So our standard in DPAP I believe  
19 is symptom relief. In that case then  
20 subjective scores would be the -- even he  
21 would acknowledge as the preferred way to go.  
22 But correlation in general is not very good.

1 For example, you can take menthol and have  
2 someone whose nose is blocked up and give him  
3 a whiff of menthol and they feel -- because  
4 they get the sensation of moisture in their  
5 nose -- they feel decongested even though  
6 they're not in terms of measuring obstruction  
7 in the nose. Okay.

8 DR. FITZGERALD: I was impressed  
9 with the information provided by Dr. Koenig  
10 that didn't appear to even be consistent with  
11 dose-response relationship and I wondered if  
12 there was any information relating to dose-  
13 creep in the field? Do we know how people  
14 behave with respect to dosing out in the  
15 field or has that ever been studied?

16 DR. JOHNSON: When you say dose  
17 creep, do you -- you're referring to patients  
18 who --

19 DR. FITZGERALD: Keep taking more  
20 drug because they don't get a response.

21 DR. JOHNSON: We were interested  
22 when we started this project, in

1 characterizing the degree to which the oral  
2 formulation was included in the monograph,  
3 because at that time the nasal product was  
4 pretty prevalent. The nasal topical products  
5 were generally more widely used and we were  
6 unable to really be able to assess what --  
7 how the panel viewed any of the carryover,  
8 such as rebound congestion and that sort of  
9 thing from the previous dosage forms.

10           So we couldn't really discern if  
11 they ever intended it to be used with the  
12 nasal topical formulation, if their practice  
13 taught them that there was a different dose  
14 to be used. We didn't really get a good  
15 characterization except what had really been  
16 written down in the rulemaking. There just  
17 weren't record. So I think that we have to  
18 make the assumption that we are really  
19 looking at the 10 milligram as the dose  
20 that's used.

21           And we do have some safety  
22 information that shows that when name brand

1 products were reformulated from  
2 pseudoephedrine to phenylephrine, there was  
3 confusion and when people actually got what  
4 was a higher dose, because they ended up  
5 taking two tablets instead of one, there were  
6 adverse events reported.

7           So in terms of the subjective  
8 experience of adverse events, nervousness,  
9 headaches, that sort of thing, we do notice a  
10 dose range, but I think in terms of this --  
11 the efficacy portions of these studies there  
12 wasn't a good dose range demonstrated between  
13 10 and 25 and we really don't know how that  
14 conveys to what patients use in day-to-day  
15 practice.

16           DR. TINETTI: So in other words, we  
17 don't know?

18           DR. JOHNSON: I don't think we  
19 know.

20           DR. TINETTI: Okay, thank you.

21           MS. PARKER: Is there any data on  
22 rebound?

1 DR. JOHNSON: We don't have any.  
2 We don't know that it occurs. We don't -- we  
3 haven't seen that that's a problem. Industry  
4 might be able to answer some of that  
5 additionally.

6 DR. TINETTI: Thank you. I think  
7 we will take a 15-minute break and convene  
8 about 10 minutes after the hour. And panel  
9 members, just remember, there should be no  
10 discussion during the break among yourselves  
11 or with any member of the audience. Thank  
12 you.

13 (Recess)

14 DR. TINETTI: -- presentation, and  
15 before Dr. Hendeles' presentation, I'd like  
16 to remind the public observers that this  
17 meeting is open for public observation, but  
18 public attendees may not participate except  
19 at the request of the panel. And also, for  
20 the petitioners, I think two of our  
21 presentations will be by individuals who are  
22 on the phone, who will be taking questions

1 but their presentations are recorded.

2 So, Dr. Hendeles, thank you. Did I  
3 pronounce that correctly? Your pronunciation  
4 of your name?

5 MR. HENDELES: Hendeles.

6 DR. TINETTI: Thank you.

7 MR. HENDELES: I don't think this  
8 microphone is -- now, it's on. Well, thank  
9 you very much for allowing me to make this  
10 presentation and I just admire all the work  
11 that Dr. Koenig and his staff did. It was an  
12 enormous task and I think only Dr. Hatton  
13 would appreciate it, because he did the same  
14 thing on our end.

15 I should mention that according to  
16 Dr. Nove that citizen petitioners are not  
17 required to disclose any financial conflicts,  
18 but I would like to disclose that I'm on the  
19 speaker's bureau for Schering- Plough for  
20 their asthma drug, and Dr. Shuster's wife has  
21 a tiny bit of stock in Pfizer, the  
22 manufacturer of Sudafed.

1           My presentation is going to cover  
2     five points. The first is that an oral dose  
3     of phenylephrine at 10 milligrams is no more  
4     effective than placebo. That at 10  
5     milligrams, there is no clinically relevant  
6     systemic effects and the reason for that is  
7     it has poor oral bioavailability. What's  
8     really needed is dose -- appropriate dose  
9     response studies to define what dose of  
10    phenylephrine would overcome this poor  
11    bioavailability and give adequate clinically  
12    important nasal congestion without important  
13    side effects. And lastly, I want to point  
14    out that the current TV ads for these  
15    products are grossly misleading the public.

16           Back in 1977, Dressler conducted a  
17    study examining the effects of different  
18    routes of administration of decongestants.  
19    There were three products in this study --  
20    actually three cohorts. Each cohort received  
21    active drug and placebo in a double-blind  
22    randomized crossover design during a 2-day

1 period when they had nasal stuffiness from a  
2 naturally occurring common cold.

3 One of the products was an aromatic  
4 inhaler containing camphor, menthol, and  
5 desoxyephedrine which is a levo form of  
6 methamphetamine. The second product was 60  
7 -- 50 milligrams of oral phenylpropanolamine,  
8 which is no longer on the market because of  
9 its association with hemorrhagic stroke, and  
10 the third was topical Neo-Synephrine,  
11 phenylephrine nasal spray at 0.25 percent.

12 The congestion index was measured  
13 by rhinomanometry and you can see quite  
14 clearly that the topical application of this  
15 alpha-adrenergic agonist produced profound,  
16 nearly 80 percent, decrease in nasal airway  
17 resistance, which was significantly greater  
18 than the 40 percent from the oral, but the  
19 oral course lasted longer than the  
20 phenylephrine.

21 Now, they also measured symptom  
22 scores in this double-blind randomized



1 crossover design and I want to point out two  
2 symptom scores -- number 4, which was the  
3 mean scores on the 2 consecutive days that  
4 they had treatment and placebo for the  
5 phenylephrine. And it's defined as nostril  
6 feels blocked and it's not comfortable  
7 breathing through the nostril, and I want to  
8 point out a score of 2, which is what they  
9 were reduced to -- almost clear, can breathe  
10 through this nostril quite easily. And I  
11 think these are very important points, and  
12 certainly, I agree that this is much more  
13 relevant than nasal airway resistance, which  
14 is a pharmacodynamic endpoint.

15 But the drug has to relieve these  
16 symptoms, and I think a clinically relevant  
17 amount is going from quite stuffy to almost  
18 clear.

19 And this is the symptom scores, the  
20 percent differences between placebo and  
21 active drug, or in parentheses, you can see  
22 they start off at a score 4, they drop down

1 to a peak of 1.5, and over a period of 2  
2 hours slowly rise to a score of 2. So they  
3 went from significantly blocked to almost  
4 clear by symptom score. And I think that's  
5 the kind of difference you want to see if  
6 you've got a stuffy nose and it's keeping you  
7 awake at night.

8           This is the Bickerman study that  
9 was referred to earlier and I present it  
10 because it was my first exposure to a study  
11 that actually compared, in a randomized  
12 crossover design, different decongestants.  
13 And they used nasal airway resistance. It  
14 was double-blind and randomized, but we  
15 didn't include this in our meta- analysis  
16 because there was no standard deviations,  
17 just the means were presented. But I want to  
18 point out that both phenylephrine and -- or  
19 excuse me, both phenylpropanolamine and  
20 pseudoephedrine produced about a 30-percent  
21 decrease in nasal airway resistance, whereas  
22 phenylephrine at 10 milligrams was not

1 significantly different from placebo.

2           Now, phenylephrine is a potent  
3 alpha agonist and if you administer it by  
4 other routes, you actually can see very  
5 significant systemic effects. This was a  
6 study of cardiovascular of -- cardiovascular  
7 effects of cold remedies, comparing 50  
8 milligrams of phenylpropanolamine, 10  
9 milligrams of phenylephrine and placebo in a  
10 randomized double-blind crossover design  
11 study in patients that were otherwise  
12 healthy. And this was done to look at the  
13 cardiovascular effects and you can see that  
14 the systolic blood pressure and the diastolic  
15 blood pressure were significantly elevated  
16 with the alpha agonist phenylpropanolamine,  
17 which by the way, has a bioavailability of 95  
18 percent, whereas the phenylephrine had no  
19 significant difference from placebo.

20           None of the regimens had an effect  
21 on heart rate. There was also other  
22 measurements such as total peripheral

1 resistance et cetera, and the  
2 phenylpropanolamine always demonstrated an  
3 effect.

4           So it's demonstrating that it's  
5 able to stimulate the alpha-adrenergic  
6 receptors both in the nose and also in the  
7 systemic circulation, whereas it appears that  
8 the concentration of phenylephrine that  
9 reaches the blood is not sufficient to  
10 stimulate those receptors at 10 milligrams.

11           Now, I mentioned that phenylephrine  
12 is a very potent alpha agonist and  
13 anesthesiologists frequently use it as a  
14 pressor agent during surgery. And this is a  
15 dose response study of IV phenylephrine,  
16 again in healthy volunteers. The dosage were  
17 between 0.2 and 1.6 milligrams, and this is  
18 the plasma concentration of phenylephrine in  
19 relationship to systolic and diastolic blood  
20 pressure, heart rate, and circulating plasma  
21 norepinephrine. As the concentration of  
22 phenylephrine in the blood increases, the

1 blood pressure increases, the heart rate  
2 decreases by a barrow receptor reflex and  
3 because of this reflex there's a decrease in  
4 sympathetic activity, and as a consequence  
5 the output of norepinephrine decreases. So  
6 phenylephrine clearly has the ability to have  
7 potent effects on the alpha receptor, but it  
8 has to get there in order to do it.

9           And this is from the Hengstmann  
10 study, the bioavailability that's been quoted  
11 from 1982. I looked at just, in this graph,  
12 the free phenylephrine since there is an  
13 issue about the metabolites being inactive,  
14 and if you measure total, you're measuring  
15 inactive metabolites along with the active,  
16 and they used a tagged phenylephrine.

17           There were only four patients in  
18 the IV study and there were three in the oral  
19 that got blood levels, and there was an  
20 additional seven, for a total of ten patients  
21 that had urinary excretion. This difference  
22 in dose-adjusted area under the curve

1 indicates a 30 percent -- 38-percent  
2 bioavailability. If you look at the urinary  
3 excretion of phenylephrine it was about 16  
4 percent in the first 2 hours during IV, and  
5 2.6 percent during oral. So I believe  
6 someone earlier mentioned that they thought  
7 that this was an overestimate of the  
8 bioavailability.

9           Next is Dr. Randy Hatton, who's the  
10 co-director of the Drug Information Center at  
11 the University of Florida, who did the lion's  
12 share of work at retrieving the articles, and  
13 along with Dr. Winterstein, conducting the  
14 meta-analysis with Dr. Shuster's assistance.

15           Dr. Nove, if you could double-click  
16 on that, please. And he is by telephone if  
17 you have questions for him. Modern  
18 technology. It will take a second for it to  
19 --

20           MR. HATTON: My name is Randy  
21 Hatton and I'll be presenting our paper today  
22 on the efficacy and safety of oral

1 phenylephrine. It was a systematic review  
2 and meta- analysis that was published earlier  
3 this year in the Annals of Pharmacotherapy.

4 MR. HENDELES: Can everybody hear  
5 it?

6 MR. HATTON: We attempted to  
7 combine the evidence that's available on the  
8 efficacy of oral phenylephrine. In order to  
9 do this we chose as our primary outcome  
10 variable the maximum relative reduction in  
11 nasal airway resistance, compared with  
12 placebo, over 120 minutes. We considered  
13 several other primary outcome variables,  
14 including the area under the curve for the  
15 change in nasal airway resistance. However,  
16 we concluded that this would be too difficult  
17 to understand.

18 We also considered looking at the  
19 relative reduction in nasal airway resistance  
20 at a specific time point, say 60 minutes.  
21 However, this did not allow for variability  
22 in the absorption of oral phenylephrine. We

1 didn't consider looking at the relative  
2 effects on nasal airway resistance at several  
3 time points after the dosing of oral  
4 phenylephrine and placebo. We were looking  
5 for a single outcome variable that would best  
6 compare oral phenylephrine to placebo, and we  
7 felt that measuring it at multiple time  
8 points might increase our chances of making a  
9 time point error in finding differences by  
10 chance.

11                   Therefore, in order to meet our  
12 objective, we chose the maximum nasal airway  
13 resistance change over 120 minutes as our  
14 single variable that would best compare these  
15 two agents. The maximum effect on nasal --

16                   DR. TINETTI: We're just trying to  
17 get it so that you can see the slides as well  
18 as Dr. Hatton.

19                   (Discussion off record)

20                   MR. HENDELES: The panel should  
21 have a copy of the slides, so maybe you can  
22 just follow along.



1                   MR. HATTON: The maximum effect on  
2                   airway resistance could occur at different  
3                   times for placebo and for oral phenylephrine.  
4                   However, we thought this would be a good  
5                   outcome measure because we figured an active  
6                   drug would easily show a greater maximal  
7                   effect over this short time period of roughly  
8                   only 2 hours. We felt that that would be  
9                   actually in fact a sensitive measure of  
10                  phenylephrine's effectiveness.

11                  We did several secondary analyses.  
12                  Since the studies varied in their duration --  
13                  several of them had collected data for more  
14                  than 120 minutes -- we wanted to look see at  
15                  whether the -- if the maximal effect occurred  
16                  greater than 120 minutes, whether this would  
17                  change our results. We also wanted to look  
18                  at the subjective response to oral  
19                  phenylephrine as measured by nasal symptom  
20                  scores. These ordinal symptom scales,  
21                  however, varied from study to study and made  
22                  it difficult to combine these data.

1           We also wanted to look at the  
2   cardiovascular effects of oral phenylephrine.  
3   So we looked at heart rate, blood pressure --  
4   at heart rate and blood pressure. And  
5   finally we wanted to look at all these  
6   variables for the various doses for oral  
7   phenylephrine.

8           In order to find the data for our  
9   meta-analysis, we wanted to use a very broad  
10  search strategy. We searched multiple  
11  databases from their inception and we  
12  included any non-English language  
13  information. We also searched for  
14  information in the Federal Register, our  
15  personal files, and for any references that  
16  were cited at any of the papers -- at the end  
17  of any of the papers that we identified. We  
18  wanted to do a broad search to be very  
19  sensitive, to make sure we picked up anything  
20  that was out there but we wanted to limit our  
21  results to any randomized placebo-controlled  
22  trials and exclude any studies that were

1 combination products.

2           As we attempted to synthesize the  
3 data that we did find, we found that the  
4 subjective data on nasal symptom scores were  
5 too heterogeneous to do a meta- analysis. So  
6 we just simply presented these data in a  
7 qualitative fashion. In the original  
8 studies, if the authors found a statistically  
9 significant difference for oral  
10 phenylephrine, we considered these studies to  
11 show that it was effective. If there's no  
12 significant difference, we considered these  
13 to show that it was not effective.

14           For the objective data on nasal  
15 airway resistance, we had raw data available  
16 to us, so we calculated the specific change  
17 in nasal airway resistance for each patient.  
18 For the meta-analysis itself we used a random  
19 effects model and for this random effects  
20 model, Dr. Shuster will be going into more  
21 detail in his presentation.

22           We did include one parallel study

1 in our meta- analysis. This parallel study  
2 was used in the original FDA review panel, so  
3 that was one of the reasons why I wanted to  
4 include it. We also wanted to include it  
5 because it found a positive effect for oral  
6 phenylephrine.

7 We located 15 studies evaluating  
8 the effects of oral phenylephrine on nasal  
9 airway resistance. These studies were all  
10 completed between 1959 and 1975 and were  
11 available for the original 1976 FDA panel.  
12 All studies looked at the effects on nasal  
13 airway resistance for 120 minutes or more  
14 except for one, and it only studied the  
15 effects for 60 minutes. It's important to  
16 note that there were very limited demographic  
17 information provided for each of these  
18 studies. Most of these studies were  
19 unpublished, so it's difficult to look for  
20 any demographic variables that might have  
21 contributed to differences in the results of  
22 the various studies.

1                   In this figure we present the  
2 primary analysis of our study. We identified  
3 8 studies for the 10- milligram dose of oral  
4 phenylephrine compared to placebo. And as  
5 you can see from these data, there were four  
6 studies -- four studies that showed positive  
7 effects for phenylephrine and four studies  
8 that showed no effect.

9                   If you looked at the pooled  
10 estimate on the effect on nasal airway  
11 resistance, there was about a 10 percent  
12 greater reduction in nasal airway resistance  
13 but this effect was not statistically  
14 significant. Also it's important to note  
15 that we found that these data were very  
16 heterogeneous.

17                   In addition to the eight studies  
18 used in our primary analysis, we found four  
19 additional studies that looked at oral  
20 phenylephrine at 10-milligram dose. These  
21 studies however did not provide estimates of  
22 the variability effect. In order to include

1 these studies we imputed the variance for  
2 these studies. In these four additional  
3 studies two were positive and two were  
4 negative, and by including this and redoing  
5 our meta- analysis we found very little  
6 change in the point estimate for the change  
7 in nasal airway resistance or the confidence  
8 interval.

9           When we looked at peak effects on  
10 nasal airway resistance that could occur at  
11 greater than 120 minutes, again, it had very  
12 little effect on point estimate or confidence  
13 interval. What these analyses showed was  
14 that there was roughly a 10-percent greater  
15 reduction in nasal airway resistance for oral  
16 phenylephrine compared with placebo.  
17 However, none of these differences were  
18 statistically significant. This begs the  
19 question, is a 10-percent reduction in nasal  
20 airway resistance for phenylephrine compared  
21 with placebo clinically relevant. In our  
22 opinion, it is not.

1                   As I stated before, our data were  
2 very heterogeneous. We attempted to look for  
3 variables that might explain some of this  
4 heterogeneity. In this figure that was  
5 published in our paper, we looked at the  
6 different laboratories that did these studies  
7 on oral phenylephrine, and we looked at any  
8 possible dose response effects.

9                   As you can see in this figure, the  
10 striped bars come from one laboratory,  
11 Elizabeth Biochemical, and this laboratory  
12 predominated the positive findings for oral  
13 phenylephrine. If we put a rough line  
14 through all of the Elizabeth Biochemical  
15 studies it shows that there was no dose  
16 response relationship for the 10-milligram  
17 dose, and the 15-milligram dose, 20  
18 milligram, and 25-milligram dose for  
19 phenylephrine.

20                   Also it's important to note that  
21 for these studies we noticed that the  
22 variance for the placebo groups in these

1 studies was extremely small. If you exclude  
2 the Elizabeth Biochemical studies and only  
3 look at the other studies that were done, you  
4 find that there is an increasing effect with  
5 increasing doses.

6           It's also important to note that  
7 the 25- milligram dose, when we looked at the  
8 Elizabeth Biochemical studies, the other  
9 studies, and if we combine all these studies  
10 together, this is the only group -- this is  
11 the only dosage, I should say, that the 95  
12 percent confidence interval was greater than  
13 zero.

14           Now I'd like to briefly focus on  
15 the subjective results that we qualitatively  
16 described in our paper. Please remember that  
17 these different studies showed a variety of  
18 -- they used a variety of scales to assess  
19 nasal symptoms. However, if we ignore these  
20 differences, 4 of the 8 of the studies that  
21 were done show that phenylephrine at 10  
22 milligram was effective at reducing these



1 symptoms, whereas only 38 percent or 3 of the  
2 8 of the studies for the 25-milligram dose  
3 showed effectiveness for oral phenylephrine.

4           One of the things we wanted to do  
5 in our paper was to look at the differences  
6 between the objective measurement of nasal  
7 airway resistance and the subjective  
8 measurement of nasal symptom scores. In all  
9 the studies that we identified, there were 26  
10 objective-subjective pairs. Most of these  
11 objective-subjective pairs agreed, 17 of 26,  
12 or roughly two-thirds agreed. Of the 9  
13 studies out of 26 that did not agree, in  
14 other words, you'd find effectiveness using  
15 one type of measurement and not the other,  
16 most of these showed effectiveness using --  
17 or 8 used -- showed effectiveness using nasal  
18 airway resistance and only 1 showed  
19 effectiveness using symptom scores not using  
20 nasal airway resistance.

21           This suggests that nasal airway  
22 resistance is a more sensitive measure of

1 efficacy.

2           We also did a limited analysis on  
3 the cardiovascular effects for phenylephrine  
4 and we looked at the different dosages for  
5 which we had the raw data, and so we looked  
6 at this in the same way we did on the nasal  
7 airway resistance where we had the raw data  
8 and looked at the individual patient results.  
9 This is -- these are the combined results for  
10 that, and these show a minimal effect for  
11 phenylephrine on heart rate, or diastolic and  
12 systolic blood pressure.

13           What I've highlighted here are the  
14 only ones where the 95 percent confidence  
15 intervals were exclusive of zero. For the  
16 75-milligram dose of one study, as shown on  
17 the last line of this slide, it showed a  
18 decrease in heart rate of a negative 7 beats  
19 per minute, and an increase in systolic blood  
20 pressure of 5.6 millimeters of mercury. This  
21 is consistent with the pharmacology of  
22 phenylephrine but it's only based on one

1 study. If you focus on the studies between  
2 10 milligrams and 25 milligram, which we  
3 combined several studies, you can see that  
4 there was no detectable effect on any of the  
5 cardiovascular parameters.

6 So what do these data show? In our  
7 opinion, these data show that the data  
8 available do not support the conclusion that  
9 phenylephrine 10 milligrams is effective in  
10 reducing nasal airway congestion. What these  
11 data also suggest is that a higher dose, 25  
12 milligrams for example, may be more  
13 effective. It's also important to focus on  
14 the fact that the studies that we did combine  
15 in this meta-analysis were very  
16 heterogeneous. This heterogeneity suggests  
17 that there may be methodologic differences  
18 among the studies that we combined and more  
19 than just random error was going on.

20 Only one laboratory, Elizabeth  
21 Biochemical, provided consistent evidence of  
22 positive results for oral phenylephrine. Our

1 overall estimate may actually be in fact  
2 several different estimates that we tried to  
3 combine into one. It may be attributed to  
4 various factors between the studies. These  
5 factors could include unreliable  
6 methodologies used by some of the  
7 laboratories. It could include differences  
8 in the patients included in these various  
9 studies. This could be their different  
10 disease states for conditions. It also could  
11 include genetic variations in the absorption  
12 of phenylephrine.

13           And finally, one possible  
14 explanation could be fraudulent reporting of  
15 results. Unfortunately, we'll never know  
16 which of these explanations or of other  
17 explanations contribute to the heterogeneity  
18 of the data.

19           If we focus on these subjective  
20 results that are included in this review,  
21 they're equally as unimpressive as the  
22 objective results on nasal airway resistance.

1 Also the lack of effects on the  
2 cardiovascular system are consistent with no  
3 effects on the vasculature. If there's no  
4 effect on the systemic vasculature perhaps  
5 there's no effect on the nasal vasculature.

6 So in conclusion, our data support  
7 that there's insufficient evidence that  
8 phenylephrine 10 milligram is effective, and  
9 we would like to support that rigorous,  
10 methodologically sound dose-ranging studies  
11 are needed to study this issue further.

12 This concludes my summary of our  
13 meta-analysis that was published earlier this  
14 year and I'll be glad to answer any questions  
15 when the opportunity presents itself. Thank  
16 you very much.

17 MR. HENDELES: Thank you, Dr.  
18 Hatton. I think if Dr. Hatton had seen Dr.  
19 Koenig's exhaustive presentation, he may have  
20 shortened his, because we had a lot of  
21 duplication.

22 Our next presenter is Dr. Jonathan

1 Schuster, who's a research professor of  
2 biostatistics at the University of Florida  
3 and he has some comments on the Consumer  
4 Health Product Association meta-analysis and  
5 the statistical methods they used. And for  
6 the panel, there are three tables in Dr.  
7 Shuster's handout that he will be referring  
8 to.

9 MR. SHUSTER: -- Florida, Division  
10 of Biostatistics, and the College of  
11 Medicine. Today I'm going to mainly talk  
12 about the Kollar paper, and I'll begin with  
13 an executive summary. I'm going to talk  
14 about fixed versus random effects  
15 meta-analysis. Then I'm going to review the  
16 Kollar fixed effect analysis. I will  
17 critique the random effects analysis, and I  
18 then will reanalyze the random effects  
19 meta-analysis in a slightly different manner.

20 Now, meta-analysis is an attempt to  
21 put studies together in a meaningful way.  
22 These studies are considered independent and

1 they have effect size of each one, we want to  
2 get some overall measure of effect size.  
3 Effects meta-analysis will say all these  
4 effect size are one and the same.

5           A random effects analysis says the  
6 following; these effect sizes, from the  
7 individual studies, come from some target  
8 population of studies. We have a sample of  
9 studies and we want to make an inference  
10 about the overall effect, the average overall  
11 effect, in this target population. These are  
12 two very different things but you'll notice  
13 that it could happen that you have random  
14 effect analysis -- we're still working the  
15 fixed-effect case, if you really do have the  
16 same number for each effect size.

17           Now, it is interesting that the  
18 Kollar paper -- the data seemed to agree with  
19 the Hatton et al. Paper, which by the way, I  
20 am a coauthor of that paper, but the words  
21 disagree. And so we need to resolve where  
22 the differences lie. Our analysis, as

1 theirs, was restricted to the 10-milligram  
2 dose and is restricted to the crossover  
3 studies. So we -- there were seven such  
4 crossover studies.

5           Now, looking at these studies I  
6 would have a priori, if I was the one doing  
7 the original meta-analysis, I would pick a  
8 random effects analysis over a fixed-effect  
9 analysis and the reason is not that a priori,  
10 the effect sizes are homogeneous or  
11 heterogeneous, but rather the physical nature  
12 of the studies themselves. One thing you'll  
13 note though in the paper of Kollar is that  
14 there is a large variation in baseline NAR  
15 values, about a two-fold in the crossover  
16 studies, about a five- fold if you bring in  
17 the parallel study that's listed but not used  
18 in their analysis.

19           But also, regional things happen,  
20 seasonal things happen that make studies  
21 somewhat different, even if they are true  
22 replications of the same study. And another



1 factor is that if the effect size is  
2 non-zero, then the fixed effect analysis has  
3 to assume they're all the same.

4 Now, there is some validity to the  
5 contention of the authors that a fixed-effect  
6 analysis validates that all the effect sizes  
7 are zero. However, as a person who thinks in  
8 terms of random effects, you would say, well,  
9 there are other zeros as well that you can  
10 have counterbalancing good and evil, and  
11 together, they produce neutrality. So it's a  
12 very narrow hypothesis.

13 Now, I also do not propose to use  
14 diagnostic tests of any form to decide. I  
15 think you should decide between fixed and  
16 random effects on the natures of the studies  
17 themselves. I'd like to take -- turn in over  
18 to look at the Kollar fixed effect analysis,  
19 and I'm going to pull up a table here. In  
20 this table, if we look -- I'll guide you  
21 through the table -- if you look at the first  
22 and second columns, the first column --

1 DR. TINETTI: It's table M1.

2 MR. SHUSTER: -- and second column,  
3 both have very similar entries both in terms  
4 of the width of the confidence interval and  
5 in terms of the point estimate. One is only  
6 using data from study 2. The other uses  
7 model 2B, which takes into account all seven  
8 studies. Ten patients in study 2; 113  
9 patients on model 2B.

10 If you look at the ratio of the  
11 width of the confidence intervals, something  
12 remarkable comes out. The widths are 87  
13 percent, 84 percent and so on -- and this  
14 must have been a typo in the manuscript, 130  
15 percent. In other words, the part is better  
16 than the whole. We don't -- there must be  
17 something wrong there. And here's the  
18 effective sample size in terms of study 2 for  
19 the meta- analysis -- 13 versus 10. So those  
20 103 patients, add the effectiveness of 3  
21 patients on study 2, 4, 0, and so on. And  
22 one, with the typo, it seems to add negative

1 4 patients.

2 So that tells you that they are  
3 using study 2 to the virtual exclusion of all  
4 the other studies in their fixed analysis.

5 Now, I am going to now do a little  
6 of my own analysis of the 60-minute point in  
7 time and that's going to come out as table 2.  
8 Okay, in table 2, the effect sizes are as  
9 shown in the second column Mraw, or the seven  
10 effect sizes, they range from minus 5.6 --

11 MR. HENDELES: Table 1.

12 MR. SHUSTER: -- to plus 1.6. And  
13 the standard errors, you'll notice vary  
14 widely from .74, .36, up to 2.11 and 2.30, so  
15 there is a big difference.

16 Now, if we put together -- believe  
17 in a fixed- effect model, what we do is we  
18 put these together in weights that are  
19 proportional to the inverse of the square of  
20 the standard error. In other words,  
21 inversely proportional to the variance of the  
22 estimator and that's where these weights come

1 up -- 0.17 for study 1, 0.71, 10 times its  
2 natural weight for study 2, and only 1.7  
3 percent for the very largest study, study 7.  
4 So it's basically studies 1 and 2 are running  
5 the show for the fixed-effect meta-analysis  
6 done in this matter, and the overall  
7 confidence interval comes out to be very  
8 similar to -- in my analysis, to the  
9 covariance analysis done by the authors.

10 Notice that if you use the weights  
11 that are inversely proportional to the  
12 estimated variance you get a huge significant  
13 difference. If you use weights and not the  
14 -- just weight each study equally, it still  
15 is significant but only marginally. And  
16 finally, if you use weights proportional to  
17 the sample size, guess what, the significance  
18 disappears. It's only less than two standard  
19 errors above zero.

20 Now, let us turn over to the  
21 reanalysis of the random effects, and the  
22 Kollar, et al. did a mixed model using all

1 the data without first breaking it down into  
2 study-specific effect size. It was very  
3 complicated but has some problems with it.  
4 It makes a huge number of assumptions  
5 relative to those needed, if you just get the  
6 effect sizes from the seven studies and then  
7 start putting them together, as most  
8 meta-analyses do.

9           They have inherent assumptions  
10 within small sampling units, within bigger  
11 sampling units, and so on. Normality is  
12 assumed, or a Bayesian method has to be  
13 assumed in order to get this done, and the  
14 population to which the inference is made, I  
15 claim, is very poorly defined. You cannot  
16 explain what that population is to a  
17 layperson.

18           Now, let's contrast to a method  
19 that is -- I've referenced to -- there are  
20 two papers that have used this kind of  
21 method. What you do is, is you say all  
22 right, if you're doing random effects, I'm

1 going to have a target population of study  
2 scenarios. I've got this target population  
3 over here and that's, you know, maybe it's  
4 the region I'm doing it, the time I'm doing  
5 it, you know, what is my treatment and  
6 control and so on. Could be -- I could have  
7 in there whether it's a crossover study or a  
8 parallel study.

9           Now, over here I've got my designs  
10 and my model is -- the target population, I'm  
11 going to pull out a study scenario and I'm  
12 going to pull out a study design and put them  
13 together, okay, and that is going to be my  
14 population that I'm making the inference to,  
15 okay? The advantage here is that every study  
16 is weighted equally. If you start distorting  
17 the weights of the study according to the  
18 design, well, that doesn't mean the  
19 importance of it is -- in the real world, is  
20 not related to the design.

21           And the analog I gave is, you have  
22 two congressional districts, let's say

1 they're equal size, and you sample 1,000  
2 people from one and 100 people from another  
3 and you put those together -- really they  
4 should be weighted equally, but you're  
5 weighting the other one 10 to 1 strictly  
6 because someone did more sampling than the  
7 others, so you're going to get a bias. It's  
8 going to lean towards -- in fact, it leans  
9 towards study 2 and their meta-analysis that  
10 they did leans towards study 2, okay?

11           If you do that, we will look at  
12 equal weights. You now have a -- you can do  
13 this non-parametrically or parametrically.  
14 I'll look up -- we'll pull up table 3 to see  
15 what this meta-analysis does. We look at  
16 that at each time point and we use the effect  
17 sizes that are published -- the estimates  
18 that are published in the paper.

19           And look what happens, not a single  
20 time point comes out to be significant.  
21 Either -- if you want to do it by a t-test  
22 which assumes, again for those Bayesian

1 folks, that you have a normal distribution of  
2 effect sizes, or if you do it  
3 non-parametrically by a sign rank test, which  
4 is closer to what the Follmann and Proschan  
5 have done.

6 Both -- either way, you get no  
7 significant difference at any point in time  
8 for these studies, and the Hatton study done  
9 the same way, no significant difference. So  
10 this reanalysis indicates that if you do the  
11 meta- analysis by this simple -- taking the  
12 effect sizes and putting them together  
13 independently, you reach a conclusion that's  
14 very different than what was in the other --  
15 in the Kollar paper. And I hope that this --  
16 it probably doesn't, but I hope it resolves  
17 the controversies surrounding the  
18 meta-analysis but it does not resolve the  
19 issue of efficacy.

20 These studies, as many people have  
21 noted, are very small, only 113 patients,  
22 done a long time ago. And the fact is that



1 even though zero effect is consistent with  
2 the data there may be other effects that if  
3 you draw a confidence interval about it that  
4 are -- that's fairly wide and so you cannot  
5 conclude conclusively that there is no effect  
6 but these data -- the bottom line is these  
7 data do not prove there is an effect. Thank  
8 you.

9 MR. HENDELES: So for those of us  
10 who are not -- who don't follow statistics  
11 easily, what he was saying is that we had the  
12 same results except the -- we described it as  
13 the glass being half-full and they described  
14 the glass as being -- excuse me, we said it  
15 was half-empty and they described it as  
16 half-full.

17 I'd like to just briefly cover two  
18 of the more recent modern-day Schering  
19 studies. Dr. Danzig will be giving all of  
20 the details of the study, but I think it's  
21 appropriate to look at the pharmacodynamic  
22 response. This is the percent change in

1 nasal symptom scores in the Vienna Chamber.

2 Patients were randomly assigned in  
3 a crossover manner to receive placebo,  
4 phenylephrine 12 milligrams rapid release,  
5 and pseudoephedrine 60 milligrams rapid  
6 release, and you can see quite clearly there  
7 was no significant difference between  
8 phenylephrine and placebo, but the positive  
9 control did show a roughly 20-percent  
10 decrease in symptoms.

11 I would speculate that if you  
12 cannot find a difference in a sensitive  
13 pharmacodynamic model, it's really unlikely  
14 you're going to find a difference in  
15 patient's nasal stuffiness in the wild.

16 In their second study, which was  
17 just posted on December 3rd, they compared  
18 placebo, phenylephrine rapid release 10  
19 milligrams, and the combination of  
20 montelukast and loratadine in a double-blind  
21 randomized parallel design in 379 subjects.  
22 This is probably the largest sample size to

1 date, and has the symptom scores that the --  
2 that an NDA would require, except it's in a  
3 chamber.

4           They exposed these patients first  
5 before randomizing them to a day where they  
6 primed them with the allergen and they  
7 selected out only those patients who  
8 exhibited a nasal score of two or higher for  
9 stuffiness. So these patients all had  
10 stuffiness when they came back into the  
11 chamber for their treatment.

12           And you can see here again, the  
13 pharmacodynamic response is not significantly  
14 different between phenylephrine and placebo,  
15 but it was significantly greater for the  
16 combination, probably owing to the  
17 leukotriene receptor components. Since nasal  
18 stuffiness is not mediated by histamine, one  
19 would not expect antihistamines to modulate  
20 it.

21           And lastly, if you bear with us for  
22 a second, we have a video clip of a recent

1 Sudafed advertisement from television which I  
2 think grossly exaggerates --

3 (Video played)

4 MR. HENDELES: So I think there is  
5 no question that that grossly exaggerates  
6 this non-significant difference between  
7 placebo and phenylephrine. Can I have the  
8 last slide, please? That's it.

9 So in summary, oral phenylephrine  
10 at a dose of milligrams is no more effective  
11 than placebo. In other words, if you have a  
12 stuffy nose and you take Sudafed PE, you're  
13 going to still have a stuffy nose. It does  
14 not cause systemic side effects because it  
15 doesn't get into the blood. It has poor oral  
16 bioavailability. Clearly appropriate  
17 dose-response studies are needed to define  
18 the dose of phenylephrine that will give you  
19 both relief and minimal side effects.

20 And lastly, as you can see, the TV  
21 ads are grossly misleading. And I would  
22 encourage the FDA, if they have the power, to

1 ask the industry to -- for a moratorium on  
2 these ads while these dose-response studies  
3 are being conducted. And I thank you, and I  
4 will take any questions you have.

5 DR. TINETTI: Thank you. Does the  
6 panel have any -- or at this point, just,  
7 again clarifying questions to any of the  
8 petitioners?

9 MR. HENDELES: And Dr. Hatton and  
10 Dr. Shuster are on the conference phone and  
11 they will be glad to answer any questions  
12 that you might have for them.

13 DR. FOLLMANN: I guess, I --

14 DR. TINETTI: Please identify  
15 yourself.

16 DR. FOLLMANN: This is Dean  
17 Follmann. I have a comment about the choice  
18 of endpoints that you use. So you use the  
19 maximal benefit for the placebo versus the  
20 treatment groups as your endpoint. You gave  
21 some reasons why you chose to do that.

22 The FDA had some comments as to why

1 they thought it wasn't such a good endpoint.  
2 In particular, it was never one of the  
3 endpoints of the study to find out priori --  
4 it probably has additional variability than  
5 if you measure, say, just at 60 minutes.

6 But I also think the choice of this  
7 endpoint would tend to dampen any treatment  
8 effect, and I'll try to explain my reasoning  
9 briefly. Let's suppose you did a study where  
10 you just measured at 15 minutes, and at 60  
11 minutes, and the drug is effective, and it  
12 has a big drop at 60 minutes. So when you  
13 take the maximum in the drug group, it's  
14 always 60 minutes.

15 If you go in the placebo group,  
16 let's suppose there's no effect whatsoever.  
17 And so you take the maximum benefit.  
18 Sometimes it will be at 60 minutes,  
19 sometimes, it will be at 15 minutes. And so  
20 when you compare that difference, it's going  
21 to be less than when you compare it at 60  
22 minutes.

1                   So I think this leads to a kind of  
2                   attenuation of the treatment effect. And if  
3                   you look at the effect estimates of the  
4                   consumer health meta-analysis, they get about  
5                   a 20 percent effect and you get about a 10  
6                   percent effect. And I think it might be  
7                   partly explained by the choice of endpoint.

8                   MR. HENDELES: Would Dr. Hatton  
9                   like to respond to that?

10                  MR. HATTON: Yes, we could barely  
11                  hear it, it was very choppy. But I think we  
12                  got the gist of it. I think if you were to  
13                  do this, if someone were to do the exact same  
14                  analysis over and use a, say, 60 minutes,  
15                  you'd find essentially the same results.

16                  Even though there may be some  
17                  theoretical reason why you think this may  
18                  dampen the effect, in reality, the way the  
19                  data play out at 60 -- if you did a specific  
20                  analysis at 60 minutes, you would find a 10  
21                  percent difference.

22                  DR. FOLLMANN: So did you do that?

1 Did you do the analysis in 60 minutes?

2 MR. HATTON: And Dr. Shuster has a  
3 comment as well.

4 MR. SHUSTER: Yeah, in the random  
5 effect analysis, there was no significant  
6 difference at any time point, even allowing  
7 for multiple, you know, the -- choosing a  
8 bunch of time points that has the issue of  
9 error control, you probably have to do some  
10 sort of multivariate method, really, to  
11 control it or area under the curve, you can  
12 bring it down to one variable, and we thought  
13 the area under the curve was a harder thing  
14 to understand for the public.

15 DR. TINETTI: So the answer to that  
16 is you did 60 minutes and there still wasn't  
17 a difference? I think is what -- what the  
18 question was.

19 MR. HENDELES: Did you hear that  
20 Jonathan?

21 MR. SHUSTER: No, we didn't hear  
22 that.



1                   MR. HENDELES: The question is did  
2 you do 60 minutes and there still was no  
3 difference?

4                   MR. SHUSTER: Yes, yes, we repeated  
5 that about minutes. At the 60 minute time  
6 point, we did the analysis, there was no  
7 difference.

8                   MR. HATTON: Yeah, that is the --  
9 well, we did it at every time point in the  
10 random effect.

11                  DR. TINETTI: Thank you, I think we  
12 understand. And we're going to move on to  
13 another question.

14                  MR. HATTON: I think in a fixed  
15 effect, whether you do it and you believe a  
16 fixed effect, then you're going to weight the  
17 studies inversely proportional to the  
18 variance to get the smallest error of --  
19 that's the optimal combination --

20                  MR. HENDELES: Jonathan?

21                  MR. HATTON: And the study too  
22 pointed out -- the study to everything in

1 meta-analysis --

2 MR. HENDELES: I don't think he --

3 DR. TINETTI: Can you just turn it  
4 off?

5 MR. HATTON: You could translate to  
6 study, too.

7 DR. NGO: Sir, sir.

8 MR. HATTON: Other studies --

9 MR. HENDELES: Dr. Shuster. Hold  
10 on. There was another question.

11 DR. D'AGOSTINO: Ralph D'Agostino,  
12 I'm afraid to ask the question.

13 (Laughter)

14 DR. D'AGOSTINO: You know, to just  
15 go a little bit beyond the question that was  
16 just asked. The concern, one of the concerns  
17 I have, and it's nothing to do with the sort  
18 of bottom-line. But one of the concerns I  
19 have is this rush to meta-analysis and going  
20 through the gymnastics in terms of describing  
21 different meta-analysis.

22 I mean, for efficacy, we usually

1 look at the individual studies, and we're  
2 completely missing the virtues of some of the  
3 studies versus the other studies and, sort  
4 of, what this individual studies are telling  
5 us. Are you suggesting that meta-analysis is  
6 the right way to look at efficacy?

7 MR. HENDELES: No, no, we're not.  
8 And we, actually, in our paper, which should  
9 have been appended to this, did look at the  
10 individual studies. Dr. Hatton, do you want  
11 to briefly comment on that?

12 MR. HATTON: I'll let Dr.  
13 Winterstein who's here, comment, if you don't  
14 mind.

15 MR. HENDELES: Sure.

16 MS. WINTERSTEIN: So there is --  
17 there was certainly a philosophical problem  
18 whether one should summarize studies that  
19 show a significant amount of heterogeneity.  
20 And once we found that there was  
21 heterogeneity, we -- the point of the process  
22 to find out what the reason for this is

1       rather than just aggregating all results.

2                       And unfortunately, the studies  
3       don't provide a whole lot of information on  
4       the subjects that were included, so it was  
5       hard for us to ascertain whether there are  
6       really differences in the effect, depending  
7       on patient characteristics.

8                       The only attempt we made was -- or  
9       the only thing we could do was to look at  
10      individual laboratories, and this, Dr. Hatton  
11      presented already, in our comparison of the  
12      best pharmaceuticals versus the other  
13      laboratories. And there is certainly a  
14      difference there. Why that is -- is of  
15      course not possible for us to ascertain.

16                      The other issue we found was that  
17      the studies that showed the positive effect  
18      typically had a very small variance on  
19      placebo. So essentially, during the placebo  
20      exposure, patients didn't show any effect and  
21      it was consistent across all subjects. This  
22      was different in the studies that showed that

1 there was a placebo effect, there was much  
2 more variance among those.

3 And this can have various  
4 explanations. One might be that the studies  
5 that didn't show any placebo effect,  
6 consistently across subjects just did have a  
7 better measurement. Another idea would be  
8 that for some reason, the subjects reacted  
9 somehow differently. However, they were  
10 picked, there may be differences within  
11 subjects, there maybe fraudulent reports, and  
12 it's absolutely impossible for us to  
13 ascertain.

14 MR. HENDELES: Dr. Winterstein, the  
15 Chair is asking to move on.

16 DR. D'AGOSTINO: You just -- you  
17 know, the studies, in the history of a lot of  
18 these studies in the OTC, is there are a lot  
19 of failed models in terms of trying different  
20 things and they didn't work and then sort of  
21 moving to studies that finally did work.

22 And so, with that, and I'm not

1 saying that's what happened here, because I  
2 really don't know. But to pool all the  
3 studies that are submitted, maybe pooling  
4 studies that we know -- or the sponsor knew,  
5 weren't very useful and the committee also  
6 knew that. So I'm really concerned about the  
7 meta-analysis. Thank you.

8 DR. TINETTI: Any other clarifying,  
9 just clarifying questions.

10 DR. NELSON: Yeah, just one --  
11 actually one or two questions. First of all  
12 --

13 DR. TINETTI: Can you just identify  
14 yourself.

15 DR. NELSON: Yeah, I'm sorry,  
16 Nelson. On the last slide in Dr. Hatton's --  
17 next to the last slide, you know, we have  
18 this discussion. And one of the things that  
19 come up, this fraudulent reporting of  
20 results. That's an accusation that comes out  
21 of the sky as far as I'm aware.

22 I mean, is there ever -- is the

1 FDA, is there any issue that's ever been  
2 raised about these studies that would even  
3 suggest that this should be brought up  
4 publicly?

5 DR. JOHNSON: No, there isn't --  
6 we've never --

7 MR. HENDELES: He was just listing  
8 all the possibilities.

9 DR. NELSON: Well, yeah -- well,  
10 there's a lot of possibilities that I could  
11 suggest for the opposite results. And then,  
12 next of all, I would like to --

13 DR. TINETTI: I think for that  
14 point, I think we suggest we just ignore that  
15 remark.

16 DR. NELSON: Okay.

17 DR. TINETTI: There was no basis,  
18 and I -- that will not be part of our  
19 deliberation.

20 DR. NELSON: One additional  
21 comment. And that was with regards to the  
22 advertisement. Is there anything in that

1 advertisement that is outside the legal  
2 monograph that these companies are currently  
3 working under?

4 MR. HENDELES: I'll ask Dr. Johnson  
5 to respond to that.

6 DR. JOHNSON: I'm sorry, can you  
7 repeat that?

8 DR. NELSON: Once again, Nelson.  
9 The ad that we just saw; is there anything in  
10 that ad that looks to you that's outside the  
11 current legal monograph that companies market  
12 their product under?

13 DR. JOHNSON: Without looking at  
14 the copy, I couldn't say. I don't think that  
15 there is. I don't -- I didn't hear any  
16 claims. I'll just look at the team and see  
17 if there were any claims made that were out,  
18 not inside, I don't think so.

19 DR. NELSON: Okay, so there's  
20 nothing that's at least --

21 DR. JOHNSON: And I would just  
22 point out that we don't regulate OTC



1 advertising.

2 DR. NELSON: No, you know, we're  
3 well aware of that. But I was just, you  
4 know, as FTC.

5 DR. TINETTI: Thank you, I think  
6 we'll move on. The industry presentation  
7 will be introduced -- be introduced by Linda  
8 Suydam.

9 MS. SUYDAM: No, thank you. I  
10 won't need it, thank you. Good morning, my  
11 name is Linda Suydam and I am president of  
12 the Consumer Healthcare Products Association.  
13 On behalf of the pharmaceutical industry, I  
14 would like to thank the FDA, the members of  
15 the Nonprescription Drug Advisory Committee,  
16 as well as the author of the citizen's  
17 petition for raising the issues we are  
18 discussing today.

19 Today, you will from  
20 Schering-Plough/Merck Pharmaceutical Joint  
21 Venture Company and Schering-Plough  
22 Corporation, and from the CHPA industry

1 taskforce, comprised of members from McNeil  
2 Consumer Healthcare, Wyeth Consumer  
3 Healthcare, GlaxoSmithKline, Perrigo,  
4 Novartis, and Bayer Consumer Healthcare.

5 We welcome this opportunity for the  
6 public review of scientific data and we look  
7 forward to an open and productive discussion.  
8 Prior to the taskforce presentation, I would  
9 like to introduce Dr. Melvyn Danzig and Dr.  
10 John O'Mullane.

11 Dr. Danzig, project director,  
12 clinical research allergy/respiratory for  
13 Schering-Plough/Merck Pharmaceuticals, will  
14 give us a clinical overview of two allergy  
15 chamber studies with phenylephrine. Dr.  
16 O'Mullane, then will give us, group  
17 vice-president, research development for  
18 Schering-Plough Corporation will follow with  
19 insights into understanding phenylephrine  
20 metabolism, pharmacokinetics,  
21 bioavailability, and activity. After Doctors  
22 Danzig and O'Mullane finish their

1 presentation, I will introduce the agenda for  
2 the industry taskforce presentations.

3 MR. DANZIG: Good morning. Knowing  
4 of the advisory committee's interest in  
5 phenylephrine, I'd like to share with you,  
6 today, the results of two clinical studies,  
7 which measure the activity of phenylephrine  
8 in environmental exposure units or chambers.

9 By the way, we were asked by the  
10 FDA to share the data with you today.  
11 Synopses of these two studies were  
12 distributed to the committee and are posted  
13 on [clinicaltrialresults.org](http://clinicaltrialresults.org). Just to note,  
14 in the handouts, study 4579 was indicated  
15 such, the other handout didn't have the study  
16 number, but that is study 4822.

17 Two studies -- as I mentioned, two  
18 studies were done. The first was trial 4579.  
19 It was located in Vienna; Professor Friedrich  
20 Horak in the Vienna Challenge Chamber did the  
21 study. And the second one was in the  
22 Environmental Exposure Unit, Kingston General

1 Hospital, under the auspices of Professor  
2 James Day.

3           What I'd like to do for the rest of  
4 my presentation, I'd like to go through the  
5 chamber methodology because it may be new to  
6 several members of this committee, go through  
7 the study design, discuss the results, and  
8 when the results come up, I tried my best to  
9 keep the placebo results in grey, the  
10 phenylephrine results in green, the  
11 pseudoephedrine in orange, and  
12 loratadine/montelukast in blue. And then  
13 I'll have some conclusions. Just to remind  
14 everybody that loratadine/montelukast is a  
15 fixed-dose combination which is under active  
16 FDA review at this time. Just watch the next  
17 slide, it's a quick animation. And then I'll  
18 go through explaining it to you.

19           This is the unit, Jim Day in the  
20 Kingston General Hospital. Air comes through  
21 a series of fans. It goes through the  
22 sieving area, room, exhaust fans here that

1 force some of the air to these fans, which  
2 pick up the allergy, the allergen in the  
3 fever and circulate it back this way. The  
4 rotor rods, which measure the allergen  
5 concentration, are located strategically in  
6 the chamber.

7           These are single-dose studies.  
8 When the subjects enter the chamber, they are  
9 exposed to pollen. Then they are dosed and  
10 they are followed for a period of time to  
11 evaluate symptoms and other measures, such as  
12 air flow. The chamber in Kingston is fairly  
13 large, and holds about a 100 to 150 subjects.

14           The next chamber is the Vienna  
15 Challenge Chamber and this is Professor  
16 Horak's. He has an enclosed chamber, the  
17 airflow is done -- the pollen is -- and the  
18 airflow is inside. And you can see some  
19 computer stations here where the people from  
20 the study staff monitor the subjects inside.

21           Since this is a smaller chamber  
22 that holds about to 16 subjects at any one

1 time, Dr. Horak likes to do crossover  
2 studies, so a fewer number of studies, but  
3 better statistical power because of the  
4 crossover.

5 And this is a picture from Jim  
6 Day's chamber of subjects taking the pill at  
7 the designated time, and here they are  
8 exhibiting the symptoms of allergic rhinitis.

9 (Laughter)

10 MR. DANZIG: I've attended these  
11 chambers; I don't have allergic rhinitis,  
12 unfortunately, I took a couple of monitors  
13 with me who did suffer from allergy and they  
14 lasted about 10 minutes inside the chamber,  
15 and had to leave.

16 Let me review the study subject  
17 criteria for both trails. The subjects have  
18 to be greater than 15 years of age of either  
19 sex and of any race. They have a 2-year  
20 documented history of seasonal allergic  
21 rhinitis, their skin-prick test is positive  
22 to a seasonal allergen. Professor Horak uses

1 grass in the Vienna Challenge Chamber,  
2 because grass is a predominant allergen in  
3 Europe. And Jim Day uses ragweed in his unit  
4 in Canada.

5 Subjects come in, as I say,  
6 clinically asymptomatic. These studies are  
7 done out of the season, so that the season  
8 has no conflict with the exposure in the  
9 pollen chamber. And in these studies, we do  
10 take medical history, physical exam, labs,  
11 ECG, vital signs, both to rule out, if any,  
12 any exclusion criteria that the subjects may  
13 have, and to obtain safety data.

14 So this is a schematic of the  
15 parallel group designed for Jim Day.  
16 Subjects come in the screening visits where a  
17 medical history is taken, the vitals in the  
18 labs. They come in for some priming visits  
19 so that they are primed to the allergen, when  
20 they come in to the randomization visit, so  
21 that we know that when they come in, they  
22 will exhibit the symptoms necessary to

1 qualify.

2           They come in for about two hours  
3 prior to the treatment period and they start  
4 scoring their symptoms and measuring their  
5 airflow. There is randomization when they  
6 become symptomatic. And then they are dosed  
7 and they are followed for a 6-to-8-hour  
8 period.

9           Placebo in gray, phenylephrine in  
10 green, doesn't show up as well as it does on  
11 the PC here, and a comparator. Just with the  
12 crossover study, and it's just hard to do a  
13 schematic on a crossover with three arms  
14 because you have 12 different combinations,  
15 every subject receives all three arms and  
16 there's a one-week washout between the arms.  
17 This part is the same between the studies.  
18 And the comparators again,  
19 loratadine/montelukast in blue and  
20 pseudoephedrine in orange.

21           In the exposure units or chambers,  
22 the subjects assess symptoms in the diary.



1 They assess the nasal symptoms, nasal  
2 congestion, rhinorrhea, nasal itching,  
3 sneezing. And I highlight nasal congestion  
4 because that was the primary pre-specified  
5 endpoint in both of the trials. They also  
6 evaluate eye and non-nasal symptoms, tearing,  
7 itching -- tearing and itchy eyes, and itchy  
8 palate on the 0 to 3 scale. And these are  
9 the symptoms included in the FDA-proposed  
10 guidance for the evaluation of allergic  
11 rhinitis.

12           What we've been using lately is a  
13 peak inspiratory nasal flow meter. This is a  
14 unit that each subject can use on their own.  
15 It has a face mask, which fits in over the  
16 nose and the mouth, and makes a tight seal.  
17 The subject is asked to close the mouth and  
18 inhale through the meter. And the meter will  
19 measure the inspiratory flow.

20           Actually -- this is actually a  
21 mini-Wright meter for those of you who are  
22 familiar with asthma, which is inverted. And

1 there is an ability on the face mask, with  
2 the use of just an air syringe, to control  
3 the amount of air in the seal to get a tight  
4 seal.

5 Just a note on statistical  
6 methodology, and I'm not a statistician. But  
7 the symptom data in the PNIF, the baseline  
8 value is after exposure in the chamber, prior  
9 to dosing. We look at change from baseline  
10 averaged over the 4-to-6-hour  
11 post-randomization in the chamber, and then,  
12 it's for absolute average. And then we look  
13 at the time course. And we used an analysis  
14 of variance with a treatment effect for the  
15 parallel design and including a period effect  
16 in the crossover.

17 So for study 4579, we used  
18 pseudoephedrine, a single dose, really, these  
19 are single-dose studies, 60 milligrams,  
20 phenylephrine 12 milligrams, these were  
21 commercially available products, easily --  
22 products that are available in the EU and

1 placebo.

2           And here is the time-course results  
3 of nasal congestion. Let me just take a  
4 minute to go through this. On the bottom is  
5 time after dosing. In study 4579, the  
6 subjects evaluate the symptoms every 15  
7 minutes in the chamber. So this is the time  
8 after dosing. We kept them in for  
9 7-and-a-half hours which is a pretty long  
10 time plus the 2 hours pre treat, it's  
11 9-and-a-half hours. That was a long time to  
12 keep them in the chamber.

13           And this is the change in base,  
14 change in scores from baseline; going down is  
15 better. At the baseline scores, a maximum of  
16 3, 0 to 3 scale, the average was about 2.2.  
17 And this was the sample size in the  
18 crossover. There was one subject who just  
19 took the pseudoephedrine dose and for  
20 administrative reasons did not complete the  
21 trial.

22           So from the time of dosing, you can

1 see an effective pseudoephedrine over the  
2 time course in the chamber where  
3 phenylephrine and placebo had no effect. If  
4 you look at the mean over six hours, this was  
5 the primary pre-specified endpoint, as Dr.  
6 Hendeles showed the slide, there was  
7 statistical significance of pseudoephedrine  
8 over placebo and phenylephrine was not  
9 statistically significant over placebo.  
10 Again, this is just the average of each of  
11 the time points over the 6-hour time point.

12 Now, the peak nasal inspiratory  
13 flow; different than the congestion, where  
14 down is better, this is up is better. So  
15 this is a change from the baseline in the  
16 peak nasal inspiratory flow, which was done  
17 every 30 minutes.

18 And as you can see, pseudoephedrine  
19 had, patients showed an increase in their  
20 flow whereas phenylephrine and  
21 pseudoephedrine -- phenylephrine and placebo,  
22 I'm sorry, patients tracked each other.

1 These were the baseline scores on the peak  
2 nasal inspiratory flow.

3 4822 was the parallel group study.  
4 The treatment arms included  
5 loratadine/montelukast tablet, a PE 10  
6 milligrams Quick Dissolve Strips, these were  
7 commercially purchased and matching placebos.  
8 And again, the same time-course slide.

9 The combination of L/M showed a  
10 decrease in, or improvement from baseline and  
11 nasal congestion, whereas phenylephrine and  
12 placebo tracked each other. The pre-  
13 specified endpoint, which is a score over the  
14 6-hour average, again showed L/M to be  
15 statistically significant over placebo and  
16 phenylephrine did not.

17 Just to note, which I forgot to  
18 mention at the other slide, these are the  
19 baseline scores. As you can see, ragweed is  
20 probably more potent. I shouldn't say  
21 probably, is a more potent allergen. You do  
22 get higher baseline scores.

1                   And this is the peak nasal  
2                   inspiratory flow results. The baseline, the  
3                   flows were lower than the other study, again,  
4                   ragweed being a more potent allergen. And  
5                   going up is better, loratadine/montelukast  
6                   combination showed an increase in flow, and  
7                   phenylephrine and placebo tracked each other.

8                   So the conclusions we come up with,  
9                   a single dose of pseudoephedrine showed the  
10                  expected decongestant response and symptoms  
11                  in airflow compared to placebo. A single  
12                  dose of phenylephrine showed no decongestant  
13                  response compared to placebo, replicated into  
14                  two studies. And a single dose of  
15                  loratadine/montelukast versus placebo showed  
16                  an effect on nasal congestion.

17                  If it's all right with the Chair, I  
18                  would like to have some clarifying questions  
19                  if that's all right with you here.

20                  DR. TINETTI: I think what -- we'll  
21                  do all the clarifying questions -- I think,  
22                  maybe have the two from -- you'll then be

1 able to clarify questions --

2 MR. DANZIG: Then with the  
3 clarifying questions for me. So let me  
4 introduce John.

5 MR. O'MULLANE: Madam Chair,  
6 members of the committee, I'm speaking on  
7 behalf of Schering-Plough Consumer  
8 Healthcare, a division of Schering-Plough  
9 Corporation. And I'd like to take you  
10 through our understanding of phenylephrine  
11 metabolism, biopharmaceutics,  
12 bioavailability, and activity.

13 The data I'm presenting today is  
14 part of an ongoing development program to  
15 produce products based on the  
16 pharmacokinetics of phenylephrine. We became  
17 aware of this NDAC meeting and worked in  
18 collaboration with the FDA to bring our data  
19 to this meeting.

20 In the development program, we  
21 needed to understand three things. Firstly,  
22 the metabolism and activity of the conjugates

1 so that we measured the appropriate moiety in  
2 blood; secondly, the bioavailability of the  
3 active moiety; and thirdly, to determine  
4 whether there are opportunities to optimize  
5 the bioavailability of the active molecule in  
6 blood.

7           So first of all, I'm going to talk  
8 about metabolism and activity. As already  
9 been mentioned this morning, phenylephrine  
10 undergoes pre-systemic conjugation in the  
11 small intestine to produce principally the  
12 sulfate and the glucuronide species and all  
13 three species are found in the plasma.

14           So what occurs in practice? So a  
15 dose of phenylephrine taken by mouth diffuses  
16 through the gut wall where it undergoes  
17 metabolism in the gut wall to produce the  
18 sulfate and the glucuronide. Those species  
19 diffuse into the portal vein and are  
20 transported to the liver where they undergo  
21 additional deamination and metabolism.

22           The principle deaminated species is



1 3- hydroxymandelic acid and that's important  
2 to remember because I'm going to be referring  
3 to that in my next slide. And the other  
4 conjugates are found in the blood here.

5 So in order to make a reasonable  
6 approximation of what's happening as a result  
7 of this, we need to understand the activity  
8 of the conjugates. And in order to  
9 characterize the pharmacokinetic profile, you  
10 need to measure the active moiety.

11 So we went about understanding  
12 that, 3- hydroxymandelic acid is actually  
13 available commercially. The two other  
14 conjugates are not available; we synthesized  
15 those, purified them, and characterized those  
16 in our Schering-Plough research institute  
17 laboratories.

18 And we tested them for activity in  
19 the following assays of relative drug  
20 potency, which is the alpha- adrenergic  
21 receptor binding affinity assay and then the  
22 measurement of activity, both by calcium flux

1 and the GTP- gamma-S assay.

2           Here's the summary of the activity  
3 results and this may appear to be very  
4 crowded, but I'm going to simplify it for  
5 you. These are all the receptor assays that  
6 we measured and here are the molecules that  
7 we are measuring the activity of. Here is  
8 phenylephrine; here is the O-sulfate, the  
9 glucuronide, and here the hydroxymandelic  
10 acid. And as you can see quite clearly,  
11 there is no activity for any of the  
12 conjugates; all of the activity resides with  
13 the parent phenylephrine.

14           So what about the pharmacokinetics?  
15 Well, it's -- we had to step back a little  
16 bit here because the basis on which  
17 phenylephrine salts were recently approved in  
18 the monograph was by a method that relied on  
19 taking the plasma samples, subjecting them to  
20 enzymatic cleavage of the conjugated  
21 phenylephrine, extracting the phenylephrine  
22 and then measuring the total.

1                   That of course, based on the  
2 results that we have, is an incorrect way of  
3 measuring this, we should indeed just measure  
4 the unchanged or parent phenylephrine. And  
5 so our method relied on taking plasma  
6 samples, not subjecting them to any cleavage,  
7 extracting out the phenylephrine, and  
8 measuring the unchanged phenylephrine in  
9 plasma.

10                   So the pharmacokinetics study was  
11 conducted in male and female volunteers.  
12 Phenylephrine was dosed as an immediate  
13 release 10 milligram tablet. Both total  
14 phenylephrine and parent phenylephrine were  
15 measured in the plasma and here are the  
16 results.

17                   After a single 10 milligram oral  
18 dose, you can see that our levels of total  
19 phenylephrine are around -- are in the order  
20 of 60 nanograms per milliliters, and I  
21 believe that's consistent with what's been  
22 presented earlier. Whereas, if you can see

1 for parent phenylephrine, it's about 0.6  
2 nanogram per milliliter, and again, I think  
3 that's consistent with what's been presented  
4 earlier.

5           If I present -- if I represent  
6 those both on the same axis, you can see that  
7 the total phenylephrine is here and the  
8 parent phenylephrine is hardly visible on the  
9 scale. This indicates that following a 10  
10 milligram oral dose, phenylephrine or parent  
11 phenylephrine represents less than one  
12 percent of the total phenylephrine found in  
13 plasma and of course, that is the active  
14 moiety.

15           So we said about trying to  
16 understand -- is it possible to increase the  
17 bioavailability of parent phenylephrine. And  
18 the way that we did this was to really  
19 optimize the physiology of the  
20 gastrointestinal tract. And here is a  
21 diagram of the gastrointestinal tract showing  
22 the stomach, the duodenum, jejunum portions,

1 ileum, ileocecal junction and then into the  
2 colon.

3           If you look at the relative  
4 residence time of materials in the  
5 gastrointestinal tract, you will see that  
6 materials roughly are in the stomach for  
7 about half an hour, in the small intestinal  
8 area for a couple of hours, and in the colon  
9 for about 20 hours.

10           And generally, it's understood also  
11 that metabolism; particularly conjugation is  
12 less in the colon, even though it has a  
13 greater residence time, metabolism is less,  
14 but also the surface area for absorption is  
15 less, so those balance out.

16           So what did we do, we used what's  
17 called the Enterion capsule, and -- that  
18 isn't actually the size of it. The capsule  
19 is this size.

20                           (Laughter)

21           MR. O'MULLANE: And this is  
22 administered to male and female subjects and

1 it passes through the stomach, through the  
2 small intestine. And when it reaches the  
3 ileocecal junction, we can -- there's a  
4 radiometer device in the cap here, and we can  
5 see exactly where it is. When it reaches the  
6 colon, we then activate the capsule as  
7 follows.

8 A piston releases the drug into the  
9 lumen of the colon so you know precisely  
10 where the phenylephrine is being delivered.  
11 This was a sequential crossover design and we  
12 analyzed the samples with total phenylephrine  
13 and parent phenylephrine to improve our  
14 understanding. And these are the results.

15 For total phenylephrine, you can  
16 see here, this is the oral dose and this is  
17 the dose administered into the colon. For  
18 the parent phenylephrine, this is the  
19 immediate release dose, and here is the dose  
20 released into the colon. It may not be  
21 obvious from these charts, by the relative  
22 bioavailability, when delivered into the

1 colon is about three fold.

2 This result here, for the total  
3 phenylephrine, suggests and really confirms  
4 that there's far less metabolism in the  
5 colon. And what's quite interesting is that  
6 if you deliver it into the colon, you can see  
7 that you can get extended amounts of parent  
8 phenylephrine into the bloodstream and they  
9 remain there for an extended period of time.  
10 So it seems to us that it's a matter of  
11 getting it into the bloodstream that is the  
12 key issue to address.

13 So from the conclusions of our  
14 colonic absorption study, parent  
15 phenylephrine bioavailability has increased  
16 about 300 percent when phenylephrine is  
17 delivered into the colon. Sustained parent  
18 phenylephrine plasma levels are observed up  
19 to 24 hours when phenylephrine is colonically  
20 delivered. And we, our overall conclusion is  
21 that higher overall parent phenylephrine  
22 levels are possible by delivering

1 phenylephrine to specific regions of the  
2 gastrointestinal tract.

3 So what's our overall conclusions?

4 We conclude that parent phenylephrine is  
5 active in, in vitro activity assays of drug  
6 potency, whereas the conjugates are not  
7 active in the same assays.

8 Parent phenylephrine is the most  
9 appropriate market to characterize the  
10 pharmacokinetics of phenylephrine. And even  
11 though the value of 38 percent that is often  
12 quoted in the scientific literature may be  
13 correct for total phenylephrine, the actual  
14 amount of the active drug is far less than  
15 that around 0.38 percent or less.

16 And exposure to parent  
17 phenylephrine may be optimized by drug  
18 delivery systems that rely on absorption at  
19 other parts of the gastrointestinal tract.  
20 And with that point, I conclude.

21 DR. TINETTI: Thank you. I  
22 certainly hope you're not suggesting what I



1 think you're suggesting.

2 (Laughter)

3 DR. TINETTI: Any clarification  
4 questions. And I had -- just had a very  
5 quick clarification question for Dr. Danzig.  
6 The studies you presented, I presume, but can  
7 you let us know for sure, are they part of an  
8 application for a new drug, application for  
9 the loratadine/montelukast?

10 MR. DANZIG: Yes.

11 DR. TINETTI: Okay, and do you have  
12 comparison of the -- of that drug against the  
13 pseudoephedrine? You presented us against  
14 the phenylephrine. I don't need to see it.  
15 I just wanted to know do you have it?

16 MR. DANZIG: I mean, the data is  
17 under active review of -- by the FDA, and we  
18 really had to talk about phenylephrine today  
19 --

20 DR. TINETTI: Okay, I just wanted  
21 to know if -- I don't need the results.

22 MR. DANZIG: And not

1 pseudoephedrine.

2 DR. TINETTI: Okay, thank you.

3 MR. DANZIG: Not pseudoephedrine.

4 DR. TINETTI: Okay, thanks.

5 MR. DANZIG: And not in L/M.

6 Thanks.

7 DR. TINETTI: Okay.

8 DR. TAYLOR: Robert Taylor, to Dr.  
9 Danzig. Were the treatments blinded in the  
10 Ontario studies? And was the sequence of the  
11 three treatments randomized to the subjects?

12 MR. DANZIG: Yeah, so in the  
13 Ontario study, the treatments were blinded.  
14 There was a placebo to the L/M and there was  
15 a placebo to the phenylephrine. And all  
16 studies were randomized.

17 MS. PARKER: This is also to Dr.  
18 Danzig.

19 DR. TINETTI: Dr. Parker, just  
20 remember to introduce.

21 MS. PARKER: Dr. Parker, okay, Ruth  
22 Parker. I'm assuming the doses, the 12

1 milligrams of the phenylephrine and the 60  
2 milligrams of the pseudoephedrine that were  
3 used in the comparison must be equivalent. I  
4 mean, why not 30 milligrams of the  
5 pseudoephedrine.

6 MR. DANZIG: That's a good  
7 question.

8 MS. PARKER: And do you have  
9 anything that could tell me anything about  
10 using a -- and I assume, you don't, or you  
11 would have presented it, you didn't use any  
12 higher dose than the 12 milligram. Do you  
13 have any data on any of that?

14 MR. DANZIG: No, what -- no, well,  
15 we went to the monograph and the monograph  
16 said 60 milligrams of pseudoephedrine every 4  
17 to 6 hours, or every --

18 SPEAKER: Four to six hours.

19 MR. DANZIG: Every 4 to 6 hours and  
20 10 milligrams of phenylephrine every 4 to 6  
21 hours. And that's how we chose the dose.  
22 Every four hours, okay. There are better

1 experts than -- so.

2 DR. FITZGERALD: I'm sorry, Garret  
3 Fitzgerald, for Dr. O'Mullane. I wonder if  
4 you have any data that relates the Cmax of  
5 the parent compounds, that concentration  
6 attained either after 10 milligrams and after  
7 20 milligrams, and how that concentration of  
8 phenylephrine relates as far as vascular  
9 contractility is concerned, in vitro, or can  
10 be extrapolated onto the plasma concentration  
11 response curves with parenterally  
12 administered phenylephrine, which  
13 demonstrates a rather steep dose-response  
14 relationship with blood pressure.

15 MR. O'MULLANE: That wasn't the  
16 purpose of our study.

17 DR. FITZGERALD: I know that.

18 MR. O'MULLANE: And it would be --  
19 not for me to speculate on that, although, I  
20 do see from some pre- printing of materials  
21 that that may be addressed in the further  
22 CHPA presentation.

1 DR. GRIFFIN: Yeah, Marie Griffin.  
2 Just wondering, based on your study, would  
3 there be patient populations that would have,  
4 sort of, a more differential absorption,  
5 short-gut syndrome, or would you expect that  
6 there may be people that don't absorb much of  
7 the gut -- in the small bowel?

8 MR. O'MULLANE: I think it would be  
9 fair to say that there is a highly variable  
10 absorption of phenylephrine. It's not  
11 something that we could, again, speculate  
12 from the small numbers that looked we looked  
13 at in the study. But there certainly seems  
14 to the potential for optimization of  
15 delivery, you know, through various regions  
16 of the GI tract, and that's about all I can  
17 say.

18 MR. OWNBY:: Dennis Ownby, I had a  
19 question for Dr. Danzig and the basis for  
20 this question is that the effectiveness of  
21 some of these drugs that modulate blood flow  
22 through the nose may depend on how long the

1 nose has been congested beforehand, because  
2 of tissue edema. I didn't quite follow how  
3 many pre-challenges to sensitize the patients  
4 occurred before they went into the chamber  
5 and what that time period was like.

6 MR. DANZIG: Yeah. The priming  
7 visits are individualized based on the  
8 patient. So both Dr. Horak and Dr. Day bring  
9 a cohort of the patients in, expose them in  
10 the challenge unit. And if they are able to  
11 reach a certain symptom set in the first  
12 challenge, then they qualify. If not, they  
13 continually bring them back and prime them  
14 until they reach a symptom set. If they  
15 don't reach the symptom scores that we  
16 require, they do not bring them back for  
17 randomization.

18 So again, it's individualized, it  
19 could take a subject, one session or four or  
20 five sessions. And from clinical practice,  
21 you know, that that could -- some subjects  
22 respond quickly to pollen and some don't.

1                   MR. OWNBY:: So just a follow-up,  
2                   that's how they get away with the single dose  
3                   in the chamber of getting everyone to respond  
4                   some, because there is normally a very board  
5                   range of responses to challenge.

6                   MR. DANZIG: Yeah.

7                   MS. PARKER: One more.

8                   MR. DANZIG: Okay.

9                   MS. PARKER: Just one other  
10                  question about whether or not you had data on  
11                  heart rate response to the 12 milligrams --

12                  MR. DANZIG: Yes.

13                  MS. PARKER: Versus the two others  
14                  arms or blood pressure. I think you said you  
15                  measured it. I'm just curious what the data  
16                  were.

17                  MR. DANZIG: Yeah, we do, we do --  
18                  we do have it. But single dose studies in  
19                  the chamber really don't pick up anything.  
20                  People are too busy doing other things.

21                  DR. NELSON: All right, just a  
22                  couple of quick ones. Was the phenylephrine

1 --

2 DR. TINETTI: Just identify  
3 yourself.

4 DR. NELSON: I'm sorry, yes, I  
5 apologize. Nelson, sorry, I don't mean to be  
6 rude. Was the phenylephrine analyzed before  
7 you did the study? I noticed it was -- it  
8 was purchased outside the U.S.

9 MR. DANZIG: The phenylephrine was  
10 a commercial product bought in the UK.

11 DR. NELSON: Okay, and can I ask,  
12 was the efficacy of the phenylephrine versus  
13 placebo or a comparative part. Was that a  
14 primary or secondary analysis point, or was  
15 it just something that just was in there.

16 MR. DANZIG: The primary pre-stated  
17 endpoint for the 4579 was the L/M versus  
18 placebo and for the second trial, the  
19 crossover with phenylephrine versus placebo.

20 DR. NELSON: Yeah. And one  
21 additional question, is there any -- are  
22 there any studies with negative chamber



1 studies, such as you've done, yet positive  
2 what you call field studies or outpatient  
3 clinical studies. Is there any precedent to  
4 that?

5 MR. DANZIG: Let me answer that by  
6 first saying that I had given out two  
7 handouts by Professor Day and Professor Horak  
8 explaining the chamber model and what it is.  
9 And, you know, I'm not aware of all the  
10 totality of data that's sent into the FDA.  
11 And I'm not able -- maybe, the -- you know,  
12 there are other experts that can tell you,  
13 based on other drugs, whether or not that  
14 could happen.

15 DR. TINETTI: Does anybody know  
16 that question -- the question is the  
17 correlation between the chamber and real life  
18 experiences with SAR. Does anybody know?

19 MR. CHOWDHURY: I'll take this  
20 question, my name is Badrul Chowdhury, I'm  
21 with the division of pulmonary and allergy  
22 drugs, I'm the division director there. In a

1 general sense if you look at the totality of  
2 datas of all of these drugs, they usually  
3 correlate as far as positives in both  
4 situations goes. But there are exceptions  
5 and the exceptions where the chamber study is  
6 negative and the real-life study is positive,  
7 and they are there.

8 DR. TINETTI: All right, move on.  
9 Go ahead, Susan.

10 DR. JOHNSON: I'd just like to make  
11 a couple of comments about 4822 in response  
12 to Dr. Ownby's question. I think that there  
13 were up to six challenges allowed prior to  
14 enrollment. And in addition, what we saw  
15 reported were mean scores at the time of the  
16 enrollment, so, in the range of 2.75-2.82.  
17 But patients were required to have only  
18 minimal congestion in order to be enrolled.  
19 That was the criteria that they had to meet.  
20 So while the mean may reflect generally what  
21 the population looked like, not everybody had  
22 more than minimum congestion.

1                   And then, I'd just like to make one  
2 question for how these studies were done.  
3 One of the things that we've been interested  
4 over -- looking at these studies over time is  
5 the formulation used. And I noticed that  
6 there is quite a bit of difference amongst  
7 these studies.

8                   So while 4822, the Canadian study  
9 looked at quick dissolve strips, which might  
10 have introduced some questions about how to  
11 blind that study, there were tablet  
12 formulations used in the PK studies. And my  
13 question is the Enterion capsule, does that  
14 involve an actual tableted formulation that  
15 may have to dissolve or is that the actual  
16 drug substance?

17                   MR. O'MULLANE: It's the tableted  
18 formulation which is then crushed into a form  
19 micronized, so that it's rapidly released.

20                   DR. JOHNSON: So potentially, more  
21 bioavailable, just because it doesn't have to  
22 dissolve in --

1                   MR. O'MULLANE: Yes, so that it  
2                   wouldn't -- I mean, normally, for a drug to  
3                   dissolve in the stomach, it has that harsh  
4                   environment in the stomach where you would  
5                   expect to have the churning and the breaking  
6                   up of the tablet. So it's really to mimic  
7                   that kind of effect that you wouldn't  
8                   otherwise have within the colon.

9                   MS. SUYDAM: Thank you, Dr. Danzig  
10                  and Dr. O'Mullane. At this time, I'd like  
11                  to provide you with an overview of the CHPA  
12                  industry taskforce presentation. As I  
13                  mentioned earlier, the taskforce is composed  
14                  of McNeil Consumer Healthcare, Wyeth Consumer  
15                  Healthcare, GlaxoSmithKline, Perrigo,  
16                  Novartis, and Bayer Consumer Healthcare. We  
17                  agree with the citizen's petitioner that  
18                  providing medicines with an optimal safety  
19                  and efficacy profile is the top priority.

20                  We're here today to address the  
21                  petitioner's request to make changes to the  
22                  monograph to the oral form of phenylephrine.

1 The petitioner has requested an increase of  
2 single-dose phenylephrine hydrochloride from  
3 10 to 25 milligrams. The petitioner also  
4 recommends that additional studies be  
5 conducted to validate that a 25 milligram  
6 dose is more efficacious and as safe, as a 10  
7 milligram dose.

8 We are following, in our  
9 presentation, the FDA's policy decision to  
10 defer any discussion on pediatric use. And  
11 therefore we will not be presenting any  
12 information on the pediatric use of  
13 phenylephrine today.

14 We disagree with the citizen's  
15 petition. Phenylephrine 10 milligrams, is an  
16 appropriate over-the-counter dose for the  
17 temporary relief of nasal congestion. We  
18 will review data from multiple studies that  
19 demonstrate that 10 milligrams is a safe and  
20 effective dose.

21 We also disagree with the  
22 petitioner's specific recommendations to

1 increase the over-the-counter phenylephrine  
2 dose to 25 milligrams. We believe that  
3 increasing the phenylephrine dose to 25  
4 milligrams every four hours is not  
5 recommended because at this time, there are  
6 not sufficient data to prove that the higher  
7 dose would have a more favorable benefit-risk  
8 ratio.

9           While there are multiple  
10 double-blind randomized placebo-controlled  
11 positive studies for both objective and  
12 subjective endpoints, we acknowledge that  
13 existing studies do not meet all of today's  
14 standards for clinical trials. We will add  
15 to the body of evidence supporting  
16 phenylephrine 10 milligrams by working with  
17 the FDA. But let's keep in mind that behind  
18 the science, are real patients with real  
19 needs and very troublesome symptoms.

20           In fact, nasal congestion is  
21 considered to the most bothersome and  
22 difficult to treat of the symptoms of

1 rhinitis. Nasal congestion associated with  
2 acute and chronic rhinitis is a universal  
3 experience affecting millions of Americans  
4 every year. Nasal congestion is a symptom  
5 experienced by the general population  
6 resulting from a variety of causes, mostly  
7 from common colds and allergies.

8           For consumers who suffer from nasal  
9 congestion, phenylephrine 10 milligrams  
10 offers effective temporary relief and has its  
11 place in today's market just as it has for  
12 the last few decades. Phenylephrine has a  
13 long history in both prescription and  
14 over-the-counter medicines. In the U.S.,  
15 phenylephrine hydrochloride was found  
16 generally recognized as safe and effective in  
17 the OTC monograph finalized in 1994.

18           It's worth noting that  
19 phenylephrine 10 milligrams has also been  
20 approved by regulatory agencies in countries  
21 outside the U.S., including Canada,  
22 Australia, and several European Union

1 countries.

2 Data demonstrate that phenylephrine  
3 hydrochloride 10 milligrams is a safe and  
4 effective dose, and concluded by an FDA panel  
5 of experts, very similar to this panel of  
6 experts, in 1976. Since 1996, phenylephrine  
7 has been widely used in the United States  
8 with more than 5 billion dosage units  
9 distributed.

10 We will now hear from the CHPA  
11 taskforce representing the 7 companies I  
12 mentioned earlier. Dr. Ed Kuffner, senior  
13 director of medical affairs in McNeil  
14 Consumer Healthcare will summarize the safety  
15 data from controlled clinical trials with  
16 phenylephrine and post- marketing safety  
17 databases. His presentation will also  
18 include a review of adverse events associated  
19 with higher phenylephrine doses.

20 Dr. Cathy Gelotte, senior director  
21 of clinical pharmacology at McNeil Consumer  
22 Healthcare, will present the pharmacology of



1 phenylephrine and specifically discuss the  
2 latest pharmacokinetic data.

3 Dr. Ken Dretchen, professor and  
4 chair of the Department of Pharmacology at  
5 Georgetown University will outline the  
6 efficacy of phenylephrine 10 milligrams. In  
7 his presentation, Dr. Dretchen will review  
8 the individual trials that studied  
9 phenylephrine 10 milligrams, the two recently  
10 published meta-analyses.

11 And in conclusion, I will present a  
12 summary of our findings. Without further  
13 delay, I now turn the podium over to Dr.  
14 Kuffner.

15 MR. KUFFNER: Good morning, I'm Ed  
16 Kuffner; I'm a medical toxicologist and  
17 senior director of medical affairs at McNeil  
18 Consumer Healthcare. I'm going to review  
19 some of the data with respect to adverse  
20 events in vital signs, including pulse and  
21 systolic blood pressure that were reported in  
22 clinical trials for phenylephrine 10

1 milligrams. I will also present a comparison  
2 of adverse events between phenylephrine 10  
3 milligrams and 25 milligrams.

4           Spontaneously reported adverse  
5 events from combined post-marketing safety  
6 databases of the majority of companies that  
7 distribute over-the-counter oral  
8 phenylephrine in the United States were  
9 reviewed. Adverse event reports from member  
10 companies of the phenylephrine taskforce were  
11 given to the Rocky Mountain Poison and Drug  
12 Center for a combined and independent  
13 analysis. I'll present the results of this  
14 analysis.

15           Most of the placebo-controlled  
16 clinical trials reported safety data,  
17 meaning; reporting of adverse events, if they  
18 occurred, and documented changes in vital  
19 signs if they occurred. There are clinical  
20 trial safety data on more than 850 subject  
21 exposures from clinical trials in which  
22 different doses of phenylephrine were

1 administered. There are more data on adverse  
2 events and vital sign effects for  
3 phenylephrine 10 milligrams than for any  
4 other dose.

5 In this table, you see the four  
6 studies in which adverse events occurred, and  
7 were reported for the 10 milligram dose. In  
8 the first column, you see the specific  
9 studies, in the second column, you see the  
10 rate of adverse events for phenylephrine 10  
11 milligrams and in the last column you see the  
12 rate of adverse events for placebo. In each  
13 of the individual trials, and overall, the  
14 incidence of adverse events with  
15 phenylephrine and placebo is low and similar.

16 On the next slide, I will present  
17 data from the only study in which adverse  
18 events at a 10 milligram and a 25 milligram  
19 dose were reported. In the table across the  
20 top row, you see the rate of adverse events  
21 for placebo and different doses of  
22 phenylephrine studied. The specific adverse

1 events are listed below in the first column.

2 The overall incidence of adverse  
3 events with the milligram dose was higher  
4 than that for the 50 milligram dose, the 10  
5 milligram dose, and placebo. For both  
6 placebo and phenylephrine 10 milligrams, the  
7 rate of adverse events was 12.5 percent. For  
8 phenylephrine 25 milligrams, the rate was  
9 81.3 percent.

10 As you can see in the table, the  
11 specific reported adverse events do not  
12 appear to be serious. From this study, in  
13 which a comparison between the adverse events  
14 of 10 milligrams and 25 milligrams could be  
15 performed, it appears that adverse events may  
16 be dose related. On the next slide, we will  
17 review the vital sign changes with  
18 phenylephrine 10 milligrams.

19 In the column on the left, you see  
20 the differences between phenylephrine 10  
21 milligrams and placebo. Across the top row,  
22 you see the number of studies reporting data