

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

-----  
DERMATOLOGIC DRUGS ADVISORY COMMITTEE  
-----

-----  
OPEN PUBLIC HEARING  
NDA 18-662  
ACCUTANE (isotretinoin) CAPSULES  
-----

APRIL 23 1988  
1988 MAY 23 PM 12:53  
APRIL 23 1988  
APRIL 23 1988

26 April 1988

Parklawn Office Building  
Conference Rooms D & E  
5600 Fishers Lane  
Rockville, Maryland

## COMMITTEE MEMBERS:

WILMA F. BERGFELD, M.D. Chairman  
THOMAS E. NIGHTINGALE, Ph.D., Exec. Secretary  
ROBERT S. STERN, M.D.  
LYNN A. DRAKE, M.D.  
SHIRLEY OSTERHOUS, M.D.  
NEAL S. PENNEYS, M.D., Ph.D.  
ELIZABETH A. ABEL, M.D.  
PAUL R. BERGSTRESSER, M.D.  
DAVID H. STEIN, M.D.  
JOSEPH L. FLEISS, Ph.D.  
HAROLD R. MINUS, M.D.  
DAVID T. WOODLEY, M.D.

## GUESTS:

FRANK YOUNG, M.D., FDA Commissioner  
CARL PECK, M.D.  
JAMES BILSTAD, M.D.  
TERRENCE F. BLASCHKE, M.D.  
CAROLYN COULAM, M.D.  
JOHN J. DIGIOVANNA, M.D.  
WILLIAM H. EAGLSTEIN, M.D.  
J. DAVID ERICKSON, D.D.S., Ph.D.  
G. THOMAS JANSEN, M.D.  
EDWARD J. LAMMER, M.D.  
NANCY C. LEE, M.D.

DONALD R. MATTISON, M.D.

RICHARD K. MILLER, Ph.D.

JAMES MILLS, M.D.

GARY L. PECK, M.D.

JONATHAN WILKIN, M.D.

SIDNEY WOLFE, M.D.

DR. DAVID GRAHAM

DR. JOEL KURITSKY

DR. EDWARD TABOR

DR. CARNOT EVANS

REQUESTS TO MAKE PRESENTATIONS:

THOMAS JANSEN, M.D

SIDNEY WOLFE, M.D.

CASIMER GRABOWSKI, Ph.D.

JAMES HANSON, M.D.

ROBERT L. BRENT, M.D.

C O N T E N T S

AGENDA ITEM PAGE

- 1) Adverse effects of isotretinoin  
(ACCUTANE/Hoffmann-La Roche, Inc.)

Introductory Comments

- Dr. Carl Peck.....
- Dr. Edward Tabor.....

- A) Review of the Data

- Dr. David Graham.....

Representatives of Hoffmann-La Roche

- Dr. Philip J. Del Vecchio, Jr.....
- Dr. William J. Cunningham.....
- Dr. Alan R. Shalita.....
- Dr. John S. Strauss.....

Dr. Philip J. Del Vecchio, Jr.....

Dr. James M. LaBraico.....

Dr. Joel Kuritsky.....

BREAK

Comments from Guests

- Dr. Thomas G. Jansen.....
- Dr. Sidney Wolfe.....
- Dr. Lynn Silver.....
- Mr. William B. Schultz.....
- Dr. Casimer Grabowski.....
- Dr. James Hanson.....
- Dr. Robert L. Brent.....
- Dr. Nancy C. Lee.....
- Dr. Edward J. Lammer.....
- Dr. Gary L. Peck.....
- Mr. Thomas Rogers.....
- Dr. Richard K. Miller.....

- B) Options for Dealing with the Problem

Presentation of Options

- Dr. C. Carnot Evans.....

CONTENTS (Continued)

AGENDA ITEM

PAGE:

Presentation of Options (continued)

Representatives of Hoffmann  
La Roche, Inc.:

Dr. Philip J. Del Vecchio.....  
Dr. James M. LaBraico.....

CDC Perspective: Prevention of  
Abnormalities:

Dr. J. David Erickson.....  
Mr. William Schultz.....

Committee Discussion and vote.....

2) Update on Status of Patch Test Kits

Dr. Harold Baer.....

P R O C E E D I N G S

(8:07 a.m.)

1  
2  
3 DR. BERGFELD: Again I would like to call to  
4 order the Dermatologic Drugs Advisory Committee. We  
5 will be taking up two topics during the day: (1) the  
6 adverse effects of Accutane, which will take up the  
7 majority of the day; and (2) the status of the Patch  
8 Test Kits.

9 I would like to at this time introduce the new  
10 panel members. We have with us today Dr. Elizabeth  
11 Abel, a dermatologist from Stanford University,  
12 Stanford, California; Dr. David Stein, Director of  
13 Determatology, Children's Hospital of Buffalo, Buffalo,  
14 New York; Dr. Joseph Fleiss, Professor and Head,  
15 Division of Biostatistics, Columbia University School of  
16 Public Health, New York; Dr. Harold Minus, Associate  
17 Professor, Department of Dermatology, Howard University  
18 Hospital, Washington, D.C.; and Dr. David Woodley,  
19 Associate Professor, Department of Dermatology, and now  
20 the new head at Cornell University Department of  
21 Dermatology, New York.

22 They will be joining our other members who are  
23 Dr. Robert Stern, Dr. Lynn Drake, Dr. Shirley Osterhous,  
24 Dr. Neal Penneys, Dr. Paul Bergstresser.

25 So, thank you.

1                   We now need to move on and to state that we  
2                   have several guests that will be speaking today. We  
3                   have a current list of approximately six guests. I  
4                   would like to state at this moment, are there other  
5                   guests that will wish to present a prepared statement  
6                   during the day that we are not knowledgeable about?

7                   [No response.]

8                   DR. BERGFELD: No. I would like to also  
9                   announce that the open comment period which is usually  
10                  held in the first hour of such a committee meeting will  
11                  be postponed and put on the agenda at the end of the  
12                  discussion period, which will be in the mid-afternoon.

13                  Dr. Tom Nightingale has a few remarks to make  
14                  at this time.

15                  DR. NIGHTINGALE: Thank you, Dr. Bergfeld.

16                  As a matter of record we would like to note  
17                  that in preparation for this meeting today the Agency  
18                  reviewed the grants, contracts, and financial interests  
19                  of the committee members and the invited guests. After  
20                  this review, the Agency has determined that in order to  
21                  avoid the appearance of a conflict of interest, Dr. Paul  
22                  Bergstresser will not vote on the matter of Accutane  
23                  today, and the Agency has granted a full waiver to allow  
24                  the unlimited participation of Dr. Robert Stern.

25                  Dr. Stern would like to make a comment now.

1 DR. STERN: Yes. Although I have been granted  
2 a full waiver, because of my continuing support for a  
3 research project from Hoffmann-La Roche Company and  
4 other consulting work I've done for them in the past,  
5 although never on Accutane, I would like to not vote on  
6 these proceedings, but I would like to, like Paul  
7 Bergstresser, be allowed to fully participate in the  
8 discussions and just not vote.

9 Thank you.

10 DR. BERGFELD: Okay. We are now moving on in  
11 our agenda, and Dr. Carl Peck, Director of the Center  
12 for Drug Evaluation Research, will make a few remarks.

13 Introductory Comments by Carl Peck, M.D.,  
14 Director, Center for Drug Evaluation  
15 and Research

16 DR. CARL PECK: Thank you, Dr. Bergfeld, for  
17 opening the session. I would like to give my welcome to  
18 the Advisory Committee and to the public at large, and  
19 to our colleagues in the press. May I ask the press to  
20 respect and assist our process by being as minimally  
21 disruptive as possible.

22 I would like to especially welcome the five  
23 new members of the Advisory Committee. I know what it  
24 is like to be new to this Agency. Having been here only  
25 six months, I have learned a few things about the Food



1 and Drug Administration, and I would like to take just a  
2 few minutes this morning to share a few things that I  
3 learned about the Center so that you can have some  
4 perspective of your role in the process of new drug  
5 development and drug regulation.

6 I am going to have to ask that the lights be  
7 turned off now so that we can turn some 2 x 2 slides on  
8 and some transparencies.

9 [Hereafter, vu-graphs are shown.]

10 DR. CARL PECK: I would like to explain a  
11 little bit to you about the place of the FDA and the  
12 Center for Drugs within the FDA, and a little bit about  
13 its mission and its organization.

14 As you can see from this organizational chart,  
15 the Food and Drug Administration is divided into roughly  
16 seven operational units. There are about 7000  
17 scientists and support individuals that work at the Food  
18 and Drug Administration, and we are divided into a  
19 number of centers. For brevity, there is the Center for  
20 Foods, for Drugs, Biologics, Veterinary Medicine,  
21 Devices, and Radiological Health; a Center which  
22 concerns itself with developing toxicological data of  
23 use to the other centers; and a large network of  
24 regional, district, and resident posts--what we call  
25 "the field"--which allows us to stretch out and operate

1 across the country in satisfying our mandate in the  
2 regulation of foods, drugs and cosmetics.

3 So we will speak now mainly about the  
4 operation of the Center for Drug Evaluation and  
5 Research. The last time you met, which I believe was in  
6 November, we had recently split out from a conjoint  
7 organization that had been called the Center for Drugs  
8 and Biologics. May I have the next slide.

9 The Center for Drug Evaluation and Research is  
10 depicted here. There are roughly 1100 of us in this  
11 center, and we will be going over in a moment the  
12 general mission of our center. What I would like to  
13 point out to you at this point is a number of the  
14 operational units.

15 Apart from the head shed, which is meant to  
16 try to keep the place together, we are divided into  
17 roughly six operational units. There is an Office of  
18 Epidemiology and Biostatistics, which is headed by Dr.  
19 Gerry Faich. You will be hearing from a couple of the  
20 scientists that work within that section this morning,  
21 Dr. David Graham and Dr. Joel Kuritsky, who are  
22 pharmacoepidemiologists associated with the Epidemiology  
23 Branch, and they will be bringing to your attention  
24 certain investigations that they have undertaken on the  
25 usage of Accutane and estimates of some of its side

1 effects. There are roughly 100 individuals in this  
2 section.

3 In the Office of Compliance there are a couple  
4 of hundred individuals who focus on our law enforcement  
5 mission. In this section we have strong linkages with  
6 the field operation. We inspect manufacturing  
7 operations, clinical investigations, clinical  
8 investigators, and provide a compliance function.

9 The two offices of Drug Evaluation--Drug  
10 Evaluation I and Drug Evaluation II--are the heart of  
11 our new drug evaluation. Here Dr. Bob Temple heads the  
12 Office of Drug Evaluation I. There are a couple hundred  
13 individuals in there comprised of physicians,  
14 pharmacologists, toxicologists, chemists, who consider  
15 investigational new drug applications and new drug  
16 applications that are presented to the Center.

17 Just to give you an idea of the magnitude of  
18 the work underway within the Center, we are currently  
19 monitoring somewhere around 10,000 investigational new  
20 drug applications, of which 2500 or so are commercially  
21 sponsored; the remainder being sponsored by individual  
22 investigators.

23 The Office of Drug Evaluation II is headed by  
24 Dr. Jim Bilstad, who sits in the committee this morning.  
25 The Division of Anti-infectives is headed by Dr. Edward

1 Tabor, who will be speaking after me, and within that  
2 division is the Dermatology Drug Products Branch which  
3 is headed by Dr. Carnot Evans, who is also with us on  
4 the committee this morning.

5 So for the perspective of the committee, the  
6 epidemiological data that we will be discussing this  
7 morning has come from the Office of Epidemiology and  
8 Biostatistics. The primary responsibility for  
9 originally the I&D and then the new drug application for  
10 Accutane resided within the Anti-infectives Division,  
11 and jointly they have been monitoring the post-marketing  
12 experience.

13 Within the Office of Drug Standards we have a  
14 number of elements that focus on over-the-counter drugs  
15 and generic copies of originally patented drugs. There  
16 is an Office of Pharmaceutical Research Resources, and  
17 the Advisory Committee--unfortunately not appended onto  
18 this particular slide; this is an instance in which I  
19 have the wrong slide--actually reports directly to the  
20 Center Director to the Center Office.

21 If I can now have the transparencies, I would  
22 like to just review for the new members the main  
23 missions of the Center for Drug Evaluation and Research.  
24 This rather wordy first mission depicts our mandate to  
25 advise and regulate sponsors of new drugs by

1       establishing and setting medical, scientific, and legal  
2       standards.

3               These are meant to ensure that drugs which are  
4       efficacious enter the marketplace, and that we have  
5       sufficient information on the risk/benefit experience in  
6       advance of marketing that we can label the drugs  
7       properly.

8               The second transparency expresses our mandate  
9       to ensure that the drugs meet a high quality standard in  
10      terms of their manufacture, and that they are properly  
11      labeled.

12              The final mission which holds a co-equal place  
13      with our other missions is to gather information in the  
14      post-marketing phase so as to assure that the continuing  
15      marketing approval is consistent with everything that we  
16      learn new about risks and benefits of the drugs as  
17      they're actually used by physicians and by patients.

18              You can turn that off, now.

19              As you well know, the main business of the day  
20      is a discussion about Accutane, a drug known to be  
21      uniquely effective in the treatment of severe cystic  
22      acne, which is recalcitrant to standard therapies, and a  
23      drug with often tragic consequences to the fetus when  
24      inadvertently taken by pregnant women.

25              We are seeking your advice and counsel this

1 morning. As you know, our pharmacoepidemiological arm  
2 has undertaken epidemiological investigations on  
3 Accutane use in women of child-bearing age which call  
4 into question the effectiveness of the firms and the  
5 FDA's multiple efforts to restrict use of Accutane to  
6 patients with severe recalcitrant acne who are not  
7 pregnant before or during therapy.

8 Contrary to press reports, however, we at FDA  
9 have not reached a position on any particular regulatory  
10 action to take in this matter. Rather, we are counting  
11 upon you, along with several invited guests, to provide  
12 us with a reasoned, thoughtful, and balanced advice.

13 We ask you to dissect and discuss the various  
14 points of view, and to carefully advise us on the  
15 options. We will make no final decisions today.  
16 Rather, we will take your advice especially seriously in  
17 our consideration as we decide what actions are in the  
18 best interests of those who may potentially benefit, as  
19 well as those who may be harmed by the drug in the  
20 future.

21 I will turn the meeting back over to Dr.  
22 Bergfeld.

23 DR. BERGFELD: Thank you.

24 The committee will be taking up the questions  
25 that you received in your agenda program in the

1 afternoon session, but we will strictly abide by your  
2 request that we advise you, Dr. Peck.

3 Dr. Edward Tabor is now going to present.

4 Presentation of Edward Tabor, M.D., Director

5 Division of Anti-Infective Drug Products

6 DR. TABOR: Accutane was approved in 1982  
7 prior to the time that I joined the Division of Anti-  
8 Infective Drug Products as Division Director. However,  
9 within a very short time after beginning as Division  
10 Director--in fact in two separate periods in 1983 and  
11 1984--it was necessary for this Division to deal with  
12 birth defects caused by Accutane.

13 Enormous amounts of time were invested to try  
14 to find a mechanism to prevent women from taking this  
15 drug while pregnant. Some of those involved in this  
16 enormous effort were Dr. Carnot Evans, Dr. Phyllis  
17 Hewin, Mr. David Boswick, Dr. James Bilstad, and myself.

18 The steps taken were considered extreme at the  
19 time in the context of the way drugs are labeled in this  
20 country--and I think the U.S. probably has stricter  
21 labeling for prescription drugs than any other country  
22 in the world. In that context, the labeling and  
23 relabeling of Accutane was severe and radical.

24 The measures included--in addition to the box  
25 contraindication against use in pregnancy, and a

1 reduction in starting dosage for Accutane--they included  
2 a color brochure distributed by doctors and pharmacists;  
3 a patient leaflet, included with each bottle; and red  
4 warning stickers to be placed on each bottle by the  
5 pharmacist. All of these warned against becoming  
6 pregnant or against starting Accutane if there was a  
7 chance that the patient were pregnant.

8 Now data has become available from the  
9 Division of Epidemiology and Biostatistics which was  
10 formally circulated in recent weeks within the agency  
11 that these measures have not prevented two things: they  
12 have not prevented the widespread overprescribing of  
13 Accutane to men and women who do not meet the criteria  
14 on the current label for the use of Accutane.

15 The current label states that Accutane is  
16 indicated for "severe recalcitrant cystic acne." That  
17 is, acne that is cystic, severe, and that has failed to  
18 respond to other therapies. Secondly, these measures  
19 have not prevented the occurrence of pregnancies in  
20 patients who are using Accutane--including pregnancies  
21 that apparently were in progress in some cases at the  
22 time Accutane therapy was begun.

23 Extensive discussions have taken place within  
24 FDA over the past few weeks. These discussions were  
25 initiated in most cases by the Division of Anti-



1 Infective Drug Products, and have involved many people  
2 outside of the Division including Dr. James Bilstad, Dr.  
3 Gerald Faich, Dr. Carl Peck, Commissioner Young, and  
4 others.

5 Now we have brought the issue to you, the  
6 committee. We have brought you the data and a list of  
7 possible solutions, and the opportunity for discussions  
8 and presentation of yet other solutions. There are two  
9 reasons why we have brought this before you.

10 The first reason is that Accutane was  
11 presented to this DHHS chartered committee on three  
12 previous occasions. Although most of you were not on  
13 the committee at that time, there are plenty of us in  
14 this room who remember those meetings and the concern  
15 shown by this committee. Some of those who were here at  
16 that time and are here now include Dr. James Bilstad,  
17 Dr. Carnot Evans of FDA, and Dr. Sidney Wolfe from the  
18 Health Research Group.

19 The second reason why we have brought this  
20 before this committee is that this is an issue that is  
21 so important that it must be brought before the public  
22 and before a panel of experts from the medical  
23 community. We have also invited guests to participate  
24 in the discussion. These guests include experts on the  
25 toxicity of medications for the reproductive system,

1 experts on the rates of contraceptive failure, and  
2 experts on the care of pregnant women and the care of  
3 sick infants.

4 I want to thank all of you on the committee  
5 and all of the invited guests for your willingness to  
6 assist us on relatively short notice with this important  
7 problem.

8 Thank you.

9 DR. BERGFELD: That ends our introductory  
10 comments. We are now going to move on to the subject at  
11 hand. It is divided into two parts.

12 First is the data presentation, which is this  
13 morning; and the second, the options which will be taken  
14 up this afternoon.

15 It is my understanding at this time that Dr.  
16 David Graham, the group leader of the Epidemiology  
17 Branch Office of Epidemiology and Biostatistics of the  
18 FDA will now present.

19 Review of the Data by David Graham, M.D., Group  
20 Leader, Epidemiology Branch, Office of  
21 Epidemiology and Biostatistics

22 DR. GRAHAM: Good morning. I am pleased to  
23 have the opportunity this morning to present our data on  
24 the subject of maternal exposure to Accutane.

25 Shortly after the marketing of Accutane in

1           September 1982, the FDA began to receive reports of  
2           severe birth defects in the offspring of mothers who  
3           took the drug during pregnancy. These reports continued  
4           to the present signaling the existence of a major  
5           problem with Accutane.

6                        As a pregnancy Category X classified drug,  
7           there is clear evidence of fetal risk. The risk of this  
8           drug in a pregnant woman clearly outweighs any benefit  
9           to that woman. Category X status is not focused on the  
10          avoidance of birth defects, per se, but rather is  
11          directed at pregnancy exposure itself. Pregnancy  
12          exposure is not an acceptable risk under any  
13          circumstance. Today I will review work done by Drs.  
14          Rosa, Baum, and myself on the subject of maternal  
15          exposure to this drug.

16                       The work you will see this morning represents  
17          a more indepth analysis of data which was presented in  
18          our preliminary report two months ago. The analyses  
19          today incorporate many helpful suggestions from the  
20          manufacturer.

21                       In the next few minutes we will discuss  
22          Accutane usage showing that the population with severe  
23          cystic acne for which Accutane is indicated is  
24          relatively small, and that Accutane use is extensive  
25          reaching far beyond its approved use.

1           We will next explore the issue of pregnancy  
2 exposure to Accutane, examining data from three  
3 different populations in the United States. Each of  
4 these data bases show that pregnancy exposure to  
5 Accutane occurs in between 1.5 and 6 percent of women  
6 exposed to the product. The majority of these exposures  
7 end with induced abortion, which has increased two-fold  
8 among Accutane-exposed women compared to women not  
9 taking Accutane.

10           Among the remaining pregnancies which come to  
11 term, severe birth defects and stillbirths occur.

12           In the third section we will show reports of  
13 birth defects continuing to be received by FDA. Under-  
14 reporting is extensive, and most pregnancy exposures are  
15 not reported.

16           In the last section we will discuss these data  
17 in light of the extraordinary labeling and educational  
18 efforts taken to prevent pregnancy exposure to Accutane.

19           The approach used in this section on Accutane  
20 usage will have two parts. In the first we will  
21 describe the size of the population which we believe  
22 fulfills the labeled indication for Accutane; in the  
23 second, we will assess actual drug use as measured by  
24 several data sources. Finally, Accutane use will be  
25 compared to the population of women who fulfill the

1 labeled indication to receive it.

2 As a starting point of our analysis, we begin  
3 with the labeled indication which Accutane currently has  
4 FDA approval for. Accutane is indicated for patients  
5 with severe cystic acne unresponsive to conventional  
6 therapy including systemic antibiotics. We used this  
7 approved indication as the template by which to estimate  
8 the number of women of child-bearing age for whom  
9 Accutane was intended.

10 No actual head count exists of how many women  
11 of child-bearing age have cystic acne fulfilling this  
12 labeled indication. To estimate its size we used  
13 results from three large population-based examination  
14 surveys. These are the only such studies providing  
15 sufficient detail from which to draw conclusions.

16 This slide summarizes the studies which we  
17 will discuss in more depth. Rea, et al., examined 1555  
18 people aged 8 to 18 in the United Kingdom, classifying  
19 them according to a 5-grade scale for acne severity.  
20 Grade V, which most closely approximated the "severe  
21 cystic acne" we are concerned with today, had no  
22 patients found in that category for a prevalence  
23 estimate to 0 cases in over 1500 people examined.

24 In another study from the United Kingdom,  
25 Burton, et al., examined 614 adults. They used a three-

1 part grading system in which the definition for "severe"  
2 was, and I quote, "needing early medical attention  
3 because of severe symptoms or progressing disease."  
4 This category was much broader than just "severe cystic  
5 acne." Of the 614 patients examined, only 1 fell into  
6 the "severe" category for a population-based rate of 1.6  
7 cases of severe acne per 1000 persons examined.

8 The final study which we examined was the  
9 National Health and Nutrition Examination and Survey, or  
10 NHANES. The study was designed and sponsored by the  
11 National Center for Health Statistics, and in it over  
12 20,000 people chosen randomly from the population were  
13 examined by Board Certified dermatologists for the  
14 presence of various skin disorders. Cystic acne was one  
15 of the disorders specifically examined for. The study  
16 found an overall prevalence of cystic acne of 1.9 cases  
17 per 1000 people. Cystic acne was 5 times more common in  
18 males than in females, as shown by the prevalence ratios  
19 for males and females here. This excess of males was  
20 found in every age group between the ages of 12 and 44.  
21 Below the age of 12 and over the age of 44, cystic acne  
22 was not encountered in females, and was rarely  
23 encountered in males.

24 This study with a prevalence estimate of 1.9  
25 per 1000 for cystic acne, and Burton's study with an

1 estimate of 1.6 per 1000 for all severe acne including  
2 cystic as well as noncystic, indicate the rarity of  
3 cystic acne in the total population.

4 Both Rea and Burton found that, while for any  
5 given grade of acne severity, women were more likely to  
6 seek medical attention than men, despite having lower  
7 proportions of severe disease. Because of this, and for  
8 other reasons as well, trying to estimate how many women  
9 have cystic acne based on who comes to the doctor for  
10 treatment is likely to cause large overestimations of  
11 the number of women with disease.

12 To avoid this bias, we derived an estimate of  
13 the number of women with severe cystic acne unresponsive  
14 to conventional therapy, including systemic antibiotics,  
15 on the basis of population-based references, and we  
16 chose to use NHANES because it was the largest study  
17 conducted in the United States and it dealt specifically  
18 with cystic acne.

19 This next slide outlines the approach taken to  
20 obtain an estimate of the number of women with severe  
21 cystic acne who had satisfied the indication for  
22 Accutane which is currently approved by the FDA. The  
23 total U.S. population in July 1984 was 237 million. We  
24 use this value because it is the mid-point of the period  
25 1982 to 1986 which we studied in other data bases.

1           The NHANES prevalence for cystic acne of 1.9  
2 per 1000 population was applied to the total U.S.  
3 population to come up with a national prevalence  
4 estimate of 450,000 cases. This is all degrees of  
5 cystic acne severity and includes both males and  
6 females. From NHANES we know that the sex ratio was 5.5  
7 to 1 in favor of males. Only 15 percent of cystic acne  
8 occurred in females. Applying that 15 percent figure to  
9 the prevalence of 450,000, we arrive at a female  
10 prevalence estimate of 69,300.

11           The approved indication for Accutane clearly  
12 states that Accutane is for use only in cases of severe  
13 cystic acne, but what proportion of cystic acne is  
14 severe? The answer is difficult to obtain because no  
15 study has examined the distribution of severity among a  
16 randomly chosen group of cystic acne patients. Although  
17 NHANES determined the prevalence of all cystic acne, it  
18 did not publish data on the severity of cystic acne. So  
19 no estimate of what percentage is severe can be gotten  
20 from that source.

21           Also, there is apparently no universally  
22 accepted standard definition for "severe." However,  
23 from the original studies which formed the basis for the  
24 NDA approval of Accutane, "severe" was defined as 10 or  
25 more deep cystic inflammatory lesions, each of 4



1 millimeter or more in diameter.

2 In Peck's original pilot study published in  
3 1979, the average number of cysts per patient was 26.  
4 In his larger placebo control trial published in 1982,  
5 the average number was 42 cysts per patient. These  
6 patients clearly had severe cystic acne. However, it is  
7 equally clear from the literature that there are  
8 patients with fewer than 10 cysts, fewer than 5 cysts,  
9 and even patients with only 1 cyst. A spectrum of  
10 disease severity exists, as it does for most other  
11 disorders including acne vulgaris. In acne vulgaris, a  
12 perimetal model for disease severity exists, with very  
13 few patients having severe disease relative to those  
14 with milder gradations of severity.

15 In the examination surveys performed by Rea  
16 and Burton referred to earlier, the distribution of acne  
17 severity was such that only a small proportion of all  
18 disease fell into the extreme category of truly severe.  
19 In Rea's study there was no cases in over 1500  
20 patients.

21 Finally, the labeling for Accutane  
22 specifically uses the word "severe" in its description  
23 of when the drug is indicated, indicating that not all  
24 cystic acne is severe. So although no study has been  
25 published which describes the severity of cystic acne in

1 a large group of patients, we believe it is reasonable  
2 to assume that 50 percent of cystic acne might be  
3 severe. If the pattern with other diseases apply, this  
4 actual proportion may be much lower.

5 The resulting estimate for the number of women  
6 of child-bearing age with severe cystic acne comes to  
7 slightly under 35,000. This represents roughly the  
8 total number of women in the entire U.S. population who  
9 would have had severe cystic acne when Accutane came on  
10 the market in 1982.

11 "Prevalence" is the number of patients with  
12 disease present in the population at the time of a  
13 census or counting. "Incidence" on the other hand is  
14 the number of new cases developing in that population  
15 over the course of a period of time--generally, a year.  
16 "Prevalence" and "incidence" are directly related,  
17 according to this formula, prevalence equaling incidence  
18 times duration of disease.

19 From the pre-Accutane era the published  
20 literature suggests that cystic acne duration tends to  
21 be long. The mean duration of the disease published in  
22 two early studies was 8 to 9 years. We used 8 years in  
23 our calculation and obtained an annual incidence for  
24 severe cystic acne in women aged 15 to 44 of about 4300.

25 Given the nature of the disease, the incidence

1 rate for cystic acne is likely to remain stable over  
2 time. The duration of the disease could be shortened by  
3 the introduction of new therapy such as Accutane.  
4 However, this would only serve to reduce the prevalence  
5 without affecting disease incidence at all. As such,  
6 incidence is an intrinsic property of the disease and  
7 the population.

8 We now turn to estimating Accutane usage.  
9 There is no absolutely precise way to estimate the  
10 number of women of child-bearing age who have received  
11 Accutane. In Dr. Edward Lammer's 1985 article published  
12 in the New England Journal, a paper co-authored by  
13 employees from the manufacture of Accutane, it was  
14 estimated that 10,000 women per month were being newly  
15 started on Accutane, and that 160,000 had already  
16 received it by the time the article was submitted for  
17 publication in 1984.

18 This data was derived from a marketing survey  
19 commissioned by the firm. We extrapolated this number  
20 from 1984 exposures to obtain an estimate of 390,000  
21 women of child-bearing age through the end of 1986. We  
22 also examined a drug-use data base called the National  
23 Disease and Therapeutic Index. This is a physician  
24 office-based survey which registers the number of time a  
25 drug is prescribed.

1                   From this we obtained an estimate of 270,000  
2 women of child-bearing age having been treated with the  
3 drug between 1982 and 1986. This is a somewhat lower  
4 estimate than that obtained by the manufacturer using a  
5 different commercial data base.

6                   This slide shows the number of patient visits  
7 for Accutane between 1983 and 1986 based on data from  
8 the National Disease and Therapeutic Index. The shaded  
9 area represents women aged 15 to 44 who received  
10 prescriptions or had physician visits for Accutane in  
11 each of the years shown along the X axis. Over the  
12 years shown, slightly over 1.1 million prescriptions for  
13 Accutane went to the 270,000 women in this age group.  
14 One other feature to notice is that in each successive  
15 year the proportion of all Accutane use which went to  
16 women of child-bearing age remained relatively constant  
17 at about 40 percent of total Accutane use.

18                   We may now compare the observed use of  
19 Accutane with what would be expected if every woman in  
20 the United States who satisfied the label indication of  
21 severe cystic acne had been treated with Accutane.

22                   From the slides we showed earlier based on  
23 prevalence, we know that there are about--we believe  
24 that there are about 34,000 prevalent cases in 1982 when  
25 Accutane was approved. We estimate that the incidence

1 in each successive year was about 4300 cases. This  
2 would lead to a total number of cases at the end of 1986  
3 of about 53,000 women aged 15 to 44 in the United States  
4 satisfying the labeled indication.

5 In that time period, from two different  
6 estimates, we have an estimate of 270,000 to 390,000  
7 women getting the drug. Comparing the one to the other,  
8 we see that the excess of use of Accutane in the  
9 population amounted to a five- to six-fold excess.

10 If we, instead of looking at prevalence,  
11 assume that all prevalent cases were treated in the  
12 first few years after Accutane came on the market, we  
13 can then use current annual use as an estimate to  
14 compare against the incidence of new disease in the  
15 population.

16 The average annual use of Accutane in the  
17 population ranges from 63,000 to 92,000 new women aged  
18 15 to 44. On an annual basis, we estimate about 4300  
19 new cases of cystic acne in this population. If we  
20 compare the "incident exposure" to the incident cases we  
21 see that the excess of use in this population could be  
22 as high as 15-fold greater than the approved indication.  
23 In this analysis, only about 7 percent of current use  
24 may be used for the approved labeled indication in women  
25 aged 15 to 44 in the United States.

1                   Our conclusion from Section I of our data is  
2                   that Accutane is overused.

3                   We will now shift gears and begin to look at  
4                   pregnancy exposure to Accutane. Accutane carries a  
5                   pregnancy Category X classification stating that this  
6                   drug should never be used in a pregnant woman.  
7                   Pregnancy exposure is potentially so severe, that  
8                   benefit of the drug to the mother never outweighs the  
9                   potential risks to the fetus.

10                  In this section we describe the extent of  
11                  pregnancy exposure to Accutane within the United  
12                  States, relying upon three large population-based data  
13                  sources. The most complete data with the largest number  
14                  of Accutane users comes from Michigan Medicaid. Less  
15                  complete data exists from Florida Medicaid and from  
16                  Group Health Cooperative, an HMO in Seattle, Washington.

17                  The Medicaid system provides health care to  
18                  about 270,000 women aged 15 to 44 annually. Each time  
19                  one of these women sees a physician, undergoes a  
20                  procedure, or receives a medication prescription and  
21                  submits it to a pharmacist, a billing claim is generated  
22                  which is recorded in the Medicaid data base. The data  
23                  recorded include the date of the transaction, the ICD-9  
24                  Code for the diagnosis, the name of the prescription  
25                  drug, the prescription strength and number of pills or

1           tablets given, as well as the patient's age.

2                       Each patient has a file in the computer to  
3           which billing transactions for medical care and services  
4           are added as they occur over calendar time. This slide  
5           provides background to the Michigan Medicaid system.  
6           Over the years 1979 to 1986, we have data available on  
7           nearly 500,000 women who at some time or other received  
8           medical care within the Medicaid system for the State of  
9           Michigan.

10                      The average annual population of women of  
11           child-bearing age was approximately 270,000 per year.  
12           These women on average experienced slightly over 52,000  
13           pregnancies, of which 29,000 were deliveries, 15,000  
14           induced abortions, and 7000 other abortions labeled as  
15           spontaneous or not otherwise specified. Many of the  
16           "not otherwise specified" abortions are difficult to  
17           determine whether they are induced or spontaneous, and  
18           so they are lumped in the other category.

19                      The study that we performed covered the period  
20           1982 to 1986. This was because the computer tape for  
21           Michigan Medicaid transactions for 1987 was not  
22           available when we first began this investigation.  
23           Between 1982 and 1986 a billing claim for Accutane was  
24           processed on 928 women in this age group. In Michigan  
25           Medicaid approximately 90 percent of all Accutane use in

1 women is in this age range, with only 4 percent  
2 occurring in women over the age of 44.

3 Using a computer, we identified 55 women out  
4 of cohort of 928 recipients in whom an Accutane  
5 prescription fell within 270 days of a delivery ICD-9  
6 diagnosis code, or 120 days of an abortion code. These  
7 55 cases were considered suspected Accutane pregnancy  
8 exposures in our study. They represent 5.9 percent of  
9 all women in this age group who were treated with the  
10 drug; 50 of these cases appeared to involve first  
11 trimester exposure. Among the 5 other cases, 2 involved  
12 a second or third trimester exposure; 1 involved an  
13 induced abortion performed at 6 months' gestation for a  
14 suspected fetal abnormality; and 2 were late spontaneous  
15 abortions or premature stillbirths.

16 These latter three cases probably represent  
17 actual first trimester exposures but were excluded from  
18 our analysis because of their unusual presentation.

19 This busy slide shows pregnancy outcome among  
20 the 51st suspected first trimester Accutane exposures in  
21 Michigan Medicaid. We have the year of exposure, 1982  
22 through 1986, across the top of the figure; and along  
23 the side we have the various pregnancy outcomes which we  
24 examined.

25 The bottom line here gives by year the total



1 number of suspected first trimester pregnancy exposures  
2 experienced in a given year. The fluctuations in  
3 numbers year to year are not statistically significant.  
4 Several points can be drawn from this slide.

5 First, pregnancy exposure to Accutane occurred  
6 in every year of marketing in this population. Also,  
7 the occurrence of pregnancy exposure has remained  
8 relatively constant over time. Third, induced abortion  
9 accounted for about 60 percent of all first trimester  
10 pregnancy exposure outcomes.

11 In the last year for which we have complete  
12 data, induced abortion accounted for 80 percent of  
13 pregnancy outcomes. This ratio of 60 percent compares  
14 to the national average of about 28 percent, which is  
15 very close to the Michigan Medicaid average of 29  
16 percent.

17 Finally, 13 deliveries--26 percent of first  
18 trimester exposures in the Michigan Medicaid exposure  
19 reached delivery.

20 Regarding the exposed mothers in this slide,  
21 their median age was 25 to 29. They were not as young  
22 as previously reported in other retrospective type  
23 studies. For the 13 deliveries with first trimester  
24 exposures--that is this group here (indicating)--the  
25 computer records for the mothers were linked to those of

1 the offspring to further explore the impact of Accutane  
2 exposure during early gestation in this population-based  
3 prospective setting.

4 This slide provides details available at this  
5 time for the 13 children with suspected first trimester  
6 pregnancy exposures to Accutane: 1 was stillborn; 2  
7 were listed with cranial facial birth defect ICD-9  
8 codes; 2 others we suspect may have experienced  
9 perinatal death. This is because, although the computer  
10 linkage was possible, records were not found for the  
11 children. At the same time, their mothers remained in  
12 the Medicaid system, implying that these children had  
13 possibly died. Seven of the 13 were apparently normal,  
14 and in one we were unable to complete the linkage  
15 because of a confusion in the computer codes.

16 All told, there were 3 to 5 deliveries with  
17 evidence of Accutane-related problems. We are  
18 attempting to obtain the primary medical records for all  
19 these deliveries. It should be noted that the  
20 occurrence of the ICD-9 Code for cranial facial birth  
21 defects is extremely rare in Medicaid. Using a computer  
22 signaling module, the presence of two such ICD codes in  
23 13 deliveries represents an extremely rare situation.

24 Among these 13 deliveries, 60 percent received  
25 only 1 prescription for Accutane. This is twice as

1 great as the percentage of the entire 928 women who  
2 received only 1 Accutane prescription. That is to say,  
3 of the 928 women who got Accutane, 30 percent of those  
4 women received one prescription and one prescription  
5 only for Accutane.

6 However, in this group of deliveries that  
7 proportion was doubled to 60 percent. This suggests  
8 that the pregnancy exposure event was recognized early  
9 in some of these cases because Accutane was not  
10 prescribed again. However, in 40 percent of these  
11 pregnancy exposure cases, patients did receive more than  
12 one Accutane prescription.

13 We will now shift our focus from a descriptive  
14 to an analytic exploration of these Medicaid data. We  
15 compared the pregnancy experience of the 928 women  
16 exposed to Accutane in Michigan Medicaid to the entire  
17 female population in the age group 15 to 44. In  
18 examining the data for possible confounding by age and  
19 race, we found that neither had a major effect on  
20 pregnancy, delivery, or induced abortion rates. The  
21 data presented today are adjusted for age. In  
22 performing the analysis, we assumed that a prescription  
23 for Accutane amounted to one month pregnancy exposure  
24 risk.

25 In Medicaid, drug prescriptions are generally

1 limited to one month's duration. In this slide, we show  
2 the pregnancy, delivery, and induced abortion rates per  
3 1000 women per year in Accutane-exposed and nonexposed  
4 women from Michigan Medicaid.

5 When we compare the pregnancy rates among  
6 women exposed to Accutane with those not exposed to  
7 Accutane, we see that there is a slight difference in  
8 the rates amounting to about a 15 percent reduction in  
9 fertility. Also, there is a marked reduction in the  
10 number of deliveries per 1000 women among women exposed  
11 to Accutane and those not exposed to Accutane.

12 Finally, we see that there is over a 50  
13 percent increase in the absolute rate of induced  
14 abortion among Accutane-exposed women in Michigan  
15 Medicaid compared to women not exposed to Accutane.  
16 These latter two differences were statistically  
17 significant.

18 A more important comparison to make, however,  
19 is what happens to pregnancy once it occurs. The data  
20 on this slide are rates per 1000 women per year.  
21 However, if a woman is not pregnant, she is not at risk,  
22 so to speak, for any of these outcomes. The more  
23 relevant comparison is one based on pregnancies, and  
24 that comparison is shown in the next slide.

25 The rates shown in this slide are based on

1 1000 pregnancies. Once again we compare our 51st  
2 trimester Accutane exposure group with all women  
3 delivering in the Medicaid system. As can be seen, the  
4 rates for delivery for 1000 pregnancies are reduced  
5 among Accutane-exposed women when compared to women not  
6 exposed to Accutane.

7 At the same time, the rate of induced abortion  
8 per 1000 pregnancies is increased from 293 to 594. The  
9 SMR over here is an estimate of the relative risk. It  
10 shows that delivery events among Accutane women occur  
11 about half as often among Accutane-exposed women as  
12 occur among women not exposed to Accutane. At the same  
13 time, induced abortion appears to occur about two times  
14 more often among women exposed to the drug compared to  
15 women not exposed to the drug. These differences were  
16 highly statistically significant.

17 This final slide shows pictorially the  
18 relative effect of Accutane exposure on delivery and  
19 induced abortion. It shows us that, relatively  
20 speaking, the drop in delivery rates experienced by  
21 women exposed to Accutane is explained almost entirely  
22 by the rise in induced abortion in this group.

23 While the pregnancy exposure rate for women  
24 taking Accutane is only slightly lower than the  
25 pregnancy rate for women not exposed, the number

1 reaching delivery is reduced in direct proportion to the  
2 rise in induced abortion.

3 We also examined pregnancy exposure to  
4 Accutane in Florida Medicaid. In this system, induced  
5 abortion is not paid for unless the mother's life is at  
6 risk from pregnancy. Therefore, we could not evaluate  
7 the total extent of pregnancy exposure to Accutane in  
8 the Florida Medicaid data base. However, if the  
9 proportions from Michigan Medicaid were applied to  
10 Florida for deliveries, one would expect about two  
11 exposed deliveries among the 134 women treated with this  
12 drug in Florida Medicaid.

13 When we examined the Florida data, we found  
14 two exposed deliveries, one with the first trimester and  
15 one with a later trimester exposure. We believe this  
16 finding supports the rates of pregnancy exposure and  
17 delivery observed in Michigan Medicaid.

18 The Medicaid system serves patients that are  
19 primarily poor, with minority groups over-represented.  
20 These systems may not therefore be totally  
21 representative of the Nation--although they are  
22 probably quite useful for considering the problem among  
23 the 21 million people enrolled in Medicaid programs  
24 nationwide.

25 Because of this possible

1 nonrepresentativeness, we also looked at pregnancy  
2 exposure to Accutane in a third population. Dr.  
3 Herschel Jick at the Boston Collaborative Drug  
4 Surveillance Program obtained and analyzed some data on  
5 Accutane exposure from the Group Health Cooperative HMO  
6 in the Seattle, Washington, area.

7 Group Health provides medical care to  
8 primarily white, middle class populations, a group very  
9 different from Medicaid. Annually, about 93,000 women  
10 of child-bearing age are covered by this program, with  
11 3200 deliveries. Last year, Dr. Jick performed a study  
12 in 209 women aged 15 to 44 treated with Accutane and  
13 found four suspected pregnancy exposures for a rate of  
14 about 1.9 percent of all women treated.

15 Dr. Jick provided case synopses to FDA and,  
16 on review, 3 satisfied our definitional criteria for  
17 suspected pregnancy exposure. We should add, before  
18 presenting this data, that in Group Health Cooperative  
19 Accutane is available only from dermatologists, and that  
20 since 1983 women patients have had to sign an informed  
21 consent indicating that they have been told about and  
22 understand the risks of birth defects if pregnancy  
23 exposure occurs, and also that they agree to practice  
24 some form of contraception while on the drug.

25 This first slide demonstrates the incident

1 exposure for 1000 women per year. In the total U.S.  
2 based on NDTI data, Group Health Cooperative--the HMO  
3 from the Washington area, Seattle Washington area--and  
4 from Michigan Medicaid.

5 We can see that the annual incident exposure--  
6 and this is new therapy starts with Accutane in women 15  
7 to 44 in Michigan--was about .8 per 1000 women per year,  
8 which is very close to the .6 per 1000 women per year  
9 from Group Health Cooperative, and which is in the  
10 ballpark of the 1.2 per 1000 women per year which is  
11 based on the total estimated new-patient starts applied  
12 to the total female population of the United States of  
13 55 million.

14 I should add at this point that the data shown  
15 from Group Health Cooperative are preliminary, and that  
16 a full analyses have not yet been completed.

17 In this slide we compare pregnancy experience  
18 in Michigan Medicaid with Group Health Cooperative. The  
19 data from Group Health Cooperative once again are  
20 preliminary, but nonetheless are illuminating. The  
21 background pregnancy rate in this column among all women  
22 per 1000 per year is about 50 in Group Health  
23 Cooperative. Among women treated with Accutane, the  
24 rate is 39. This amounts to about a 22 percent decline  
25 in fertility among women exposed to Accutane compared to



1 women not so exposed.

2 This figure is derived once one adjusts for  
3 the fact that Accutane exposure does not occur over an  
4 entire year, but only encompasses a small proportion of  
5 a year. The important factors to note from this slide  
6 are two:

7 One, the national pregnancy rates are about  
8 112 per 1000 women per year. The rates in Michigan  
9 Medicaid are about as far above that national rate as  
10 the rates for Group Health Cooperative are below that  
11 rate.

12 Secondly, the proportional decline in  
13 fertility among women exposed to Accutane is fairly  
14 comparable in both the Michigan and the Group Health  
15 Cooperative systems. According to Dr. Jick, the average  
16 number of prescriptions per patient is 4 in the Group  
17 Health system. Several other facts should be  
18 mentioned--we've covered those. Never mind.

19 In each population we examined, pregnancy  
20 exposure to Accutane was seen. This slide summarizes  
21 the knowledge that we have on those three systems. In  
22 Michigan, we had 928 women 15 to 44 exposed to Accutane.  
23 The crude pregnancy rate was 155 per 1000 women, with a  
24 delivery rate of 42 per 1000 women.

25 In Florida we had a delivery rate of 40 per

1 1000 women. We were unable to obtain a pregnancy rate  
2 because induced abortions are not performed routinely in  
3 that system.

4 Finally, in Group Health Cooperative out of  
5 209 women we have a pregnancy rate of 39. In that  
6 system, no women reached delivery. I should point out  
7 that the three cases which satisfied our definitional  
8 criteria for Group Health, one ended in abortion, one  
9 ended in spontaneous abortion, and one patient was lost  
10 from the system.

11 We next wished to derive a range of estimates  
12 to define the potential magnitude of Accutane pregnancy  
13 exposure in the United States. The purpose of this was  
14 to establish the public health context of the problem.

15 To arrive at national estimates of pregnancy  
16 exposure and birth defects, we used a range of 270 to  
17 390,000 women exposed discussed in Section I of this  
18 talk. We used the rates of 1.4 percent from Group  
19 Health Cooperative and 5.4 percent for first trimester  
20 pregnancy exposures in Michigan Medicaid to come up with  
21 a range of estimates of what proportion of women exposed  
22 to Accutane will experience pregnancy exposure. This  
23 is a wide range which we believe encompasses the entire  
24 gamut of experience going from HMO-style medicine to the  
25 public-sector type medicine.

1                   We have assumed in our projections that 60  
2 percent of these pregnancy exposures would end in  
3 induced abortion. This is a number derived from the  
4 Michigan Medicaid data and represents a two-fold  
5 increase above the national average.

6                   We assumed that 26 percent would reach  
7 delivery, and that of those reaching delivery birth  
8 defects in 25 percent would be found. This last number  
9 is derived from studies published by Dr. Edward Lammer  
10 who will be speaking to us later today.

11                   This first slide incorporates the lower bound  
12 analyses. The 270,000 exposure figure, the 1.4 percent  
13 pregnancy rate in that group, applying the assumptions  
14 we have stated previously, we estimate in a low-bound  
15 analysis that over 3800 exposed pregnancies may have  
16 occurred in the United States between 1982 and 1986. A  
17 majority of these ended in induced abortion, and there  
18 may have been 250 birth defects.

19                   The upper bound analysis used the Michigan  
20 Medicaid numbers of 5.4 percent for first trimester  
21 pregnancy exposures, and the 390,000 population  
22 estimate. Applying those numbers, we come with an  
23 estimate of exposed pregnancies of 21,000 of which  
24 12,000 ended in induced abortion, and of which 1300  
25 would have terminated with a birth defect.

1           Because both group health and Medicaid differ  
2 sharply from the national average in fertility, we also  
3 chose a mid-point analysis of 3.4 percent as a  
4 reasonable approximation for the pregnancy rate for all  
5 women exposed to Accutane. In this analysis, assuming  
6 that 3.4 percent of the women exposed to the drug, and  
7 using as a denominator of exposure the lower-bound  
8 figure of 270,000, we would estimate that perhaps 9000  
9 exposed pregnancies occurred, of which 5500 were induced  
10 abortions, and of which about 600 were birth defects.

11           We believe this midrange analysis may be the  
12 best approximation of what has occurred nationally. If  
13 this is so for the years 1982 to 1986, there would have  
14 been about 12 birth defects per month resulting from  
15 exposure to Accutane during pregnancy, with an  
16 additional 110 induced abortions in women so exposed.  
17 About half of these latter procedures are directly  
18 attributable to Accutane. They represent the excess  
19 number of abortions above the expected background and  
20 are a reaction to exposure to this drug.

21           From this data we conclude that pregnancy  
22 exposure to Accutane occurs in between 1.4 percent and  
23 5.9 percent of women using the drug.

24           We will now shift gears and take a look at  
25 what has been the experience of reporting for adverse

1 reactions involving pregnancy exposure to Accutane.

2 This slide reviews the status of reports to  
3 FDA on adverse pregnancy outcomes received through  
4 about January of this year. In each year of marketing,  
5 the reports of exposure and birth defects has been  
6 received. These figures should not be viewed as  
7 reflecting incidence of pregnancy exposure to Accutane  
8 because there is much under-reporting.

9 For example, in the past six months FDA has  
10 received reports of previously unreported birth defects  
11 from each of the previous years. The most recent defect  
12 of which we were informed occurred more than nine months  
13 before it was reported to FDA. The time lag in  
14 reporting is extensive, and the further along we get  
15 from the time when the event occurred, the longer the  
16 lag becomes in terms of reporting.

17 It should be noted that induced abortion after  
18 Accutane is not routinely reported to FDA. The  
19 manufacturer has not officially submitted any reports of  
20 this outcome to the FDA. However, as shown a few  
21 minutes ago, most pregnancy exposures to Accutane end  
22 with abortion. The result is that not only are birth  
23 defect events under-reported, but all pregnancy exposure  
24 is greatly under-reported.

25 By way of illustration of this point, although

1 we have 55 suspected pregnancy exposures to Accutane  
2 from Michigan Medicaid, none of these appear in FDA's  
3 adverse drug reaction data base. Likewise, none of the  
4 three exposures from Group Health were reported to FDA.  
5 The point of this is to demonstrate that the tip of the  
6 iceberg has been reported to the manufacturer and FDA.  
7 There is a whole universe of pregnancy exposure about  
8 which we have no direct information.

9           When we talk about birth defects with  
10 Accutane, we are talking about severe, disfiguring, and  
11 frequently fatal deformities. We had originally  
12 intended to show a slide of a typical birth defect, but  
13 have elected not to do so. Among the 66 birth defect  
14 reports available for study, 44 had cranial-facial  
15 defects; 39 had major CNS defects; and 17 had major  
16 cardiac defects. Other defects affected the GU and GI  
17 tract, as well. The number of total defects was greater  
18 than the number of patients because a given patient may  
19 have experienced more than one defect.

20           Of the 66 reports we have shown here, 4  
21 involve stillbirths, and 10 of these children died  
22 shortly after birth. Follow-up is lacking in many of  
23 these cases so that there may be additional deaths.

24           Under-reporting of adverse reactions is a  
25 well-described phenomenon, as this slide demonstrates.

1 Serious reactions such as a granular cyrtosis in Sweden,  
2 or death from serious adverse reactions in Sweden were  
3 reported only a fraction of the time they occurred. The  
4 adverse reaction reporting system in Sweden is one that  
5 is legally mandated, and physicians are required by law  
6 to report. There is no such law in the United States  
7 which requires physicians to report any adverse  
8 reaction.

9 In a study from the United Kingdom, looking at  
10 the reporting of thromboembolic death in women taking  
11 oral contraceptives, they discovered only about 15  
12 percent of deaths in women who had taken oral  
13 contraceptives had been reported to the United Kingdom  
14 equivalent of the FDA.

15 Finally, from the CDC we have an example of  
16 reporting of sudden infant death in the 48-hour period  
17 following vaccination with DTP. The estimated number of  
18 deaths which should be seen following the 48-hour period  
19 of DTP vaccination is such that in terms of reports  
20 received by CDC they have received only 10 to 20 percent  
21 of the expected number. That expected number is not a  
22 number attributable to DPT itself, but is just the  
23 background rate.

24 In this situation, it is hard to imagine that  
25 the mother of a child who was so recently vaccinated

1 with this vaccine would not have told her physician that  
2 her child had died; so we must assume that the  
3 physicians are aware of these events when they occur,  
4 and that this 10 to 20 percent figure that we see  
5 represents the inertia of physician reporting in the  
6 United States.

7 With Accutane, the actual awareness of the  
8 physician that the drug is responsible for the event  
9 being noted may actually be lower than this 10 to 20  
10 percent. This could occur if the woman gets her drug  
11 from the dermatologist and then becomes pregnant. She  
12 goes to the obstetrician who may be unaware of the  
13 exposure. If they are unaware of the exposure and a  
14 birth defect occurs, they are likely to attribute it to  
15 a chance event, and then reporting will probably not  
16 even reach this 10 to 20 percent figure for DPT.

17 Finally, we should note that reporting in  
18 Sweden and in the United Kingdom are on average about  
19 two times more complete than reporting in the United  
20 States. So that these under-reporting rates which we  
21 have shown here may actually be lower in this country.

22 We conclude from this that under-reporting of  
23 pregnancy exposure and birth defects is extensive.

24 Finally, over the years 1982 to 1987, a wide  
25 range of efforts have been taken by both the



1 manufacturer and FDA to deal with the risk to pregnancy  
2 posed by Accutane. Extensive labeling with Category X  
3 classification, contraindications, recommendations for  
4 pregnancy testing, counseling for contraception,  
5 numerous letters to physicians regarding these labeling  
6 events, and multiple articles in the literature have  
7 been instituted.

8 In addition to these, the professional  
9 literature has seen over 100 articles discussing the  
10 various aspects of teratogenesis with Accutane.  
11 Physician education has been intense. So has patient  
12 education. Counseling by her physician, distribution of  
13 patient information leaflets, pregnancy warning stickers  
14 on the bottle, all of these have been aimed at educating  
15 the woman when she takes the drug.

16 What has been the effect of these  
17 interventions? Well, from part one of this talk we have  
18 seen that severe cystic acne of the degree seen in the  
19 original I&D trials, and for which Accutane was  
20 approved, occurs in a relatively small number of women  
21 annually.

22 However, Accutane use in this age group  
23 exceeds this incidence rate perhaps as much as 15-fold.  
24 At the same time, Accutane over-use in this population  
25 has remained unchanged. Although cystic acne is five

1 times more common in men than women, the use of Accutane  
2 in women 15 to 44 is nearly equal to that of men. In  
3 studying pregnancy exposure to Accutane, we have seen  
4 that it occurs in the three different populations where  
5 it was searched for, and it occurred at a rate between  
6 1.4 and 5.4 percent of women taking the drug. Although  
7 differing and socioeconomic and demographic factors, the  
8 overall incident exposure rate in these populations was  
9 comparable, and a reduction in pregnancy between  
10 Accutane exposed women was similar at about 20 percent.

11 Based on projections from this data to the  
12 nation, between 3800 and 21,000 first-trimester  
13 pregnancy exposures to Accutane are possible between the  
14 years 1982 and 1986. The mid-range estimate is 9000.  
15 The majority of these pregnancies ended with induced  
16 abortion, and between 250 and 1300 birth defects seem  
17 likely.

18 Finally, FDA has received and continues to  
19 receive reports of birth defects. For many reasons  
20 relating to dynamics of adverse reaction reporting, we  
21 are convinced that only a small proportion of such  
22 defects have been reported. Furthermore, because  
23 induced abortion accounts for most pregnancy exposures  
24 to Accutane, and because abortion is not reported  
25 routinely by physicians or the manufacturer, total

1 pregnancy exposure to Accutane--which is the focus of  
2 Category X classification--is likely to be even more  
3 under-reported than birth defects.

4 These data are only the tip of the pregnancy  
5 exposure iceberg. The data presented today I believe  
6 help to provide an answer posed by the question in  
7 number four.

8 Thank you for your courteous attention.

9 DR. BERGFELD: Dr. Graham, I wonder if you  
10 would stay there just a moment and see if the panel has  
11 any questions.

12 Dr. Stern.

13 DR. STERN: Yes.

14 DR. BERGFELD: Would you talk into the  
15 microphone, please.

16 DR. STERN: Sure. I found both your  
17 presentation and the materials you provided us earlier  
18 both interesting and very provocative. Perhaps I could  
19 go through and make some comments and ask questions in a  
20 little bit different order than the way you presented  
21 it.

22 I think what I would like to talk about first  
23 is pregnancy exposure, and then come back to incidence,  
24 prevalence of the disease, and your estimates of the  
25 degree of over-prescription compared to the package

1 insert.

2 First of all, I would like to ask one question  
3 that comes to me throughout the presentation about  
4 exposure and about your rates. My understanding, based  
5 on clinical experience, is that most people who get  
6 Accutane, most women who get Accutane, are likely to be  
7 15 to 24 or at most 15 to 29; that of this 15 to 44 age  
8 group, the usage of Accutane in fact in the Medicaid  
9 population is probably even more concentrated in the 15  
10 to 24 age group.

11 DR. GRAHAM: I have a slide on which I could  
12 show the age distribution of Accutane use in Medicaid,  
13 if that would be helpful.

14 DR. STERN: Or just give me a rough idea.

15 DR. GRAHAM: It is roughly comparable--it is  
16 slightly lower than the 15 to 19 year age group, about  
17 17 percent; and rises to about 20 to 22 percent in each  
18 of the three next age groups; and then falls above age  
19 35 out. So 35 to 39 and 40 to 44 falls.

20 I should add that the age distribution of  
21 Accutane use in Michigan Medicaid is very comparable to  
22 the age distribution of Accutane use in Group Health  
23 Cooperative.

24 DR. STERN: And what about pregnancy rates  
25 throughout this age group?

1 DR. GRAHAM: The age adjustment which we did  
2 and showed accounts for the differences in pregnancy  
3 rates among the different age groups of the population.

4 DR. STERN: I work with a COMPASS data base,  
5 and the numbers you give, as I understand how COMPASS  
6 works, unless you've done something else, are the  
7 numbers of women who had a claim for Medicaid that year.  
8 My understanding is that Michigan Medicaid doesn't  
9 really know how many people--or COMPASS doesn't really  
10 know how many people are eligible under Medicaid. If  
11 you have an iatrogenic encounter of any kind that  
12 results in a claim, then you are in the file for that  
13 year. So if you are perfectly healthy and have a  
14 Medicaid card, you wouldn't be considered in any of the  
15 denominator data here. Is that correct?

16 DR. GRAHAM: If you don't have a billing code  
17 in a particular year, then we wouldn't have access to  
18 that data.

19 DR. STERN: So all of your data will tend to  
20 substantially underestimate the group at risk if they  
21 obtain the drugs through any other method, or if they  
22 obtain any services through that method?

23 DR. GRAHAM: No. We're focusing on a  
24 population of women who received the drug, and that  
25 cohort of women is the 928 women that we have access to.

1 And in those 928 women, the experience that they have is  
2 a valid experience, and we have complete information on  
3 those people.

4 DR. STERN: Right. But when you use rates you  
5 are using rates with a very uncertain denominator.

6 DR. GRAHAM: No. The rates that we used were  
7 rates based on Medicaid usage.

8 DR. STERN: They are rates based on people who  
9 have used Medicaid claims, not the eligible population.  
10 People move in and out of Medicaid at all times. They  
11 not only move in and out in terms of eligibility, they  
12 also move in and out in terms of even if they are  
13 eligible, whether they had a claim during a given year  
14 period. So it is really not a very sure denominator.

15 The next question I--

16 DR. GRAHAM: The denominator is fairly stable  
17 year to year. There is a flux in the population in  
18 which there are some people who leave the system and  
19 other people who come in. However, the number remains  
20 relatively stable year to year.

21 Additionally, in terms of eligibility we don't  
22 know what the experience is of people who aren't using  
23 the system. We know that they are not having  
24 pregnancies. So if we were to include them in our  
25 denominator, the actual pregnancy rates in our system

1 would change mildly and would go down, but at the same  
2 time so would the rates in the Accutane group. Both  
3 groups would be affected, probably proportionately.

4 DR. GRAHAM: Let me ask you a couple of  
5 questions. Let me give you a scenario. You used 120  
6 days within a therapeutic abortion for a scenario of  
7 being exposed. Let's take a very simple situation.

8 A woman comes in to a dermatologist, meets  
9 whatever the criteria is for that particular person to  
10 receive the prescription, at that time the prescription  
11 is given in good clinical practice, a number of tests  
12 including a pregnancy test are obtained. If that person  
13 goes downstairs during a clinic setting, they go  
14 downstairs and get that Accutane prescription and get a  
15 positive pregnancy test and decide they don't want to be  
16 pregnant at that time, how would they be counted in your  
17 data? They never took the pill.

18 DR. GRAHAM: In our data we had no cases where  
19 that occurred. In all of our cases, the Accutane  
20 prescription occurred prior to the induced abortion.

21 DR. STERN: But you don't get the abortion  
22 that same day. I'm saying I come in on Monday to my  
23 doctor's office. He says: Here's your CBC, your LFT,  
24 your cholesterol, triglycerides, pregnancy test; here is  
25 your prescription for Accutane. Call me and I'll tell

1           you the test results. And rather than coming in again,  
2           you stop downstairs and you get a prescription.

3                     You've filled it. You now have a claim made  
4           on Friday. The next Monday you call up and say, I have  
5           some news for you. Don't start the Accutane because  
6           you're pregnant. And this, often young woman, says:  
7           Perhaps I don't want to keep that child. And three or  
8           four weeks later goes through the paperwork and has a  
9           therapeutic abortion. How would that person be counted?

10                    DR. GRAHAM: That person would be counted as--

11                    DR. STERN: --as an exposed person.

12                    DR. GRAHAM: --an exposure. Let me explain,  
13           however, that epidemiologically that is the correct  
14           thing to do. There are two aspects to exposure which  
15           need to be considered, and the reaction to exposure.

16                    One is the background rate. That is what is  
17           endemic in the population. It would be scientifically  
18           erroneous for us to throw those cases out which  
19           represent background cases in the population. They have  
20           to be included.

21                    In addition to the background, you have added  
22           on top of that the attributable proportion, the  
23           attributable incidence, the attributable risk.

24                    In the study we've done in Michigan Medicaid,  
25           we've found that there was a background rate in the



1 population of around 30 percent. In the Accutane-  
2 exposed group there was an exposure rate of about 60  
3 percent. It is our belief that the difference in those  
4 two rates is the attributable proportion that is due  
5 directly to Accutane.

6 It includes also, and needs to include also,  
7 the background rates. That is the way epidemiology is  
8 done.

9 DR. STERN: But when you then get proportions,  
10 you are including both the rate of induced abortion  
11 attributable to Accutane, plus the background rate. Did  
12 you adjust for that in your projections?

13 DR. GRAHAM: The projections were for all  
14 abortions.

15 DR. STERN: Right. So they include the  
16 background rate.

17 DR. GRAHAM: And as I stated in the talk at  
18 the conclusion, half of those are attributable in  
19 accordance with our numbers to Accutane.

20 DR. STERN: Did you in fact ascertain--I've  
21 worked with this Medicaid data base. How many of the  
22 records did you review to make sure that the discharge  
23 diagnosis was correct and that an abortion was performed  
24 at that time? How many did you obtain?

25 DR. GRAHAM: Okay. For induced abortion, we

1 have not looked at any records. Let me explain what we  
2 have done, and what we are in the process of doing  
3 because this also applies to the deliveries, as well.

4 We have been trying now for about four months  
5 to obtain the primary medical records for the 13 exposed  
6 deliveries, and because of issues of confidentiality  
7 with the patients in the Medicaid system, and for a  
8 number of other reasons, we have not yet been successful  
9 in acquiring those records.

10 In trying to acquire records for induced  
11 abortion, I think we would meet with greater opposition  
12 than we are already meeting in trying to get the  
13 pregnancy records. To get around that, we have two  
14 approaches.

15 The first approach is to look at the context  
16 of the Medicaid profile. Since you are familiar with  
17 the COMPASS data base and you are familiar with the  
18 profiles of the--the Medicaid profiles, you are also  
19 aware that you have calendar time listed according to  
20 Julian dates. We'll start off in 1979, 1981, 1982,  
21 1983, and on down the line. Then each time the woman  
22 has a procedure or diagnosis, it's recorded with the  
23 appropriate date.

24 When you look at large numbers of records for  
25 induced abortion, you most frequently find that there

1 are early indications, other codes, for pregnancy. You  
2 will see a code for pregnancy testing. You will see  
3 codes for absence of menstruation. You will then look  
4 down the record and soon thereafter you will see codes  
5 for induced abortion.

6 You look further down in the record and you  
7 see no evidence of a delivery. So you infer, but  
8 reasonably because you have multiple entries in the data  
9 base referring to a pregnancy event and the pregnancy  
10 event is apparently terminated with an induced abortion,  
11 and further on down there is no delivery to invalidate  
12 that. That is the first approach which we have used  
13 here and, for most of our cases, that kind of evidence  
14 is apparent from the record.

15 The second thing that we are trying to do,  
16 and which we had hoped to have by this Advisory  
17 Committee, was to obtain a procedure code tape from  
18 Michigan Medicaid. What this would represent would be  
19 an actual tape of a billing for the procedure itself,  
20 rather than for the office visit or the outpatient visit  
21 where the procedure occurred. So it is measuring  
22 something slightly different. It is a little closer to  
23 the event. Unfortunately, we've been unable to get--the  
24 contractor through whom we work to obtain our Medicaid  
25 data has had difficulty in compiling the procedure tape,

1 so we don't have that available. Our intention is to  
2 review that tape and to take our population and match it  
3 against that procedure tape when that procedure tape is  
4 available.

5 I have to add at this point, however, that at  
6 the time that we wrote our original preliminary study  
7 and brought it to the attention of other officials  
8 within FDA, it was deemed so important and of such an  
9 urgent nature that it was deemed inappropriate for us to  
10 wait for this other data to accrue. Had we done that  
11 rather than meeting now, we would probably be meeting a  
12 year from now.

13 DR. STERN: But at least in my experience in  
14 reviewing records from the Medicaid data base, there  
15 are, for example, miscodes, and there are a substantial  
16 number of miscodings in the ICDA diagnoses.

17 DR. GRAHAM: There are a substantial number of  
18 miscodings for diagnoses. There is not substantial  
19 miscoding for procedures.

20 DR. STERN: Because procedures initiate a  
21 bill. I understand that.

22 DR. GRAHAM: It is a different kettle of fish  
23 that we're dealing with. We have done validation  
24 studies for delivery in the past and have found a 100  
25 percent sensitivity for the system. That is to say, all

1 records which list a delivery in fact had a delivery.

2 We believe that the data for induced abortion  
3 will probably be of similar quality--perhaps not as  
4 high, but of similar quality.

5 DR. STERN: Now did you in the study, since  
6 you do have apparently access to data on a longer  
7 history of medical events including prescriptions and  
8 procedures for these people who were exposed, did you  
9 look at them and take some controls and look at for  
10 example what their previous parity was based on this  
11 record at least within the Michigan Medicaid data base  
12 system?

13 DR. GRAHAM: We did not stratify for parity.  
14 We looked at age and race, which were the factors that  
15 could be most easily looked at in this setting, but we  
16 did not look at parity.

17 DR. STERN: In the 19 to 29 age group, which  
18 is the biggest group exposed to Accutane in your  
19 population, what is the number one reason for being on  
20 Medicaid in Michigan?

21 DR. GRAHAM: The number one reason for all  
22 women being on Michigan Medicaid is Aid to Families with  
23 Dependent Children.

24 DR. STERN: Right.

25 DR. GRAHAM: That applies not only to the

1 Accutane-exposed cohort, but also to the women who are  
2 in the control population. And by age-adjusting, we  
3 have accounted for the amount of confounding which  
4 parity might have as an effect of age. And most of the  
5 effect of parity, as you are well aware, is due to age  
6 itself. We have controlled for age, so we probably have  
7 a control for most of the effect of parity--not that I  
8 believe that it is a significant factor in this data.

9 DR. STERN: But you have not controlled for  
10 other factors? For example, if you take two different  
11 women, two different women may have different ideas  
12 about what they believe to be effective contraception,  
13 or preventing the birth of an affected child being not  
14 pregnant at the time they started, and two women may  
15 have very different ideas about how they wish to prevent  
16 having a child affected. And one way to look at that is  
17 to look at the experience of the people who went on to  
18 have induced abortions; and since one of the arguments  
19 you make is that this drug is inducing extra abortions,  
20 perhaps these women made the choice that there was a  
21 contraceptive failure and that that was a legitimate  
22 thing, and rather than changing their method of  
23 contraception to substantially decrease their baseline  
24 risk of pregnancy, they might have explicitly said,  
25 well, I hope I don't get pregnant, but made the explicit

1 decision "if I do, that is a legitimate decision, a  
2 medical decision for me, and that's how I'll deal with  
3 this problem."

4 DR. GRAHAM: To answer that question it would  
5 be necessary for us to be able to interview directly and  
6 personally the patients involved in the study, and that  
7 is something that is strictly prohibited both by OMB  
8 regulations, by Medicaid law, by State law, and we would  
9 not be able to do that.

10 However, in looking at the populations in the  
11 way we did the analysis, having a standard population  
12 and a background population and having a group of  
13 Medicaid women, differences in approach to  
14 contraception, differences in religious, moral, and  
15 ethical beliefs as to what one should do regarding the  
16 issue of an induced abortion and the like, are probably  
17 going to be randomly distributed in both populations--  
18 unless you can demonstrate with good data that there is  
19 a reason to believe that women who take Accutane may be  
20 more predisposed to do that. But we don't have the  
21 ability with our data to address that question.

22 DR. STERN: See, that is where I have to  
23 disagree with you. I think people who take Accutane for  
24 a whole variety of ways are different than people who  
25 don't take Accutane. I think they may well be different

1 in their attitudes about induced abortion. They may  
2 well be more medicalized. They may well be more  
3 medically sophisticated in certain ways. They are going  
4 to be different in age. They may be different in a  
5 whole variety of ways that are going to induce those  
6 rates, and I think these possible confounders are  
7 important enough that I find it very hard to know what  
8 your rates mean.

9 DR. GRAHAM: Well--

10 DR. STERN: And therefore, where it's usually  
11 the onus on the investigator to prove that he has taken  
12 into account possible confounders that would  
13 substantially affect your rates, and as I've heard it  
14 the only confounder you've really looked at--and it's  
15 still not clear to me how well you've looked at it--is  
16 age adjustment. Did you look at age adjustment for  
17 baseline pregnancy rates?

18 DR. GRAHAM: We looked at age adjustments. We  
19 looked at race adjustment. Neither of them had an  
20 effect on the--

21 DR. STERN: Those are the only two things.

22 DR. GRAHAM: Those are the only two that we  
23 have data to look at. We indirectly can assume that we  
24 have controlled for marital status because the entry  
25 criteria for Aid for Families with Dependent Children is



1 that you be a single parent head of household. So there  
2 is no associated man with the system.

3 And regarding whether or not women who get  
4 Accutane are very different from other women, they are  
5 different insofar as the disease that they have, but we  
6 have to deal with the population and what we see  
7 occurring. And what we see occurring is substantial  
8 amounts of pregnancy exposure.

9 DR. STERN: Let me then, as a last question in  
10 this area, let me ask you one question because I think  
11 it points out a lot of the potential problems of COMPASS  
12 data that has not gone through more rigorous analysis  
13 than an individual case-by-case basis. And that is in  
14 your report, your estimate of a two- to four-fold higher  
15 risk of spontaneous abortion in people who use the  
16 antibiotics commonly used for acne.

17 In my experience with the COMPASS data base,  
18 before you clean the data you tend to get an  
19 overestimate of almost every adverse effect associated  
20 with drugs, at least in the two or three times I've used  
21 it. And seeing that, let me just continue on that.

22 Let's take that as an equally valid data, and  
23 let us therefore assume as a point estimate that it is  
24 three times--which would be your middle case--and let's  
25 assume your prevalence of acne being eight years in

1 women, and we can come back and talk about why the  
2 inaccuracies, but let's use your data, the eight years.

3 That would mean a woman for an eight-year  
4 period of time would have a three-fold higher risk of  
5 spontaneous abortion using the other agents that are  
6 effective in this disease, the alternative therapy;  
7 whereas, we therefore, if we believed all these data,  
8 would then have to compare what the risk is for a five-  
9 or, to be fully comprehensive, a six-month exposure to  
10 this teratogen.

11 Did you do that simple calculation based on  
12 all your data what would happen for eight years of  
13 exposure to a three-fold increase?

14 DR. GRAHAM: The data that we analyzed was  
15 directed at Accutane, and we did not analyze data  
16 relating to antibiotic treatment. The reference in our  
17 preliminary memorandum, which you have read, was  
18 intended as a signal to let readers know that in one  
19 signaling module which we ran that there was the signal  
20 that this was a possible--that there was a possible  
21 increase in spontaneous abortion.

22 But it is very crude and unrefined and hasn't  
23 been subjected to analysis. We did analyze the data for  
24 spontaneous abortion with Accutane and, based on the  
25 crude data, we thought that there was from our data a

1 two-fold increase in spontaneous abortion.

2 But when we've done the more refined analysis,  
3 taking into account factors of age and race, we have  
4 found that at least in terms of billing codes for  
5 spontaneous abortion there is no difference between our  
6 Accutane group and the background population, and that  
7 the background population actually has a billing rate  
8 for spontaneous abortion of 14.7 per 1000, which is very  
9 comparable to the national rate of 14 per 1000. We  
10 didn't show that data, but that is what we found.

11 DR. STERN: But getting back to my point, at  
12 least as I understand what you have done, I am not sure  
13 why this two- to four-fold increase in risk that you  
14 project, or that you noted in your analysis for the  
15 alternative agents which are used for about 15 times as  
16 long a period and therefore during much of a woman's  
17 reproductive life are any less valid than the analysis  
18 you presented.

19 DR. GRAHAM: Well, for a couple of reasons.  
20 One, with the antibiotic data which was done from a  
21 signaling module, what that does is that looks for the  
22 first time the woman ever gets a particular drug--let's  
23 say tetracycline. She gets tetracycline in 1979. Maybe  
24 she gets it for bronchitis. She gets a 10-day supply,  
25 250 milligrams 4 times a day.

1                   In 1986, she has a spontaneous abortion. The  
2 signaling module will consider that woman as a  
3 tetracycline-exposed spontaneous abortion, even though  
4 there is no relationship. That is a very crude device  
5 which we used to help us pinpoint other areas for  
6 investigation down the line.

7                   What we have done with Accutane is, we didn't  
8 rely on that crude analysis. We applied rigid, time-  
9 specific criteria between exposure and the outcome event  
10 that to us was the hallmark for a pregnancy--namely,  
11 delivery or abortion.

12                   So the Accutane analysis is a very specific  
13 analysis that is honed in on a very focused period of  
14 time, and the other data that you have referred to is a  
15 signaling device with very crude, crude data that really  
16 shouldn't be emphasized. I think that you are  
17 overemphasizing it, perhaps.

18                   DR. STERN: Well, but a signaling device, as I  
19 understand it, in fact relies on case control, looking  
20 at different exposures between cases and controls. And  
21 one of the things that surprises me, given the construct  
22 of the COMPASS data base, is I never thought it was  
23 really very much intended for looking at rates for some  
24 of the problems we've talked about, but as a way of  
25 ascertaining cases and controls, and then looking at

1 exposures. And I really wonder why you didn't do an  
2 appropriate case control study that, at least in the way  
3 most people have used the COMPASS study--

4 DR. GRAHAM: I can answer that question I  
5 think fairly easily. That is, you can talk to any  
6 epidemiologist and they will tell you that case control  
7 studies are much more subject to problems than are  
8 prospective cohort studies. That is because you run  
9 into problems with what is the appropriate control group  
10 to pick.

11 You pick one control group, you get one  
12 result; you pick another control group, you may get  
13 another result. When you deal with populations--when  
14 you get back to populations and deal with what is  
15 happening in the population, and you go on a prospective  
16 fashion, you are on much firmer ground. And that is  
17 what we chose to do because had large populations to  
18 look at.

19 DR. STERN: Tell me a little bit about the  
20 stability of estimates when in terms of a very bad  
21 outcome you're not even sure about the majority of the  
22 cases that you think might be very bad, and what would  
23 you think of a study that said--and it is completely  
24 related to this--that we might have had two deaths, or  
25 we might have had five deaths, starting with 50 exposed

1 people. What would be your general evaluation of the  
2 quality of the quality of follow-up.

3 DR. GRAHAM: I would say that if the  
4 researchers gave that qualification and then based their  
5 analysis on the more conservative estimate of which they  
6 were certain, that they were being scientifically  
7 responsible and that is what we have done.

8 DR. STERN: And what is the stability of a  
9 rate of 2 out of 50?

10 DR. GRAHAM: I wouldn't focus on the rate of 2  
11 out of 50, or 2 out of 13, let's say, deliveries,  
12 because the point of this presentation is not to debate  
13 what is the rate of birth defects among women who get  
14 Accutane. There are other people who have done much  
15 more extensive work in that area, and we have relied on  
16 their figures to do that.

17 The fact that our number is in the ballpark of  
18 their number to us seems very confirmatory of the fact  
19 that we're dealing with a real problem.

20 In terms of stability of measures, I should  
21 point out that we are dealing with a cohort of 928 women  
22 exposed to Accutane. This is the largest single group  
23 of women of child-bearing age exposed to the drug  
24 presented in any form of which I am aware.

25 If we were to review the clinical trials which

1       formed the basis for Accutane's approval and studies  
2       which have been done on the drug subsequently, we will  
3       see that each of those studies had between 10 and 30 or  
4       35 patients exposed to the drug. This is a very large  
5       study.

6               DR. STERN: But the next question, and which I  
7       will use to lead into the GHC data about which I have  
8       only two questions is, how typical do you think the  
9       Medicaid data base, the Medicaid individuals are with  
10      respect to child bearing patterns, contraceptive use,  
11      quality of medical care, adherence to medical care for  
12      this or any other condition such as say hypertension of  
13      that population? In other words, is this a population  
14      that represents in all likelihood the worst-case  
15      scenario applicable to certain people who often have not  
16      the same education, the same access to high-quality  
17      medical care as many of us are privileged to have? Or  
18      do you think that this population is in fact typical of  
19      what goes on in the world at large?

20             DR. GRAHAM: I think that the Medicaid  
21      population in general probably does differ from the  
22      Nation at large in some respects--and let me talk to you  
23      for a moment and show you what I am driving at.

24             We know that it has a higher pregnancy rate  
25      than the national average--193 versus about 112. But

1       when we look at how they deal with the pregnancies once  
2       they have them, they have the same rate of induced  
3       abortion that is national. They have the same 29 or 30  
4       percent induced abortion rate on a per 1000 pregnancy  
5       basis. So they deal with pregnancies the same way that  
6       the rest of the Nation does.

7               When we compare them with Group Health  
8       Cooperative now in terms of how many women per 1000 in  
9       the population get Accutane on a yearly basis, we see  
10      that it is virtually the same--.6 versus .8. This is  
11      comparing what I suppose you would call very high-  
12      quality health care, the GHC-HMO compared to low-quality  
13      health care, the Medicaid system. And I am trying to  
14      put the construct the way you have phrased it to me.

15             We see that the exposure rates to the drug are  
16      very comparable in these two populations.

17             Third, we look at pregnancy again, and we saw  
18      that in Group Health Cooperative the fertility rate was  
19      as far below the national average as Medicaid is above  
20      it. Group Health Cooperative--the paragon of health;  
21      white, middle-class, HMO, high-quality medical care--is  
22      as far away from the national average as Medicaid is.  
23      And it likewise is not representative, and probably  
24      represents the best of all possible cases, just as in  
25      the same case I would probably agree that Medicaid tends



1 to be on the worst of possible cases.

2 It was for that reason that we presented our  
3 mid-range analysis which we think represents a  
4 reasonable synthesis of the two approaches.

5 A final point, though, I want to mention about  
6 Medicaid is that when we look at what is the pregnancy  
7 rates among women who got Accutane compared to women who  
8 didn't get Accutane in Medicaid and in GHC, we see that  
9 there is a small decline in pregnancy rates, but it is  
10 to a comparable degree. We're talking about a 15 or a  
11 20 percent reduction in pregnancy rates in two  
12 different populations that by your question I infer we  
13 would expect maybe a very great difference, and we don't  
14 see it.

15 So I believe that the basic premises that you  
16 state in your question about Medicaid being so totally  
17 unrepresentative as to be useless is really off the  
18 mark.

19 As a final note on this, we can't forget that  
20 every State in the United States does have a Medicaid  
21 system; that this provides health care to 21 million  
22 Americans who cannot be ignored; that perhaps 15 percent  
23 of all deliveries in the country occur within this  
24 system. For any deliberative body to lose sight of that  
25 fact and get caught up in whether or not the data is 100

1 percent absolutely representative of everything in the  
2 Nation or not I think will miss the point of what our  
3 research has been intended to convey.

4 DR. BERGFELD: Rob, I need to constrict you a  
5 little bit.

6 DR. STERN: Let me make one question to just  
7 sort of respond to what you just said. Just tell me a  
8 little bit, was it 4 or 3 GHC exposed cases?

9 DR. GRAHAM: Herschel Jick sent us a list of  
10 five, actually. One was exposed a year before the  
11 pregnancy began, and he didn't really think it was a  
12 case, and we didn't. And of the remaining four, the  
13 second one had an induced abortion 123 days before their  
14 Accutane prescription ran out.

15 That didn't satisfy our 120-day definitional  
16 criteria, and so we excluded it. When Dr. Jick sent me  
17 the data, he considered--he had that labeled as a case.  
18 So he had four cases. We have used three cases.

19 DR. STERN: So the 1.4 percent was--

20 DR. GRAHAM: Based on 3 cases.

21 DR. STERN: Okay. And what years did they  
22 occur in?

23 DR. GRAHAM: They occurred in 1983, 1985, and  
24 the third one it is not clear whether it was late  
25 December 1984 or January-February 1985. It occurred in

1           that transitional period. This was after a time when  
2           women at GHC had signed informed consents fully  
3           apprising them of the risk of the drug, and agreeing to  
4           contraception and everything else.

5                     DR. BERGFELD: Rob--

6                     DR. STERN: I just need to make one quick  
7           statement.

8                     DR. BERGFELD: One more point, right.

9                     DR. STERN: I think you misrepresent my  
10          feelings about the importance of the problem. I think  
11          it is a very important problem. However, I do not think  
12          that the public health or a deliberative body is aided  
13          by estimates that tend to emphasize what are probably  
14          the upper bounds of the problem rather than  
15          concentrating on what are the most likely estimates of  
16          the problem, and talking about special populations which  
17          might need special interventions, perhaps, an  
18          identifying them.

19                    So the problems I have with the report is so  
20          much emphasis on the upper bounds. I think we have a  
21          problem here. I think the problem is probably greater  
22          than the 60-some cases that have been reported. But the  
23          question is where it lies, and it really disturbed me  
24          for an investigator to take a little bit of a telemeical  
25          position by so much emphasizing until the very end of

1 the report the upper bound, what is probably very near  
2 the upper 95 percent confidence interval of what the  
3 problem is for the Nation at large, rather than what is  
4 the problem for the Nation at large, and what are groups  
5 that might have a greater problem and need special  
6 intervention.

7 DR. GRAHAM: I appreciate your remarks--

8 DR. BERGFELD: Thank you.

9 DR. GRAHAM: I think that it was a balanced  
10 presentation.

11 DR. BERGFELD: Dr. Graham, we have another  
12 question from Dr. Fleiss.

13 DR. FLEISS: Well, first a comment because  
14 this issue of confounding will probably come up again  
15 and again.

16 It is important that we bear in mind a good  
17 working principle: epidemiological research. You  
18 control for the likelihood, for the reasonable  
19 confounders, and age certainly is one, and race was a  
20 reasonable one, but parity? That doesn't seem  
21 reasonable.

22 Remember, a confounding variable in this kind  
23 of research is one that is simultaneously associated  
24 with the outcome you're studying, and with exposure.  
25 Likely cystic acne is the variable that is most

1 associated with the use of Accutane, not parity.

2 DR. STERN: It is in this case for an  
3 important reason: it may have made people eligible for  
4 Medicaid so they can afford Accutane for a prior  
5 pregnancy out of wedlock. So therefore the fact that  
6 they've had a child now, and they might have had a  
7 prevalent case of acne, now for the first time they can  
8 go and get Accutane. So therefore a previous pregnancy  
9 experience is something that alters eligibility for the  
10 drug, and also alters the chance of subsequent  
11 pregnancy, and that is why it is a possible confounder.

12 DR. BERGFELD: Dr. Fleiss, do you have any  
13 other comments?

14 DR. FLEISS: Yes. With respect to the  
15 evaluation of the data from Michigan, the statistical  
16 precision of the result probably isn't all that great.  
17 Obviously, it can't be. But reproducibility across  
18 different data sets is important, and the estimated  
19 relative risk of two. Was that or wasn't that  
20 persistently found in the other data sets you looked at?

21 DR. GRAHAM: The problem with Florida is that  
22 they don't do induced abortions there unless the  
23 mother's life is endangered, and so we don't have  
24 induced abortions with Accutane because the woman's life  
25 isn't threatened by that pregnancy exposure.

1                   In Group Health Cooperative we had only the  
2                   three cases to deal with, so we don't have enough  
3                   numbers to deal with, but we had no deliveries in that  
4                   group.

5                   DR. BERGFELD: Dr. Fleiss, do you have any  
6                   other comments?

7                   DR. FLEISS: No.

8                   DR. BERGFELD: Do any of the panel members  
9                   have a comment or a statement to make?

10                  Yes, Dr. Minus.

11                  DR. MINUS: I just want to make a  
12                  clarification. Several times you and even Dr. Stern  
13                  made mention of dermatologists giving medication and  
14                  then going to the obstetrician. Do we in fact know that  
15                  the majority of the cases of the patients who have birth  
16                  defects were given medication by a dermatologist? If  
17                  not, I think that we should just say "physician" rather  
18                  than label dermatologists as the ones who are the  
19                  primary offenders.

20                  DR. GRAHAM: I think that in part that is a  
21                  fair statement for many of the early adverse reaction  
22                  reports which we received to the spontaneous reporting  
23                  system. They were reported to us by dermatologists. So  
24                  presumably the dermatologists had been the ones who had  
25                  administered the drug. They are the ones reporting the

1 birth defect.

2 In more recent years, what we have discovered  
3 is that the majority of our adverse reaction reports of  
4 birth defect are reported by perinatologists,  
5 obstetricians, and the like, and that they are not being  
6 reported by the dermatologists. So I think that,  
7 whereas we don't know, and I agree with you that maybe  
8 it would be more appropriate to refer to when we talk  
9 about specific reports, to refer to physicians  
10 generically, so I apologize for any confusion over that  
11 because Accutane is given in 90 percent of the cases by  
12 dermatologists, but for these cases in all cases we  
13 don't know. So I stand corrected, and I apologize.

14 DR. BERGFELD: We have another question.

15 DR. BERGSTRESSER: I have a couple of very  
16 quick questions, Dr. Graham.

17 First of all, is your analysis of the  
18 information from Group Health continuing?

19 DR. GRAHAM: Yes. Our intention is to try to  
20 firm up the data. What we would like to do, one of the  
21 weaknesses with the Group Health data is that they don't  
22 have a good system to catalog induced abortions there.  
23 They only catalog ones that are performed in hospitals.

24 So the ones that are done on an out-patient  
25 basis, which is the majority, we don't have a clear

1 estimate of that. To get these figures we assumed that  
2 Group Health Cooperative would behave the way the rest  
3 of the Nation does in terms of 30 percent of pregnancies  
4 ending in induced abortion. So that is how we got the  
5 pregnancy rate of 50, which is an estimate.

6 I should point out, however, that in Group  
7 Health cooperative--it being primarily white, primarily  
8 middle and upper middle class--that that is a population  
9 which has a much lower induced abortion rate than the  
10 rest of the Nation. So it is quite possible that where  
11 we estimated the background pregnancy rate of 50 for  
12 Group Health, that it might be lower than 50, maybe 45,  
13 in which case the difference between 45 and 39, there  
14 would be even less than a 20 percent reduction in  
15 pregnancy rates among exposed women.

16 DR. BERGSTRESSER: The last question--well,  
17 first of all, I assume he is going to be available for  
18 comments after we've heard the comments from the  
19 company, so that if something new emerges we can ask  
20 him?

21 DR. BERGFELD: Is that true, Dr. Graham?  
22 You'll be here during the day?

23 DR. GRAHAM: I was planning to be here the  
24 entire day.

25 DR. BERGFELD: Thank you.



1 DR. BERGSTRESSER: And the final question has  
2 to do with another issue. That is, if we were dealing  
3 with anti-hypertensive drug we would be weighing two  
4 things. We would be weighing toxicity against benefit.  
5 Has your office considered at any time the issue of the  
6 benefit of Accutane to those who have received it?

7 DR. GRAHAM: I can answer that question I  
8 think this way. In the process of answering it, I'll  
9 actually ask a couple of questions.

10 Our primary responsibility is to deal with the  
11 risk side of products. Our job in adverse reaction  
12 monitoring is not one of assessing the benefits of the  
13 product. Our function is to highlight the risks and the  
14 dangers, and to bring these in a scientific fashion to  
15 the attention of others in the agency.

16 At the same time on a personal level, I can  
17 tell you that internally I and my co-authors and my  
18 deputy office director and branch chief and office  
19 director that we struggled, and I mean struggled over  
20 the implications of this data and what we believed  
21 should be the outcome of it.

22 For myself it boils down to a simple question  
23 which is: Pregnancy exposure is not acceptable under a  
24 Category X classification. So how many pregnancy  
25 exposures are acceptable? For myself, I am not able to

1 answer that question with anything other than zero. The  
2 acceptable number to me is zero, but others will have a  
3 different acceptable level.

4 I suppose that is why this group has been  
5 convened today.

6 DR. BERGFELD: Paul?

7 DR. BERGSTRESSER: During your thoughts on  
8 that issue, who serves as resources for information to  
9 you about the issues of the benefits of the drug?

10 DR. GRAHAM: Well, I have access to the  
11 published literature, of which I am not bragging when I  
12 say I have read most of it in the last five months. I  
13 have the benefit of interacting with Dr. Carnot Evans  
14 and Dr. Edward Tabor and in fact is the group leader for  
15 that group, and so I have lots of contact with them.

16 If the question is, have I ever treated  
17 patients walking into my office, the answer is, no. But  
18 I could ask you the question, have you dealt with  
19 children with birth defects?

20 DR. BERGSTRESSER: Well, I didn't ask the  
21 question so--I was asking who you dealt with who did  
22 know about it.

23 DR. GRAHAM: I've dealt with the resources  
24 within the agency and those available in the published  
25 literature.

1 DR. BERGFELD: Dr. Graham, I want to thank you  
2 for all of your thoughts, and especially your data for  
3 us to consider. What I would like to do now is to have  
4 an approximately ten-minute break for coffee, a quick  
5 break, at which time we will then reconvene to hear  
6 Hoffmann-La Roche's presentations, which will be 50  
7 minutes.

8 [Whereupon, at 9:56 a.m., a break is taken  
9 until 10:11 a.m.]

10 DR. BERGFELD: As you are taking your seats, I  
11 would like to state that if any of the invited guests  
12 have questions to ask or prepared comments to make,  
13 these will be made on the agenda under "comments" and  
14 cited under "others."

15 We will proceed through our agenda so that we  
16 make sure everyone on the agenda speaks.

17 Our next speaker is a representative from  
18 Hoffmann-La Roche Company, Dr. Phil Del Vecchio, who  
19 will present his group of presenters to us. I  
20 understand this is a 50-minute presentation, Dr. Del  
21 Vecchio?

22 DR. DEL VECCHIO: [Nods in the affirmative.]

23 DR. BERGFELD: Fine, if you will proceed then.

24 Introduction of Data on Accutane Capsules by

25 Philip J. Del Vecchio, Jr., M.D.

1 DR. DEL VECCHIO: Thank you, Dr. Bergfeld.

2 I am Dr. Phil Del Vecchio, Director of Drug  
3 Regulatory Affairs at Hoffmann-La Roche. We are very  
4 pleased to be here today to discuss Accutane and its  
5 benefits and its risks.

6 For the last five days we have all been  
7 exposed to a great deal of media attention, including  
8 today, and in some ways that's good because we believe  
9 this is in fact a public issue that deserves public  
10 discussion. At the same time, it may not be so good  
11 because in fact the media tends to concentrate on  
12 numbers, and in some ways forces us into discussing  
13 numbers rather than issues.

14 We hope to focus on the issues today. The  
15 discussion of the data is essential to understanding the  
16 problem and understanding the alternatives. I regret  
17 having to say that in the beginning that we at Roche  
18 totally reject the analysis and conclusions that were  
19 reached in the presentation by Dr. Graham, as well as in  
20 the memo that was written by Dr. Graham and his  
21 associates as being erroneous and without any scientific  
22 validity at all.

23 However, we do not disagree with Dr. Graham in  
24 terms of the problem. That is the problem of pregnancy  
25 exposures and general malformations. We have always

1 dealt with that in a very proactive and forward manner,  
2 and we plan to do that again today.

3 With me today to discuss this issue are Drs.  
4 William Cunningham who is an Assistant Vice President at  
5 Roche, as well as Director of Clinical Research for the  
6 Roche Dermatology Division; Dr. James LaBraico, a Senior  
7 Director of Drug Safety at Hoffmann-La Roche; our guest,  
8 Dr. Alan R. Shalita, Professor and Chairman of the  
9 Department of Dermatology at Downstate Medical Center in  
10 New York; Dr. John S. Strauss, Professor and Head of  
11 Dermatology at the University of Iowa in Iowa City; and  
12 others will be available from Roche as needed to answer  
13 questions or discuss this issue.

14 As I said before, we share the concern of the  
15 committee, the FDA, Dr. Graham, and the public regarding  
16 exposed pregnancies and congenital malformations. We  
17 will be presenting some data we will be refuting or  
18 attempting to refute some of the data presented by Dr.  
19 Graham, but we hope not to go into great detail on that.

20 In the simplest terms, we believe that we are  
21 dealing with a risk/benefit evaluation, as Dr. Graham  
22 stated. This, however, is a unique risk/benefit  
23 evaluation, because first of all the risk we are talking  
24 about cannot occur in 60 to 70 percent of the patients  
25 who receive Accutane. That is, the male patients as

1 well as female patients who are not fertile. It is  
2 restricted to approximately 30 to 40 percent of the  
3 Accutane audience.

4 Second, the event we're talking about is  
5 entirely preventable--again, a unique situation in a  
6 benefit/risk equation. Every one of these congenital  
7 malformations is a true human tragedy, and we share in  
8 that concern. On the other hand, the benefit of this  
9 drug has proven to be substantial not only to the 70  
10 percent who cannot share that risk, but also to the 30  
11 percent who in fact are at risk. The risk obviously  
12 must be reduced to an absolute minimum.

13 [Hereafter, slides are shown.]

14 You can put on the first slide, please. Just  
15 to briefly tell you what we will be presenting this  
16 afternoon so you will know what to look forward to, we  
17 will be presenting our proposal which consists of a  
18 radical change in the labeling for Accutane, a unique  
19 packaging configuration which is guaranteed to get the  
20 pregnancy warning to the patient, and a far-reaching  
21 peer professional program, including a unique  
22 contraception consultation program.

23 We will also discuss many of the other options  
24 that have been brought up at FDA and within our company  
25 and discuss the consequences and issues of those various

1 options.

2 Our goals in making these presentations, and  
3 our goals for this product are three:

4 That is, we wish to restrict the use of this  
5 drug to patients with severe recalcitrant cystic acne.

6 We wish to exclude pregnancy at the time the  
7 prescription is written for Accutane.

8 And we wish to ensure that the patient  
9 understands and is able to comply with the mandatory  
10 contraception warnings.

11 On this morning's program we will discuss the  
12 medical need for Accutane, some data on the patient  
13 prevalence and incidence measured by actual patient  
14 visits to the dermatologist's office, and our data on  
15 ADE's teratogenicity and our feelings about the adequacy  
16 of ADE reporting.

17 Our presentation should take approximately 50  
18 minutes.

19 We would request that if you have any  
20 questions for clarification, certainly feel free to  
21 interrupt us, but otherwise we would request that we be  
22 allowed to finish the entire presentation.

23 And to address the benefit side of the  
24 equation, I would now like to introduce Dr. William  
25 Cunningham.

1           Presentation of William J. Cunningham, M.D.,  
2           Senior Director, Clinical Investigation II  
3           Department of Clinical Research and Development  
4           Hoffman-La Roche Inc.

5           DR. CUNNINGHAM: Thank you, Dr. Del Vecchio.

6           I stand here today rather proud and pleased to  
7 be associated with Accutane since its initial clinical  
8 trials, and since its marketing in 1982. I think the  
9 members of the committee have seen my face a little too  
10 often, and in fact I have been here many times in the  
11 last two years to discuss the benefit/risk issues that  
12 we perceive to be the major issue with use of retinoid  
13 therapy.

14           The benefit/risk judgment is the single most  
15 important factor in using these drugs. This is as true  
16 today as it was in the past. Nothing has changed in  
17 that regard. We have always underscored the need to  
18 look at the risk side of these drugs. In fact, if you  
19 recall most of my presentations in the past have started  
20 with the risks of these retinoids, including its  
21 teratogenicity.

22           I have a unique situation that I stand both in  
23 the company and also at Columbia Presbyterian Medical  
24 Center in New York. I am a practicing dermatologist.  
25 I feel rather pleased to be that. I have an opportunity



1 in that forum to I think get to the heart of the  
2 problem--and that is, education of physicians and  
3 education of patients.

4 When the residents come to me and ask me if I  
5 think this patient is a suitable candidate for  
6 Accutane, I have a rather harsh judgment on that. I was  
7 raised with a certain ethical tradition, as well, and so  
8 I have some feelings of my own. I also have a very  
9 strong personal commitment to the proper use of this  
10 drug, and I insist with them that the teratogenicity  
11 issue be the number one that they consider.

12 The patient needs to have severe disease to  
13 start with. Whether female or male, in my opinion it  
14 doesn't matter, they must have severe disease.

15 The second thing they must have is the ability  
16 to understand and reliably comply with instructions.  
17 That is one of the single most important things in using  
18 this drug appropriately. The patient must not be  
19 pregnant at the time she initiates therapy. That is a  
20 given. We have underscored that with various options  
21 along the way.

22 Furthermore, the patient must be reliable and  
23 ensure that contraception is established, and that it  
24 will be maintained as one goes along in therapy. These  
25 four points I think are the single most important four

1 points to bear in mind when using this drug with female  
2 patients.

3 I think the medical need for this drug is  
4 quite clear, and Drs. Strauss and Shalita will be  
5 addressing that issue in a moment. When used  
6 appropriately in the proper doses and for the proper  
7 length of time, which is about four to five months'  
8 duration, this has a remarkable effect on the severe,  
9 debilitating disease. Remember this disease, as you  
10 will see in a moment by the pictures, is not minor acne  
11 that we're talking about. We are talking about severe  
12 disease with scars, and cysts, and terribly disfiguring  
13 physical and psychological consequences.

14 When used appropriately, the lesion count  
15 reduction is dramatic. In fact, we believe the drug has  
16 set the standard for severe acne treatment at the  
17 present time. It is 20th Century in every sense of the  
18 word. It produces a remission in the majority of  
19 patients, so the risk of all of the side effects,  
20 including teratogenicity, is a rather minimum time frame  
21 of approximately four to five months for most patients.

22 Rather than me speak about this, because again  
23 I stand in two places, I would like to ask two others  
24 that you know to speak in terms of the medical need for  
25 this drug.

1           The first is Dr. Alan Shalita. Dr. Shalita is  
2 currently Professor and Chair of the Department of  
3 Dermatology at Downstate Medical Center in Brooklyn. He  
4 is a past member of the Advisory Committee, a past  
5 member of the Board of Directors of the Academy, and is  
6 one of the world's leading experts on acne. I think you  
7 will recognize him from the literature and from personal  
8 appearances.

9           Dr. Strauss is a former President of the  
10 American Academy of Dermatology. In fact, he was  
11 president in the year that Accutane was marketed, and he  
12 is also Professor and Chairman of the Department at the  
13 University of Iowa.

14           Dr. Shalita.

15           Presentation of Alan R. Shalita, M.D.  
16           Professor and Chairman, Department of  
17           Dermatology, Downstate Medical Center  
18           State University of New York

19           DR. SHALITA: Thank you, Dr. Cunningham.

20           It is a privilege for me to be here. I think  
21 that those of you who are familiar with my background  
22 know that despite the fact that I have been asked to  
23 speak here by the people at Roche Laboratories, that I  
24 also in large measure represent the dermatologic  
25 community, professional scientific community, and is

1 somebody who has devoted his professional life to the  
2 care of patients with acne, and particularly severe  
3 acne. I think I can qualify as a consumer advocate, as  
4 well.

5 I would like to briefly review for you the  
6 impact of the medical need for Accutane and its  
7 perspective, and why this is an essential drug in  
8 dermatology. And if we may have the lights down,  
9 because these are all clinical photographs, and the  
10 first slide, please.

11 [Hereafter, slides are shown.]

12 This is one of the earlier patients that we  
13 treated in the clinical investigations of oral  
14 isotretinoin. This young woman, as you can see, has  
15 severe cystic acne and was unresponsive to all prior  
16 therapy. I would like to give you some flavor of the  
17 impact of the disease in some of these patients.

18 This was a young woman who had training and  
19 who was aspiring to be a performer in the theater. She  
20 was unable to obtain gainful employment even as a  
21 waitress because of the disfiguring nature of her  
22 disease.

23 This is her after five months' treatment with  
24 oral isotretinoin in a milligram per kilogram per day.  
25 The last I have heard from her, she was touring with a

1 major Broadway stage company.

2 This young woman had, in addition to this  
3 Rosa type pustular acne of her face, severe acne of the  
4 chest and back, which is not an uncommon complication;  
5 was totally reclusive, would not go out of the house;  
6 literally had to be brought by her family to see us and,  
7 as you can see, had dramatic improvement after the  
8 disease.

9 This young man, in addition to the severe  
10 cystic acne of the back and chest and pustular lesions  
11 of the face, the draining blood behind his ear and on  
12 his cheeks, had a condition known as gram negative  
13 folliculitis, which is only controllable by antibiotics,  
14 not curable by anything other than Accutane. He wore  
15 his hair long. It is pulled back here for the purposes  
16 of the photograph. He forever wore his hair long in  
17 order to cover the unsightly lesions on his face and,  
18 after treatment with Accutane, although he still has  
19 some scarring, for the first time in seven years he was  
20 able to feel comfortable with a haircut.

21 Acne is a multi-faceted disease, and I make no  
22 pretense to tell you that all patients with acne ought  
23 to be treated with Accutane. There has been some  
24 discussion on what is cystic acne today and the  
25 definition of incidence. This is ordinary, garden

1 variety teenage acne that does not require treatment  
2 with oral isotretinoin.

3 This is more severe inflammatory acne already  
4 with a few cystic lesions and some scarring. You can  
5 see significant scarring up here. The question of  
6 whether this would be a candidate for oral isotretinoin  
7 or not is a decision that needs to be made by the  
8 individual dermatologist, taking an accurate history of  
9 the patient in view of what their response to prior  
10 therapy was.

11 Here is obvious cystic acne of a most severe  
12 form. I should also interject, by the way, in order to  
13 clarify. Cystic acne by definition is "severe acne."  
14 There is no mild cystic acne. I think that definition  
15 ought to be put on the table fairly early.

16 Here is a patient again with severe  
17 inflammation, and this is what has been left from  
18 previous lesions and some cystic lesions. This is not  
19 only cystic acne, but the development of sinus tracks  
20 where two cysts merge one into the other. This becomes  
21 a very disfiguring disease because not only does one  
22 require oral isotretinoin or Accutane for this, but  
23 frequently one requires surgical intervention to clean  
24 out the sinus track.

25 Here is a quite extensive disease of the

1 chest, because this disease also occurs on the chest and  
2 back, with exuberant granulation tissue. You can see  
3 the scarring that has resulted from before. These are  
4 all candidates for oral isotretinoin. This shows you  
5 the extent of the diseases that can occur on the back.

6 What we are trying to prevent with good acne  
7 therapy, and particularly with oral isotretinoin because  
8 it is the only drug, as Dr. Cunningham said, that has  
9 set a new standard that provides this kind of prevention  
10 as well as curative therapy is this kind of scarring.  
11 This is a close-up photograph of a patient with  
12 significant acne scarring from previously destructive  
13 disease, and we certainly would like to prevent our  
14 female patients from getting to this stage of  
15 development--something that, had we had Accutane  
16 available for this patient 15 years ago when she was  
17 treated with tetracycline and everything else that is  
18 available and this was the end result--we would have not  
19 had this kind of severe destructive disease, had we had  
20 oral isotretinoin available at that time.

21 So in summary, I would tell you that there is  
22 a very significant medical need for Accutane. It is an  
23 essential drug for the patients with severe recalcitrant  
24 acne.

25 Thank you very much for your attention.

1                   Presentation of John S. Strauss, M.D. Professor  
2                   and Head, Department of Dermatology,  
3                   University of Iowa

4                   DR. STRAUSS: Dr. Cunningham, Dr. Bergfeld, I  
5 welcome the opportunity to be here. I too was here at  
6 one of the early Accutane hearings in a much smaller  
7 room and much more crowded.

8                   While I have been invited to participate in  
9 this meeting by Hoffmann-La Roche, I feel that I am here  
10 representing the profession of dermatology, and had I  
11 not been invited by Roche, I would have requested time  
12 to make a presentation.

13                   I am also here I feel as a patient-advocate  
14 for those with severe nodular cystic acne. I have, I am  
15 sorry to say, over 30 years of experience in treating  
16 severe cystic acne in a referral type practice that  
17 tends to draw a large number of patients at the severe  
18 end of the scale. I am also recognized as an expert in  
19 acne research.

20                   As a dermatologist I feel that we have been  
21 indicted on the basis of evidence that can easily be  
22 challenged. Basic to the premise presented by Dr.  
23 Graham is that there are only about 4331 new cases per  
24 year of severe cystic acne in women who warrant  
25 treatment with the drug. This means that the average



1 dermatologist will see less than one eligible new  
2 patient once the pool has been exhausted per year. And  
3 I think all of the dermatologists on the panel, as well  
4 as those in the room, will agree that this is a very low  
5 figure.

6 Dr. Graham has also stated that 93 percent of  
7 the cases that have been treated with Accutane, female  
8 patients treated with Accutane, probably do not require  
9 the drug. I know our specialty particularly well,  
10 having served as the President of the American Academy  
11 of Dermatology and other organizations. We are  
12 responsible physicians. This is a gross  
13 misrepresentation of our therapeutic skills.

14 Likewise, I am confident that a statement that  
15 is contained in Dr. Graham's preliminary report that 85  
16 percent of the female patients treated with Accutane  
17 have not been previously treated with antibiotics is not  
18 representative of the practice of our specialty. We are  
19 all aware that this is a drug that is to be used in  
20 recalcitrant treatment resistant disease.

21 My concern is very simple. Continued  
22 availability of the drug is of utmost importance to  
23 patients. Accutane is the singularly most important  
24 drug that we, the responsible dermatologic community,  
25 have for the management of severe disease. Not only

1 does it cause remissions, but the remissions are long-  
2 lasting and close to 90 percent of those treated.

3 I also appear to guarantee to you the  
4 cooperation of the specialty in eliminating the  
5 potential for women acquiring this drug during  
6 pregnancy. The value of the drug is just too great to  
7 do otherwise. The lack of its availability will set  
8 back the therapy of acne by 20 years.

9 What are the consequences? Can I have the  
10 slides, please.

11 [Hereafter, slides are shown.]

12 I want to show just a few brief slides which I  
13 will run over very quickly. The first of these two are  
14 patients who have scarring in the pre-Accutane days when  
15 we didn't have a drug as powerful as this to treat the  
16 patient. Those are all scars. They are not active  
17 lesion. You cut into them, they are fibrous tissue.

18 Another patient with severe scarring. What is  
19 our patient base that we should be treating? Very  
20 quickly, this young man has been resistant to all forms  
21 of therapy and is obviously a candidate for this drug.

22 Another man with very extensive nodular cystic  
23 acne.

24 Another person.

25 And still another one with very marked

1 scarring and a considerable activity on the chest.  
2 These are all male patients, but we're talking primarily  
3 today about female patients. I will show you some  
4 female patients who have this.

5 This woman needs Accutane. She has been  
6 treated with all antibiotics, and similar to the cases  
7 that Dr. Shalita showed you, she is treatment-resistant  
8 nodulo cystic disease.

9 Another patient with severe nodular cystic  
10 disease leading to scarring, an obvious candidate for  
11 this drug.

12 Another person similarly--now note there  
13 aren't the large number of lesions, so counts alone  
14 cannot give you the indication as to whether the drug  
15 should be used. The number of active lesions on this  
16 side of her face are only two, but they are leading to  
17 severe scarring.

18 What are our alternatives? We have lots of  
19 alternatives: tetracycline, dapsone, high- and low-dose  
20 corticosteroids, interlesional steroids, anti-androgens,  
21 spironolactone.

22 The patients who are being put on this drug  
23 are patients who have been treatment-resistant and at  
24 least have been through all of the antibiotics. The  
25 recommendation contained in the report, the preliminary

1 reports, talk about using sequential treatment with the  
2 various agents that we have.

3 I call to your attention the things like  
4 dapsone, high-dose corticosteroids, low-dose  
5 corticosteroids, interlesional steroids, and  
6 spironolactone using them for nonapproved usage. In the  
7 case of anti-androgens, they are not even available in  
8 the United States.

9 Are there any alternatives? I wish I could  
10 say that there were, but at least as far as I know at  
11 the present moment there are no alternatives in the  
12 pipeline of drugs that are coming to market or being  
13 tested that are going to be able to substitute for this  
14 particular drug.

15 We cannot go back to 1977. Our patients will  
16 not let us. They will either obtain Accutane through a  
17 black market with problems related not only to the  
18 purity of the drug, but dosage control; by purchasing it  
19 outside of this country; or by using a substitute such  
20 as ordinary retinol. They will take it without  
21 supervision in many cases, and without the necessary  
22 benefit of educational activity as to the dangers of the  
23 drug.

24 Furthermore, retinol is just as toxic and just  
25 as teratogenic--in fact, it is probably more toxic--than