

1 think it's still a valuable drug. I think the liver
2 toxicities, indeed, are unfortunate. I'm quite
3 concerned with the discrepancy between the two sides
4 and the actual figures showing how much liver toxicity
5 there is, what's actually been reported versus what
6 might be out there in the world, but I think that it's
7 probably not quite as much as has been said to be
8 what's out there in the world, and I think that's
9 something that based on liver consultants, that we may
10 be able to markedly reduce by appropriate monitoring
11 in the patients.

12 CHAIRMAN BONE: Thank you.

13 Dr. Seeff, please.

14 DR. SEEFF: Well, not being a
15 diabetologist I can't speak to the effectiveness of
16 the drug, but from what I've heard from everybody, it
17 sounds like a very good drug. It's not a great drug
18 because we wouldn't be here if it were a great drug.
19 We are faced with a problem with this hepatotoxicity.

20 I think that if the final decision, which
21 is to retain the use of the drug, I think we need to
22 spend a little bit more time -- and this is not the
23 place to fine tune this -- but to spend a little more
24 time trying to find out the best way to monitor for
25 potential hepatotoxicity.

1 I'm not sure. I think that the job that
2 was done is a very good one, but I think it could be
3 improved upon.

4 I also think that if I were a patient, I
5 would like to know that a drug I'm getting could kill
6 me. I don't see anything that's directed to the
7 patient. Even if it's uncommon, I don't want to be
8 given something that might kill me, rare as it may be.

9 So I think that we should be not only
10 educating the patients as to what they should be doing
11 to look for the possibility, but to give them the
12 option of knowing that they may receive a drug, that
13 they are being offered a drug that could potentially
14 cause their death, and they should then be able to
15 make a choice as to whether or not they are willing to
16 take that.

17 So I think it's a combination of the
18 patient and perhaps a little more fine tuning on
19 trying to monitor for hepatotoxicity.

20 CHAIRMAN BONE: Thank you.

21 Dr. Lewis.

22 DR. LEWIS: Yeah, that was well said, and
23 I think, you know, we're talking about lots of
24 discrepancies in the numbers, and I guess the only
25 thing I would add is maybe we should try to do more in

1 terms of improving our reporting system, the structure
2 of it and other things so that we don't have to rely
3 on discordant numbers sometimes.

4 We say a lot about the fact it's a
5 voluntary reporting system. There are other places
6 around the world where it's mandatory. I'm not sure
7 that's going to work here right away, but something in
8 between maybe, and we would have the answers perhaps
9 to very important questions about the incidence rates
10 of very serious reactions that occur uncommonly. We
11 need to collect that kind of information.

12 But, you know, I echo the comments of Dr.
13 Seeff as well.

14 CHAIRMAN BONE: Thank you.

15 Dr. Illingworth.

16 DR. ILLINGWORTH: Yes. I would echo those
17 comments also.

18 I think the drug does add to the
19 therapeutic options of a patient with Type 2 diabetes,
20 and we've seen data that clearly shows the drug does
21 add to improved glycemic control when used in
22 combination.

23 I think the informed patient is their own
24 best advocate, and therefore, I would strongly
25 endorse the previous comments that the more informed

1 the patient is about the potential side effects, the
2 more informed they are about what symptoms are linked
3 to hepatotoxicity, nausea, anything like that, and
4 even give them potential flow sheets that can put down
5 their blood values so that if they change doctors or
6 they move, they've got baseline values with them; I
7 think education regarding hepatotoxicity.

8 And then the other thing is education
9 regarding potential drug-drug interaction. If
10 somebody started on drug that we would know is
11 metabolized by the cytochrome 3A4 system, have the
12 patient made aware of that so they may go back to more
13 frequent monitoring if they've been on the drug for a
14 year.

15 CHAIRMAN BONE: Thank you.

16 Dr. Hammes.

17 MR. HAMMES: I'm going to put on my hat as
18 a consumer's representative here. Basically my
19 opinion is life is fatal. We're all going to die.
20 People have different perceptions of risk. The best
21 we can do is give them our best opinion of what risks
22 are.

23 Some people will take the opinion that
24 quality of life is everybody and other patients won't
25 want to take the risk. So I second what was just said

1 about educating the patient. I think that's foremost
2 in this.

3 I think the low levels of monitoring that
4 we saw are an indictment of our medical system
5 certainly, but I think they also reflect the lack of
6 compliance on the part of the patient, and educating
7 the patient will go a long way toward taking care of
8 that end of the problem.

9 Along with educating the patient, clearly
10 we need to do a better job with educating the
11 physicians, and what I didn't hear much of today was
12 educating pharmacists. They're seeing the patients
13 more than the physicians, and a great deal of this
14 education needs to go to them so that they can screen
15 for some of these things in the pharmacy when
16 prescriptions are being refilled.

17 So I think education needs to be a big
18 component of this.

19 I think monitoring is something we need to
20 do. There needs to be strong follow-up on this whole
21 thing to narrow down these risk and benefit analyses.
22 I think you have to look at risk in this regard as
23 kind of a death incremental risk because clearly we
24 saw that the risk of death from diabetes is very
25 significant, and a small decrement in that death rate

1 will offset a rather large risk from hepatotoxicity.

2 But we need to quantitate those risks and
3 continue looking at that very closely. Along those
4 lines then, I think I feel quite strongly that
5 monotherapy probably isn't what this drug ought to be
6 used for. It's been clearly shown to me that it has
7 a strong value in the patients for other therapies
8 that failed. I don't know if this risk is worth it
9 for a first line treatment.

10 CHAIRMAN BONE: Thank you.

11 Dr. Genuth.

12 DR. GENUTH: You have to excuse me. I had
13 to check out.

14 I believe that everything we've heard that
15 I know previously persuades me that troglitazone is
16 effective enough therapy for Type 2 diabetes to accept
17 some risk in its use if it's used wisely. I'm not
18 persuaded that despite the new mechanism of action,
19 which is certainly very provocative, that troglitazone
20 produces better clinical results in the long term than
21 any other single drug currently that we know about.

22 Obviously we need more data for long-term
23 efficacy. So I'm a little bit divided mind about
24 monotherapy.

25 There was a lot of emphasis comparing the

1 risks of liver injury and death with troglitazone,
2 which I believe are real, to lactic acidosis risks
3 with metformin. I didn't hear anybody comment on what
4 I think is a very important difference between the
5 two. Most of the cases of lactic acidosis due to
6 metformin are probably preventable. They've occurred
7 under circumstances that we know increases the risk.

8 And I think education can hopefully get
9 that risk down to near zero, but I don't believe we
10 can completely prevent liver toxicity from
11 troglitazone because of the unpredictable nature of
12 it, no clues who's going to get it, and I think
13 logistically there's no monitoring scheme that can be
14 dictated from above that will be carried out perfectly
15 enough to prevent liver deaths either.

16 So with some reluctance I think we have to
17 accept if we move forward with this drug; we have to
18 accept the fact that some of us are going to write
19 prescriptions for patients, and in a rare circumstance
20 that's going to lead to the death or hepatic
21 transplant of the patient.

22 That doesn't thrill me, but I think
23 physicians, as has already been said, have that
24 responsibility to make judgments and help the patient
25 make proper decisions with good education.

1 So I'm all for continuing use of the drug
2 as adjunctive to anything else in combination therapy,
3 and I think that for monotherapy, the suggestions that
4 the sponsor has already made about changing the
5 labeling so as to define clearly how it should be
6 used, for what length of time, and I would somehow try
7 to add some definition of adequate response in terms
8 of hemoglobin A1c or like glucose.

9 CHAIRMAN BONE: Thank you very much.

10 Dr. Braunstein.

11 DR. BRAUNSTEIN: Well, I, too, agree that
12 this drug is efficacious, and this group of drugs is
13 an important addition to the available treatments for
14 diabetes. Obviously the liver toxicity is the major
15 concern.

16 I do hope that with increased liver
17 function testing, as suggested by our hepatologist
18 consultants, that the risk or the requirement for
19 transplant will be decreased. I hope that Dr.
20 Graham's model is wrong. I don't think we have the
21 data to really say that his model is right or wrong or
22 the company's predictions that at the end of the year
23 most of the risk is gone.

24 So I think we're just going to have to see
25 with the collection of data over time, and I would use

1 whatever means the FDA has available to require that
2 collection of data by the company.

3 My final thought is I know that there are
4 a number of other drugs in the pipeline in the same
5 group, in the same class, and I'm hopeful that one or
6 more of those will prove not to be hepatotoxic, and
7 then we'll let the marketplace tell us which is the
8 best drug.

9 CHAIRMAN BONE: Thank you, Dr. Braunstein.

10 I find this weighing of risk and benefit
11 in this situation troubling. We have impressive
12 evidence for the efficacy of this drug, and it's clear
13 that the reduction in indices of blood sugar control,
14 that would be hemoglobin A1c, for example, are of a
15 magnitude which based on all the other drugs that have
16 been evaluated should be expected to produce very
17 substantial reductions in morbidity and eventually
18 mortality.

19 So we would expect that the use of this
20 medication in patients whose diabetes couldn't be
21 controlled without it should produce a very
22 substantial incremental benefit. I think the evidence
23 that it is superior for initial therapy is somewhat
24 less impressive.

25 I think that the biggest problem for us is

1 trying to get our hands on this risk assessment, and
2 what I think we've heard here is proof that it's very
3 difficult to do that. The hard number that we have is
4 the number of cases that the agency and the company
5 have of patients who have ever died or required liver
6 transplant, where it seems clear that the drug was at
7 least likely, if not certain, to be the cause of the
8 liver failure.

9 Everything after that is a problem. We
10 have a little bit of a problem deciding what the
11 denominator is, and we have a much greater problem if
12 we decide how to adjust that denominator for all the
13 factors that Dr. Graham expounded on so eloquently.

14 The risk estimate of something like one to
15 two per 1,000 is a very high risk estimate for a fatal
16 complication. It's on the order of surgery or general
17 anesthesia. It's not -- most major operations carry
18 a considerably higher mortality rate because there's
19 also risk over and above what's associated with the
20 anesthesia that has something to do with why people
21 are being operated on in the first place, but it's in
22 that range.

23 But there's a wide estimate or there's a
24 wide confidence limit around that if we look at the
25 extrapolations, for example, from the clinical trials

1 where the risk could be seven times as high or one-
2 thirtieth.

3 And as was pointed out by the sponsor,
4 this range is so wide that it actually incorporates
5 the sponsor's estimate of the risk as well, making no
6 adjustment at all for under reporting.

7 So this 200-fold span between the low and
8 high end of that risk estimate makes it very difficult
9 to get our hands on this risk, and I think this has
10 troubled everyone.

11 The argument about the rate of under
12 reporting has a certain circularity to it. What we
13 don't have is a very hard population based comparison
14 between reporting rates and actual occurrence rates.
15 We don't have that absolutely solid, sorted out. It
16 would be very helpful here if we had that.

17 We have information that gives us hints
18 about this, but it isn't as solid as we would like.
19 So this is really a matter of drawing inferences and
20 trying to decide whether we really think the risk is
21 that high or do we think it's somewhere near the
22 middle of the range of estimates or even as low as the
23 reported rate.

24 And I think these are the kinds of
25 considerations that I'll be certainly taking into

1 account as I consider my vote and additional comments.

2 Is there any burning point that has to be
3 made by the agency or the sponsor or the member of the
4 Committee before we proceed to take the vote?

5 (No response.)

6 CHAIRMAN BONE: All right. Then let's go
7 ahead with the vote.

8 DR. ILLINGWORTH: I'd just like to point
9 out one thing with regard to monotherapy.

10 CHAIRMAN BONE: Only if it's a point of
11 fact, please. Is it?

12 DR. ILLINGWORTH: It is a point of fact.

13 CHAIRMAN BONE: All right.

14 DR. ILLINGWORTH: And that is, of course,
15 monotherapy needs to be kept in mind, is limited after
16 two months to patients that do benefit.

17 CHAIRMAN BONE: Thank you.

18 DR. ILLINGWORTH: And that substantially
19 changes then the risk-benefit ratio.

20 CHAIRMAN BONE: Point taken. Thank you
21 very much.

22 All right. Anything further? Everybody's
23 said their piece? Good. We're going to vote now.

24 Not everyone who is sitting at the table
25 is a voting member of the Committee. So I will ask

1 the voting members of the Committee to vote, and we'll
2 just, I think, start around the table from the right
3 this time, starting with Dr. Illingworth.

4 And Question 1 has three parts, and then
5 the editorializing or commenting has either already
6 been done or mostly go into the answers to Questions
7 2 and 3.

8 So, Dr. Illingworth, Question 1.

9 DR. ILLINGWORTH: Question 1, based on the
10 information with the current label indications,
11 warnings and precautions, do the benefits outweigh the
12 risks for (a) concomitant use with insulin? Yes.

13 Concomitant use with sulfonylurea? Yes.

14 Monotherapy? No.

15 CHAIRMAN BONE: Thank you.

16 Dr. Hammes.

17 MR. HAMMES: I would vote the same, yes,
18 yes, no.

19 CHAIRMAN BONE: Thank you.

20 Dr. Genuth?

21 DR. GENUTH: Yeah, I think the benefits
22 outweigh the risks for combining troglitazone with
23 insulin, with sulfonylurea, with metformin, with a
24 combination of metformin and sulfonylurea, and I think
25 if the labeling is changed somewhat in the manner

1 suggested by the sponsor, for monotherapy.

2 CHAIRMAN BONE: Would that mean -- is that
3 a no for this question for monotherapy?

4 DR. GENUTH: No. It's a yes with a --

5 CHAIRMAN BONE: Okay. It's based on the
6 current labeling.

7 DR. GENUTH: -- contingency. No. Then
8 it's a no based on the current labeling.

9 CHAIRMAN BONE: All right. Thank you.

10 Dr. Braunstein has left his votes, and
11 we'll read those after everyone else has voted, and I
12 will vote last.

13 Dr. Molitch.

14 DR. MOLITCH: I agree with Dr. Genuth, I
15 guess. Yes and no with current labeling for
16 monotherapy, but yes, if it gets modified as
17 indicated.

18 CHAIRMAN BONE: Thank you.

19 Dr. New is in the same situation as Dr.
20 Braunstein.

21 Dr. Kreisberg?

22 DR. BILSTAD: Henry, can I ask a question
23 here? There's been a couple of statements about based
24 on currently labeling. Do people really know what the
25 current label says?

1 CHAIRMAN BONE: Well, it's been
2 distributed.

3 DR. BILSTAD: Okay. Everybody is aware
4 that it is already in there that it be discontinued
5 after -- the way it's worded, let me read it.

6 CHAIRMAN BONE: I really think everybody
7 has probably -- is there anyone here who's voting on
8 this who hasn't read the labeling?

9 (No response.)

10 CHAIRMAN BONE: Okay. I think everybody
11 has read it.

12 DR. BILSTAD: Okay.

13 CHAIRMAN BONE: Okay, Jim. Thanks.

14 Dr. Kreisberg has also voted in writing.

15 Dr. Cara.

16 DR. CARA: Yes, yes, no.

17 CHAIRMAN BONE: Good.

18 DR. COLLEY: Yes, yes, no.

19 CHAIRMAN BONE: This would be -- that was
20 Dr. Cara, Dr. Colley.

21 We have a written vote from Dr. Marcus,
22 and there'll be a vote from Dr. Hirsch.

23 DR. HIRSCH: Yes. I wish it had said --
24 it doesn't obviously -- but I wish it had said
25 concomitant use when these other drugs are not giving

1 optimum effects.

2 So in that respect, I would say yes, yes,
3 and definitely no.

4 CHAIRMAN BONE: Thank you.

5 Can we have the votes from those who had
6 to vote in writing, please, from Kathleen Reedy?

7 MS. REEDY: Dr. Marcus: yes, yes, no.

8 Dr. Kreisberg: no, yes, yes.

9 Dr. New: yes, yes, and yes.

10 Dr. Braunstein: yes, yes, and a gentle
11 yes.

12 (Laughter.)

13 DR. HIRSCH: Are you sure that Dr.
14 Kreisberg one was right? No, yes, yes?

15 MS. REEDY: Yes.

16 CHAIRMAN BONE: All right, and my votes
17 would be yes, yes, and no, I think, at the present
18 time.

19 Question No. 2: if the answer to the
20 first question was yes, can the current labeling be
21 enhanced to further improve the risk-benefit
22 relationship, and if yes, how?

23 I would ask you to -- we'll go around, and
24 we'll ask you to address the items on which you voted
25 yes, and then we'll come back and have people comment

1 on the items on which they voted no.

2 So Dr. Illingworth.

3 DR. ILLINGWORTH: I think the labeling, as
4 I read it, I think is fairly comprehensive. I would
5 just emphasize, as I emphasized earlier on, give the
6 patient information as well so the patients are aware
7 about what they need to do for monitoring. So I think
8 that's my major focus in terms of improving the
9 labeling and make sure patients are aware what are the
10 symptoms of liver toxicity.

11 CHAIRMAN BONE: Thank you, Dr.
12 Illingworth.

13 Dr. Hammes, comments on items on which you
14 may have voted yes.

15 MR. HAMMES: The first two I voted yes.
16 I would like to second that. I'd like to see a
17 patient package insert type of thing developed
18 explaining the risks, benefits, side effects for the
19 patient's use, along with more extensive education of
20 all the health care fields.

21 CHAIRMAN BONE: Dr. Genuth.

22 DR. GENUTH: Just the same as my
23 colleagues.

24 CHAIRMAN BONE: Thank you.

25 Dr. Molitch.

1 DR. MOLITCH: I agree with the patient
2 insert. I think that based on the information that we
3 heard today that perhaps monthly monitoring perhaps
4 ought to be extended out for a year and every two
5 months for the next three times, say, for six months,
6 and then quarterly thereafter since we are concerned
7 about the duration of potential toxicity of this.

8 CHAIRMAN BONE: Okay.

9 DR. MOLITCH: So I'd like to see that
10 monitoring extended.

11 CHAIRMAN BONE: Dr. Cara.

12 DR. CARA: Yes, I think current labeling
13 can be enhanced, and what I would suggest is that
14 there be very strong statements made that this
15 treatment should not be considered for other
16 conditions other than diabetes. I think that's very
17 important until we have data regarding its efficacy
18 and things like polycystic ovary syndrome and its
19 potential side effects.

20 We need to be very, very cautious about
21 this drug being used for other conditions.

22 I would also add that the medication
23 should be only considered with failure of the primary,
24 i.e., insulin or sulfonylurea or metformin, therapy
25 alone.

1 The other thing that I would add is the
2 need for continued monitoring. I don't think a
3 patient insert is enough. I think there ought to be
4 greater efforts at patient education and perhaps even
5 a central registry where patients can be informed as
6 additional information comes out so that they can take
7 the appropriate steps to protect themselves.

8 I'm concerned about the delay between the
9 findings and the information getting back to patients.

10 CHAIRMAN BONE: Thank you.

11 Dr. Colley.

12 DR. COLLEY: I would echo those comments
13 that for insulin and sulfonylureas it should be
14 restricted to patients who have failed to achieve
15 their goal on maximal doses of the sulfonylurea.

16 And if I could just ask Dr. Bilstad to
17 just explain briefly the Subpart H that you alluded to
18 in your slide.

19 DR. BILSTAD: It would be a situation
20 where the drug would be -- through a special
21 distribution scheme, would not be distributed to
22 patients unless they had actual evidence of having
23 gotten the laboratory test.

24 DR. COLLEY: I would encourage that to be
25 considered until we have more data on what the actual

1 risk is. It appears that the rate of adherence to the
2 recommended laboratory monitoring is pretty abysmal,
3 and that just encouraging that to be done is clearly
4 not enough through the efforts made so far.

5 And as Dr. Cara said up front, patients
6 should be informed of the risks. A patient insert I
7 don't think is enough. They need to know this before
8 starting the drug. They need to know what to expect
9 in terms of symptoms that may arise. They need to
10 know that they should be monitored, what the
11 expectations are in terms of monitoring so that they
12 know that they need to go to the lab, they need to
13 have this done, and they need to hear back from their
14 providers about it.

15 CHAIRMAN BONE: Dr. Hirsch.

16 DR. HIRSCH: I guess I would be much
17 stronger in the labeling. I would like to see it
18 stating that X deaths, whatever it is that we decided,
19 or roughly X deaths have been reported and are
20 believed to be attributable to this drug, reported
21 during the year 1998, and the rough range that is
22 covered by this is currently unknown. It may be
23 equivalent or more than this in the future, and there
24 may be a cumulative effect. It simply is not known,
25 and for these reasons this is not to be used ever as

1 a first line drug in the treatment of diabetes until
2 more information is available. It is only to be used
3 after other drug failures occur, and then as an
4 adjunct to those other drugs.

5 And when it is used, although not proven,
6 it is currently prudent to obtain frequent sampling of
7 blood for liver enzymes since this may assist in not
8 having so many deaths or may be a helpful evaluation.

9 I would feel that that's extremely
10 important to put all of those pieces of information
11 into the labeling, which are not there now.

12 CHAIRMAN BONE: Thank you.

13 For myself, I would comment. I'm in
14 agreement with several of the other comments, what is
15 really a change in the indication.

16 I said that I found the balancing of risk
17 and benefit here rather troubling because of the great
18 difficulties we have in making those estimates with
19 any confidence or precision in the precision. So I
20 think that with the currently labeled indications,
21 that's a much more difficult balance to draw than it
22 would be if the indication were changed along the
23 lines suggested, which would be that this drug is
24 essentially to be used in patients which have failed
25 to achieve adequate control on primary therapy, and

1 it's an adjunctive treatment, with the same kind of
2 qualifications that others have mentioned.

3 And I think that's not just a passing
4 comment. That's a specific recommendation about the
5 indication, and I think that's a central point, I
6 think, here. It goes beyond warnings and precautions,
7 which of course I agree with the other comments on.

8 DR. HIRSCH: Could I just make one last
9 comment exactly on -- we've had some difficulty -- I
10 had -- today in following some of the data because
11 sometimes we sort of think of the comparison as many
12 of the anecdotes that came out as though this is the
13 drug and we're comparing this versus no treatment.
14 What we really should be comparing this against is
15 optimal treatment with other drugs and looking for the
16 additional incremental advantage of having this drug
17 when those others fail.

18 CHAIRMAN BONE: Well, some of the clinical
19 trials were designed in that way, as you know.

20 Yes, Dr. Fleischer.

21 DR. FLEISCHER: I'm not a voting member of
22 the panel. I just am obviously concerned, as everyone
23 here is, about the drug, but I would also just caution
24 that you may, as someone who takes care of patients
25 with diabetes, you make the restrictions on this drug

1 so onerous that for medical legal reasons no physician
2 would dare give it to a patient. You might as well
3 just ban it.

4 I mean, in other words, I think it should
5 be properly done, but the language should not be so,
6 you know, overly restrictive. That's all.

7 CHAIRMAN BONE: All right. So you
8 would -- okay.

9 DR. FLEISCHER: And I think some of the
10 concern really is going to be resolved with data
11 hopefully.

12 CHAIRMAN BONE: Thank you.

13 All right. Then the next question is for
14 those questions that were answered no, could
15 modification of the current labeling result in the
16 favorable risk-benefit relationship, and if yes, how
17 or what other steps should be taken?

18 Again, we'll start with Dr. Illingworth.
19 This would be -- I think you voted no on --

20 DR. ILLINGWORTH: On monotherapy.

21 CHAIRMAN BONE: -- on monotherapy, yeah.

22 DR. ILLINGWORTH: Well, I think we just
23 need more data on what are the factors that are
24 causing the liver toxicity. Are there some
25 predisposing factors, drug interactions, genetic

1 variance in the cytochrome system? Are there other
2 factors that can identify a patient who shouldn't be
3 on this drug?

4 I think until we know that, if a person
5 can be controlled on another medication, a
6 sulfonylurea, metformin with a better safety record or
7 less risk of hepatic toxicity, then that should be the
8 recommendations.

9 CHAIRMAN BONE: Dr. Hammes.

10 MR. HAMMES: On the monotherapy, given our
11 lack of precision on our risk estimates, I see no
12 place for this as a first line treatment. If we get
13 enough data to narrow these risk estimates down so at
14 we can put a good handle on it, you know, that could
15 certainly be reevaluated, but right now I don't think
16 so.

17 CHAIRMAN BONE: Dr. Genuth?

18 DR. GENUTH: Well, like everybody else I
19 find this very difficult to come to a precise and
20 satisfactory conclusion. What I guess I would like to
21 see is that in an ideal world other drugs with
22 possibly better safety records be tried first, and if
23 they don't work, the Rezulin given, but that's a
24 Catch-22 because most of the studies have already
25 shown that if a patient doesn't respond to one oral

1 drug, he's not likely to another oral drug.

2 So you would almost then be forced into
3 the position of saying that Rezulin could only be used
4 in combination therapy, and I'm not ready to abandon
5 monotherapy, but I think it can be made safer with the
6 restrictions that I mentioned before.

7 CHAIRMAN BONE: Right. Thank you.

8 Let's see. Next is Dr. Molitch. Did you
9 vote no on anything?

10 DR. MOLITCH: I think I may have voted no
11 incorrectly. If Dr. Bilstad is correct, then I didn't
12 fully understand the restriction. In fact, I would
13 vote yes based on what actually the labeling is.

14 CHAIRMAN BONE: I'm sorry. Clarify the
15 point you're making here.

16 DR. MOLITCH: I think I'm voting yes, and
17 I have no noes.

18 CHAIRMAN BONE: And the distinction you're
19 drawing is?

20 DR. MOLITCH: For monotherapy that, in
21 fact, there's this two-month window to document
22 efficacy.

23 CHAIRMAN BONE: I see. All right.

24 Dr. Cara.

25 DR. CARA: No. No, there's nothing that

1 I would recommend to really make this a single use
2 drug.

3 CHAIRMAN BONE: Thank you.

4 Dr. Colley.

5 DR. COLLEY: Nothing at this time until
6 more data's available.

7 CHAIRMAN BONE: Dr. Hirsch.

8 DR. HIRSCH: No, and I have no verbal
9 pyrotechnics to change it. So no.

10 CHAIRMAN BONE: The only thing that I
11 could imagine that would change that would be
12 something where you could actually predict who the
13 sensitive people were or protect patients in some way
14 here.

15 The fourth question is, and this, it seems
16 like to me is a short answer rather than a yes or no
17 question, is: does the Committee have any comments
18 about the use of troglitazone in combination with a
19 sulfonylurea and metformin together?

20 And we'll just go around the table, and
21 short comments. We're not being asked to have an up
22 or down vote on this.

23 Dr. Hirsch.

24 DR. HIRSCH: As before, us only when these
25 are not doing the job adequately.

1 CHAIRMAN BONE: Thank you.

2 Dr. Colley.

3 DR. COLLEY: I would agree with Dr.

4 Hirsch.

5 CHAIRMAN BONE: Dr. Cara.

6 DR. CARA: Agreed.

7 CHAIRMAN BONE: Dr. Molitch or Dr. Genuth.

8 Well, no comment. Dr. Hammes or Dr. Illingworth?

9 MR. HAMMES: Yeah, I think there's real
10 good data on using that as a combination again in the
11 people that have failed the sulfonylurea/metformin
12 therapy.

13 CHAIRMAN BONE: Thank you.

14 And Dr. Illingworth.

15 DR. GENUTH: I'd just like to add that's
16 what I did say before.

17 CHAIRMAN BONE: Yes.

18 DR. GENUTH: That I would approve its use
19 in combination.

20 CHAIRMAN BONE: I remember, yeah.

21 DR. ILLINGWORTH: I'd just endorse
22 combination therapy in patients who are inadequately
23 controlled on other drugs. The drug has a synergistic
24 mechanism of action with other drugs used to treat
25 diabetes. Use it synergistically with other

1 medications.

2 CHAIRMAN BONE: All right. The fifth
3 question is what, if any, additional information is
4 needed -- people may have suggestions -- to further
5 evaluation the risks and benefits of troglitazone.
6 Does the Committee have any recommendations for
7 obtaining additional information about the hepatic
8 effects of troglitazone?

9 So just sort of a two-part question, one
10 more general about risks and benefits and one very
11 specific about the hepatic effects.

12 We'll just start with Dr. Illingworth, I
13 guess.

14 DR. ILLINGWORTH: For the first part of
15 the question, additional information needed to further
16 evaluate the risks and benefits of troglitazone, I
17 think obviously anybody with any preexistent liver
18 disease should be -- is contraindicated.

19 I think more information about potential
20 drug-drug interactions will be helpful. Which drugs?
21 Drugs for hypertension, lipid lowering drugs,
22 particularly ones that are metabolized by the C3A4
23 system. Perhaps that may be a potential avenue for
24 further exploration.

25 And the second portion of the question, I

1 would encourage the sponsors to look into more
2 causation risk factors, genetic variance in the C3A4
3 system, differences in drug metabolism that may be
4 predictive of risk.

5 I'd also explore further drug-drug
6 interactions.

7 CHAIRMAN BONE: Thank you.

8 Dr. Hammes.

9 MR. HAMMES: I think perhaps an appear
10 from the FDA and the company through professional
11 associations to encourage pharmacists/physicians to
12 report this would be helpful. I don't think requiring
13 much more, and clearly we need to collect the data,
14 you know, and we need just more longevity in the data.

15 CHAIRMAN BONE: Dr. Genuth.

16 DR. GENUTH: I have nothing to say about
17 the liver. However, I would encourage the sponsors
18 and other scientists to try and develop a clinically
19 applicable way of determining which patients have the
20 sort of insulin resistance that is most likely to
21 respond to this drug or this class of drugs.

22 I think that's really the most logical way
23 to approach the problem we have. We would reduce the
24 risk if we narrowed the population to those most
25 likely to benefit.

1 CHAIRMAN BONE: Thank you.

2 Dr. Hirsch, how about that?

3 DR. HIRSCH: In answer to the question, I
4 think we're just sorely in need of new information.
5 I've almost never had a meeting here in which there's
6 something that had so -- that so confounded me, and
7 this is not because of any malice of anyone, but I
8 just think the information base is very inadequate to
9 help us make the best conclusions about this, and
10 therefore, I urge everybody involved to aid that, and
11 I think it's true in both areas.

12 I think we need more information about the
13 risk. That is, we need basic information on the mode
14 of action of troglitazone on liver cells or whatever,
15 any kind of basic thing.

16 But also we very much need information on
17 whether the current surrogate endpoints that we're
18 using like hemoglobin A1c and glucose are really as
19 meaningful here as they are with other anti-diabetic
20 agents. Very difficult to accumulate, but clearly if
21 you're to do risk-benefit, this is what you have to
22 have, and we don't have it.

23 CHAIRMAN BONE: Fair enough. Thank you.

24 Dr. Colley.

25 DR. COLLEY: I don't have anything to add.

1 CHAIRMAN BONE: Okay. Dr. Molitch.

2 DR. MOLITCH: I was encouraged by the
3 liver function tests being a good indicator of
4 patients going on to develop liver failure, but I'm
5 still concerned about the fast risers, and I was
6 intrigued by Dr. Marcus' idea of measuring ALT levels
7 on a weekly basis using a filter paper method or
8 capillary tube method.

9 I perhaps would urge the sponsor in one of
10 their cohort studies to perhaps actually do that in a
11 portion of patients to see if we could try to pick
12 this up at an early point in time and see if it, in
13 fact, is of benefit in identifying those patients at
14 risk.

15 CHAIRMAN BONE: Thank you.

16 I think that the most crucial thing I can
17 think of is to try to get hard population based data,
18 and also to really look hard at this question of what
19 happens with exposure past the first several months.

20 I think the models we've had, you know,
21 are sharply disparate on these points, and having real
22 information from large managed care organizations,
23 perhaps Saskatchewan and some of the other systems
24 where we can look at this, is crucial.

25 And I think the weighing or weighting that

1 everyone here on the Committee has done would be
2 influenced heavily if we saw that kind of information
3 either confirming or modifying any of the estimates
4 that we've heard. I think that's the kind of thing
5 that we really need very, very badly.

6 I'm going to ask the nonvoting members who
7 are here if they have any additional comments before
8 I summarize.

9 Dr. Seeff?

10 DR. SEEFF: I agree with you. This is an
11 opportunity to learn more about this. We have to
12 gather more facts about the frequency of
13 hepatotoxicity. As I say earlier, as I mentioned
14 earlier, I think that there will be an opportunity to
15 look perhaps at a subgroup very carefully.

16 This, after all, is a drug that we do know
17 causes hepatotoxicity, not at a high, tremendously
18 high frequency, but enough that this would be a
19 wonderful opportunity to look into this and try to
20 learn more about this in terms of monitoring.

21 Excuse me. I've got laryngitis.

22 CHAIRMAN BONE: Right. Thank you.

23 Dr. Lewis, please.

24 DR. LEWIS: I agree. We need to learn now
25 to monitor better, and this is one way to try and do

1 that.

2 I would just add that, I mean, there are
3 confounders to monitoring: alcoholics who have
4 elevated enzymes, patients with underlying liver
5 disease. There's very little information, however,
6 that patients with underlying liver disease are more
7 at risk for an idiosyncratic reaction than people
8 without that, but obviously it confounds the issue.
9 There are certain drugs you don't want to give in
10 patients who are alcoholic, and whatnot.

11 Well, we can use this as an opportunity to
12 try and learn how better to monitor, whether it's more
13 frequently or however.

14 CHAIRMAN BONE: Thank you.

15 Let's see. Ms. Killion, please.

16 MS. KILLION: I'm very encouraged by the
17 emphasis on patient education because I think that's
18 really the key to managing this disease from any
19 aspect, and the idea of working in concert with your
20 doctor with full information I think is really the
21 only approach that a patient can take.

22 CHAIRMAN BONE: Thank you, and Dr.
23 Fleischer.

24 DR. FLEISCHER: Well, I certainly hope
25 that both the incidence and hopefully the way of

1 preventing the adverse effects of this drug can be
2 clarified because this drug and I'm sure others in its
3 class are truly very effective.

4 CHAIRMAN BONE: Thank you.

5 I will just try to summarize here just
6 before we adjourn, and it's going to be challenging to
7 summarize this meeting of the Endocrine and Metabolic
8 Drugs Advisory Committee.

9 Basically we've been trying to weigh the
10 risk and benefits of using troglitazone in diabetic
11 patients under various circumstances, as outlined in
12 Question 1. There is enormous concern about the
13 evidence of hepatic toxicity.

14 There is no dispute about whether
15 lightning has struck, as Dr. Graham has said. I think
16 the members of the Committee have in many cases
17 expressed concern about how well we can estimate the
18 likely frequency of these lightning strikes, however,
19 and this is weighed against the expected benefits of
20 therapy based on the assumption that the long-term
21 benefits with endpoints will be similar to the
22 improvements that have been experienced by patients
23 achieving improved glycemic control with other drugs,
24 also the sparing of insulin effect.

25 And after a spirited and thorough

1 discussion, I think that the majority of the Committee
2 -- and I don't have the counts here, do we? -- has
3 voted 11 to one that the -- felt that with the current
4 indications, warnings and precautions the benefits of
5 troglitazone do outweigh the risk for concomitant use
6 with insulin, and 12 to zero with sulfonylurea, but
7 the Committee did not feel that the risks outweighed
8 the benefits for monotherapy at this point, with the
9 vote being four in favor and eight against.

10 Many of the Committee members have made
11 suggestions about how the risk-benefit ratio could be
12 enhanced further by changing in the labeling and
13 prescribing practices and monitoring as they have
14 outlined.

15 The majority of members of the Committee
16 felt that their comments about combination therapy as
17 in Question 1 would generally apply in Question 4,
18 although this was not voted upon.

19 And the Committee very strongly indicated
20 a need for additional epidemiologic and mechanistic
21 information in order to better quantify the risk and
22 better understand the mechanism of toxicity.

23 I want to thank the members of the
24 Committee. I want to thank the presenters from Parke-
25 Davis. I want to thank the agency. I want to

1 particularly thank Ms. Reedy and the group that
2 manages the advisors and consultants process, and I
3 want to thank the audience.

4 This session is closed.

5 (Whereupon, at 5:22 p.m., the Advisory
6 Committee meeting was concluded.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

C E R T I F I C A T E

This is to certify that the foregoing transcript in
the matter of: MEETING NO. 72

Before: ENDOCRINOLOGIC AND METABOLIC DRUGS
 ADVISORY COMMITTEE

Date: MARCH 26, 1999

Place: BETHESDA, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


